

## PRESIDENTIAL ADVISORY COUNCIL ON HIV/AIDS

+ + + + +

## THIRTIETH MEETING

+ + + + +

TUESDAY,  
JUNE 20, 2006

+ + + + +

The above-entitled matter convened at 9:00 a.m. in Room 800 of the Hubert Humphrey Building, 200 Independence Avenue, S.W., Washington, D.C., Louis Sullivan, M.D., Co-Chair, presiding.

## COUNCIL MEMBERS PRESENT:

LOUIS SULLIVAN, M.D.	Co-Chair
TROY BENAVIDEZ	Member
ROBERT BOLLINGER, M.D., M.P.H.	Member
JACQUELINE S. CLEMENTS, B.S.	Member
EDWARD GREEN, Ph.D.	Member
ALAN HOLMER, B.A., J.D.	Member
JANE HU, Ph.D.	Member
FRANKLYN JUDSON, M.D., M.P.H.	Member
SANDRA McDONALD	Member
JOE McILHANEY, M.D.	Member
ROBERT REDFIELD, M.D.	Member
DAVID REZNIK, D.D.S.	Member
M. MONICA SWEENEY, M.D., M.P.H.	Member
RAM YOGEV, M.D.	Member

## PACHA STAFF PRESENT:

JOSEPH GROGAN, Esq.	Executive Director
DANA CEASAR	Program Assistant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## PRESENTERS:

MICHAEL SAAG, M.D., Professor of Medicine, Director,  
University of Alabama at Birmingham

MYRON S. COHEN, M.D., J. Herbert Bate Professor of  
Medicine, Microbiology, and Immunology and  
Epidemiology Director, UNC Center for  
Infectious Diseases, UNC Chapel Hill

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## I-N-D-E-X

	Page
Welcome Remarks - Co-chair Sullivan	4
Treatment and Care - Member Reznik	5
Healthcare Cost Study - Dr. Saag	7
Seroconversion/Infectivity Data - Dr. Cohen	66
Public Comments	141
Departing Member Recognition Exec. Director Grogan	147
Council Reconvenes for Motions and Working	155

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

M-O-R-N-I-N-G S-E-S-S-I-O-N

9:03 a.m.

CO-CHAIR SULLIVAN: Let's try and get started because we would like to adhere to our time schedule for the meeting today. One thing I failed to mention yesterday, but I'm sure all of the Council members will agree with me. I congratulated Joe Grogan and his staff for the book that was put together for our meeting.

(Applause.)

EXEC. DIRECTOR GROGAN: Thank you but I wish -- I can't take any credit for that. It was really Dana who put this all together and she did a fantastic job.

(Applause.)

CO-CHAIR SULLIVAN: Certainly excellent, very good papers and good biographical sketches. So thank you very much for this very fitting for the 25th year of this epidemic. We also had some very good discussions and presentations yesterday and I'm sure that will continue through to today. Our session this morning will be presided over by the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Chair of our Treatment and Care Committee, Dr.  
2 Reznik. So, David, we'll let you go forth.

3 MEMBER REZNIK: Good morning, everyone.  
4 We have some people that are awake. Today we're  
5 going to cover a couple of very important issues and  
6 our first speaker, which is actually an honor for me  
7 to introduce him, I think it's our first speaker, let  
8 me make sure, is Michael Saag. Michael Saag  
9 graduated from the University of Louisville with his  
10 medical degree and then did a residency in infectious  
11 disease in molecular virology at UAB or University of  
12 Alabama at Birmingham.

13 During his fellowship training, actually  
14 while a fellow, Dr. Saag conceived a concept of a  
15 comprehensive HIV outpatient clinic dedicated to the  
16 provision of comprehensive care in conjunction with  
17 the conduct of high quality clinical trials, basic  
18 science and clinical outcomes research. This is as a  
19 fellow. So that is really very unusual. At least in  
20 today's world, it's a little bit unusual. Since he  
21 established the clinic, Dr. Saag has participated in  
22 studies of antiretroviral therapy as well as novel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 treatments for opportunistic infections.

2 Some of his articles include the  
3 description of the first use of viral load in  
4 clinical practice, the first description of rapid  
5 dynamics of viral replication, the first guidelines  
6 for the use of viral load in the practice, the first  
7 proof of concept of fusion inhibitors as a  
8 therapeutic option and also making available many  
9 antiretrovirals that were only available through  
10 research trials.

11 In the early days of the epidemic, many  
12 of our patients in Atlanta actually took the trip to  
13 Birmingham to see Dr. Saag to get access to care.  
14 And I believe it was last year one of our community  
15 group had a survival project to honor Dr. Saag for  
16 his commitment not just to people in Birmingham but  
17 to the people in Atlanta and around the country.

18 As many of you know, my partner was sick  
19 earlier, all of last year, and when things came down  
20 to a crisis, we have a wonderful medical director at  
21 Program, Dr. Jeff Linnox. I called up Dr. Saag  
22 because things were getting to the point where I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 needed to contact the brains and people that also  
2 have the compassion and the two doctors got together  
3 and I can happily say that my partner is doing much  
4 better. Truly a great person, dedicated to dealing  
5 with this disease and facing the problems as they are  
6 emerging, it is my privilege and honor to introduce  
7 Michael Saag.

8 (Applause.)

9 DR. SAAG: Thank you very much. I'm  
10 going to speak from here if that's okay and I'm going  
11 to take only about 20 to 25 minutes and leave time  
12 for discussion because I know that a lot of you have  
13 ideas as well. But the thesis of what I want to talk  
14 about is care, HIV care delivery and while you may  
15 think that this is an exaggeration that in my opinion  
16 is an emerging crisis in care, there really is, and I  
17 think by the end of my remarks, you'll hopefully see  
18 what I'm trying to say.

19 So we'll start with our clinic in  
20 Birmingham, and what you're looking at is a Kaplan  
21 Myer survival plot and to sort of walk you through  
22 this, this is eight years worth of data. These are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data from HAART Era and just to translate this for  
2 you, if someone shows up with a CD4 count greater  
3 200, the majority of them, this is the top two bars,  
4 are alive eight years later. If they show up a CD4  
5 count less than 200 at initial presentation, don't  
6 start the HAART regimen until after a CD4 count of  
7 200 and if they're between 50 and 199, that's their  
8 line, and if they're less than 50, that's where they  
9 go. So that up to almost half of the patients who  
10 show up with a CD4 count less than 50 in the HAART  
11 ERA are dead eight years later still today.

12 The next slide shows you, in our clinic,  
13 the median CD4 count of someone showing up for care.

14 It speaks for itself. This is in today's world with  
15 all that we know about HIV, all the messages that are  
16 out, everything that you've been dealing with  
17 personally at whatever level you're at, the median  
18 CD4 count of patients. Look at 2003. A hundred was  
19 the median CD4 count of someone showing up in our  
20 clinic referred for care. What it means is that  
21 people who are infected with HIV at least in my part  
22 of the world, and I think it's a lot of the United

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 States, are infected and don't know it and they don't  
2 seek care until they get sick.

3 So the take-home point is kind of  
4 obvious. Mortality is much higher when patients  
5 diagnosed late. The majority of newly diagnosed  
6 patients are diagnosed late. The exception to this  
7 are pregnant women. The median CD4 count of a  
8 pregnant woman seen in our clinic is 400. Why is  
9 that? We have universal opt-out testing for women.  
10 So? Universal opt-out testing is needed.

11 Now 20 years ago, I would not have been  
12 saying that. Fifteen years ago, I would not. I've  
13 been on the other side. Why? Because 15 or 20 years  
14 ago, all we had to offer somebody who was diagnosed  
15 HIV positive, all we had to offer them, was  
16 discrimination.

17 Now there is still discrimination,  
18 unfortunately, but at least we have therapy and we  
19 have a way to keep people alive and in my opinion, if  
20 you can get somebody into to care, especially early,  
21 and you treat them, it's like diabetes. Yes, there  
22 are complications of treatment. Yes, there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complications of disease, but the majority of people  
2 will live for decades, and I'm talking into the 70s,  
3 80s and 90s. So that it's a public health point that  
4 we should testing, or at least offering testing, with  
5 appropriate counseling to everyone and if they choose  
6 not to be tested, that's their decision. But I would  
7 say anyone who has ever been sexually active in their  
8 life should be tested at least once.

9 Now, that's the background. Let me segue  
10 into another study out of our clinic, and this is a  
11 cost study that was published a couple months ago in  
12 *Clinical infectious Diseases*. What we wanted to do  
13 was to determine the annual health care expenditures  
14 for HIV stratified by CD4 count.

15 Now this has been modeled a lot, but this  
16 is actual data from actual utilization of our clinic.

17 We described the cost components of health care,  
18 medications, labs, hospital, etc. to analyze the  
19 effect of the changing CD4 count on expenditures. We  
20 took our database out of our clinic that we captured  
21 data since 1988 on every patient and this was looking  
22 at mainly the year 2001 and established care January

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 1994.

2 In 1999, we had a pretty big change where  
3 we could start incorporating health care utilization  
4 which would include all these metrics. So once we  
5 started capturing that, then we could assign costs  
6 based on utilization.

7 So for inclusion criteria for this study,  
8 they had to be receiving their primary care at UAB.  
9 We have a lot of patients who are referred in from  
10 Atlanta or other places. But the only ones we  
11 included in the study were those actually came  
12 exclusively to UAB for their care. The baseline CD4  
13 count had to be available and follow-up of at least  
14 once and for hospitalization for that one year  
15 period.

16 We took the actual utilization and  
17 assumed that every patient had Medicare insurance to  
18 create a level playing field. So everyone had  
19 Medicare and reimbursements were based on Medicare  
20 rates in Alabama, complete billing for all health  
21 care use, and that we collected 100 percent of  
22 whatever was charged. For medications, we simply

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used average wholesale price. So we had every  
2 medication with a start and stop date and we could  
3 assign this.

4 This is the demographics. This has  
5 changed actually in our clinic since 2001-2002. The  
6 age is a little bit higher now, fortunately. It's  
7 about 42. At that time, there were about 40 percent  
8 blacks. Now it's about 50 percent. Men who have sex  
9 with men is now, in our clinic, about 48 or 49  
10 percent, and most of the patients now of the last 300  
11 patients referred to our clinic in the last year, 50  
12 percent of them had no health insurance.

13 So these are the data, and what I'd like  
14 to do is, I'm going to walk you through this. Let's  
15 start with this first column and what you'll notice  
16 is that when the CD4 count is high, annual costs are  
17 low and when a CD4 count is low, annual costs are  
18 high. And it's pretty remarkable how almost linear  
19 that is, so that not only is somebody showing up late  
20 at higher risk of dying. They also cost more money  
21 and the overall average cost was about \$18,600 per  
22 year.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If we look at the reason why the costs  
2           are higher late versus early, it's mostly in non  
3           antiretroviral medications and somewhat in  
4           hospitalization, but hospitalization which everybody  
5           focuses on really is only a small component of the  
6           overall cost of care even among those with less than  
7           50 copies. If you take them as a group, it's only  
8           about eight or nine percent of the total health care  
9           expenditures. The take-home point here is that 75  
10          percent of cost no matter how you slice it, no matter  
11          which CD4 count strata you're in, is medications.  
12          Seventy-five percent of cost is medications in any  
13          CD4 count strata you look at.

14                 And then finally, look at this. If I  
15                 charged accurately for a Level 3/Level 4 visit,  
16                 everyone had insurance and I collected 100 percent,  
17                 on average I would only collect for our clinic \$360  
18                 per patient per year. For a 1,000 patient clinic,  
19                 that's \$360,000 a year to do everything, pay the  
20                 doctors, nurses, reception, rent, utilities.

21                 This is a statement about our health care  
22                 delivery system and in HIV care in particular, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can't make money. Why? Because the \$359 per patient  
2 per year is assuming everyone has insurance and our  
3 collection rate is 100 percent. About 40 percent of  
4 our patients have no insurance. Our collection rate  
5 is about 40 percent. So in that year, our actual  
6 reimbursement from third parties, not counting Ryan  
7 White or anything else, was about \$130,000 for that  
8 year total to our clinic.

9 The bottom line is you can't make it in  
10 clinical practice in HIV. And if you look around the  
11 country, a lot of the so-called boutique practices in  
12 New York, Los Angeles, San Francisco, they're dying.

13 They aren't, those practices are going away and  
14 almost the majority, clearly the majority of patients  
15 who are seeking HIV care today are doing so through  
16 publicly-funded clinics around the country.

17 Let's look at this a little differently.

18 So there is some good news in here. We simply asked  
19 the question, if you took at the six-month point in  
20 the study, you took the original CD4 strata they were  
21 in and asked the question, at six months later were  
22 they in the same strata or did they improve or did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they get worse, and that's what this graph shows. If  
2 we just focus on the this 50 to 199 group CD4, they  
3 started in this group. If they stayed the same,  
4 their annual costs were about \$24,000 per patient per  
5 year. If they dropped to the less than 50 group,  
6 their costs jumped to here. But if their CD4 count  
7 went up to that group in the course of six months,  
8 their costs were lower and this was statistically  
9 different. That dropped about \$6,000 less.

10 How do you get CD4 counts to up? Well,  
11 you treat them with antiretroviral therapy and this  
12 is just another way of showing that ARV therapy is  
13 cost effective. Good news.

14 But look at where the cost savings were.  
15 Most people would guess it was in hospitalization,  
16 and indeed, there's a big difference here. The  
17 antiretroviral therapy medicines costs is about the  
18 same and you might predict that, because you're only  
19 going to give so many drugs and that's what they  
20 cost. But most of the difference in cost was in the  
21 non antiretroviral medications. People got sick and  
22 they required foscarnet or cidofovir or whatever,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chemotherapy, and that's where the majority of the  
2 costs are and again to reiterate my point, the  
3 majority of the cost no matter how you slice it is in  
4 medications.

5           Look at this. This is my whine. This is  
6 us over here trying to make it, trying to get through  
7 day to day. So the conclusions are antiretroviral  
8 therapy is cost effective. Medications are the most  
9 expensive component and physician costs account for  
10 less than two percent of expenditures, even in the  
11 most optimal conditions and it's certainly much less  
12 than that.

13           So before I go further, I'll just ask the  
14 question. If you were a young physician coming up  
15 through training, would you choose to go into HIV  
16 medicine or would you choose to go into dermatology  
17 or would you choose to go into radiology or would you  
18 choose to go into some other specialty that pays a  
19 little more? Who in their right mind would choose  
20 this specialty? Which gets to the next question,  
21 where is the next wave of doctors going to come from  
22 who take care of HIV patients? Where is that going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 to be? So that is one of the questions I want to  
2 have a discussion about with you guys when I get  
3 through. So that's Point 1.

4 Another point is when we think about Ryan  
5 White, the original authorization, think about that  
6 time versus now. In 1991 when that authorization  
7 legislation was first put through, people were dying  
8 of AIDS everywhere. There were no medicines to speak  
9 of, a few, but none worked very long. Patients were  
10 dying. So what did we have to do? We had to help  
11 people learn to live with disability and we had to  
12 help them die with dignity. And the Ryan White Act  
13 helped us do that.

14 Things are very different now. Patients,  
15 fortunately, aren't dying to the same degree. The  
16 Disability, we have people coming off of Disability  
17 and going back to work. The crisis right now as I  
18 see it isn't in providing even case management or  
19 social services like it was when this was first  
20 authorized. The crisis is in providing care, in  
21 providing health care.

22 So your group recently said this, "Every

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 American who needs HIV treatment and care should have  
2 access to it." Couldn't agree with you more. People  
3 who are HIV positive need essential medications.  
4 Absolutely true. Without the drugs providing care is  
5 difficult to impossible. True. True. True. But is  
6 that enough?

7 The policy implications or the provision  
8 of antiretroviral care and other essential  
9 medications means that we should be funding ADAP to a  
10 fuller extent. I've lived in a state that since the  
11 beginning had a waiting list, had a waiting list for  
12 medicines, an embarrassment to our state. But you  
13 know what? There hasn't been a single patient who  
14 has gone without medicines out of my clinic ever, not  
15 once. Not one time. Why? Because our Social  
16 Service work their tails off to apply for  
17 compassionate use programs when people don't have  
18 medicines and the pharmaceutical companies,  
19 fortunately, would provide those medicines on appeal.  
20 So there was a stop gap. Where is the stop gap for  
21 care if there is no physician, if there is no clinic?

22 The reality check. In our clinic, that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       why I showed you data from our clinic, so I could  
2       keep it simple. Our operating budget is \$2.1 million  
3       a year for about 1,400 active patients. Third party  
4       payment, and this is generous, is \$250,000 a year for  
5       care and another \$250,000 a year for infusion therapy  
6       for those who are getting chemo and other things.  
7       Our Title III funding is \$508,000 a year. That's  
8       been the same for the last seven years despite a 60  
9       percent increase in our clinic patient load and last  
10      February I was told we were getting a five percent  
11      cut despite funding for seven years, despite clear  
12      documentation of increase in burden. Now that cut  
13      was changed to 2.5 percent cut in March or April, but  
14      it's still a cut.

15                   It's hard to swallow that. It's hard to  
16      tell my providers, those social workers and other who  
17      are working their tails off every day, that I can't  
18      hire another person. I don't have another FTE to  
19      absorb the volume and what they're dealing with now  
20      as a side bar is a tragedy with Medicare Part D.  
21      Why? All the patients in our state who are  
22      Medicaid/Medicare co-insured in December got their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 medicine through Medicaid. January 1, they got their  
2 medicines through Medicare Part D.

3 Here is what happens. Let's say they  
4 have eight prescriptions. They go to the pharmacy.  
5 They've enrolled in Medicare Part D. The pharmacy  
6 tells them, well, we can fill these five but three of  
7 these require prior authorization. They take their  
8 prescriptions back to us. We get on the phone.  
9 First, we have to figure out what plan they're in.  
10 It could be one of 14. Then they call the 1-800  
11 number, get put on hold for 15 minutes and they're  
12 told, you dialed the wrong number. Call another one.  
13 Get put on hold another 15 minutes.

14 Then they say we're going to fax you a  
15 form. You fill out the form. Somebody has to. Fill  
16 out the form and that's a request for review. That  
17 form has to be signed by a physician. So the nurse  
18 has to go find the doctor and get him to sign that  
19 and fax it back in. They have 72 hours to respond.  
20 They send us another fax form which is actually the  
21 review. They have to go to the chart. They have to  
22 find out their insurance status. They have to find

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out all this information, fill out another form, get  
2 the physician to sign it, send it back in. Seventy-  
3 two hours later, almost always it gets approved.

4 That process takes one of my health care  
5 providers 30 minutes to an hour per prescription per  
6 patient. I'm not exaggerating, and I have providers  
7 right now who are on the verge of quitting. If they  
8 quit, who do I replace them with? It is really  
9 devastating what's going on in our clinics right now  
10 and I see other doctors around the room who are  
11 listening to this nodding yes. So I'm not alone.  
12 This is what we're going through.

13 So the key points, mortality is higher  
14 when the patients are diagnosed late. I've told you  
15 that. The majority of people show up late. Many  
16 patients don't know it. Universal opt-out testing.  
17 But with more universal testing, I'm predicting, at  
18 least in my neck of the woods a 25 to 50 percent  
19 increase in patient volume. That's good that we're  
20 finding people who need care, hopefully earlier.  
21 But here is the question; who is going to take care  
22 of these folks? So with the new Ryan White

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Reauthorization, we're getting rid of waiting lists  
2 and I'm afraid we're going to replace them with  
3 waiting lines. Where are people going to get care?  
4 Where is it going to come from? Who is going to do  
5 it?

6 We're about maxed out and I'll show you  
7 this quote. This is from this month, Laurie Dill.  
8 Trained at UAB, running something called Montgomery  
9 AIDS Outreach, a Title III recipient. Let's just  
10 read it together. Medical Director, this guy, her  
11 co-medical director, Larry Williams, resigned from  
12 MAO on 6/7. "I, Laurie, will be the acting medical  
13 director while we recruit and hire a new medical  
14 director. We are currently actively looking to fill  
15 positions, a full-time medical director and a part-  
16 time physician to see patients mainly in our rural  
17 satellite clinics. As you know, MAO is a Ryan White  
18 funded agency. We currently have myself and two  
19 practitioners to provide staff. We have three  
20 clinics in Montgomery and Dothan and hold once or  
21 twice a month clinics in six other satellite clinics.  
22 We follow 1,000 patients in a 23-county area in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 south central Alabama. Please contact me for other  
2 information."

3 What's her chance of finding another doc  
4 or doc and a half? This is happening today and the  
5 thing that concerns me is that this is probably news  
6 to a lot of you and it's because a lot of us in the  
7 trenches are so consumed with day-to-day function we  
8 don't have time to come to D.C. and talk. But a lot  
9 of us have changed that, at least I have, in the last  
10 several months.

11 So the policy implications. Funding of  
12 ADAPs for sure, but we do need a dramatic increase in  
13 funding to increase clinical capacity. Title III in  
14 my opinion from what I've observed has been largely  
15 ignored in the reauthorization. ADAP has been front  
16 and center. Every single thing I see coming out of  
17 D.C., ADAP, ADAP. Great.

18 What does ADAP do? It provides medicines  
19 for patients. How? By paying for them. But nobody  
20 went without before. So the beneficiaries are  
21 predominantly the pharmaceutical industry and the  
22 patients. Yes, they both will benefit. I'm not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 saying that's bad or evil, but I'm saying it can't  
2 just be ADAP. It has to be a more comprehensive look  
3 at care.

4 So we need to do this by increasing Title  
5 III funding and provide incentives for younger docs  
6 to go into practice. We need to do something loan  
7 repayment. The HIVMA which I'm on the board of  
8 directors has put forward a proposal just to simply  
9 put in this some loan repayment for doctors who  
10 commit to HIV care. I don't know where the young  
11 doctors are going to come from.

12 We need creative solutions to encourage  
13 more doctors in advance to choose the skills to treat  
14 HIV, tuition reimbursements, insure adequate  
15 reimbursements for care. As we expand our clinical  
16 capacity to treat HIV positive Americans, we must not  
17 forget quality. HIVMA called for the Care Act for a  
18 portion, this was actually cut, from the bicameral,  
19 bipartisan legislation so far.

20 Seventy-five percent of care as we said  
21 are on core medical services. Good. That's good.  
22 If it were up to me, I'd say 95 percent, but I'll

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 take 75 percent. That's good. We have to put care  
2 first and require that ADAP programs cover a minimum  
3 of the formula. Okay. I like that. But there are  
4 no provisions to promote qualified careers, no  
5 significant increase here and no training incentives.

6 So this is what you guys said. We've  
7 already gone over this, but I would change that.  
8 "Provision of medication" should be "provision of  
9 care" and without qualified HIV care clinics, the  
10 drugs mean nothing. Nothing. The drugs don't mean  
11 anything unless somebody is there to monitor them and  
12 those of you who practice HIV medicine know that it  
13 is the most difficult type of practice you can  
14 possibly deal with.

15 A cardiologist gets paid \$80 roughly for  
16 interpreting an EKG. Most docs when they finish  
17 medical school can do that mostly and in fact, the  
18 computer does it for you most of the time. Right?  
19 \$80. What do I get for interpreting a complex  
20 resistance profile? Nothing. What do I get for  
21 filling out prior authorizations for an hour?  
22 Nothing. What do I get for fielding phone calls from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       distraught patients who are having side effects of  
2       the medicines? Nothing. What do I get if I charge a  
3       Level 4 visit and get audited and only document a  
4       Level 3? Arrested for fraud.

5                   This is what we're up against. So I'll  
6       turn it over to you and maybe we can think together  
7       about what types of solutions we can have and I'm  
8       sorry to bring you such a troubling message, but this  
9       is what's happening and this is the truth.

10                   MEMBER REZNIK: Thank you, Dr. Saag.  
11       We'll take questions, but I do want to since you  
12       quoted from our Presidential Advisory Council blue  
13       book which I happen to keep with me at all times, one  
14       of our recommendations actually was creative  
15       solutions must be found to encourage more doctors,  
16       PAs, advanced nurse practitioners to choose to  
17       develop the skills necessary to treat HIV and  
18       included in that recommendation was to issue  
19       reimbursement for health care workers who choose HIV  
20       care in medically under-served areas, encouraging  
21       recognition of HIV care as a medical specialty,  
22       provide incentives for more nurses, physician

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assistants, nurse practitioners and physicians to be  
2 certified through their appropriate associations,  
3 ensure adequate reimbursement for HIV care and  
4 promote programs to increase the diversity of health  
5 professionals trained in HIV care.

6 Now that may not have shown up in  
7 Reauthorization, but as long as I'm on the Council  
8 and I think I have a few months left we're going to  
9 definitely push that concept. I mean I think you  
10 make some great points, but I'm going to get off that  
11 little soapbox and see who has questions since I took  
12 over yesterday. Dr. Sweeney.

13 MEMBER SWEENEY: Thank you very much for  
14 that sobering message. I'm an internist practicing  
15 in Bedford Stuyvesant, Brooklyn and I work in a  
16 community health center and one of the strategies  
17 that some health centers are taking and I'm hoping to  
18 do in the future, all of the internists who work or  
19 are hired now have to agree to be trained to take  
20 care of HIV patients in one of our community health  
21 centers, so that eight people who are adult medicine  
22 docs, not just docs, they are mid levels as well, all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have to agree if they want to work in this particular  
2 center that I'm referring to to be trained in HIV.

3 Now that is a public institution in the  
4 truest sense. We have to take all comers regardless  
5 of their ability to pay and it's one of the ways that  
6 we integrate primary care and specialty care of HIV  
7 not only so that we have greater person power, but so  
8 that there is not the discrimination of having an HIV  
9 clinic and so if you go to that side of the room,  
10 somebody knows of the building, somebody knows. I  
11 was just wondering what you would think of that as a  
12 model to try and have more primary care physicians  
13 trained to be HIV specialists as part of their  
14 primary training.

15 DR. SAAG: I like the idea of training  
16 people. My experience has been that if people don't  
17 want to be there they'll find ways to circumvent. So  
18 unless you're self-selecting for people who want to  
19 be there and do HIV care, there could be a problem in  
20 universalizing that as a policy because people who  
21 don't want to go someplace won't go. They'll find a  
22 way to -- They'll vote with their feet. So training

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people who want to be there is good, but I think the  
2 other issue is incentivizing them. Right?

3 I'm sure your clinic is publicly funded  
4 in New York and Brooklyn and everywhere there is much  
5 more money typically than in some other parts of the  
6 country. So that's good. So I assume your clinic is  
7 not running in the red. Right? So at least, you can  
8 pay the folks who are there and that's good. I think  
9 training is good and that's a nice idea.

10 MEMBER SWEENEY: I just want to follow it  
11 with you talked about the difficulty in treating  
12 patients with HIV because of the complexity of  
13 medications. But you know in many other specialties,  
14 internists and primary care physicians do much of the  
15 work-up, diagnosis and beginning treatment and you  
16 refer to a specialist when it becomes too complicated  
17 or to give you a program to follow and then they are  
18 followed concurrently with a specialist and a primary  
19 care physician. Why can't that be a model for  
20 treating patients with HIV?

21 DR. SAAG: It works on one critical  
22 assumption that I'm not sure is true and that is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the competency of the primary provider is such that  
2 they understand the nuances of HIV care. It's not a  
3 cookbook formula even for starting therapy. I had a  
4 referral from a primary doc who thought they were  
5 doing a great job three weeks ago who diagnosed  
6 somebody in Pell City, Alabama, sent them to me on  
7 Combivir, monotherapy alone.

8 So I mean harm can be done. You have to  
9 assure that whoever's doing it is well trained, not  
10 just trained. And so there's a lot of harm that can  
11 be done. It's like somebody trying to give  
12 chemotherapy when they're not an oncologist and  
13 you're right. When you have to incorporate more  
14 people, absolutely, but the training has to be there  
15 too.

16 MEMBER REZNIK: Alan Holmer.

17 MEMBER HOLMER: Thanks for the powerful  
18 presentation. What portion of your patients are  
19 eligible for Medicare and in searching for solutions,  
20 is this a conversation that if Mark McClellan, the  
21 head of the Center for Medicare and Medicaid  
22 Services, who is an M.D. and a spectacular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual, if he were here, are there solutions that  
2 we might be able to talk through with him? But  
3 first, what portion of your patients are eligible for  
4 Medicare?

5 DR. SAAG: Probably less than 20 percent  
6 and the reason is they have to be disabled for two  
7 years, etc. And even in our state, Medicaid is  
8 ridiculously hard to get. You have to be disabled  
9 earning less than \$510 a month. And so most people  
10 who have worked and they get their disability check,  
11 it's over that \$510. So the only person who are  
12 really going on Medicaid are people who are poor and  
13 have never worked or not worked much. It's hard in  
14 Alabama, but that Medicare/Medicaid is only a small  
15 portion. The majority of our folks, either they have  
16 private insurance or they are totally uninsured.

17 MEMBER HOLMER: Okay. And what portion  
18 would be totally uninsured would you guess?

19 DR. SAAG: About 30 to 40 percent and  
20 that's where the Ryan White CARE Act comes in.

21 MEMBER SWEENEY: Right.

22 MEMBER REZNIK: I do want to make a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggestion and maybe, Dana, if we could get copies,  
2 enough copies of this available for the members  
3 because I would like you to look it over as possibly  
4 coming up with a motion this afternoon based on our  
5 recommendation that we had that we actually wrote  
6 last year. Next on the list is our Southern belle,  
7 Jackie Clements.

8 MEMBER CLEMENTS: Well, thank you, kind  
9 sir. Dr. Saag, I have more of a comment than a  
10 question. I worked with a Title III clinic that is  
11 experiencing just what you said. We have about 300  
12 patients, two PAs that see them, one position we have  
13 had to replace three times in the last four years  
14 simply because it's a lot of work working with HIV  
15 patients and there are other places that they can go  
16 and get more of a reward other than that heart-felt  
17 thing.

18 The problem with it also is that it is  
19 very harmful to the patients to have to go through  
20 that many providers in so short a time. There is a  
21 connection that you make with your provider and they  
22 question the stability of the clinic. They question

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1       how well their care is given and how much people care  
2       about them.     So our consumers are really going  
3       through a hard time having to go through so many  
4       providers in such a short period of time

5                 DR. SAAG:     Has your patient volume  
6       increased over the last five years?

7                 MEMBER CLEMENTS:   Yes.

8                 DR. SAAG:   And has your funding from Ryan  
9       White increased?

10                MEMBER CLEMENTS:   No.

11                MEMBER REZNIK:   Dr. Redfield.

12                MEMBER REDFIELD:   Mike, I want to thank  
13       you.   I mean I think you brought a number of points  
14       to the table which I think unfortunately are more  
15       universal than people want to acknowledge and I think  
16       you're an extremely articulate spokesperson to bring  
17       to the forefront.   Even in the State of Maryland  
18       which is regulated, our inpatient cost gets  
19       compensated by some mechanism I don't quite totally  
20       understand but I know that the hospital is not that  
21       upset about uninsured patients being hospitalized,  
22       although that obviously they're not really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enthusiastic about them being not hospitalized which  
2 I think is probably the wrong incentive.

3 I don't think any of us can make a  
4 clinical program function based on the revenues that  
5 we can generate. So this is complicated both for  
6 private practice but it's also complicated in the  
7 academic setting where you have clinical individuals  
8 that have to basically cover their salaries based on  
9 their clinical activity.

10 So I agree with your term. I don't like  
11 to overuse the word "crisis" but I think that there's  
12 been a lack of attention to health care delivery  
13 systems in general and there's clearly a lack of  
14 attention to how to stimulate and incentivize in  
15 effective way young people to go into AIDS medicine.

16 We've been trying to recruit African-  
17 American physicians now in our program proactively  
18 for about the last seven or eight years. We've been  
19 successful with several African physicians, one  
20 African-American physician. Next year, hopefully  
21 we'll have an outstanding young fellow who is  
22 African-American.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           But as I've talked to many of the young  
2 physicians who wants to go into AIDS medicine when  
3 they have \$100,000, \$150,000 loan debt and they are  
4 looking at the fact that the only way I can pay them  
5 is if they can bring in the clinical revenue if they  
6 want to be a clinical person. The reality is like  
7 you, we have 40 percent uninsured rate. We have  
8 probably a 26 percent reimbursement rate and like  
9 you, we've had 40 percent increase in clinic visits  
10 last year and no increase in Ryan White funding,  
11 actually a cut and actually worse than that, a cut  
12 from the hospital from the ambulatory care support.

13           So I think you've hit something that's so  
14 universal. It may be less seen in some of the  
15 northern or high volume Ryan White or high financed  
16 Ryan White at this point, but it's going to be seen  
17 and I think it's something that needs to be really  
18 thought through. I think it's unreasonable to expect  
19 young physicians to go into a practice where they  
20 don't believe that they can be compensated to the  
21 point that they can pay back their loans and raise a  
22 family.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           You and I went into it because we  
2 finished our fellowships. We were fellows.  
3 Everything else was full. It was a new thing. It  
4 was exciting. But I think you've hit it and I think  
5 when we get our nation, you and I may have disagreed  
6 about the advantage of early diagnosis before. I've  
7 always been an advocate of it because at least people  
8 would be denied the discrimination of unknowingly  
9 infecting someone who is special to them and getting  
10 optimal care based on diagnosis.

11           But clearly today, there's no doubt about  
12 it that diagnosis is key and we're about to see  
13 probably a 25 to 35 percent increase in people  
14 needing care. So I think much more aggressive  
15 creativity and it's not all the Federal Government.  
16 I think medical groups need to be accomplished.

17           We talked yesterday about Title III  
18 because I'm of a view, you know, I have 42 Ryan White  
19 grants in the state of Maryland and each one has to  
20 be reported monthly. So I'm writing two reports a  
21 day on average. I'm not sure that's the best  
22 utilization of our people to be writing monthly Ryan

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 White reports for 42 different grants. We  
2 unfortunately don't have a Title III grant which I  
3 think is the most comprehensive way to provide care  
4 and treatment.

5 So I think there's going to be both an  
6 increased need for support probably from multiple  
7 systems including the Federal Government but  
8 incentivizing young people to go into AIDS medicine  
9 in particular and for incentivizing young people in  
10 the south to go into AIDS medicine which is even  
11 another area that's even more complicated.

12 I think it's critical and I just want to  
13 thank you. I've read what you've written and  
14 obviously published. I read your letter and your  
15 memorandum. I think it's well thought through, it's  
16 fundamental and I think actually does require much  
17 more insightful urgent action. I think getting  
18 someone who is knowledgeable about health care  
19 economics in our nation to really sit down and think  
20 about this like McClellan and others, this is a  
21 serious issue and most of us are patching together  
22 care right now and I think patches are going to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 break. I mean people can only work so long and not  
2 be compensated for what they do.

3 DR. SAAG: Sorry to interrupt, but there  
4 is one thing that I've thought about a lot and that  
5 is the sort of safety net that our country relies on  
6 to capture people when they fall into the cracks.  
7 The threads of that are made up solely of health care  
8 providers who give a damn. If they stop caring,  
9 there is no safety net.

10 They're the ones, they're social workers,  
11 our docs. When a patient comes back and says, "I  
12 have this prescription that was denied because I need  
13 prior authorization," if we just said, "Sorry,"  
14 that's it. The system assumes that health care  
15 providers give a damn and if they stop giving a damn,  
16 God help us.

17 MEMBER REZNIK: Dr. Saag, before I take  
18 another question, you did an interview with NPR  
19 recently and you were asked a question about burnout.

20 When I listened to it, you paused and I told Mike  
21 this on the way over here during that pause I came up  
22 with ten different possible ways that I would answer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the question. Because one of the issues that we're  
2 facing is that a lot of providers have been doing  
3 this for years. You've wanted the epidemic change  
4 and always wanted to know what was behind your pause  
5 and what do you think about our existing providers  
6 who have all of these forms to fill out who aren't  
7 getting reimbursed who now have to learn basically  
8 molecular virology in order to figure out proper  
9 treatments for patients? How do you think that  
10 impact is --

11 DR. SAAG: Real quickly, I mean for those  
12 of you -- Michelle Norris has invited me to the NPR  
13 studios just down the street and it was a really nice  
14 interview and she surprised me with the question  
15 actually. She said -- Oh, I was talking about  
16 provider burnout. I was referring to a lot of the  
17 health care providers in our clinic who I'm worried  
18 are going to leave and I can't replace and she turned  
19 a question on me and she said, "Well, are you burning  
20 out?" And I went "Whoa."

21 Then I thought to myself "Am I?" And I  
22 really did have to think and the answer is no. But

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the fact that I had to stop and think says something,  
2 doesn't it? And like you and I were talking, maybe  
3 we're just getting tired. I mean this is fatiguing  
4 to fight this fight every day. But no, I'm not  
5 burned out yet.

6 MEMBER REZNIK: Me neither. Next is Dr.  
7 Judson.

8 MEMBER JUDSON: I think the realities of  
9 this are just staggering and gripping. I don't want  
10 to drag on but I was in Colorado involved with the  
11 very first cases and at one point our public clinic  
12 within our public hospital system, Denver Health and  
13 Hospitals, had seen or consulted on 50 percent of the  
14 AIDS patients in the state up until about 1992 or  
15 '93. I think the remarkable thing is the quality of  
16 the people who were drawn into this struggle from the  
17 very beginning and that they have stayed this long.

18 You are appropriately pessimistic. I  
19 guess looking back it was a remarkable effort and  
20 every year, I would ask the people who directed, we  
21 had directing our clinic when I was Director of  
22 Public Health and head of Infectious Diseases there,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 is there anybody who we have not been able to provide  
2 the best treatment that we know over the past year  
3 and whether there were waiting lists or whatever else  
4 the challenges, most of these physicians could look  
5 back and say no, one way or another we haven't turned  
6 anybody away. We haven't denied them treatment we  
7 thought was important.

8 But we're clearly at the end of that.  
9 Something is going to break. We're still getting  
10 interest in some fellows, but I agree with you.  
11 That's just - the economic model isn't there to allow  
12 it to continue. With Medicaid disproportionate  
13 share, we've been able to hold up a health and  
14 hospital system where 44 percent of our patients  
15 don't pay, \$220 million a year of unsponsored care.  
16 We're still in the black. We have been since we  
17 became a state authority, remarkable achievement, but  
18 it's just there's going to be an end to that at some  
19 point.

20 I think the other side of it though is  
21 that we're not the only ones who are beginning to  
22 potentially burnout or age out. I think the public

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appropriately, the taxpaying public, is beginning to  
2 look at AIDS like everybody out there either does  
3 know or should know what they need to know to prevent  
4 HIV infection and should our whole moral effort be  
5 based on how do we marshal the resources to continue  
6 to try to treat our way out of this epidemic, if  
7 we're not able to begin to show, I think, get off of  
8 this 40,000 new cases a year or accepting that status  
9 quo and proceed towards eventual elimination which I  
10 believe we have the means to do, I think the public  
11 support for things like Ryan White is going to wane.  
12 It's going to even get worse.

13 Lots of times people are saying that one  
14 out of eight woman will be subject to breast cancer  
15 and we're spending \$3 to \$4 billion a year or so on  
16 breast cancer which isn't preventable at this point  
17 by known means and we're spending \$20 billion a year  
18 total, approaching \$20 billion for HIV/AIDS. So I  
19 think in a resource constrained society, that's going  
20 to balance out.

21 So it brings us back to the purpose of  
22 PACHA and I think for many of us to get back into

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 making absolutely certain that all of the incentives  
2 not to get HIV infection are out there and all the  
3 disincentives to get HIV infection are out there and  
4 to begin to try to get back on track to the reduction  
5 in incidents that we saw for a period of ten years  
6 where we went from 170,000 or 180,000 cases a year at  
7 the peak down to the 40,000 or so. We're just not  
8 going to make it with treatment under economic  
9 models, medical models, anything else.

10 DR. SAAG: One question that I think  
11 remains to be answered and Dr. Cohen can address this  
12 maybe in his remarks is how much does treatment lead  
13 to prevention of transmission and my guess is it's a  
14 pretty significant impact, but we need to prove that.

15 MEMBER JUDSON: I think it's a  
16 significant impact but the estimates are that 50 to  
17 60 percent of new cases are still coming from the 25  
18 percent who are undetected and probably highly as we  
19 know disproportionately from the relatively new,  
20 highly infectious cases in the concurrent partners.  
21 It isn't possible I think to continue to maintain  
22 multiple concurrent partners during undetected early

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 infection. That's the pipeline.

2 MEMBER REZNIK: Thank you, Dr. Judson.  
3 Dr. McIlhaney.

4 MEMBER McILHANEY: In the meantime, first  
5 I agree with you, Frank, about the exhaustion of the  
6 taxpayer, but in the meantime, it's obviously just  
7 going to cost money in one way or another to solve  
8 that personnel problem.

9 The average debt I think for physicians  
10 coming out of medical school ranges about \$75,000.  
11 Some of you may know more specifically than I do, but  
12 I think that's about what the kids are coming out of  
13 school with now.

14 CO-CHAIR SULLIVAN: I think it's over  
15 \$100,000.

16 MEMBER McILHANEY: Is it over \$100,000  
17 now?

18 MEMBER CLEMENTS: Yes.

19 MEMBER McILHANEY: Okay. I thought I  
20 might be a little bit behind and so you know as  
21 compassionate as the young people may be finishing up  
22 medical school the reality is that they have that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 debt weighing down on them. There's a model that I'm  
2 familiar with and it has to do with Christian  
3 Missions. As I understand in Africa, way over 50  
4 percent of medical care there is provided by  
5 Christian missionaries and yet the number of young  
6 physicians who are willing to go do that was  
7 decreasing because of this debt thing and a friend of  
8 mine started an organization that started raising  
9 funds to pay off the debt for these kids when they  
10 finished medical school so they would be free to go  
11 on over and start mission work.

12 It seems to me that might be a model to  
13 be considered that we could pay the debt for young  
14 people that are willing to go into HIV work, provide  
15 them their residency funds and then require that they  
16 do HIV care for five years or something like this.  
17 So it's just a thought.

18 I think you're right. There needs to be  
19 some real creative thinking about what to do because  
20 it is compassionate work to do what you're doing and  
21 I congratulate you and Bob Redfield and the rest of  
22 the people here that are doing that, Monica, but you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just have to face the reality that people aren't  
2 going to do if they just flat can't do it because of  
3 financial problems.

4 DR. SAAG: Exactly.

5 MEMBER REZNIK: Dr. Yogev.

6 MEMBER YOGEV: I think you have raised  
7 the right point, but I think even you are late to  
8 raise the point of the crisis. It was there. It is  
9 there and in Chicago, there are two institutes and we  
10 are affecting the quality of the patient's right  
11 today because I know in Chicago there are three  
12 hospitals now that have a program and if you're  
13 uninsured, that basically does not allow you to admit  
14 a patient. You have to send him to the county  
15 hospital which are overwhelmed and the quality of  
16 treatment which was really good there is down and  
17 that's where are physicians are living and they have  
18 the best salaries by the way. So we are in the midst  
19 of a crisis and it's going to get worse.

20 Part of the population that I'm aware of  
21 that I'm sure you are dealing with a little bit is  
22 the adolescent. This is the second fastest growing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in our population of new cases. I cannot admit into  
2 my hospital. I have a quota without saying it and  
3 what's interesting even in the Medicaid issue if you  
4 reach the age of 18, you get Medicaid. But after 18,  
5 you have to be AIDS. So everything which you did to  
6 prevent AIDS now caused them not to get Medicaid or  
7 Medicare.

8 So we are producing a population of  
9 uninsured. We have the disease, the beginning, the  
10 spreading, whatever and I think it's about time to  
11 move into really a national approach to it and we  
12 should consider for example -- are in the crisis to  
13 develop category special and to recommend to our  
14 government of a special entity that you can come and  
15 you can go out of special area in medicine that are  
16 in crisis that you need people, if you need them in  
17 the emergency room, there would be funding from the  
18 government to supplement this entity. If we decide  
19 the HIV, we need to recommend that HIV should be  
20 identified.

21 I was a little bit disagreeing with you  
22 with all due respect that training is enough in HIV.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       There are multiple studies and I'm sure you are  
2 aware of them that show that if you have less than 10  
3 or 15 patients the quality of care you give them is  
4 not as good as if you have more.

5               DR. SAAG:     I didn't mean to imply  
6 otherwise. I agree with that completely.

7               MEMBER YOGEV: I know, but it came out  
8 that training will be sufficient in the model.

9               DR. SAAG: No.

10              MEMBER YOGEV: It will not be sufficient.

11       It will be sufficient to train the physician when to  
12 get the patient to the specialist, but those  
13 specialists should be identified and one issue would  
14 be to develop a system nationwide. You know  
15 countries who do it nationally are suffering less if  
16 you look at United Kingdom. I'm familiar with a  
17 system in Israel. The government is paying for those  
18 patients and removing a lot of the burden. So  
19 obviously, we'll never get to the government to pay  
20 for everything, but to develop this criteria that an  
21 entity like HIV will become preferred in whatever  
22 government, state will be paying on top of what you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 suggested.

2 DR. SAAG: I agree with you. I didn't  
3 mean to imply otherwise.

4 MEMBER YOGEV: I'm sure.

5 DR. SAAG: Sorry.

6 MEMBER REZNIK: Next would be Dr.  
7 Redfield.

8 MEMBER REDFIELD: Mike, I want to come  
9 back and try to be a little creative in how we put  
10 this together because the only way we run a  
11 financially solid program at Maryland right now  
12 unfortunately is it's driven by partnership on the  
13 Schedule A's for the hospitalization side which is  
14 really the wrong way to do this because as long as  
15 there are undiagnosed people who come in with an  
16 average CD4 cell count under 200 like as in Alabama  
17 which unfortunately the case in the State of Maryland  
18 also, we're still going to have people unfortunately  
19 find out their diagnosis largely probably a third of  
20 them by being acutely admitted to a hospital.

21 I've tried to create models at Maryland  
22 of this concept of cost avoidance and this is why I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to go back to McClellan and his group because if  
2 you take a clinic of 1,000 people based on Mike's  
3 numbers and you just switch their diagnosis from less  
4 than 50 to the greater than 350 for a clinic just  
5 with 1,000 patients you just saved on paper \$23  
6 million. My clinic you would save 4,000 patients.  
7 We'd save \$92 million.

8 Now we are all of a sudden putting some  
9 money into a system and the problem with some of our  
10 health care finance situations is to look forward how  
11 we have cost avoidance. In other words, if we're  
12 looking for the current system to finance this, it's  
13 just going to keep going the way it's going.  
14 Schedule As, hospitalizations, are going to be  
15 driving the ability with outpatient clinics to work.

16 But one has to get more critical in  
17 looking at this. Now I couple that with my own view  
18 that I said about Ryan White. How do you do Ryan  
19 White funding? I'd like to link incentivizing Ryan  
20 White funding to those states to get the average CD4  
21 cell count at the time of initial diagnosis going up.

22 Those states that can do it at 250 or 350 or 400 I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 add I think they shouldn't be penalized for that.  
2 They should be incentivized for that.

3 So again, I think there is a critical  
4 need to figure because truthfully if it's just, I  
5 hate to say this, compassion or just try to get  
6 people involved, I don't think we're going to get the  
7 momentum to get the investment in the care system  
8 that we need. We need a sustained investment to  
9 build primary care for HIV infection, one, because we  
10 now know this is a process that if you do it right at  
11 the beginning, you could have a good shot at living a  
12 natural lifetime. If you do it wrong at the  
13 beginning, you have a good shot of not living a  
14 natural lifetime and yet we also know that we're at a  
15 climate now that we're going to move to earlier  
16 diagnosis. There are ways to begin to go higher CD4  
17 cell counts, try to make the economic argument to  
18 someone that's thinking 10, 20, 30 years that the  
19 capital that is being used in this in the long term  
20 is actually we're saving long-term health care costs  
21 which are substantial for this nation.

22 So I think someone has to look at it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because we're not talking about a band-aid here.  
2 We're talking about changing the financial hydraulics  
3 of what drives our investment in ambulatory HIV care  
4 at a federal level.

5 MEMBER REZNIK: Dr. Sullivan.

6 CO-CHAIR SULLIVAN: First of all, thank  
7 you very much for coming and brining us this message  
8 this morning because it's very dramatic and I think  
9 the discussion has been very good. I don't disagree  
10 with any of the things that you say. But the  
11 question is how do we dig ourselves out of this hole  
12 that we're in. That's going to be difficult because  
13 you talk to other areas of specialty, well, David  
14 knows that there was some NPR series on the news I  
15 think a few days ago. Some of you may have heard  
16 about the shortage, the crisis, in our nation's  
17 emergency rooms. So I think we will see that going  
18 around.

19 As I see it, we really have a situation  
20 where our leadership is really asleep at the wheel,  
21 Congress and the Administration. This is very  
22 different from what the situation was in the early

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       `50s. Those of you like me who are old enough to  
2 remember there were reports of a pending shortage of  
3 health manpower and Congress got busy and passed a  
4 lot of legislation so that now today we have one in  
5 three medical schools in the country today had its  
6 origin in the second half of the 20th century. We're  
7 graduating twice as many physicians today as we were  
8 graduating in 1950, 16,000 every year as opposed to  
9 8,000 every year.

10                   Interestingly enough, we thought at one  
11 point that we had overshot the mark. You may  
12 remember the GMENAC Report in 1977 that said we had  
13 overshot the mark. Well, today, we really are  
14 approaching where we were in 1950. We've had a  
15 nursing shortage for several years that we really  
16 haven't been able to get serious attention and  
17 resources to address. We now have a pending  
18 physician shortage. The Association of American  
19 Medical Colleges I believe about four months ago put  
20 out a paper indicating or proposing that medical  
21 schools should increase their class sizes by 30  
22 percent to avoid a shortage.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I guess what I'm thinking is this. We  
2 certainly need to concentrate and bring to the  
3 public's attention all of these issues about AIDS,  
4 but I think this really should be joined with other  
5 issues. We're not going to solve this issue simply  
6 by focusing on AIDS when you see how emergency rooms  
7 are overwhelmed, hospitals are, etc. and we have this  
8 pending shortage of health manpower. It seems to me  
9 we need to find ways to join with other organizations  
10 like the AAMC, the AMA, the Dental Associations,  
11 etc., to really say loudly to the American people we  
12 have a problem that needs to be addressed because I  
13 can assure you many people are totally unaware of  
14 this. Now I think we clearly need to bring the  
15 attention of the American people to this situation in  
16 AIDS, but I think to really get the kinds of  
17 situations that we need this has to become a real  
18 national concern.

19           We have an election coming up in two  
20 years. Health care is going to be on that agenda.  
21 This is the time to really find a way to join forces  
22 with others to really try and address that because if

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this gets to be a real issue for the American people  
2 anyone who wants to be elected is going to have to  
3 address that.

4 So my suggestion is we need to certainly  
5 focus the attention of this council on the AIDS issue  
6 of the crisis that you have outlined so dramatically.

7 But I think we also need to find ways to get allies  
8 and to join so that those emergency rooms that are  
9 overwhelmed, the rural communities that don't have  
10 physicians to really see some attention to their  
11 needs. So that certainly is an issue I think this  
12 council needs to be concerned about.

13 How do we really focus attention on  
14 getting solutions when they are short-term solutions  
15 to some of the issues here? But I think that's  
16 patchwork. I think we need to find a way to really  
17 address this. We did it as a country back in the  
18 '50s. We really are not doing it today.

19 The papers, The New York Times, has front  
20 page headlines about we to solve our nursing problem  
21 are really taking nurses from undeveloped countries  
22 and robbing them of their manpower. So we need to a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much better job. I think we need to find a way to  
2 make this a more coherent strategy.

3           Sorry. One other thing, I think Joe and  
4 Frank also made good points. We spend too little of  
5 our resources on prevention because if we don't  
6 really change that paradigm we really are 30 or 40  
7 years from now going to be having the same kind of  
8 discussion. So we do need to really find ways to  
9 improve the health literacy of our citizens, change  
10 their health behavior.

11           I thought we have a very interesting  
12 discussion yesterday. At least I learned something  
13 about how the different social patterns in places  
14 like India and maybe China that maybe the epidemic  
15 will be different there. So I think we need to find  
16 ways to really increase the effort on health literacy  
17 of our population and prevention as well because  
18 without that, I think we're going to be spinning  
19 wheels. They may be larger wheels, but we still will  
20 be spinning wheels some decades from now. So that's  
21 my comment.

22           MEMBER REZNIK: We have time for two more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 questions. Dr. Bollinger has been very patient and  
2 then Chris Bates.

3 MEMBER BOLLINGER: With apologies to Dr.  
4 Sullivan because I think his point was extremely  
5 important about a comprehensive and long-term  
6 approach to solving this problem, I want to move back  
7 to a more short term. One of the patches if you will  
8 and I apologize for that because I don't want to  
9 distract from the importance of your message,  
10 yesterday we heard from Marty McGeein about the  
11 reauthorization process. Now I'm not as intimately  
12 familiar with the process. Others here are, but it  
13 sounded pretty pessimistic about the logistics of the  
14 process, the funding that's being talked about for  
15 that. I didn't hear a lot that would really address  
16 some of the issues, the really important issues that  
17 you raised today.

18 One of the issues that occurred to me was  
19 actually brought up a few minutes ago by Bob Redfield  
20 and I wanted to ask a question and maybe some  
21 recommendations from you, Mike, about this. What he  
22 was talking about was incentivizing the process in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way that rewards programs that save money and one of  
2 the ways to save money that you've outlined is to  
3 diagnose earlier, is to get people into care and good  
4 care earlier with a higher CD4 count and right the  
5 system does not. In fact, it sounds like there's a  
6 disincentive to that.

7 In my experience at Hopkins in Baltimore  
8 and I want to get back to that question. There are  
9 two steps to it. One is testing people and we've  
10 talked about the universal testing. The second is  
11 accessing the care. I want to talk about that second  
12 piece or ask you about that second piece because  
13 there are quite a few patients I've seen in Baltimore  
14 who I know have been diagnosed before they came to  
15 see me the first time. They have complicated lives.

16 They're active drug users. They were tested in  
17 pregnancy four years ago and never accessed care when  
18 they could have benefitted.

19 So I think there are sort of two steps to  
20 this. We could provide universal testing, but what  
21 suggestions do you have about improving the access to  
22 care or linking care to those earlier access points

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 where we can actually get people into care at a more  
2 economically beneficial time?

3 DR. SAAG: Well, I don't know that I have  
4 a solution and since the time's running out, I'll  
5 just be very brief. I think that whatever testing is  
6 done has to be linked to care provision and that  
7 could be done out of physician offices with good  
8 referral connections to clinics or it can be done at  
9 the clinics themselves which are a little more  
10 difficult, although we've had a lot of success with  
11 rapid testing in our clinic itself and it's amazing  
12 how well that works and almost everyone who tests  
13 positive goes right into care.

14 But out-of-physician practices I think is  
15 where it needs to happen. I think free-standing  
16 testing is an option, but linking that to care is  
17 more difficult. So as a short answer, I would say  
18 that would be an approach.

19 MEMBER REZNIK: Thank you and Chris.

20 MR. BATES: Christopher Bates, office of  
21 AIDS Policy. Hi Mike.

22 DR. SAAG: Hi Chris.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. BATES: I'm really happy to see you  
2 here and to hear your comments this morning.

3 DR. SAAG: Thank you.

4 MR. BATES: I just have two comments that  
5 I think compliment some of what you said today. I've  
6 served on two panels, both in Philadelphia and  
7 Washington, D.C. attempting to try to recruit  
8 physicians and PAs to do this work and it's a bit  
9 disconcerting to after lengthy conversations, in-  
10 depth conversations with folks who were highly  
11 qualified that underneath their feeling really was  
12 hidden issues of stigma around working in this  
13 population, homophobia.

14 There was at least one woman in  
15 Philadelphia who just expressed to us that she was  
16 not interested in working with gay men or men who had  
17 sex. She was not interested in working with drug  
18 addicts in that her expectation coming out of medical  
19 school was to be working with a different kind of  
20 population. I think we can sweep that under the rug.

21 We can't ignore that. This is not the same epidemic  
22 that manifested itself say in African countries and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other places around the world. Our domestic epidemic  
2 is a real one based in populations that are highly  
3 disinfranchised and undesired and are general  
4 populations. So I don't want us to miss that as a  
5 contributing factor to the recruitment.

6 Furthermore, I would also offer up that  
7 as I've traveled around the country speaking on  
8 behalf of the Administration and the Department about  
9 our concerns and needs for HIV what continues to  
10 challenge me is the absence of a serious educational  
11 dialogue between legislators, both at the state level  
12 and the Federal level, outside of the AIDS epidemic  
13 center. The states such as New York and Florida,  
14 Texas and California, have very aggressive and very  
15 engaged delegations both at the state and the Federal  
16 level who are participating in dialogues about  
17 policies and funding as it pertains to HIV. That is  
18 not the case and even in our 14 southern states right  
19 now, there are heavily impacted with new epidemics.

20 So we have some imbalances here that  
21 undermine clearly our capacity (1) to keep a focus  
22 what those challenges are on a very personal way on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the part of the legislatures. They don't understand  
2 what's even happening in their states. Many of them  
3 have not been to any of these provider offices or to  
4 clinics. They haven't seen this experience  
5 firsthand. So it doesn't mean the same and when  
6 talking heads and I don't mean to say that  
7 despairingly but when people from Washington, D.C.  
8 who are paid to lobby and paid to have these  
9 conversations professionally on the Hill I think it's  
10 not the same. It's not the same as seeing people  
11 from your district, people from your state, people  
12 who live down, who vote for you engage you in a  
13 conversation about the impact of this epidemic in a  
14 very personal, very natural way.

15 So I just wanted to offer those points up  
16 and then my last piece is it's not easy to identify  
17 people who don't want to come to terms with a  
18 potential HIV status. We have spent lots of time and  
19 money and hours training people to do outreach and  
20 going into very seedy and unsavory kinds of  
21 environments to connect with the most at-risk people  
22 if you will in our society and still with them

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 knowing often times that they have HIV. What's the  
2 incentive to come? They won't be able to get ADAP in  
3 some states. Some states they may wait up to nine  
4 months for their first medical appointment and even  
5 in other places, the opportunities in terms of choice  
6 of where to go and seek services so you have some  
7 anonymity, some sense of confidence around your HIV  
8 status not being exposed, it's just not available.  
9 So it's an either or. It is a highly complex  
10 environment we're in right now with lots of  
11 challenges on many levels of which funding is key but  
12 not central.

13 MEMBER REZNIK: Mike, if you would hang  
14 on one more second, Dr. Sweeney promised me she would  
15 have two sentences. I'm holding her to that.

16 MEMBER SWEENEY: Joe McIlhaney mentioned  
17 raising money to pay off loans for people who wanted  
18 to do certain kinds of work. One of the things I  
19 think we should do is just look at the National  
20 Health Service Corps and expand it. The model is  
21 already there and it works very successfully. Some  
22 of my prime, my greatest physicians, have come from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the National Health Service Corps. They meet their  
2 obligations and they stay on in the community health  
3 center if you make the environment right.

4 The other thing is there's another model  
5 that's used by the State Department called hardship  
6 pay. They pay special for doing special kinds of  
7 work in special kinds of environments. We can expand  
8 that. We can suggest that. We can't expand that.  
9 We can suggest it as a mechanism for getting people  
10 to do HIV care because as you've heard it described,  
11 it is special and hard.

12 And the other one is there is a shortage  
13 of physicians going forward, but there is also a  
14 great maldistribution and there is a model. I worked  
15 in Columbia, South America when I was doing an  
16 externship there. They have something that every  
17 physician who is going to get licensed to practice  
18 has to do time in what they call Rual. And so  
19 everyone, it's a privilege still in 2006 to be a  
20 physician. Pay for the privilege even if you're rich  
21 by doing some time working in populations that are  
22 health-power shortage areas. So there are lots of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 models that we could look at to help while we're  
2 coming up with better solutions.

3 MEMBER REZNIK: Dr. Sweeney, if that was  
4 two sentences, they were too very long ones. But  
5 thank you. Dr. Saag, I want to thank you for taking  
6 the time out of your busy schedule to come and  
7 present to us.

8 (Applause.)

9 MEMBER REZNIK: For the members of the  
10 Council, please during your break or during lunchtime  
11 look at page 38 which is where we did have some  
12 recommendations on training medical professionals in  
13 quality assurance and hopefully we can come up with a  
14 motion today.

15 I'm running behind schedule so I have to  
16 introduce our next speaker who I think has to catch a  
17 plane. I'll do a brief introduction on Dr. Cohen who  
18 is the J. Herbert Bate Distinguished Professor of  
19 Medicine, Microbiology and Immunology and Public  
20 Health at the University of North Carolina at Chapel  
21 Hill. This has been a North Carolina few days.

22 You can see his bio which is pretty much

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an incredible biography. He is the Director of the  
2 NIH STD Clinical Trials unit. He is Director of UNC  
3 Division of Infectious Disease and the UNC Center for  
4 Infectious Disease. Quite an incredible track  
5 record. Over 400 articles published. Much of Dr.  
6 Cohen's work has been conducted aboard especially in  
7 Malawi and the People's Republic of China. So if the  
8 members could come back to the table and the audience  
9 settle back down it's been a great honor to introduce  
10 Dr. Cohen.

11 (Discussion off the microphone.)

12 MEMBER REZNIK: Okay. Dr. Cohen is being  
13 gracious and is going to give us a five minute break.

14 Did you all hear that in the back? You have five  
15 minutes.

16 (Whereupon, the foregoing matter went off  
17 the record at 10:18 a.m. and went back on the record  
18 at 10:29 a.m.)

19 MEMBER REZNIK: Okay. Good. That was a  
20 long five minute break. I've already introduced our  
21 next speaker. So without further adieu, we'll let  
22 him get his system in gear. Are you plugged in?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COHEN: Maybe. Is this working now?

2 MEMBER REZNIK: There you go.

3 DR. COHEN: Okay. I think we're going to  
4 start. Is that correct? Let me indicate thank you  
5 for inviting me. I was in London yesterday. I've  
6 been living in London for awhile. So my jet lag is  
7 enormous. So we'll see how much disinhibition I have  
8 this morning. I got here. There was a  
9 thunderstorm. I got here like 2:00 a.m., slept for  
10 an hour, came over here and I've not quite an idea of  
11 how this is all going to turn out.

12 Let me say from listening and being in  
13 academics I was restrained for like an hour listening  
14 to Mike Saag and my colleague. Let me say that what  
15 we're going to talk about now is really prevention of  
16 HIV and I think one of the big issues without a doubt  
17 has been marrying prevention and treatment as several  
18 in this panel already said. It makes no sense to  
19 believe you can treat your way out of this problem  
20 without much more intensive prevention activities  
21 where we've not done a good job by any measure.  
22 We've not succeeded in prevention in the United

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 States and certainly not on a planetary level. So we  
2 have to hope for better strategies for the next 20  
3 years.

4 Now I'm going to talk almost exclusively  
5 as a biologist, as a translational biologist. So I'm  
6 going to go slow and show slides and kind of explain  
7 where we think we are in terms of transmission  
8 understanding which leads us to prevention  
9 strategics. But let me say that there's not doubt in  
10 my mind in terms of physician recruitment and  
11 education and training that the person who goes into  
12 HIV medicine or the next generation has to be  
13 somebody who is interested in public health as well.

14 The responsibility isn't just about knowing about  
15 the medications. The responsibility is understanding  
16 about the community and about the issues that  
17 surround HIV transmission.

18 And I think that if I was a fund raiser,  
19 there's no doubt. Why should somebody want to pay  
20 for HIV treatment instead of diabetes treatment as  
21 Dr. Sullivan kind of was alluding to? Well, the big  
22 issue here is this is a transmissible disease and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more that the treatment and the activities that  
2 surround the treatments are wetted to prevention, the  
3 more it's totally different than all the other  
4 diseases on the plate of all the funders and I think  
5 it would be a tragedy not to end this dichotomy and  
6 make this an absolute commitment.

7 In the report that I participated in  
8 supported by the CDC, the IOM Report of 2000, *HIV*  
9 *Prevention in the United States: No Time to Waste*,  
10 there's a whole chapter devoted to prevention in the  
11 treatment setting and the obligation of the medical  
12 community to learn about preventions. So having said  
13 all that, this is really a prevention talk that I'm  
14 about to give.

15 I'm going to go faster with things that I  
16 think you've already talked about and the slow down  
17 when I think there are new ideas and there is no  
18 tradition of interrupting. Is that correct? There's  
19 a hesitation to interrupt, but I would prefer  
20 actually for this kind of a talk to be interrupted  
21 and Frank I know will be glad to interrupt. But I  
22 prefer to be interrupted because why go on if there's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something that makes no sense or that is so argument  
2 evoking and so controversial, why go forward? Why  
3 not just have the controversy right then?

4 So basically, this is the bottom line.  
5 This is a biological event. HIV, you don't get a  
6 little HIV or a lot of HIV. As far as we know, there  
7 is the transmission event and the transmission event  
8 is dependent on infectiousness and susceptibility.  
9 And we're really certain that the concentration of  
10 virus in the genital secretions or in the blood, but  
11 especially the genital secretions, are going to  
12 explain most transmission events and this is not  
13 rocket science. Most infectious disease depend on  
14 the inoculum. So we're very concerned about  
15 inoculum.

16 We're also concerned about viral  
17 phenotypic factors because we're reasonably certain  
18 that the type of HIV we have in the United States,  
19 clade B or Type B is not as contagious as some of the  
20 clades in other parts of the world. So while we see  
21 people living in other parts kind of mislabeled as  
22 misanthropic sexual people, a lot of this epidemic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has to do with biological disadvantage and we see  
2 that biological disadvantage at some level in terms  
3 of inoculum and phenotypic factors.

4 We see it played out even more  
5 aggressively in susceptibility. We know that about  
6 one out of 100 white people have a hereditary  
7 resistance factor to HIV. Resistance is very rare  
8 with people with color. We know that there is innate  
9 resistance in some white people and we know that  
10 there might be some people with acquired immune  
11 resistance which would help us to make vaccines.  
12 We'll get to that later.

13 So infectious and susceptibility equal a  
14 transmission event and if you're lucky, you don't  
15 have to a transmission event and if you're unlucky,  
16 you have a transmission event. Our job is of course  
17 to prevent this transmission event.

18 So this is kind of a starting point. Now  
19 I'm going to criticize this article heavily because  
20 it's just wrong and this is an article I wrote in  
21 '97. So as I attack the author, I want full -- If  
22 I'm attaching my friend, Ward Cates, it's because he

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I wrote this together.

2 We knew really with great certainly the  
3 transmission probability from mom to a baby and we  
4 knew the transmission probability from needle stick  
5 to the next person and we knew that because we have  
6 the mom and we have the baby and we knew that because  
7 we have the needle or the unit of blood and we have  
8 the subject who was exposed. So these numbers could  
9 be decided on with great accuracy and have been  
10 tremendously validated over the years.

11 But these sexual transmission numbers are  
12 an incredible mess and I won't tell you how we got  
13 into this mess, but that mess has helped give a bad  
14 message to people. That message that we've sent to  
15 people is that it takes thousands of episodes of  
16 intercourse for HIV to be transmitted and that really  
17 is not true basically. These numbers, and if there  
18 is time for the discussion, I can explain how we made  
19 such a mess out of this, but the methods we used  
20 confused the message and we used a set of methods in  
21 the late '80s, early 90s, that it made an incredible  
22 mess out of our understanding of HIV transmission.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1                   Now how do I know this is such a mess?  
2                   Well, look at my colleague, Audrey Pettifor, working  
3                   in South Africa. She's doing a national survey in  
4                   South Africa of boys and girls, males and females,  
5                   and she sees a low prevalence in young people just as  
6                   they are becoming sexually active. This right off  
7                   the bat gets us into this whole needle stick argument  
8                   and almost gets us away from it the idea that the  
9                   whole HIV epidemic is being construed on the back of  
10                  needle sticks just doesn't hold water.

11                  So let's forget about that and let's say  
12                  these people are now becoming sexually active and you  
13                  see the young boys have a low prevalence sustained  
14                  over a fairly long period of time, but these girls  
15                  acquire HIV at an incredible rate. About one-third  
16                  of the girls in South Africa by the time they reach  
17                  21 are HIV infected.

18                  Now I would submit to you if that was  
19                  going on in the United States, everything would stop  
20                  in the United States. Somehow people are tolerating  
21                  this or at least living through it in South Africa,  
22                  but the bottom line is when you ask these girls how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 many partners they have, are they having anal  
2 intercourse, how old are their partners, you don't  
3 find data different than the United States. We've  
4 done similar studies called Add Health in the United  
5 States and the data is not different in South Africa.

6 So some different kind of events are going on in  
7 South Africa. We would argue that they are mostly  
8 biological than behavioral and that the transmission  
9 probably is not 3,000 episodes of intercourse. It's  
10 more like 20 or 30 episodes of intercourse. So the  
11 first shocking message is that HIV is not so  
12 inefficient.

13 And we know that most people on the  
14 planet have sex about eight to ten times a month  
15 until they started getting older. I'm not going to  
16 go into that too much. But if you look at the young  
17 people here 15 to 24 studies have been done in China,  
18 Chicago, France, England and there's all these books,  
19 most by a guy named Laumann called Sex in France and  
20 Sex in England and Sex in China and when you do very  
21 careful surveys you find out that people have sex  
22 about eight times, ten times a month. It's very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 difficult then to get to 3,000 episodes of  
2 intercourse for most of us in this room, maybe even  
3 in a lifetime let alone in enough time to have a  
4 transmission event.

5 Then you see as you get older, we start  
6 lumping the numbers because sexual intercourse really  
7 gets reduced and I don't want to get too personal  
8 about this. But the number could get below the line.

9 Actually if you extend this out because you're  
10 telling people not be sexually active. So it gets  
11 lower and lower and lower. But the point is that we  
12 don't think that the transmission probability is  
13 published throughout the '80s and early '90 are  
14 correct and we think some other better explanation is  
15 necessary.

16 And that leads us to the idea of  
17 amplified transmission. If the rates are too low, we  
18 think HIV transmission is intermittently amplified by  
19 increased general tract setting of HIV and an  
20 amplified transmission will help us to understand the  
21 epidemic and maybe allow us to redistribute some of  
22 our resources for prevention a little bit better.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So these are the ideas about amplified  
2 transmission. There are different sets of ideas.  
3 For infectiousness, we are very concerned about the  
4 stage of the disease, co-infections that might drive  
5 up the blood and general tract viral burden. STDs  
6 are a huge factor, classical STDs are a huge factor  
7 in the transmission probabilities and then genetic  
8 factors that might lead somebody to have a higher  
9 viral burden and remain more contagious over a longer  
10 period of time and I'll show you some data about  
11 that.

12           Susceptibility would depend on STDs,  
13 vaginal flora, mystical innate immunity and again  
14 genetic factor that we think we've only scratch the  
15 surface on. Only one or two genetic factors are  
16 understood in terms of susceptibility. Go on.

17           MEMBER BOLLINGER: Would circumcision go  
18 on the list?

19           DR. COHEN: Yes, circumcision would be on  
20 a prevention list.

21           MEMBER BOLLINGER: That's not a  
22 susceptibility factor?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COHEN: It will come later. Thank  
2 you. So circumcision could be a susceptibility  
3 factor, but it will come up in our discussion of  
4 prevention. And so we think probably this slide is  
5 correct that the concentration of HIV in ejaculate  
6 will predict the probability of transmission  
7 depending on the number of endocervical receptors.  
8 We're able to measure all three things. We can  
9 collect ejaculate from people who are HIV infected  
10 and we can measure how much HIV there is. We can  
11 actually do biopsies of the endocervix and see how  
12 CCR5 receptors there and how many CD4 receptors there  
13 are and so on and so forth and we can calculate these  
14 curves and you see that the HIV transmission  
15 probability at an HIV copy number less than 1,000  
16 copies per mil of semen is probably very close to  
17 zero, whereas the HIV transmission probability at  
18 about 100,000 copies in semen is probably about one  
19 in 300.

20 And when you get up to 1,000,000 copies  
21 of HIV in the semen or the female genital secretions,  
22 you're probably getting much closer to a transmission

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probability of about one in 30. So if you have like  
2 numbers of chambers of guns to your head, if you use  
3 that metaphor, you can see it's not 1,000 chambers.  
4 It's not this message and I was kind of totally  
5 shocked to see the Minister of South Africa and I'm  
6 sure all of you in this room are familiar with this  
7 story having sex with so many newly infected and then  
8 quoting an article from the '90s saying he quote  
9 Nancy Padian's article which we think is wrong and  
10 said, "I read this article. It says that you need  
11 1,000 episodes to get HIV infected. Therefore this  
12 was a low risk event for me." So that was the most  
13 misanthropic message I've ever heard sent. We think  
14 that this slide is correct.

15 We also think this is correct within  
16 reason and I'm going to come back to this slide a  
17 couple of times. So we think that someone's HIV  
18 negative here, they acquire HIV, they have ramp-up  
19 viremia and in this early window of viremia, they  
20 would be very contagious because of the very high  
21 general tract viral burden, maybe only 30 episodes of  
22 intercourse are necessary and then some people will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get very low copy numbers and those people might  
2 transmit at very low level. But then we suspect that  
3 as HIV infection advances people don't become so sick  
4 that they're not sexually active. They remain  
5 sexually active even unto being admitted to the  
6 emergency room with a low CD4 count. They might have  
7 been sexually active that day. So some percentage of  
8 patients with AIDS or people with AIDS will also  
9 transmit disease.

10 And we only have one piece of data about  
11 this but it's a very compelling piece of data. From  
12 the Reikai study (PH), there were 14,000 people who  
13 weren't really couples. They were assembled as  
14 couples later. So all 14,000 people were in a closed  
15 community and what they found was that in this Reikai  
16 study as they measured the transmission events that  
17 occurred in this closed population that 43 percent of  
18 the transmission events occurred at the earliest  
19 point in time that they could measure. So that meant  
20 that there were two negative people enrolled in the  
21 study and by the next time they measured both people  
22 were positive.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So obviously a third person got into that  
2 relationship. The two negatives both became positive  
3 and what we expect happened or suspect happened was  
4 that one person got acute HIV and they immediately  
5 transmitted it to their next partner allowing this  
6 event here.

7           Then in the Reikai study, almost no  
8 transmission events occurred in people with  
9 established HIV infection until people became much  
10 sicker and were very close to death. They remained  
11 sexually active and then about 16 to 20 percent of  
12 transmission occurred at the end of this window of  
13 time.

14           Now I just want to remind you that most  
15 of our HIV prevention efforts are focused on the  
16 middle of this curve, on people with established  
17 infection with fairly low CD4. We've had no, very  
18 little HIV prevention efforts in people with AIDS and  
19 I think the HHS has done a good job in trying to  
20 launch much more aggressive interventions here and  
21 we've certainly not done anything with acute  
22 infection for a whole bunch of reasons. So this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 kind of where we are and this is a very compelling  
2 study.

3 Can you all understand me at this rate of  
4 speed? Okay. Then I'm going to talk faster. So one  
5 issue here is about acute HIV infection. Now it's  
6 not to say even if it's true that almost half of all  
7 the infections are accruing because of acute HIV  
8 infection. It's not to say that we believe that  
9 suddenly this could become a giant public health  
10 maneuver. It is to say that it is something that we  
11 really need to understand in the next five, ten,  
12 fifteen years for a whole bunch of reasons that we  
13 might want to talk about later.

14 So we spend a lot of time trying to  
15 develop a way to diagnose acute HIV infection.  
16 Historically, people look for symptomatic subjects  
17 who are at risk and about half the subjects at risk  
18 have some sorts of signs and symptoms when they  
19 acquire HIV infection. But the signs and symptoms  
20 are so vague you couldn't possibly separate them out.

21 In an epidemic with 60 million people, less than  
22 1,000 people with acute HIV infection have been found

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 by diagnosis which is kind of amazing.

2 MEMBER McILHANEY: Excuse me. Did you  
3 say it's not true to say that half of new infections  
4 occur in this early stage?

5 DR. COHEN: I think it's true to say --  
6 No. I think if we go back to this slide we have one  
7 piece of data and that one piece of data is right  
8 here and it says that 43 percent, in this study, of  
9 all the HIV infections that were observed seemed to  
10 have occurred from the earliest stages of infection.

11 MEMBER McILHANEY: But did you say that  
12 it's not true to say that about 50 percent occur in  
13 the early stage?

14 DR. COHEN: Well, I wouldn't generalize  
15 it because we only have this one piece of data.

16 MEMBER McILHANEY: Okay.

17 DR. COHEN: In other words, if you were  
18 going to say I have a single study that shows that  
19 this might be important this is the study.

20 MEMBER McILHANEY: Okay.

21 DR. COHEN: But if you were going to try  
22 and extrapolate that to the whole planet, it would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a problem.

2 MEMBER McILHANEY: Okay. I just want to  
3 be sure.

4 DR. COHEN: And I think -- I know we kind  
5 of oversold this a little bit. It's my group that's  
6 been doing this work and I think we've oversold it a  
7 little bit and I'm trying to be a little bit  
8 sensitive.

9 MEMBER McILHANEY: Yes. I understand  
10 what you're saying now. I just wanted to be sure.

11 DR. COHEN: No. Thank you. Other  
12 question before I go on? So the symptomatic idea is  
13 never going to work. So we tried another strategy  
14 and we intend to continue to pursue the strategy in  
15 the next couple of years. So here is the HIV  
16 negative person and this is actual data of people  
17 being followed in a blood bank. Sometimes people  
18 have what's called blip (PH) viremia. They may or  
19 may not go on to HIV. So this is very intriguing.  
20 We have about 12 people with this blip viremia and  
21 whether they all go onto HIV or not is not known.

22 But most people clearly when they get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exposed, then within two or three days after exposure  
2 they have ramp-up viremia and for reasons we don't  
3 understand completely there is tremendous and rapid  
4 replication and people achieve a peak of a million to  
5 a billion copies of HIV and as they're achieving that  
6 peak, they're antibody negative. So if you went to a  
7 clinical and said test me today, you would be at your  
8 most contagious of your whole life, but you would be  
9 antibody negative.

10 Now of course the antibody test can get  
11 better and better and better. But they can only get  
12 so good because the host requires several weeks to  
13 make antibodies. That's the rule of our species. So  
14 matter how good the antibody test gets. there's  
15 always going to be this window period of high  
16 contagion in an antibody negative person.

17 I should point out to you that it's  
18 during this window of time of rapid viral replication  
19 that the host is undergoing a terrible destruction  
20 and that is we're pretty sure now that CD4 memory  
21 cells, the central memory cells, living in the gut  
22 are all wiped out within two or three weeks. We have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this experience now kind of written in stone with  
2 monkeys where if you take a monkey and you infect him  
3 with HIV and you follow their gut lymphocytes in  
4 what's called the central memory pool, it's destroyed  
5 in weeks and now biopsies have been done a humans  
6 with very early and acute infection by again Danny  
7 Douek showing the same thing. This is terrible  
8 irreversible damage. So whatever CD4 you end up with  
9 after you recover from your acute infection, you  
10 never have the same number of CD4 cells available to  
11 you as before this gut pool is wiped out.

12 This inspires in us a desire to really  
13 think hard about trying to prevent this event. So if  
14 you're going to say to me how are you ever going to  
15 find all these people and why would you want to find  
16 them, one reason we want to find them is to protect  
17 these CD4 cells because this is the No. 1 devastating  
18 event that occurs during this window of time.

19 The other devastating event that we're  
20 pretty sure about is the integration of HIV into the  
21 genetic material of the host. So when you start out,  
22 your genome actually has a lot of retrovirus from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 millions and millions of years of evolution. About  
2 six percent of the whole gene is retroviruses. But  
3 you don't have HIV incorporated in your genome and so  
4 in the very earliest days of HIV infection we can see  
5 a very small number of integrated events, but then  
6 after acute infection has transpired, we see a heavy  
7 dose of integrated events. The reason we can't cure  
8 HIV infection is because of the integrated DNA. So  
9 if we could deal with the integrated DNA, get it all  
10 out of integration or prevent it from ever being  
11 integrated, we could potentially cure this infection.

12 So we have a lot of reasons to be interested in  
13 acute HIV infection, surveillance for instance,  
14 prevention and earlier treatment and so on and so  
15 forth.

16 Now I've argued that we can see a huge  
17 coy number and no antibody. So it's not a big leap  
18 of faith to do what the blood bankers do. So let's  
19 go back to `87 and almost everybody in this room was  
20 working in this field in `87. And you'll remember in  
21 `87 and Dr. Sullivan will remember this perfectly the  
22 transfusion field was in terrible trouble and we were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 able to do antibodies to find contaminated units of  
2 blood but about eight or ten or 20 units of blood  
3 would slip the antibody testing and as soon as the  
4 PCR test was available for detection of HIV, every  
5 unit of blood in the country was submitted to a PCR  
6 test.

7 Now it's estimated by my friends in  
8 modeling that it costs about \$80 million to find the  
9 last eight infected units of blood in the United  
10 States, but that had to be done in order to get  
11 people to feel that the blood supply was safe. That  
12 was really not an option.

13 With the blood supply people did was they  
14 did pooling and they took 100 samples and they put  
15 them all together and if everything was negative in  
16 100 samples, they went on with their business. If  
17 one sample was positive in 100 samples, they would go  
18 back and break apart the whole blood and find the one  
19 infected person. This saves a lot of money since  
20 you're not paying \$50 a test. You're doing \$50 for  
21 100 tests. And remember because of this ramp-up  
22 viremia, there's a lot of virus in the blood of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 people with acute infection.

2 So the State of North Carolina led by  
3 Peter Leone who talked to you yesterday, he's the  
4 Medical Director for the state, they agreed to screen  
5 everyone in the State of North Carolina for acute  
6 infection. So we're going to look at all of our  
7 testing sites. We're going to find 563 people with  
8 new HIV infection detected by antibody. Those are  
9 over here and then we take all the negative samples.

10 They go to a robot in Raleigh, North Carolina and  
11 the robot every day does 1,000 samples and when we do  
12 that we find 23 acute infections which seems like a  
13 small number, but that's five percent of all the  
14 infections detected in the State of North Carolina.  
15 For every one of those people with acute infection,  
16 we start finding a cluster and Peter talked to you  
17 yesterday about social networks from clusters.  
18 Right? So you see how powerful this becomes because  
19 of clustering and snowballing. So the state  
20 committed to this and many other states are looking  
21 into this for obvious reasons.

22 The copy numbers are important. Here is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 the copy number of control subjects with established  
2 infection. We're looking at blood, not semen, but  
3 you see in the United States it's about 29,000 copies  
4 at set point and the acute infection patients it's  
5 about 210,000 copies in acute infection and then this  
6 guy has more than a million copies. So the  
7 transmission probability of this group is about one  
8 in 800 or one in 1,000. The transmission probability  
9 of this group is about one in 100 to one in 80. So  
10 we see a huge difference in the United States of  
11 these transmission probabilities as calculated from  
12 the data we collected.

13 And for every person we find with acute  
14 infection, we find a terrible thing really. They  
15 don't have one partner. We find three or four or  
16 five partners. And there are three universes for the  
17 partner. The partner can have established infection  
18 and they could have given their established  
19 infection to the person with the acute infection or  
20 the partner can have acute infection or the partner  
21 can be HIV negative. Of course, the partner who is  
22 HIV negative becomes an unbelievable prevention

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effort for us to prevent a transmission event from  
2 occurring and the partner with established infection  
3 or acute infection also becomes important to the  
4 overall prevention strategies for our state.

5 And what's important is where do we find  
6 these people. So here are the testing sites, STD  
7 clinics, HIV testing sites, prisons and jails and  
8 other. Most of the testing in most states goes on in  
9 STD clinics and remember this is bias because we have  
10 opted in testing. This is less than half than all  
11 the people who have come to STD clinics in our state.

12 So we don't know what happened to the other half of  
13 the people. We only know what happened to this half.

14 But we know that we find established  
15 infection, recent infection and acute infection  
16 mostly in STD clinics and as I'm going to tell you in  
17 a second, this isn't surprising because we think HIV  
18 transmission is primarily -- Well, first of all, HIV  
19 is another STD. So it's the same behaviors that lead  
20 to STDs lead to HIV and second, we think that much of  
21 HIV transmission is actually a two step process. It  
22 involves a classical STD as well as HIV.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           But what's important here and now -- Is  
2 anybody here representing the CDC officially? Many  
3 of us are on a committee. Many of us are on the same  
4 committee where we've talked about this. I can't say  
5 enough times how I can't believe we can't get the STD  
6 clinics in the United States to work to do testing  
7 for every subject who goes into an STD clinic because  
8 this would be the biggest no-brainer way to find a  
9 huge number of people who don't know their status and  
10 to find people with acute infection and we've been  
11 telling the CDC this for at least five years and,  
12 Frank, you might want to comment on this.

13           MEMBER JUDSON: We're already doing it.

14           DR. COHEN: You personally have been  
15 doing it.

16           MEMBER JUDSON: Yes.

17           DR. COHEN: You're trying to do opt-  
18 testing on every client who comes to your STD clinics.

19           But you realize nationally that's not the norm.  
20 That's not the national norm.

21           MEMBER JUDSON: I think it's a lot  
22 better.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COHEN: The more it's done the better  
2 it is. Anyhow, this is a soapbox. My only soapbox  
3 for this morning is that --

4 CO-CHAIR SULLIVAN: Why has this CDC not  
5 responded to that?

6 DR. COHEN: Do you want -- This is just  
7 by sheer option and others in the room are on  
8 committees with me that work with the CDC. I think  
9 that first of all there's kind of an internal turmoil  
10 for many years about the STD branch how independent  
11 is it or how much does it report to the HIV branch.  
12 The HIV branch's interest in STD waxes and wanes.  
13 The kind of belief of the importance of STD,  
14 sometimes they believe they're important. Sometimes  
15 they're not so interested. So the two groups don't  
16 really work seamlessly in my opinion, STD and HIV  
17 groups. They should work more seamlessly. That's  
18 the first point.

19 The second point is the CDC gets like  
20 anything else it's an organization led by talented  
21 individuals who get on their kind of issue. So  
22 they've been very interested in emergency rooms in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the United States and I say, look. If you're going  
2 to test everybody in all the emergency rooms in the  
3 United States, you don't own the emergency rooms.  
4 It's a tremendous effort. But you own the, you don't  
5 own, but you support every public health STD clinic  
6 in the United States. So the energy necessary to do  
7 opt-out testing in the United States is very small,  
8 the catalytic energy compared to testing everybody in  
9 the emergency rooms.

10 But they've had this internal debate  
11 about emergency rooms versus STD clinics and this is  
12 just dragged on and on. I've gone to the CDC  
13 personally three times to give a talk like this to  
14 say test everybody in the United States.

15 Now to defend the CDC, they're trying to  
16 do opt-out testing. They're trying to get every STD  
17 clinic to lead towards opt-out testing. But I think  
18 a forceful statement from this committee would be  
19 helpful.

20 MEMBER JUDSON: Having started my career  
21 at the CDC and been involved with the CDC ever since,  
22 the problem isn't so much individuals at the CDC who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get into their own thing and want to protect their  
2 turf. I mean it is, but the primary problem is that  
3 Congressmen and Congress people like categorical  
4 programs. They like to have their name affixed to  
5 it. They want to see an outcome. They want to know  
6 where the money goes and that it's accountable.

7 So that some of the biggest attacks on  
8 the CDC have come when the CDC has attempted to use  
9 money for one project in another project area. So  
10 they drive it for TB, for respiratory distress  
11 syndrome, for anything.

12 DR. COHEN: Let me say I don't it's a  
13 turf. I don't think this is an issue of like some --  
14 I think it's people's interest. If you're interested  
15 in herpes, you're interested in herpes. If you're  
16 interested in HIV, you're interested in HIV.  
17 Sometimes the people wouldn't necessarily see the  
18 connection as clearly we might want them to.

19 MEMBER JUDSON: It's like the silo  
20 thinking starts with Congress.

21 MEMBER McILHANEY: I'm on Dr.  
22 Gerberding's advisory committee and one thing you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pick up is that they feel like they obviously cannot  
2 mandate that all STD clinics in the United States do  
3 this because they don't control them like that and I  
4 think there's a little bit of paranoia about issuing  
5 proclamations that I think they're wrong about. I  
6 think they should do exactly what you're saying and  
7 I think sometimes they underestimate how much  
8 influence they'd have just saying all STD clinics  
9 should do this.

10 DR. COHEN: Right. Of course, they do --  
11 First, I'm sensitive to what you said. But I do  
12 think they do issue proclamations all the time  
13 limited by money. They say if you don't meet this  
14 goal, we're not going to give you Title X money.  
15 That is their only control over the public health  
16 plus they provide public health advisors.

17 But also I don't think there's a tension  
18 here. The STD clinics would love leadership from the  
19 CDC. I can't imagine any STD clinic not being  
20 thrilled about being told if you do this is going to  
21 benefit the people of your community and your state.

22 MEMBER McILHANEY: I totally agree and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some of us keep pushing them to do what you're  
2 saying.

3 DR. COHEN: Okay.

4 CO-CHAIR SULLIVAN: Let me just say I  
5 think our Prevention Committee ought to look at this  
6 issue, because I do think that perhaps some support  
7 from this council might be helpful. I can understand  
8 CDC really battered from all side, etc. but it seems  
9 to me that one purpose that we could serve is to  
10 really point out to not only CDC but the members of  
11 Congress about the importance of this.

12 DR. COHEN: I can't see how you can -- If  
13 you tested all people who went to visits to STD  
14 clinics in the United States you would without doubt  
15 drastically increase the number of undetected people  
16 living in the United States.

17 MEMBER REZNIK: We'll come up with a  
18 motion at lunch. That's No. 3.

19 DR. COHEN: All right.

20 MEMBER REZNIK: I like fast. You talk  
21 fast. I write fast.

22 DR. COHEN: Let me keep going then. Do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 STDs play a major role? There are tremendously  
2 compelling pieces of evidence that HSV-2 which is  
3 really an aggressive virus trying to fill up the  
4 entire planet. Right now, at least about 20 percent  
5 of Americans are HSV-2 infected. That number is  
6 almost certainly going to climb in the absence of  
7 some mystical intervention. So I think for many  
8 people HIV transmission looks like that HSV-2 is  
9 transmitted much more efficiently than HIV. Probably  
10 it just takes a few episodes, two or three episodes  
11 of intercourse for HSV-2 to be transmitted.

12 So an HSV-2 negative young person has  
13 their first encounter with an HSV-2 host. Now the  
14 HSV-2 infected host may not know they're HSV-2  
15 infected. I'd say less than five percent of people  
16 have symptoms and signs that would lead to know their  
17 status. So they've unwittingly given HSV-2 to the  
18 partner. The HSV-2 causes endocervical and penile  
19 skin changes that render the host much more  
20 susceptible to infection. A really important  
21 abstract is going to be presented at the meeting in  
22 Toronto that were biopsies done on the women who had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recently acquired HSV-2 and demonstrating beautifully  
2 visibly how many more receptor cells there are and  
3 how many more receptor per cell.

4           So the first stage is HSV-2 transmission  
5 or maybe syphilis transmission or maybe gonorrhea  
6 transmission or maybe trichomonas transmission and  
7 then the next stage is HIV transmission not requiring  
8 1,000 episodes of intercourse, now only requiring  
9 maybe not even 30 episodes of intercourse, maybe  
10 requiring only ten episodes of intercourse because of  
11 the tissue changes inspired by the classical STD.

12           So there is no doubt about this. What's  
13 gone wrong in the STD field is that the clinical  
14 trials the STD people have tried to do to demonstrate  
15 that intervening with STDs can prevent HIV  
16 transmission, those trials have failed miserably and  
17 that has caused tremendous policy consternation which  
18 is going to be played out at a WHO meeting on July  
19 11, the big showdown between the policy people who  
20 don't want fund STDs as part of the HIV portfolio and  
21 the people who are saying that's insane. It's just  
22 that the trials didn't work out. It's not that STDs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aren't important. So this is kind of where we are  
2 with that issue right now and many of us are going to  
3 that policy showdown meeting.

4 So let's move to Africa just for fun  
5 because I want to show you something about  
6 transmission in Africa. I work a lot in Malawi.  
7 We've worked there since '90 and we worked there for  
8 a very specific reason. We wanted to see the effects  
9 of STDs on HIV transmission. If we're working in a  
10 U.S. clinic, we'd have to work for years to see a  
11 transmission event. But in Malawi, we're in a  
12 country of 10 million, 90 percent rural, about 15  
13 percent adult prevalence but in the STD clinic we  
14 run, half the people are HIV infected. So one out of  
15 two people who come in are HIV infected.

16 Obviously, this is a human laboratory  
17 where we can learn things very quickly and we with  
18 the help of the U.S. Government and international  
19 funds built a research center called Tidziwe Center  
20 that we're extremely proud of. That's it in its  
21 construction.

22 And this is just a study that I want to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 show you. It's published with about five other  
2 studies like this, but this is 1,361 men screened and  
3 47 percent were antibody positive. So we take the  
4 antibody negative people and we say these are people  
5 who are going to be sent home. We say do you have  
6 acute HIV infection. What we found to our shock was  
7 about two percent of people have acute HIV infection  
8 which is a very high number. Bob Bollinger did the  
9 first study like this in India, very similar study  
10 and found more than one percent, greater than one  
11 percent. So Bob actually led the way. Let me give  
12 credit to you because it was a brilliant study using  
13 p24 I think.

14 So the point is this has been repeated  
15 over and over. If you go to STD clinics, you look at  
16 the negatives. You're going to find some acute  
17 infection and what's even more shocking is if you  
18 have a swollen gland and a general ulcer, exposure to  
19 sex and you're HIV negative you have about a ten  
20 percent chance of having acute HIV infection.

21 This is the viral burdens now in Africa.  
22 The antibody negative people have higher

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concentrations than they would in the United States.  
2 Here's 150,000 copies at set point. So the Africans  
3 are managing to have much higher concentrations of  
4 the virus. Why? We don't know. But that increases  
5 the transmission probability. If you look at the  
6 acute infection patients, it's one million copies  
7 median. This is two billion and this is three  
8 billion of copies of HIV. So the median transmission  
9 probability in Malawi for the acute infection people  
10 is about one in 30 and this guy is 100 percent  
11 transmission probability.

12 So what am I saying? I'm getting you  
13 away from the '97 article that had one in 1,000  
14 transmission and I'm saying that's not true. The  
15 transmission probability is going to be predicated by  
16 where you are in the disease and whether you have an  
17 STD and you shouldn't count on 1,000 episodes of  
18 intercourse before you acquire HIV. You should count  
19 on one episode of intercourse before you get HIV.  
20 It's a very different message than what we sent out  
21 in the published articles and not everybody agrees  
22 with this. So you're only hearing my opinion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Here is an interesting unpublished piece  
2 of data. Now we're actually collecting semen in the  
3 clinic because we want to see what happens over time.

4           The blood is in red and the yellow is semen and what  
5 happens is the blood is sustained, but the semen  
6 viral burden goes down precipitously after we treat  
7 the STD. So the maximum contagant for semen is only  
8 a few weeks. So this is a pretty interesting finding  
9 to us from a public health point of view in terms of  
10 how we're going to manage it.

11           MEMBER JUDSON:       You're saying not  
12 everybody agrees with that. Who with an infectious  
13 disease background and who understands simple  
14 mathematics of transmission and inoculum would not  
15 agree with that?

16           DR. COHEN:   Let's leave this. We have  
17 hours to go before we're done. Let me leave your  
18 question. We'll get to who might not agree with this  
19 and why people might not agree.

20           Okay. So now we come back to this slide  
21 and this is now we have to dissect this better. So  
22 I've kind of made my argument in the most aggressive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way I could make and now I'm going to break my  
2 argument apart and show you something a little  
3 different. I think it is true, this gets to Dr.  
4 McIlhaney's point, I think it is true that some  
5 substantial number of infections occur in the  
6 earliest days of infection. Whether it's ten  
7 percent, twenty percent or it's fifty percent, I  
8 don't know, but it's not a good time to be having  
9 unprotected intercourse with somebody.

10 And just because they told you I got  
11 tested last month, that ought to be a sign not to  
12 have sex with the person because why were they being  
13 tested last month? Obviously their risk behavior  
14 sent them to a clinic to be tested. So it's kind of  
15 interesting. Remember we had, I mean this goes back  
16 to the `80s and `90s, the whole idea that recent  
17 antibody testing sets the stage for a safe feeling.  
18 People were going to have a card to show you they  
19 were antibody tested, stamp it on their hand.  
20 Remember all this. Well, that actually to me would  
21 be a symptom that you really don't want to have sex  
22 with that person for obvious reasons because they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 might have antibody negative acute HIV infection and  
2 be most likely to infect you.

3           Then we have this incredibly idealized  
4 vaccinated person or person who's called an elite  
5 controller who suppresses virus to few copies and no  
6 transmission events occur. This is not true. So  
7 this is what actually happens. Ramp-up viremia and  
8 then set point and only less than one percent of  
9 people who get HIV can do this. In fact, people  
10 spread their virus out over a whole spectrum of HIV  
11 concentrations. Now the person with the highest  
12 concentrations sustained at what's called set point.

13       How you achieve set point we don't know. Is it  
14 genetic? Is it immunological? Is it virological?

15           All we know is at six months or nine  
16 months or a year into your infection, you've achieved  
17 some sort of state and if the state you achieve has a  
18 very high viral burden, then death is more rapid and  
19 transmission is more likely. But if you're lucky and  
20 you are lucky enough to have a very low concentration  
21 of virus in your blood, you're probably much less  
22 contagious and you certainly will live much longer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1           Now there's a mathematician named  
2 Christophe Fraser, F-R-A-S-E-R, and he says that "the  
3 virus is seeking an optimal concentration" and what  
4 he means by that he thinks that the virus wants to  
5 spread to as many people as possible and the way that  
6 the virus can do that is to kill the fewest hosts  
7 possible. So what he says is that the virus is  
8 trying to achieve five logs in the blood of people.  
9 At five logs, the host lives many years and the host  
10 remains contagious enough to send the virus to the  
11 next person.

12           What's interesting about that five log  
13 deal is that the Africans generally sustain about  
14 five logs of virus. That is the most common set  
15 point, 4.9, 4.6. So Fraser obviously modeled this  
16 based on what he was seeing but it's an interesting  
17 kind of Darwinian evolutionary argument. So he  
18 doesn't believe that acute infection is important and  
19 he doesn't believe that AIDS is important. He  
20 certainly doesn't believe that most people get this  
21 low set point. He believes that all over the world  
22 where there's five logs, it's that five logs that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really critical to sustaining this epidemic.

2 EXEC. DIRECTOR GROGAN: When you said the  
3 Africans are around five in contrast to what other?

4 DR. COHEN: U.S. are like 20,000.

5 EXEC. DIRECTOR GROGAN: And where in the  
6 U.S.?

7 DR. COHEN: About 18,000 to 20,000. So  
8 if you look at the U.S., I mean it depends on the  
9 person, but we've not -- If you took 1,000 U.S.  
10 people untreated in Bob's clinic in `90, most would  
11 not have 100,000 copies. Most would, I think, have  
12 20 or 30.

13 MEMBER REDFIELD: When we looked at the  
14 Defense Department a number of years ago.

15 DR. COHEN: How many subjects?

16 MEMBER REDFIELD: It was a large number.

17 DR. COHEN: Thousands?

18 MEMBER REDFIELD: Yes, but it was the  
19 average viral load was between 9,000 and 10,000.

20 DR. COHEN: Untreated.

21 MEMBER REDFIELD: Untreated.

22 DR. COHEN: So if you took an untreated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 African population, the average would probably be  
2 between 100,000 and 200,000 copies at the same CD4  
3 count. I'm only telling you the facts, Joe. I'm not  
4 going to explain them.

5 MEMBER SWEENEY: So many people go in and  
6 out of treatment with antiretrovirals. Given what  
7 you've just shown us, if someone is not going to be  
8 able to be on sustained therapy then, it seems that  
9 they reach their set point and it would be better to  
10 just leave them at the set point without treating  
11 them instead of having --

12 DR. COHEN: Let's leave the treatment  
13 issue for a second except to say one thing. We're  
14 going to come to treatment in a second, but let me  
15 just say, and I appreciate you're still awake, but  
16 let me say that the whole issue of treating people  
17 earlier, treating them for public health purposes and  
18 starting and stopping therapy is very controversial.

19 But certainly we know for sure that starting and  
20 stopping therapy based on a study that was just  
21 completed is probably a really terrible idea. We  
22 know that if you start therapy early, at least from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one or two studies, if we start therapy early and you  
2 can sustain the therapy and people are adherent, they  
3 do very well with very early therapy if it's  
4 sustained, but not if it's started and stopped, not  
5 if they leave it.

6 MEMBER YOGEV: I hope you put into the  
7 argument the guidelines now say don't treat if it's  
8 100,000 unless -

9 DR. COHEN: I don't know. I mean  
10 guidelines --

11 MEMBER YOGEV: From the public point of  
12 view for to just showing us -- Would you address  
13 that?

14 DR. COHEN: Yes. I think the guidelines  
15 that we've written are we get into an issue Mike --  
16 The MP chair is Mike Saag. He is the guideline guy  
17 and the guidelines in general on the treatment of  
18 people in general have not paid much attention to  
19 their public health obligations and responsibilities.

20 When Tony Fauci got into a whole big interruption,  
21 vacation holidays, drug holidays, we called him and  
22 said, "Are you crazy? This is exactly the most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 destructive thing you could do, render somebody  
2 feeling better, stop their drugs so they have a  
3 rebound," etc. So we had great trouble with what  
4 they called STI, strategic treatment interruption,  
5 which we called sexually transmitted infection. So  
6 even the language is a problem, but I mean  
7 complicated.

8           STDs, one last point about STDs, if you  
9 take a person with gonorrhea, this is from a study  
10 that was published in '97, you take a first week of  
11 infection. We're looking at semen. You see 150,000  
12 copies in semen and over time you see a very rapid  
13 lessening of HIV concentration. So this is what we  
14 think is a more realistic picture. Ramp-up viremia,  
15 some control, but not that level of control. But  
16 then the person with HIV doesn't remain a newly found  
17 virgin. They go out and have sex and a substantial  
18 number of people who are HIV infected acquire STDs.  
19 We know from most studies in the United States it's  
20 probably about six percent a year, five percent a  
21 year.

22           So that is a sign that our counseling in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinics is not optimal because so many people who are  
2 HIV infected acquire STDs and when you acquire an STD  
3 even on ART, you can break through the ART. So if  
4 you're not on ART, you are for sure going to increase  
5 your concentration of HIV in your genital secretions.

6 If you are on ART, about ten percent of people break  
7 through the ART. When they break through the ART,  
8 they have resistant virus breaking through especially  
9 protease resistant virus.

10 So now we're beginning to see how we're  
11 going to blow our drugs. We're going to take all the  
12 drugs we've made and we're going to see transmission  
13 of resistant virus to the next person. Therefore,  
14 we're going to be in a constant race to make drugs  
15 that are going to be effective for newly infected  
16 people.

17 Now this is not completely played out  
18 yet. It's played out at the level of 16 percent, 15  
19 percent. So if you went to Bob's clinic and we did  
20 single gene amplification, we would find at least 15  
21 percent of his new patients have resistant virus.  
22 How did they get their resistant virus? Either they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were seeing a patient on therapy who got an STD and  
2 broke through or who stopped their therapy either  
3 transiently or completely and had resistance develop.

4           Somebody had a question or not?    Yes.  
5 Isn't it more fun when you interrupt?   Isn't this a  
6 lot more fun?

7           MEMBER GREEN:       Putting this in the  
8 context of prevention, I'm thinking about the multi-  
9 site study in Africa that was published in the  
10 special issue of, what was it, anyway in 2001,  
11 *Journal of AIDS*, I think.    When they looked for  
12 factors that seemed to be causally associated with  
13 higher or lower levels of HIV prevalence as you  
14 recall there were biological factors such as presence  
15 of GUD especially HSV too and male circumcision were  
16 the factors.   The only behavioral factor that emerged  
17 in the first round of analysis was the pattern of  
18 older men having sex with younger girls and I  
19 remember thinking and discussing with people at the  
20 time one reason for that would be that a young girl  
21 when she's recently infected she's lost her  
22 virginity.    She probably would be susceptible to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 having sex with somebody. Older men are thinking if  
2 the girl is young, she's probably not infected, but  
3 they are more likely to end up with a young girl in  
4 the three week period of high viremia. So this  
5 pattern is pretty dangerous. Have you thought along  
6 those lines besides prevention?

7 DR. COHEN: Yes. We've thought a lot  
8 about it. So the first issue is the older  
9 men/younger women thing bears fruit in a variety of  
10 ways. The younger woman often has an exuberant  
11 cervix, so there's more surface area. The younger  
12 woman often has vaginal flora that offers less innate  
13 resistance to infection. There is a lot of  
14 biological beggars putting a young woman at a  
15 disadvantage.

16 But in addition, the chance of running  
17 into an infected person would increase if you did it  
18 with an older man and just as you've said. So in  
19 South Africa where we're pretty sure that it's not  
20 older men infecting younger women, it's not that  
21 grazing phenomenon, where it's much more complicated,  
22 but in Tanzania you might have an infected person who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 believes it's an advantage to his own health to have  
2 sex with an uninfected virgin and the more years that  
3 man has been sexually active, the higher the  
4 probability he is infected. So you're increasing the  
5 kind of hits of a molecule just as you've said.

6 Okay. So this is one other way we've  
7 looked this and now I'm just going to end up a little  
8 bit on prevention just with a couple of things that I  
9 think probably are relevant to your committee. These  
10 are all the prevention strategies going on on this  
11 planet that I'm aware of and I would welcome Bob who  
12 is my kind of gadfly, I want you to look at this  
13 slide because you'll always some butterfly.

14 This ABC, STD interventions and there are  
15 still trials ongoing. The most powerful trials that  
16 are being done are the HSV-2 interruption trials,  
17 trials to try and prevent HSV-2 transmission or HSV-2  
18 acquisition and I can tell you more about those  
19 trials if you want. Vaccine trials, there's a bunch  
20 ongoing, but I guess it's important -- Well, I'll say  
21 something about that in a second. Bacterial  
22 vaginosis, there are no trials ongoing.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Microbicides, there are many trials ongoing. A very  
2 important diaphragm is ongoing. The male  
3 circumcision trials, there are three ongoing. One  
4 was stopped. Antiviral therapy trials, there are  
5 several ongoing using antiretroviral transmission  
6 event. Incentive for safer sex, we're not really  
7 doing trials, but there's a lot of thought about how  
8 societies might approach this problem.

9 By way of disclosure, I should say that  
10 I'm chair of a Gates Committee which is actually  
11 going to have temerity. So this is interesting. So  
12 I'll just slow down for a second. Gates realizes as  
13 it's invested its money its distorted research.  
14 Because every time it decides to do something in  
15 research that thing becomes important even if the  
16 thing is not important and these decisions are made  
17 by single individuals. So one issue is what have  
18 been the effects of Gates on the whole research  
19 portfolio. Have they done a favor or have they  
20 actually damaged the research portfolio? I don't  
21 want to say it that harshly but it's an issue.

22 The second issue is truth in advertising.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       What are we really going to do in the next 20 years  
2       and who has the courage to tell you the truth about  
3       what's really going to happen?    And if it does  
4       happen, how important is it really going to be?   For  
5       example, are we going to make a preventive vaccine in  
6       the next 20 years?   Bob, is there any way on earth?

7                   MEMBER REDFIELD:    It's successfully --  
8       distributed --

9                   DR. COHEN:    I mean even we don't have the  
10       capacity right now.   Any vaccine that's being tested  
11       is not a vaccine to prevent HIV infection.   It's a  
12       vaccine to lower set point.   That's all we're trying  
13       to do right now.   But no one wants to tell the public  
14       that.   It's like kind of an anathema to tell the  
15       truth.   So instead we just keep doing this stuff and  
16       pretend like we're not doing it.

17                   Diaphragm trials.   There is a diaphragm  
18       trial almost complete.   If the diaphragms work to  
19       prevent HIV transmission, that's a huge finding with  
20       immediate impact because that's just a little piece  
21       of plastic over the endocervix.   The circumcision  
22       trials, those will be done this year.   So we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trying to make a molecular clock. We're trying to  
2 say with the Gates Foundation how have you distorted  
3 research, what research is going to be done, when is  
4 it going to be done and what are the possibilities of  
5 the outcomes and how powerful could the outcomes be  
6 and I think that will be an interesting committee.  
7 That committee is just meeting this year.

8 Having said that we can't make an HIV  
9 vaccine that's preventive, I need to introduce you to  
10 an organization that you might not be familiar with  
11 that I'm one of the leaders of and that's called the  
12 CHAVI, the Center for HIV Vaccine Immunology. So  
13 recognizing, the NIH recognizing we weren't really  
14 making a preventive vaccine, they made a giant award,  
15 \$300 million and the purpose of the \$300 million for  
16 the CHAVI is to try to help us to enable development  
17 of a vaccine, to start over essentially and say what  
18 do we need to know to make a vaccine, why have we  
19 failed, where are we right now and why have we  
20 failed, not to make a vaccine, not to test a vaccine,  
21 but to do the science necessary to develop a vaccine  
22 properly.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So these are the kinds of things we need,  
2 subjects with acute infection for longitudinal  
3 studies, large numbers of transmission pairs, the  
4 infected person and the person who got the infection,  
5 HIV exposed and uninfected subjects. Who are HIV  
6 exposed and uninfected subjects? Do they really  
7 exist on this planet or is this another one of the  
8 big lies that we might be subjected to? What about  
9 genetics? We only have just tiny scratched the  
10 surface.

11           So the CHAVI has enough money to do the  
12 entire genome for very large numbers of people. So  
13 there is 30,000 snips in the human genome. We have  
14 enough money to do 30,000 snips of a very large  
15 number of exposed uninfected subjects and then  
16 there's studying mucosal samples in much greater  
17 understanding and depth than has been done before.  
18 So the CHAVI is worth knowing about, the NIH Center  
19 for HIV Vaccine Immunology. The leader is a guy  
20 named Bart Haynes. The principal investigator is  
21 Bart Haynes.

22           And lastly antiviral therapy to prevent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transmission. Bob Bollinger and I have been working  
2 on this and many of us in this room have worked on  
3 this for a very long time. We do know how to make  
4 antiviral drugs. This came up already and there are  
5 three ways you could use antiviral drugs to prevent  
6 transmission. You could do post exposure prophylaxis  
7 which we're going to do whether it works or not  
8 because we have guidelines. You could do pre  
9 exposure prophylaxis with a pill or pills and there  
10 are randomized trials ongoing right now to test  
11 whether a pill before sex can prevent HIV  
12 acquisition.

13 And lastly the most important question is  
14 when the person is HIV infected takes their pills are  
15 they rendered less contagious and if so, how long are  
16 they less contagious and can we develop drugs for  
17 this purpose much as we've tried to do in the  
18 tuberculosis field. Over the next 20 years, can we  
19 actually focus on not just treatment for the sake of  
20 improving the health of the host, but also treatment  
21 for the sake of public health.

22 In summary, we can measure the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concentration of drugs in general secretions and  
2 what's important about this is not all the drugs get  
3 in the same. So when you make a therapeutic  
4 decision, the therapeutic decision might be good for  
5 the host, but you might actually be giving the host a  
6 drug that actually renders them more likely to  
7 develop a resistant virus. So over the next few  
8 years, we expect physicians of drug companies to be  
9 more sensitive to this. This has to do with -- And  
10 Joe, you're giving me that confused look.

11 This has to do with compartmentization.  
12 Protease inhibitors don't get into semen or female  
13 genital secretions. They are too highly protein  
14 bound. So if you use a protease inhibitor alone you  
15 would be giving a subtherapeutic concentration to  
16 that compartment. So we always use combinations, but  
17 some of these drugs actually concentrate in the  
18 genital secretions. This is constant percent greater  
19 than blood in genital secretions, here the male  
20 genital tract.

21 There have been 14 studies looking at the  
22 effects of --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER REDFIELD: Could you just go back  
2 for one second because if I see that slide just to  
3 point out that the most common regime used in the  
4 world would then be d4T nevirapine and 3TC.

5 DR. COHEN: d4T very poor penetration.

6 MEMBER REDFIELD: Nevirapine.

7 DR. COHEN: Yeah, very poor penetration.

8 MEMBER REDFIELD: And 3TC.

9 DR. COHEN: Excellent.

10 MEMBER REDFIELD: So you would argue that  
11 if we were doing that that this could --

12 DR. COHEN: It's probably not the best  
13 public health. Right.

14 MEMBER REDFIELD: But this is the regime  
15 that's probably used around the world.

16 DR. COHEN: I understand but this is an  
17 unpublished slide. This is an article coming out in  
18 the Annals of Internal Medicine. But again, I'm  
19 giving you where we are in research right now today.

20 But your point is well taken and obviously prefer  
21 tenofovir, 3TC, FTC that they be used for all regimes  
22 for prevention, that they be included in all regimes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 especially for post exposure prophylaxis in the  
2 emergency room. We're trying to get the CDC right  
3 now to go back to their guidelines and say the  
4 guidelines ought to focus on some drugs and they  
5 ought to focus on some drugs because of drugs that  
6 get into the genital secretions.

7 MEMBER REZNIK: I'd like to say we  
8 actually brought that up yesterday as a point when we  
9 had a person here from Gilead.

10 DR. COHEN: Good. Who was here from  
11 Gilead? Jim Rooney?

12 MEMBER REZNIK: Jim --

13 MEMBER YOGEV: Nevirapine you cannot say  
14 is not very poor.

15 DR. COHEN: No.

16 MEMBER YOGEV: It has a very good track  
17 record.

18 DR. COHEN: It's comparable in general  
19 secretions to blood. It's not poor. He said it. I  
20 didn't say it.

21 MEMBER YOGEV: Okay.

22 DR. COHEN: He's saying it has 70 percent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there.

2 MEMBER YOGEV: Yes, and I was wondering  
3 where you got your data on the efavirenz because  
4 there is some data to suggest that it's as good as  
5 nevirapine and it's fascinating. It's the only one  
6 which you have a three percent and that it's almost  
7 the same molecules. So I'm wondering where that for  
8 efavirenz is coming from.

9 DR. COHEN: You know I would have to  
10 break apart. There is a lot of data that goes into  
11 this slide. This is a summary slide. So I can send  
12 you the raw data. If you send me an email, I can  
13 send you the raw data.

14 MEMBER YOGEV: Please.

15 DR. COHEN: Angela Kashuba, K-A-S-H-U-B-  
16 A.

17 MEMBER YOGEV: Because efavirenz as you  
18 know now is one of the considerations to go into  
19 because of liver disease in multiple studies and it  
20 would be very important to have it.

21 DR. COHEN: Of course. I do understand  
22 that. Combivir and efavirenz is what we're using for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 -- Actually you've raised a good point. So let me  
2 just show you. If you take semen and if you go  
3 before therapy and after therapy, before therapy you  
4 can easily detect HIV in semen. After therapy, you  
5 have trouble detecting HIV in semen. You can still  
6 detect HIV DNA in semen and as I've said, some of the  
7 drugs concentrate in semen and they can also  
8 concentrate in female genital secretions.

9 We're doing a study for seven years  
10 called HPTN 052 designed to determine whether if you  
11 treat the host you can reduce transmission of the  
12 infected partner. These are the study sites for HPTN  
13 052 and the bottom line of HPTN 052 is that the  
14 anchor tenant in HPTN 052 is Combivir and efavirenz,  
15 sensitive to what you're saying. But the Combivir  
16 would be believed to achieve at least the  
17 concentration necessary to cause suppression and the  
18 efavirenz you need because you need a well-tolerated  
19 third drug. But that's the anchor tenant. Other  
20 drugs are possible. So we expect equipoise and  
21 evolution over the seven years of the study.

22 So I've said a lot of different stuff and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a lot of this is unpublished and this is exactly  
2 where we are right now and these are our belief  
3 systems. They are not like -- This is controversial.

4 This is like what Frank was getting at earlier. If  
5 I took ten epidemiologists who did among the 26  
6 studies of transmission we would argue about the  
7 methods and why they might be right or they still are  
8 right. They certainly are --

9 For example, let me give you the most  
10 common error and this is an amazing error. This kind  
11 of boggles my mind that most of the studies done for  
12 transmission were done with discordant couples. So a  
13 person would come to the clinic and they would say  
14 I'm infected and I have a partner. So we would say  
15 bring your partner. And if the partner was  
16 discordant, they would enroll in a trial and they  
17 would be followed for two or three years and then  
18 you'd measure whether transmission occurred over the  
19 two or three years and you would see no transmission  
20 events. You come up with the one in 1,000 or one in  
21 2,000, one in 3,000.

22 But remember you never studied concordant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 couples. You took away all the concordant couples  
2 that all transmitted instantly. So you were biasing  
3 this towards people who already were not going to get  
4 HIV and it's very comparable to this. If you were  
5 looking at pregnancy, if you looked a young 25-year-  
6 old woman who was going to get pregnant and she had  
7 sex twice, she'd get pregnant. You would say "Whoa.  
8 Look how fertile the species is. They get pregnant  
9 so quickly."

10 But if you looked at breast feeding woman  
11 with one child and then you went and studied her to  
12 see if she was going to get pregnant, it might take  
13 two years for her to pregnant. And you would say  
14 "Whoa, how does this species survive" because if you  
15 didn't know anything about the effects of breast  
16 feeding on hormones you would draw a totally  
17 different conclusion. So in the `80s when we were  
18 doing all these studies somehow we got incredibly  
19 misled. Enough.

20 So it's not somebody who's trying to do  
21 this wrong. It's just that's how it came out and  
22 these are other errors. There is a whole series of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 errors.

2 So in summary and then I'll stop and  
3 entertain any real dialogue, genital tract viral  
4 burden determines HIV transmission. I think we can  
5 probably agree on that and there's not a lot of  
6 disagreement. STDs and HIVs are likely sequentially  
7 transmitted and large numbers of subjects with acute  
8 HIV infection will present with STDs especially ulcer  
9 disease. So I think we could probably agree on that,  
10 that STD are important, although I've already  
11 indicated to you it's been hard to demonstrate that  
12 you can treat your way out of the epidemic.

13 Recurrent risk behavior allows subjects  
14 with established HIV infection to be detected in STD  
15 treatment settings. What do I mean by that? Why do  
16 we find all of these people in STD clinics who are  
17 HIV infected? It's because the very behavior that  
18 allowed them to acquire HIV is just another sexual  
19 behavior that allows acquisition of STDs emphasizing  
20 the point I made earlier.

21 HIV transmission is almost certainly  
22 amplified early in disease and late in disease and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subjects early and late help to fuel the epidemic.  
2 How much they fuel the epidemic is the point you  
3 could argue about.

4 HIV prevention in my opinion will  
5 increasingly focus on the most contagious subjects  
6 and biological behavior and interventions will be  
7 developed to reduce HIV transmission probability.  
8 And those interventions I think have to be developed  
9 marrying treatment and prevention and getting back to  
10 the preceding talk, getting treatment much more  
11 involved in the public health of HIV. Because that  
12 is most people we see who want to go to training in  
13 HIV now have masters degrees in public health and are  
14 actually very interested not just in being HIV  
15 providers, but also in fully realizing public health  
16 opportunities and both domestically and  
17 internationally.

18 That's the last things I'll say. This is a  
19 globalization thing. We're so focused right not  
20 today on the U.S. and its 600,000 or 800,000  
21 patients. But in fact, this is a global epidemic and  
22 I don't think you can separate the global from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 domestic and I understand my colleague from HHS  
2 earlier made the point that the epidemic in the U.S.  
3 is quite different. The patients are much more  
4 difficult to reach sometimes. But still it is a  
5 global epidemic. Yes.

6 MEMBER GREEN: I'm really interested in  
7 what you said earlier about the biological  
8 disadvantage that Africans may have. It's widely  
9 believed among my colleagues in AIDS prevention that  
10 Africans start to have sex at an earlier age than in  
11 the west and have more partners. So I was interested  
12 to hear you say something that I've long held. In my  
13 book of a couple years ago, I have a table comparing  
14 DHS data on two common measures, one, the proportion  
15 of youth 15 to 19 sexually active and secondly, life  
16 time members of partners and just as you said there's  
17 not that much difference between Africans and  
18 Americans.

19 The one difference that has emerged if  
20 you look a little more closely is a pattern in Africa  
21 maybe especially in Southern Africa of multiple  
22 concurrent partners rather than serious monogamous

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 partners and maybe that's something that you could do  
2 something about.

3 But getting back to this biological  
4 disadvantage --

5 DR. COHEN: May I -- Before you go on, so  
6 we have two pieces of data and we're trying to write  
7 this up now. We have Ad Health which is 22,000 U.S.  
8 adolescents followed for almost 14 years now and  
9 their HIV prevalence was just published last month by  
10 Martina Morris in the *American Journal of Public*  
11 *Health*, very low prevalence in the U.S. adolescents.

12 MEMBER GREEN: Right.

13 DR. COHEN: But we have an incredibly  
14 rich dataset from the U.S. Comparing that directly  
15 to the Audrey Pettifor dataset, national, cross  
16 sectional for South Africa, what I can tell you is it  
17 supports what you've already said. Unless the young  
18 women are lying in either country, they are very  
19 similar not very different and the age discrepancy is  
20 2.5 years not ten years in South Africa. Rectal  
21 intercourse is zero unless they are lying which may  
22 be a big factor and concurrency doesn't appear to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a major factor.

2 So you either have to say that they are  
3 lying or that there are things we don't understand.  
4 So those two datasets need to be compared.

5 MEMBER GREEN: Right. So the biological  
6 disadvantage, are you suggesting that some of it  
7 might be compromised immune system health from  
8 endemic tropical diseases?

9 DR. COHEN: So March 30, 2000 *New England*  
10 *Journal*, I wrote an editorial about this biological  
11 disadvantage. First of all, let me cite an actual  
12 editorial. It's an editorial about the biological  
13 disadvantages.

14 MEMBER GREEN: This summarizes what you  
15 think.

16 DR. COHEN: It summarizes some of my  
17 thinking five years ago, six years ago. But then I  
18 think it's more complicated now. I think that what  
19 am I really concerned about. First I'm really  
20 concerned about bacterial vaginosis for women. Mos  
21 Taha (PH), our collaborate at Hopkins, did a very  
22 good study that said that flora that most African

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 women are sustaining which is rich in anaerobes and  
2 poor in lactobacillae offers a threefold odds ratio  
3 of acquiring HIV relative to women who don't that  
4 flora and there are three studies like that. So this  
5 vaginal flora thing is probably a pretty big deal and  
6 we're trying to work on that more. There's at least  
7 ten studies about vaginal flora and HIV.

8 MEMBER GREEN: And that's not in your  
9 earlier material.

10 DR. COHEN: It is an earlier thing, but  
11 it needs to be better and better refined. I don't  
12 know. Bob may have feeling about this, but it was a  
13 very good study and it's not the only cite. So  
14 there's the vaginal flora issue. There's the clade  
15 issue that we talked about.

16 MEMBER GREEN: Right. You mentioned  
17 that.

18 DR. COHEN: And then there is the  
19 genetics.

20 MEMBER GREEN: Most of my colleagues  
21 don't believe in the importance of clades.

22 MEMBER REZNIK: I hate to interrupt this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conversation but we have time for one more combined  
2 question from that side and because we have people  
3 waiting for public comment. I hate to have to do  
4 this because it's engaging. So whoever is going to  
5 grab the mike.

6 MEMBER BOLLINGER: Mike, can I just ask  
7 you to say a little bit more about the relative  
8 impact? I mean you've talked about host factors and  
9 susceptibility factors and borrow factors and you did  
10 a great job. By the way, you do just as well as when  
11 you're jet lagged as when you're not jet lagged.  
12 I've heard him speak when he's jet lagged and not jet  
13 lagged and he sounds great both times.

14 DR. COHEN: I have a feeling I've said  
15 more wrong things per square meter. You have the  
16 voracity probably down to about ten percent.

17 MEMBER BOLLINGER: One of the things that  
18 you said --

19 PARTICIPANT: (Inaudible.)

20 DR. COHEN: That's what I'm thinking.  
21 What did I say?

22 MEMBER BOLLINGER: One of the really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 important things that you talked about was the higher  
2 viral loads both in the acute and the chronic  
3 infection in the African studies compared to the U.S.  
4 and that gets to viral factors which I think is  
5 really behind a lot of your emphasis on the 052 study  
6 and the actual impact.

7 DR. COHEN: And CHAVI.

8 MEMBER BOLLINGER: And CHAVI on treatment  
9 and its impact on prevention. So I'm wondering if  
10 you could talk a little bit about your thoughts about  
11 the relative impact of host susceptibility factors  
12 and borrow factors.

13 DR. COHEN: I think that the CHAVI got  
14 \$300 million to sort that out. No one and in my  
15 opinion it is not known. Most of the stuff done in  
16 virology has not been done with single gene  
17 amplification. Everything in virology is moving very  
18 fast now in a very different way. The genetics,  
19 we've not even touched the surface of the genetics.  
20 We've done kind of few snips here and there on some  
21 HLA. That's not the way to approach the problem.  
22 We're going on the world's biggest fishing expedition

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the geneticists they are going to find fish.

2 MEMBER BOLLINGER: What is the  
3 implications on whether treatment of virus --

4 DR. COHEN: I understand, but I don't  
5 know the answer. I'm telling the truth, my first  
6 truth.

7 MEMBER YOGEV: You know it's interesting  
8 because the genetic, 60 percent of outpatient is  
9 genetic from African and I wonder if we're ever going  
10 to look into the new activation of those patients.  
11 You know there are studies from Helman for example.

12 DR. COHEN: Yes. The --

13 MEMBER YOGEV: TB. Malaria. You have  
14 too many people in Africa who (Inaudible.) activated  
15 to receive the virus which do we have any data on  
16 teenagers and so forth in the U.S.?

17 DR. COHEN: The Israeli colleague, Veben  
18 Benvich (PH), he has a paper that embraces the Helman  
19 hypothesis. There have been five studies since  
20 including one we did. I don't think the Helman  
21 hypothesis is going to bear fruit. The malaria  
22 hypothesis bears a lot more fruit. That's already

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of written in stone and TB probably bears fruit  
2 as well. But you said it exactly right. I think Dr.  
3 Reznik's going to -- Let me answer Frank's question  
4 and then I'll stop or I'll stop.

5 MEMBER REZNIK: Dr. Redfield and then  
6 we'll have to end.

7 DR. COHEN: You're okay.

8 MEMBER REZNIK: Short, Dr. Judson.

9 DR. COHEN: I'll be brief too. I can be  
10 brief.

11 MEMBER JUDSON: Mike, these are always  
12 great and I certainly always I think recently agreed  
13 with your view of the biologic-viralogic world. And  
14 I think where we may disagree although probably not  
15 is what you do with this information from a practical  
16 standpoint in terms of public health control  
17 programs.

18 One thing I'm sure we'll agree with is as  
19 Thomas Perron said many, many years ago "When you're  
20 faced with a diabolic enemy, you use every weapon at  
21 your control" and wherever there's a reasonable  
22 possibility for efficacy we should pursue that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The next thing that comes to mind in my  
2           varying experiences is that in looking at  
3           epidemiologic information that would attempt to show  
4           causation between co-infections with other STDs and  
5           HIV transmissibility, in my view none of these  
6           studies has ever been constructed in a way that can  
7           prove causation. You don't know even what the order  
8           of infection is and in most of them, the majority of  
9           the easiest ones to do has been cross sectional and  
10          you simply cannot do it. So that accounts for the  
11          huge variability and results from study to study,  
12          location to location, organism to organism.

13                 Then I take that information and I try to  
14                 say what do we do with that. The people who believe  
15                 that other STDs, there are some who believe that HIV  
16                 doesn't need any help from the other STDs and I  
17                 probably fall closer to that. But I'm not willing to  
18                 -- I'm not attempting to exclude in any role at all  
19                 for them.

20                 But I think the question then is what you  
21                 do with it and I don't think that as I think most  
22                 here don't think that it's really possible,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 practical, economically feasible to treat our way out  
2 of the HIV epidemic. That means either directly or  
3 indirectly. I don't think we can do it directly with  
4 antivirals without changing fundamentally exposure  
5 behavior and I would be even less certain that we  
6 could ever do it indirectly by attempting to  
7 eliminate other STDs.

8 For this I go back. Some of the most  
9 formative experiences in my career go clear back to  
10 gay men. In 1970s, when there were such incredible  
11 rates of STDs, all STDs in gay men, but we're owing  
12 to bathhouses and multiple concurrent partnerships,  
13 the same thing, gonorrhoea, syphilis, hepatitis B were  
14 20 to 40 times more common.

15 We thought we had in Denver practically  
16 as good of clinics as you could have, outreach to  
17 the bathhouses, to the gay groups, homophobia was not  
18 a major issue. We through every resource did  
19 everything we could. There was open access. We  
20 treated thousands and thousands of cases of gonorrhoea  
21 in gay men every year and we never got anywhere. By  
22 the end of --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COHEN: With HIV. Never got anywhere  
2 with HIV.

3 MEMBER JUDSON: Yes, we never got  
4 anywhere before fear of AIDS and fear of AIDS managed  
5 to reduce over a three year period looking backwards  
6 through sero-archeology (PH) incident rates for HIV  
7 probably 70 to 80 percent then, by the end of the  
8 decade probably close to 90 percent. Within three to  
9 fours, the incidence of gonorrhoea, syphilis had  
10 dropped by 90 to 95 percent.

11 This is the power of change in behavior.  
12 We couldn't measure it. There were no CDC  
13 prevention programs at the time. These were gay men  
14 understanding how their sexual behavior probably  
15 spread this deadly condition. So I think we're faced  
16 now with the same things in the developing world and  
17 everywhere. Unless we come to terms with the  
18 fundamental driving factor of multiple concurrent  
19 partnerships often during the early most acute stage  
20 of infection, often before infection has even been  
21 detected, our success is going to be limited and to  
22 continue to I think debates approaches if we treat

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 gonorrhea -- I'll ask you. Do you think we can treat  
2 our way out of HIV by simply treating gonorrhea or  
3 syphilis?

4 DR. COHEN: I'm going to give an eight  
5 word answer. One is no one is going to disagree  
6 about embracing all the tools in the toolbox. It's  
7 the first five words. Second is I think using the  
8 gay sex thing in Colorado is probably a bad idea  
9 because the efficiency of rectal course changes  
10 everything because of the number of dendrite cells,  
11 receptors and trauma. So you can never overwhelm, you  
12 can't win against anal intercourse. It's almost  
13 impossible.

14 Not gay. Anal intercourse is a really  
15 bad sexual practice for HIV transmission. It changes  
16 the equation. The efficiency is probably one in ten  
17 or one in eight.

18 MEMBER REZNIK: Final word goes to Dr.  
19 Redfield.

20 MEMBER REDFIELD: Mike, I just wanted to  
21 comment briefly. We had a number of your group here  
22 over the last two days and part of it just from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respect of both HHS and others. Clearly, I think you  
2 make a compelling argument. Policy always has to be  
3 based on imperfect or incomplete information.

4 But I think there's a compelling argument  
5 now that most people can sense this is moving  
6 towards, Mike said it and others, that we're moving  
7 towards sort of opt-out policy. You made a  
8 compelling argument that we should really also  
9 concentrate on STD clinics.

10 The United States, the kinetics of the  
11 epidemic could really be driven and I think you make  
12 a pretty good argument for that. The kinetics of the  
13 epidemic could be done by the zero negative viremic  
14 group, the acute infection period. I mean you make  
15 an argument for that at least if you believe inoculum  
16 maps and you could also make an argument in the  
17 United States that the other part of the inoculum  
18 might be is you get more advanced disease. The  
19 United States has policies to treat advanced disease.

20 We don't try to identify acute infection as our  
21 public health policies.

22 So it seems to me again with the desire

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to blend prevention and treatment we actually really  
2 ought to critically reevaluate our policies to be  
3 looking a little more aggressively. There are so  
4 many times you have to -- And some people have to see  
5 a chicken cross a highway before they can see a  
6 chicken cross the highway I mean even though they've  
7 seen it cross a road or they've seen it cross a  
8 street.

9 It seems to me that trying to go after  
10 the ability to diagnose this infection during the  
11 acute infection and trying to move treatment early  
12 sounds like a sounder public health approach in the  
13 United States. It may be in Africa because you've  
14 shown that established infections drive in that  
15 epidemic.

16 DR. COHEN: Let me in the interest of  
17 time what we have to do, it's a very simply model.  
18 It's the same as the blood bank decision. We have to  
19 decide how many acute infections we want to find for  
20 how much money and if we want to spend that much  
21 money to find that number of acute infections with  
22 the predicted outcome whether it's curing a person or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 treating him earlier or preventing an additional X  
2 number of infections, we can do it. At this point, I  
3 don't think we have enough -- I mean the policy has  
4 to stem from the data we collect. We don't have  
5 enough data. But I agree with what you said. It's  
6 like we can model and say do we want to spend the  
7 money and that's what the blood bankers do.

8 MEMBER REZNIK: Dr. Cohen, thank you for  
9 a very engaging presentation.

10 (Applause.)

11 MEMBER REZNIK: And you didn't speak as  
12 fast as I do.

13 DR. COHEN: I can speak faster than you.

14 MEMBER REZNIK: I don't know. I'll turn  
15 it over to Dr. Sullivan.

16 CO-CHAIR SULLIVAN: Let me add my thanks  
17 to you for a very informative and challenging  
18 presentation. We've now come to the Public Comment  
19 section of our meeting where the members of the  
20 public are invited to address the Council. The  
21 rules are as follows. Those of you who wish to  
22 address the Council if you come to the microphone,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identify yourself and the organization which you  
2 represent and proceed with your comment. Each person  
3 will have three minutes for comments and we'll ask  
4 you to observe that limitation so that we will have  
5 ample time to respect everyone who is here. With  
6 that, we will proceed. Yes.

7 MR. WILSON: My name is Mel Wilson. I  
8 represent the National Association of Social Workers  
9 and what I'm going to do just sort of give you a  
10 little background of who we are but then hit some  
11 highlights of concerns and issues that we want to  
12 talk about. So I'll be reading from my little paper  
13 and hopefully we can get some comments back.

14 The National Association of Social  
15 Workers is pleased to submit the comments. We were  
16 founded in 1955. NASW seeks to enhance the well-  
17 being of individuals, families, communities through  
18 its work and advocacy. Social work is suited for  
19 addressing complex problems associated with the  
20 HIV/AIDS epidemic because of its comprehensive  
21 approach and commitment to social justice.

22 Now a couple of key points we want to hit

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on. NASW believes that federal resources must ensure  
2 that all person living with HIV and AIDS have access  
3 to comprehensive services. Therefore, we support the  
4 language addressing treatment and care within  
5 committee's publication, *Achieving an HIV Free*  
6 *Generation: Recommendations for a New American HIV*  
7 *Strategy* in which the right to such access is clearly  
8 stated and that's something that the NASW supports.

9 We agree that all persons living with  
10 AIDS must have access to a core set of services to  
11 facilitate their remaining end care. This include  
12 primary medical care, medications, case management,  
13 oral health, mental health, substance abuse treatment  
14 and support services. But adequate resources must be  
15 available to ensure that comprehensive services are  
16 available to person both living with HIV/AIDS and  
17 those affected by HIV/AIDS.

18 One of the ways we want to define  
19 comprehensive services is a broader definition. We  
20 want to include services such as suitable and  
21 affordable housing, for us to care for both children  
22 and adults, access to accurate information including

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 comprehensive sexuality education, legal services,  
2 transportation and culturally-appropriate and  
3 language-accessible care. The little fact that we  
4 want to share is that the NASW or social workers are  
5 the largest provider of mental health services in the  
6 United States and that we feel strongly that mental  
7 health services, substance abuse treatment and  
8 adherence counseling and case management should be a  
9 major goal for ongoing treatment and care of a person  
10 living with AIDS. So my little note about shortness  
11 of time.

12 Therefore, we strongly encourage again  
13 going back to your document recommendations outlined  
14 in achieving an HIV free generation regarding  
15 training professionals that would be expanded beyond  
16 simply medical professionals. One of the positions  
17 that the NASW takes is that often times nonmedical  
18 professionals are assumed by ignored and we want to  
19 be sure that we are included in any attempt to  
20 improve the level of care that we provide.

21 There are several examples of enhancing  
22 the care of this workforce, the social services

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 workforce, things such as tuition reimbursement for  
2 social worker and other professionally trained mental  
3 health care providers who choose to provide HIV  
4 mental health and behavior health care in under-  
5 served communities. In conclusion, we do encourage  
6 this committee that it does support the  
7 reauthorization of Ryan White and specifically NASW  
8 recommends that the Minorities HIV Initiative be  
9 fully funded at \$610 million in fiscal year 2007.  
10 Thank you very much.

11 CO-CHAIR SULLIVAN: I failed to note also  
12 that if you have a written document that the  
13 committee invites you to leave that document with us.

14 Yes.

15 MR. SCHMID: Hi. Good morning. I'm  
16 Carl Schmid, Director of Federal Affairs for the AIDS  
17 Institute. I've given I think the staff a copy of my  
18 written comments and hopefully you'll receive them.

19 Today we'd like to discuss three issues  
20 with you, the authorization levels in the proposed  
21 one-time ADAP booster fund and the proposed Ryan  
22 White Reauthorization Bill and ADAP funding in FY

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 '07. While we're generally supportive of the  
2 reauthorization legislation currently before the  
3 Congress, we're extremely concerned about the  
4 unrealistically low funding levels proposed over the  
5 next five years. Depending on the title, it's either  
6 flat-funded or an increase of 3.7 percent per year.  
7 These levels don't even keep up with the medical  
8 inflation rate, let alone the growing demand for  
9 medications and health care services.

10 In the years ahead, the number of people  
11 who rely on the CARE Act will continue to rise.  
12 People are living longer. There are 40,000 new  
13 infections every year. More people are being found  
14 to be positive through increased testing efforts.  
15 There are several new drugs coming on the market and  
16 health care costs and drug costs continue to climb.

17 We're particularly concerned about the  
18 propose flat funding of Title IV when there is an  
19 increase of more women and younger people affected  
20 with AIDS. We're also concerned about the extremely  
21 low increases proposed for ADAP when the role of  
22 medications continue to grow.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           While the Bill has taken steps to help  
2 alleviate the ADAP crisis in certain distressed  
3 states such as increasing the ADAP supplemental from  
4 three to five percent, the overall ADAP authorization  
5 is not increased in FY `07. Since more money will be  
6 going to the supplemental, less money will therefore  
7 be available in FY `07 through the ADAP formula. As  
8 a result, many states will actually see less funding  
9 next year.

10           So we would like to see at least an --  
11 Sorry. As a result, many states will see less  
12 funding in FY' `07 and an -- increase after that in  
13 FY `08. It will translate into only \$30 million a  
14 year which is a too little amount of money. We ask  
15 you to join us in asking the Congress to increase the  
16 authorization levels proposed in the Bill.

17           Also any funding for ADAP has to be more  
18 than just one year. There's a proposal to do just a  
19 one year booster fund. You know that people have to  
20 maintain their medications and we're afraid when  
21 those patients or when the state stop receiving that  
22 money, they're not going to have the continued funds

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for those medications.

2 And finally, as I said earlier, we're  
3 very concerned about no increase for ADAP in FY `07.

4 So we would ask you to encourage the Appropriations  
5 Committee to find ADAP an increase in FY `07. Thank  
6 you.

7 EXEC. DIRECTOR GROGAN: Do you have Daren  
8 Dosier? Is there anybody else for Public Comment?  
9 That's it. Okay.

10 CO-CHAIR SULLIVAN: All right. That  
11 concludes then our Public Comment section. I'm going  
12 to suggest because we want to be sure that we finish  
13 by 4:00 p.m. because I know some of you have  
14 commitments. Yes. So we will work to see that we  
15 will finish by 4:00 p.m. Before we break, Joe Grogan  
16 has just reminded me of a ritual that we really need  
17 to go through. So, Joe, why don't you proceed.

18 EXEC. DIRECTOR GROGAN: As I said  
19 yesterday, we're losing some valuable members of the  
20 Council and it's a sad day for me personally and for  
21 all of us. I want to take the opportunity before I  
22 present those departing members with their service to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 read a letter from Secretary Leavitt that was sent  
2 today to Dr. Sullivan to his office. But I just  
3 wanted to share it with the rest of the Council and  
4 with the official record and the public.

5 "Dear Dr. Sullivan, It is with deep  
6 regret that I am unable to thank you in person for  
7 your years of service as Co-Chairman of the  
8 Presidential Advisory Council on HIV and AIDS. For  
9 over four years, you have distinguished yourself  
10 through steady visionary leadership in an area of  
11 deep concern to the President of the United States  
12 and to all of us here at the Department of Health and  
13 Human Services.

14 Under your leadership, PACHA has provided  
15 invaluable advice to President George W. Bush and the  
16 team of people working across the Federal Government  
17 to fight this disease. Your tenure has seen progress  
18 that five years ago would have been unimaginable.  
19 During your tenure, the President launched the  
20 Emergency Plan for AIDS Relief, the largest  
21 international public health effort in history, called  
22 for the renewal of the Ryan White CARE Act in two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 State of the Union Addresses, delivered emergency  
2 medications to those on ADAP waiting lists, unveiled  
3 an ambitious HIV testing initiative, called for  
4 greater funding of prevention and testing resources  
5 and brought a renewed focus to the disproportionate  
6 impact this dreaded disease is having upon African  
7 Americans. Your leadership played a central role in  
8 all of these efforts and your legacy will be an  
9 unwavering determination to achieve the dawn of  
10 generation free of HIV.

11 Your time on PACHA is but a small part of  
12 a public health career marked by self sacrifice and  
13 service to public health. Not only as Secretary of  
14 this great Department, but as a physician,  
15 administrator and public servant, you have  
16 contributed immeasurably to the health of all  
17 Americans. In your capacity as an advisor and  
18 counselor, I have come to rely upon your experience  
19 and wisdom.

20 My sadness at marking the end of your  
21 tenure as Co-chair of PACHA is mitigated by the  
22 certain knowledge that I can look forward to working

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with you in the future on many areas of public health  
2 concern. I know that you will continue to be a  
3 valuable resource to the President and myself as we  
4 work to help Americans live longer, healthier, more  
5 productive lives. God bless you and your continued  
6 work. Secretary Michael Leavitt."

7 (Applause.)

8 CO-CHAIR SULLIVAN: Thank you very much.

9 A quick comment which I will certainly share with  
10 Secretary Leavitt. I think all of us are here  
11 because we want to serve the public. We want to see  
12 that those things that interfere with our ability of  
13 our citizens to live healthy, productive lives that  
14 we make changes so that their lives will be better  
15 and we're also here because I think we receive  
16 gratification from service. We are because we do  
17 really benefit ourselves I think psychologically and  
18 otherwise from seeing the result of our efforts.

19 And I would like to say to my colleagues  
20 that I leave behind I think that the Council will  
21 continue to do excellent work. I think this session  
22 has been really quite outstanding and I'm sure that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 this will continue to improve. So thank you very  
2 much. It's really been a pleasure and I'm sure that  
3 I will interact with many of you in the coming years  
4 in many other capacities, but the bottom line is  
5 serving the public and we're very privileged to have  
6 that opportunity. Thank you.

7 (Applause.)

8 EXEC. DIRECTOR GROGAN: So, Dr. Sullivan,  
9 I'm going to give you your plaque here and I have a  
10 set of cufflinks. My suspicion is you may have a  
11 pair of these already probably, probably a stack of  
12 them somewhere but thank you very much.

13 Dr. Sweeney was the only one to make a  
14 demand of me that not only would she get a lapel pin  
15 as the women normally get but she demanded cufflinks  
16 because she's that type of pushy New York broad she  
17 said. So here is your lapel pin and your cufflinks  
18 as well.

19 (Applause.)

20 MEMBER SWEENEY: I just wanted to say two  
21 sentences, David. Dr. Sullivan, you've already  
22 received your comments from our Secretary, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they're not mine. I want to say thank you very much  
2 for all of your leadership during these four and a  
3 half years that I've been here and how much I've  
4 learned from being on the committee and to Joe Grogan  
5 and the wonderful staff, Dana, who did so many things  
6 to you that I won't even tell you now some of the  
7 things she had to do to me and for me and to our  
8 staff who is our recorders who sometimes I would  
9 think how can they stand us. They ask us one simple  
10 thing, just put our microphones on and say our name  
11 and we didn't do it and they still were very  
12 pleasant. So I thank you, Joan, and the rest of you  
13 very much.

14 And for all of my fellow PACHA members,  
15 I'm really deeply sad for two reasons. One is that  
16 we continue to need to be here. There's a  
17 disconnection between what we know and what the  
18 public knows. There was a major research study that  
19 showed only 17 percent of Americans think HIV is  
20 still a major issue. In an African American press  
21 that same week, it showed that over 60 percent think  
22 it's a major issue. So there is a major disconnect.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       So all of my fellow PACHA members, there is a lot of  
2 work left to be done and I'm sure you will.

3               And my sadness is mitigated by the fact  
4 that I hope to continue to work with many of you in a  
5 different capacity. I will leave with something the  
6 Churchill said that I often use is that "You make a  
7 living by what you earn. You make a life by what you  
8 give." And for all of you for all that you give, I'm  
9 very thankful to have been able to work with you.  
10 Thank you.

11               EXEC. DIRECTOR GROGAN:       And Dr.  
12 McIlhaney, I have your plaque and your cufflinks for  
13 you.

14               MEMBER McILHANEY: Very briefly, I think  
15 that what David Reznik said, I think it was in *Blade*.  
16 Was that the magazine where you made your comment  
17 about the fact that this group had worked together  
18 well? I really thought that was first a very kind  
19 thing to say and I think also it reflected what  
20 people can do if they have a desire for the good of  
21 the public and are willing to sit down and work  
22 together and I think that he reflected what this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group has done very well in what he said.

2 It's been a privilege to be a part of it.

3 I've learned a lot. I've enjoyed working with every  
4 one of you and I agree, Dr. Sullivan, that you've  
5 done a wonderful job of guiding this group and, Joe,  
6 you and Dana and the rest of you, have done just a  
7 wonderful job of corralling us and thank you for  
8 doing that. It's a privilege to have been a part of  
9 this and I'm glad to know you people that are new in  
10 the group and to know that you'll continue what's  
11 already been begun and I just hope and pray that the  
12 number of people infected with this horrible disease  
13 just goes down dramatically as a result of the work  
14 that you're going to do. So thank you.

15 CO-CHAIR SULLIVAN: We will take ten  
16 minutes for our break, but then we'd like to begin a  
17 working lunch at 12:10 p.m.

18 EXEC. DIRECTOR GROGAN: We're going to go  
19 to break and they'll bring us lunch. So we'll go  
20 directly to the room.

21 CO-CHAIR SULLIVAN: All right.  
22 Correction. We'll go to our breakout rooms where

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we'll have lunch there and the International  
2 Committee is at Room 742G and the Treatment, Care and  
3 Prevention Committee are 325A. So the lunch will be  
4 provided to you there.

5 EXEC. DIRECTOR GROGAN: Treatment, Care  
6 and Prevention are together in 325A.

7 CO-CHAIR SULLIVAN: We are scheduled for  
8 reconvening at 2:15 p.m. Should the committees  
9 finish earlier, we will indeed send word to the rooms  
10 for reconvening here earlier. But the scheduled time  
11 is 2:15 p.m. Off the record.

12 (Whereupon, at 12:02 p.m., the above-  
13 entitled matter recessed to the breakout room for a  
14 working lunch to reconvene at 2:06 p.m. the same  
15 day.)

16 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

17 2:06 p.m.

18 CO-CHAIR SULLIVAN: On the record. I  
19 think the way we plan to proceed is to receive the  
20 committee reports, there will be two reports, from  
21 the Prevention and Treatment and Care Committee and  
22 with discussion and then from the International

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee with discussion. So I think we commence.

2 David, are you ready for your report?  
3 Then we will let Dr. Reznik give us a report from the  
4 Treatment and Care and Prevention Committee.

5 (Pause.)

6 MEMBER REZNIK: Okay. Are we ready for  
7 motion time? I actually put up Resolution No. 2  
8 which really does deal with Dr. Saag's presentation  
9 that we heard this afternoon. I don't think I need  
10 to read it out loud. Actually, reading these out  
11 loud is sort of spooky. But if everyone would take  
12 the time and let me know when they want me to go a  
13 page down and then we'll go through edits.

14 (Pause.)

15 MEMBER REZNIK: There's been a request to  
16 read the "Be it resolved" part. "Be it resolved that  
17 PACHA strongly recommends that the President of the  
18 United States and Secretary of Health and Human  
19 Services use all means available including expanding  
20 existing programs such as the National Health Service  
21 Corps for medically under-served specialties to  
22 ensure that creative" I didn't fix the sentence,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sorry, "to ensure that creative solutions be found to  
2 address the shortage of primary care providers  
3 properly trained to manage HIV disease consisting of  
4 but not limited to the following:" and there's a  
5 problem with the sentence that I have to fix, we  
6 forgot about that, "tuition reimbursement for health  
7 care workers who choose HIV care in medically under-  
8 served areas, recognition of HIV care as a medical  
9 specialty, incentives for more nurses, physicians  
10 assistants, nurse practitioners and physicians to be  
11 certified through their appropriate associations,  
12 ensure adequate reimbursement for HIV care and  
13 promotional programs to increase the diversity of  
14 health professionals trained in HIV care. Be it  
15 further resolved, the Secretary of Health and Human  
16 Services put a team in place including expertise in  
17 health care economics to consider long-term solutions  
18 to address the shortage of qualified health care  
19 professionals, nurses, physicians assistants,  
20 physicians and other key providers of care throughout  
21 the United States."

22 That was in reference to Dr. Sullivan's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments that we heard earlier. I do need to fix  
2 this sentence though.

3 MEMBER YOGEV: When you say "shortage of  
4 health care professionals" specifically for HIV or in  
5 general?

6 MEMBER REZNIK: Our charter is an HIV  
7 advice so I would have to leave for interpretation.

8 MEMBER YOGEV: I would just add it.

9 MEMBER REZNIK: But there are shortages  
10 in medical providers and nurse providers.

11 MEMBER YOGEV: That's my point.

12 MEMBER REZNIK: So I think we're limited  
13 into what we can advise. I sort of left that a  
14 little broad.

15 MEMBER YOGEV: What I'm saying is just to  
16 allude to HIV because there is a shortage all over in  
17 nurses for example and you want this specific in HIV.

18 CO-CHAIR SULLIVAN: I would agree with  
19 David's focus that we're making these recommendations  
20 as the President's Advisory Council on HIV/AIDS. But  
21 I think there's a way we could perhaps address this  
22 that is "shortage of health professionals for care of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 AIDS patients" which is complicated by the  
2 recognition, the increasing general recognition of  
3 shortages health professionals, in other words,  
4 somehow saying that this is occurring within an  
5 environment of shortages, but we are focused on the  
6 shortage for HIV care.

7 MEMBER GREEN: Can I ask a question just  
8 because it was raised earlier. If part of the  
9 problem in recruitment is a disinclination for  
10 whatever reasons to work with gay men, injecting drug  
11 users and maybe poor African Americans, what kind of  
12 incentives would be needed to over come that whatever  
13 that is, disinclination?

14 CO-CHAIR SULLIVAN: Yes. If I could  
15 comment on that because what we heard this morning  
16 also was a lot more than this. That is the  
17 inadequate reimbursement for care that is the  
18 environment here that's creating the problem here.  
19 So it seems to me that we were to have a sentence  
20 such as "better reimbursement for care and again  
21 streamlining the bureaucracy" because we also heard  
22 about the inordinate amount of time to have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prescriptions filled, etc. So the manpower issue is  
2 part of it, inadequate resources to pay for care  
3 being another, but then the environment in which  
4 these health professionals have to work with all of  
5 the bureaucracy being another. So somehow it seems  
6 to me that we need to recognize that as part of this  
7 resolution.

8 MEMBER YOGEV: I don't see where is the  
9 increasing number of patients with the new policy of  
10 opt-out anticipated to increase 25 to 30. I don't  
11 see that.

12 MEMBER REZNIK: I'm sorry. I can't type  
13 and listen at the same time.

14 MEMBER YOGEV: I can't type. You see  
15 that's my luck. I don't see the "whereas" and maybe  
16 it's hidden there that with the new policy of opt-out  
17 the anticipated increase in 25 to 30 percent of HIV  
18 infected individuals who are going to increase the  
19 burden acutely. Is it there?

20 MEMBER SWEENEY: It's not there. It's an  
21 excellent point and we didn't think of it. Thank  
22 you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER REZNIK: How would you like me to  
2 word this? "The CDC's effort to identify those of  
3 unknown status." How would you like it?

4                   MEMBER YOGEV: "Whereas universal HIV  
5 testing is expected to increase the number of HIV  
6 infected patients known to the system by 25 to 30  
7 percent which increases burden."

8                   MEMBER REZNIK: Did that type right?  
9 Yes, I see it. Does that address the concern  
10 appropriately?

11                   CO-CHAIR SULLIVAN: If I could make  
12 another editorial suggestion.

13                   MEMBER REZNIK: Yes sir.

14                   CO-CHAIR SULLIVAN: The next line where  
15 you say "HIV medicine is not a lucrative profession"  
16 I would prefer it something perhaps as not a "well-  
17 paying profession" because by implication this means  
18 that the rest of medicine is lucrative. But some  
19 would argue with there, but there could be other ways  
20 of saying that.

21                   MEMBER REZNIK: Does that work if I  
22 delete? If I can find the delete button and maybe I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can't.

2 MEMBER BOLLINGER: David, scroll down  
3 just a little bit. I have a very quick question  
4 about.

5 MEMBER REZNIK: Okay. Think on this one.

6 MEMBER BOLLINGER: It's right there. "Be  
7 it resolved that creative solutions be found to  
8 address the shortage of primary care providers." Do  
9 we want to restrict that term of primary care  
10 providers? It just seems to me that's --

11 MEMBER REZNIK: Health care providers.

12 MEMBER BOLLINGER: I think we're talking  
13 about people who care for HIV patients. It's not  
14 just primary care providers. It's specialists in HIV  
15 care. It's a broader issue.

16 MEMBER REZNIK: I agree. There's the  
17 dental thing I left out for a change. For those of  
18 you wanting to know this is dental plaque under a  
19 microscope which I did have to get my dental thing  
20 out.

21 MEMBER SWEENEY: David, dental is primary  
22 care.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MEMBER REZNIK:    I know.    Who are you  
2   telling that to?   Don't type that.    Okay.    Dr.  
3   Sullivan, did we address your concern because there  
4   is something on -

5                   CO-CHAIR SULLIVAN:   Well, it's simply a  
6   phrase.

7                   MEMBER REZNIK:    And you want?

8                   CO-CHAIR SULLIVAN:   Near the bottom you  
9   say "ensure adequate reimbursement for HIV care."  
10   It's there but I guess for my view it doesn't have  
11   sufficient emphasis because what we heard this  
12   morning I thought was an environment in which the  
13   reimbursements are so poor that it's affecting the  
14   whole system.    So it's a question of emphasis here.  
15   Is that adequate?

16                  MEMBER REDFIELD:   Just to follow up on  
17   that, I think that is the driver.

18                  MEMBER REZNIK:    So it should be at the  
19   head of the list.

20                  MEMBER REDFIELD:   I mean the fact that  
21   HIV care and treatment right now doesn't provide the  
22   economic return to the system such that it's in most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 centers it's a lead loser. So I think you want to  
2 emphasize that that is the driver. If we can correct  
3 the reimbursement issues related to care and  
4 treatment that other things will follow.

5 MEMBER REZNIK: Okay. So I moved it but  
6 that doesn't mean we've fixed the language yet. So  
7 we only have "adequate reimbursement." "Appropriate  
8 reimbursement" or how would we like to? I'm open for  
9 suggestions.

10 MEMBER YOGEV: Incentivize.

11 MEMBER REZNIK: Incentivize  
12 reimbursement.

13 CO-CHAIR SULLIVAN: What about something  
14 like "sufficient reimbursement to cover costs of  
15 care" because I think --

16 MEMBER HOLMER: (Inaudible.) This is  
17 Alan Holmer. Including "first and foremost" if  
18 everybody agrees it's the most important item.

19 CO-CHAIR SULLIVAN: Yes, I could agree  
20 with that because for those are in the system now,  
21 that if we can get some relief, that certainly would  
22 be it, whereas the manpower issue is going to take

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time to address. So it seems to me the first thing  
2 is to try and keep the system that we have now from  
3 falling apart by adequate reimbursement, but also  
4 streamlining the system of the bureaucracy because it  
5 means we are wasting precious manhours of time on  
6 bureaucratic things as we heard. So we could use the  
7 people we have in the system now more effectively if  
8 we somehow got rid of it and they didn't have spend  
9 all the time waiting for approval of prescriptions on  
10 the telephone etc.

11 MEMBER HOLMER: So how about "ensuring  
12 sufficient and streamlined reimbursement"?

13 MEMBER REZNIK: Well, I have it down  
14 here.

15 MEMBER YOGEV: You have the same  
16 language.

17 MEMBER REZNIK: This is a lot of  
18 pressure.

19 MEMBER HOLMER: You're up to it.

20 MEMBER REZNIK: You're seeing my computer  
21 skills or lack thereof. "To access medications"  
22 because part of the bureaucracy is the reporting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 requirements included in the CARE Act just so you  
2 know. That's part of the paperwork that needs to be  
3 filled out on a daily basis for each and every  
4 patient. Do you not find that the case?

5 MEMBER REDFIELD: No, it's substantial.  
6 It's substantial.

7 MEMBER REZNIK: So that's why if we just  
8 leave this "bureaucratic requirements" but Michael  
9 was talking about was accessing ADAP or patient  
10 assistance programs.

11 MEMBER REDFIELD: Yes, what drives most  
12 of us that are in this business and have been in it  
13 like Michael is that -- And I agree with Michael.  
14 There's no patient at the University of Maryland  
15 that's never got access to a medicine that they need.

16 But it's because people spend literally hours to  
17 make sure that we are the thing of last resort and  
18 what you're hearing from him is a frustration and I  
19 was going to get at it and when you say "whereas  
20 medicine is not well-paid medical specialty" the real  
21 frustration that's starting to crack for all of us is  
22 that the allocation of resources meaning people,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 nurses, administrative people that people in and out  
2 of the clinic, the system is cutting them back and  
3 back and back because we're not profitable.

4           So now the one group that's not getting  
5 cut is the doctor because he's responsible for his  
6 own salary. But what's happening now is you're  
7 getting less and less support people. So even the  
8 good support people are burning out and now choosing  
9 to quit because we're just inadequately staffed and  
10 why are we inadequately staffed because ambulatory  
11 HIV care and treatment and I mean ambulatory is  
12 basically you cannot collect the resources required  
13 to run the business, bottom line, even with  
14 substantial Ryan White support. Most of our clinics  
15 are operating if you did a business pro forma on any  
16 of our ambulatory clinics I think in this country,  
17 many of them are non profitable.

18           Just to editorialize while he's  
19 finishing, to give an example, this year I literally  
20 had a 40 percent increase in clinic visits and for  
21 that, the institution cut me 1.5 nurses. Okay. So a  
22 40 percent increase in workload and I lost 1.5

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nurses.

2 MEMBER REZNIK: I added that.

3 MEMBER REDFIELD: I just started my 16-  
4 year-old daughter. She is working there as a  
5 volunteer and you think I'm kidding. She's there  
6 right now as we speak.

7 MEMBER YOGEV: David.

8 MEMBER REZNIK: I actually just added  
9 something to maybe make it read a little bit better  
10 which is --

11 MEMBER YOGEV: You want to address the  
12 financial and bureaucratic pressure.

13 MEMBER REZNIK: Right. So "ensure  
14 creative solutions we found to address the financial  
15 pressures placed on ambulatory HIV care and the  
16 shortage of health care providers properly trained to  
17 manage."

18 MEMBER YOGEV: But it's not only the  
19 financial pressure. It's also the bureaucratic  
20 pressures. That's what I'm saying. Put "financial  
21 and bureaucratic pressures placed on..."

22 CO-CHAIR SULLIVAN: What about "financial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pressure and bureaucratic obstacles"?

2 MEMBER SWEENEY: That I get.

3 MEMBER JUDSON: We were just reflecting  
4 that there's probably an inverse relationship between  
5 the length of a resolution and the likelihood that it  
6 will have any impact and I don't know how simply you  
7 can get. One might be that there is an emerging  
8 critical shortage of qualified health care providers  
9 for HIV treatment and we would simply urge the  
10 Secretary of Health and Human Services to address the  
11 problem and solve it, fix it.

12 CO-CHAIR SULLIVAN: I think you could  
13 make a good argument for that because the details of  
14 what are the mechanisms certainly the Secretary and  
15 his staff are fully aware of what those mechanisms  
16 are. So I don't think we necessarily have to spell  
17 those out. So I think that could streamline it and  
18 keep the focus.

19 MEMBER REZNIK: And they actually are  
20 spelled out already in our publication. That's where  
21 they came from, the blue book on page 38. It wasn't  
22 something that we just thought up. So I literally

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can take that out if there is agreement. Okay. Not  
2 agreement?

3 CO-CHAIR SULLIVAN: As long as you're  
4 consistent.

5 MEMBER REZNIK: Let's let Dr. Sweeney.

6 MEMBER SWEENEY: Just having all of the  
7 information in sort of one place as long as we can  
8 keep it one sheet of paper, I think their attention  
9 span might -- I mean I think they might have enough  
10 time to read one sheet if it doesn't go over one  
11 sheet. But I think having the facts there as we see  
12 them contributing to this problem and some of our  
13 recommendations as long as we can get it on one sheet  
14 of paper, I think it might be good to leave some of  
15 it in.

16 MEMBER YOGEV: I agree because if you  
17 just put general it just would take a long time to  
18 get to what you're suggesting. It would be very  
19 difficult to say no you cannot use that. So I agree  
20 we should leave it.

21 MEMBER McILHANEY: I just wonder if we  
22 couldn't do a simple resolution and then have this an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 addendum to it because we gained a lot of information  
2 today. Put a lot of that down in facts and it would  
3 be information the Secretary probably wouldn't really  
4 have or his staff.

5 MEMBER REZNIK: Well, my argument against  
6 that concept just to give the other side of the  
7 argument with Monica is (1) at least 80 to 90 percent  
8 of what we have in here was discussed in previous  
9 iterations. The diversity in the workforce was  
10 something that Dr. Primm had been championing and  
11 that's they made it into our treatment and care  
12 portion of our paper.

13 The other thing is there is something in  
14 here that Dr. Sweeney suggested that's not in our  
15 paper that is quite unique and it is "expanding  
16 existing programs such as the National Health Service  
17 Corps for medically under-served specialities"  
18 because for instance the city of Atlanta is not  
19 designated health shortage area but when it comes to  
20 providing HIV care, we are. So -

21 MEMBER REDFIELD: One of the things --  
22 I'm sorry.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER REZNIK: No.

2 MEMBER REDFIELD: One of the things I  
3 just want to throw out because the ultimate issue  
4 here is impact in terms of -- and I know all of us  
5 that's what we're committed about and we're talking  
6 about whether someone's going to get through this,  
7 one of the things we discussed in the International  
8 Committee because we were trying to look at some of  
9 the areas that were important and we'll talk about  
10 that when we get our chance.

11 But actually Dr. Sullivan proposed and  
12 the committee really thought it was an important  
13 thing to do is that the out-going chairs, themselves  
14 and Anita Smith, would meet with the Secretary and  
15 articulate several high priority issues. From my  
16 point of view of Secretary Sullivan went to meet  
17 Secretary Leavitt and eyeball to eyeball articulated  
18 this concern with the request that this committee  
19 had for him to address it that would carry a lot. In  
20 my view that would probably carry a lot more impact  
21 and it's something to think about because we were  
22 going to ask him to do that on a couple of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 international issues and I know he was going to do  
2 that also on these domestic issues. So I don't know  
3 if he wants to comment or other people would like to  
4 comment.

5 CO-CHAIR SULLIVAN: Well, I guess my  
6 comment would be this that I think a lot of the  
7 details of this such as tuition reimbursement and  
8 expanding the National Health Service Corps are  
9 things that really could be part of a discussion  
10 because one of the things we want to be sure is that  
11 we don't have our resolution hijacked by other  
12 people. So in other words, I think on any number of  
13 these which are very meritorious we could find the  
14 press somehow focuses on the Council recommends  
15 expansion of the National Health Service Corps.  
16 Well, that will create its own dynamic.

17 So my thought is what we want to do is to  
18 be helpful in getting some solutions. So that why my  
19 thinking was we could point what the issue is in a  
20 general way in the resolution but then in the  
21 discussion, assuming we have this meeting, we would  
22 then get into those kinds of discussions as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 somewhat private conversation with the Secretary.  
2 These are the things that we think could be helpful  
3 as you look at this, etc.

4 MEMBER REZNIK: Okay. So any opposition  
5 to me hitting the delete button? Well if there is  
6 opposition, I'll hear about it.

7 MEMBER REDFIELD: One of the things you  
8 could say then is "Be it resolved that the  
9 President's Committee," I don't know if you said it  
10 earlier. Maybe you said it at the very beginning  
11 "recognizes a current and growing," you said that,  
12 maybe, "recognizes a current and growing loss of  
13 health care professionals..." and then you tell the  
14 Secretary basically to solve it.

15 MEMBER REZNIK: And maybe we should move  
16 this particular bullet up a little higher or do you  
17 like it where it is?

18 MEMBER YOGEV: That should be the second  
19 bullet. The first one is exactly what I thought Dr.  
20 Redfield is suggesting, instead of a developing  
21 crisis already in taking care of those patients and  
22 whereas 25 is going to be added and then everything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 else. But there is no sentence that there is a  
2 developing crisis, an emerging crisis already.

3 MEMBER BOLLINGER: I don't know. Again,  
4 we want to keep it short. Dr. Sullivan raised the  
5 issue about the administrative, the bureaucratic  
6 hassles. I mean do you want to have a whereas there  
7 that basically lays that out as an issue or is that  
8 something that gets discussion privately?

9 MEMBER REZNIK: I could put "already  
10 overburden system of care" but that's going to raise  
11 flags or two.

12 PARTICIPANT: Bureaucratic barriers --

13 MEMBER REZNIK: That's in there. I think  
14 we have that.

15 PARTICIPANT: (Inaudible.)

16 MEMBER REZNIK: It might not be a whereas  
17 but it's definitely in the "be it resolved."

18 CO-CHAIR SULLIVAN: I think it might be  
19 helpful to have a whereas related to that because  
20 this is one of the fundamental issues here and you  
21 also say that if the Council agrees with this  
22 strategy, I would like to be sure that all of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 details I would be given those. So I don't want to  
2 have the meeting with the Secretary and then walking  
3 out his office and remember the four things that we  
4 forgot about. So I think the help of this Council in  
5 really being sure we have those issues.

6 MEMBER REZNIK: Any thoughts?

7 MEMBER BOLLINGER: I would suggest using  
8 the term like "cost effectiveness" or "bureaucratic  
9 obstacles reduce the cost effectiveness of the  
10 current investment in HIV care."

11 MEMBER REZNIK: Okay. "Reduce the cost  
12 effectiveness of..." Is that right, Bob?

13 MEMBER BOLLINGER: That's fine.

14 MEMBER REZNIK: That's fine.

15 MEMBER YOGEV: Will you have the "it's a  
16 developing crisis already, emerging crisis"? Just  
17 use the "Whereas, there is a complicated disease.  
18 Whereas already the HIV care system is an emerging  
19 crisis."

20 MEMBER REZNIK: I mean it could be worded  
21 into this sentence if there is a desire to add that.

22 I think the universal text is we could put "into an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 already overburden system."

2 MEMBER YOGEV: But put it by itself.  
3 This is the message we got today that there is a  
4 crisis.

5 MEMBER REZNIK: We have a message from  
6 one clinic. Is that --

7 MEMBER YOGEV: No. Everybody agreed. I  
8 mean the clinic here we agreed.

9 MEMBER REZNIK: I mean if we say that  
10 there is a crisis and in the next motion we have left  
11 out a funding issue, then I'm finding a conflict in  
12 what we're saying. Is there a consensus that there  
13 is an emerging crisis in the management or are we in  
14 a crisis already or are we expecting a crisis? I  
15 mean I know we're overburdened. There is no question  
16 about that.

17 CO-CHAIR SULLIVAN: I think it's a  
18 question of definition. I think it's fair to say  
19 that in some areas of the country we do have a  
20 crisis.

21 MEMBER REZNIK: Okay. And somebody is  
22 going to have to help with this one.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER YOGEV:     Put it before the 25  
2 percent.

3                   MEMBER REZNIK:    Ram, I'm going to have  
4 you come up here and do this any second.

5                   MEMBER YOGEV:     Then you will sit here  
6 until 10:00 p.m. because my skills are even less than  
7 yours.

8                   MEMBER REZNIK:    "Whereas..."

9                   MEMBER YOGEV:     What it is already.

10                  MEMBER REZNIK:   No, I need help with the  
11 writing. Don't think you're going nowhere here.

12                  MEMBER YOGEV:     Sorry. Because can we  
13 raise toward the end an issue I discussed with a  
14 couple members of the Council about response to our  
15 resolution. We produced the resolutions. They are  
16 going somewhere. Some of them are not reflected and  
17 there is no accountability from what we recommend why  
18 they were not accepted, what was the reason. It  
19 might be political. It might be no reason. Is there  
20 any mechanism that we can develop to hear back what  
21 resolution we worked so hard to develop?

22                  EXEC. DIRECTOR GROGAN:   It's a legitimate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concern but there is a couple things with that. If  
2 say for this resolution, there's a mechanism in place  
3 to get a response. Guaranteed the first response is  
4 going to be form letter because so many of these  
5 things take so much time. So the first mechanistic  
6 bureaucratic response would be we received the  
7 resolution and we're considering it and then what  
8 does that get you? So many of these things either  
9 have to take place over a number of months or six  
10 months or nine months and it's my personal opinion  
11 that the best way to deal with the resolutions and  
12 your feedback is to have the people who are  
13 responsible those decisions come back and report to  
14 the Council when they're ready to do it and then you  
15 have the Executive Director follow up personally.

16 The resolutions do go the Secretary, but  
17 frequently more importantly they go to the  
18 Secretary's staff responsible for putting the policy  
19 in place. So you're absolutely right to expect that  
20 people take these seriously and that they should come  
21 back and report, but if we set up a process by which  
22 we demand a response, it doesn't necessarily do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anything for us and frequently can hurt our efforts  
2 more than not because the first response will be we  
3 sent a letter. Let's not deal with this issue. When  
4 what should happen is we keep pressing until we get  
5 an action on the resolution, somebody reporting back  
6 to us in a subcommittee or in full committee about  
7 why this is taking place or not taking place.

8 MEMBER YOGEV: I'm 100 percent in  
9 agreement with you, but we don't have this mechanism  
10 and we should say okay this is the resolution and we  
11 come to you on this one. We expect a response from  
12 the staff or check on it in six months. So our  
13 agenda will start "Welcome to..." and part of our  
14 agenda will be resolutions X, Y and Z are now here,  
15 there and there or not moving.

16 EXEC. DIRECTOR GROGAN: I can do that. I  
17 mean if you want me to report back at a certain --

18 MEMBER YOGEV: Not a letter.

19 EXEC. DIRECTOR GROGAN: No, I know. If  
20 we want me to make sure that you get a response at a  
21 certain time, maybe for some resolutions it's  
22 important that you get a response back in one month,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other ones three months, other ones six months.  
2 I'll be happy to follow up on any one of those. But  
3 if you want to set up a defined mechanism, I would  
4 think that that's a bad decision.

5 MEMBER YOGEV: No, exactly what you just  
6 said. That's a mechanism. You come back in a month  
7 for example. You work very hard on this universal  
8 testing of newborn whose mother's -- and specifically  
9 to talk to CDC or whatever. They will start out for  
10 a political reason which I totally understand.  
11 Nothing happened as far as we know. Maybe it did.  
12 So this is one for example that it will be within a  
13 month or three months and you need to announce it  
14 because it's coming out. This one may be a little  
15 bit longer and I leave it to you to make the  
16 decision. But to come back to the Council, let them  
17 know great, not so great, failed so we know where we  
18 are.

19 EXEC. DIRECTOR GROGAN: I'm happy to  
20 report back to you on any resolution passed by the  
21 Council on any subject matter and the full committee.  
22 I'm happy to do it and follow up with anything you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want me to do.

2 CO-CHAIR SULLIVAN: Joe, let me comment  
3 on this and really get your reaction. Certainly,  
4 there is a mechanism in place and that includes your  
5 responsibility. The idea that we talked about in the  
6 International Committee was to really have a  
7 mechanism to alert the Secretary that these are some  
8 of the real concerns of the committee here in really  
9 a friendly way, not in an accusatory way and to not  
10 interfere with the process. But when we talked for  
11 example on the Ryan White funding as I mentioned to  
12 the group, from what we heard I'm not sure that  
13 Congress is going to really finish that this year.

14 So whether having a discussion with the  
15 Secretary to express to him in a way that we would  
16 not want to in a resolution which is a public  
17 document, some mechanism of bringing this to his  
18 attention and by extension bringing this to the  
19 attention of all of those people who would be  
20 reacting and responding to the resolution, we saw  
21 that as a way, hopefully a positive way of saying we  
22 have some real issues here that we think that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 deserves some significant attention and these are  
2 they and we want you as Secretary and if Mark Dybul  
3 should be a part of that meeting and you to be aware  
4 of this, at least the Council has these concerns we  
5 wanted to share with you in a way that we would not  
6 want to do in a resolution. So that's really I think  
7 the thinking is.

8 EXEC. DIRECTOR GROGAN: Yes. I think  
9 that's a great approach and I spoke with Dr. Redfield  
10 about that briefly that it would be a good idea for  
11 you and Anita and maybe the incoming chairs, all  
12 four, to go and meet with the Secretary and Mark  
13 Dybul and whoever else is appropriate on the domestic  
14 front to discuss what the major concerns of the  
15 Council are and have a little bit of a discussion  
16 back and forth and I can work with you to make that  
17 happen.

18 MEMBER REZNIK: That gives continuity  
19 actually as well. I think --

20 CO-CHAIR SULLIVAN: David, could I make  
21 one other quick suggestion?

22 MEMBER REZNIK: Yes sir.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SULLIVAN: And that is universal  
2 testing. I would suggest we use the word "expanded  
3 testing" or something like that simply again because  
4 we put universal in. That's going to set off some  
5 other reactions here which we don't want to get into  
6 that fight.

7 MEMBER REZNIK: Okay. What about the  
8 title because I think that's a little on the red  
9 flaggish too? Well, I had to change the title  
10 because we basically changed the motion. "Pending  
11 Ambulatory." "Impending." "Emerging."

12 CO-CHAIR SULLIVAN: I think "emerging"  
13 would be appropriate because it is a crisis already  
14 in some areas and others it's not. So nationwide,  
15 this kind of covers the waterfront. Sure.

16 MEMBER REZNIK: Okay. Let's everyone  
17 take a look at the order, what's in there, as we're  
18 soon going to vote on this one and put it to bed or  
19 on its way to the Secretary.

20 MEMBER SWEENEY: This is the one I think  
21 I was talking about when we were in our subcommittee.  
22 It's in the, I think, fifth "whereas" last two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 words, three words, "improving the quality of an  
2 access to effective HIV medical care" and that's the  
3 one I was talking about.

4 MEMBER REZNIK: Where are you? I'm  
5 sorry. Third okay.

6 MEMBER McILHANEY: Whether or not we  
7 wanted to say "medical care" or "health care."

8 MEMBER REZNIK: Okay.

9 MEMBER SWEENEY: Because we want nursing,  
10 nutrition, social workers, everybody.

11 MEMBER REZNIK: Health care.

12 MEMBER SWEENEY: Yes.

13 MEMBER REZNIK: I think we decided to use  
14 health care throughout.

15 MEMBER SWEENEY: Yes. Okay.

16 MEMBER REZNIK: I just missed that one.

17 MEMBER SWEENEY: Thank you.

18 MEMBER REZNIK: Big eyes, but they need  
19 magnification these days and we did shorten the "Be  
20 it resolved" when you were out of the room. You  
21 might want to look up, Monica. We shortened the "Be  
22 it resolved" while you were out of the room.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER SWEENEY: Yes.

2 MEMBER REZNIK: Okay.

3 MEMBER SWEENEY: I don't know if we're  
4 voting exactly or not but I think we've wordsmithed  
5 this an awful lot and if we keep on, we'll never  
6 finish so maybe we should call the question.

7 MEMBER REZNIK: I call the question. All  
8 in favor? Raise your hand or say aye or whatever.

9 (Chorus of ayes.)

10 MEMBER REZNIK: Anyone opposed? If you  
11 are --

12 (No response.)

13 MEMBER REZNIK: Great. We can save this  
14 one. It has the wrong title. Shoot. This is one,  
15 isn't it?

16 PARTICIPANT: Two.

17 MEMBER REZNIK: Two. Okay.

18 PARTICIPANT: (Inaudible.)

19 MEMBER REZNIK: No, I probably did. We  
20 want to thank Troy for use of his -- This is a short  
21 one. I need to make it bigger so you all can read  
22 it. I got it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 PARTICIPANT: It's a little hard to read.

2 MEMBER REZNIK: Not anymore. Do you need  
3 the dark to read it or is it showing up? I can't  
4 see.

5 PARTICIPANT: (Inaudible.)

6 MEMBER REZNIK: The need to this  
7 resolution is that we're trying to get it done this  
8 session because we all heard Marty's words that if  
9 it's not done this session the bicameral/bipartisan  
10 administration process collapses.

11 (Discussion off microphone.)

12 MEMBER BOLLINGER: Could I ask? What  
13 were the concerns, David, about -- Obviously, we  
14 don't want to say anything specific about the funding  
15 but there is no mention whether the funding is  
16 adequate, inadequate?

17 MEMBER SWEENEY: Tell him --

18 MEMBER REZNIK: You tell him what you  
19 did. I've had the fun of getting things in there.

20 MEMBER SWEENEY: We had a big discussion  
21 in our subcommittee meeting that if we started  
22 dealing with what was flat funded, what was 3.7 and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       how the funding was to be changed or what we'd like  
2       to see changed that it would muddy the waters and we  
3       would possibly delay the chance of us getting it out  
4       of authorization this session. So we decided to take  
5       it out.

6                   MEMBER BOLLINGER: So what you decided to  
7       take out was specific recommendations, but what about  
8       a general comment about the level of funding in light  
9       of what we just heard about the change in, I mean,  
10      just the overall level? Bob, you should really be  
11      the one to comment since you know more about the  
12      practicalities of this.

13                   MEMBER REDFIELD: I guess there are two  
14      issues I should of agree with. With Monica obviously  
15      from a starting over point of view, I would like to  
16      see a lot of changes. The reality I think is if we  
17      suggest any changes that we're going to guarantee  
18      that this bill doesn't get passed. So I think even  
19      though it's hard, that's why I kind of read it and  
20      don't fully support the bill, but it doesn't say that  
21      I fully support the bill. It said I fully support  
22      the authorization during this Congressional session

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and I think if we start to suggest that they're not  
2 close to the goal line those people who want to block  
3 it and I gave you my perspective on where I think  
4 that's going will just use it.

5 MEMBER REZNIK: And we actually heard  
6 that there are certain things that are being  
7 negotiated at the last minute that the Appropriations  
8 increases are not and if it were earlier in the  
9 process, I might have fought harder, but we're at the  
10 end game. We're trying to get the ball over the goal  
11 line for you football fans from the south here. Go  
12 Gators. Any suggestions?

13 MEMBER BENAVIDEZ: David, the reference  
14 to the House and Energy Commerce Committee I think  
15 limits the time frame that this resolution is  
16 effective. I mean if it's not acted upon soon or  
17 there's a change of committee assignments. I mean to  
18 me it seems very limiting by putting that reference  
19 in there.

20 MEMBER REZNIK: So?

21 MEMBER BENAVIDEZ: I would just remove  
22 the whole "Whereas, Energy and Commerce Committees"

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and it may be said "Whereas the Congress is currently  
2 considering it." Leave it more broad.

3 MEMBER REZNIK: So you would like me to  
4 take this out. Let's see if we have agreement on  
5 this. Some info in here I don't think would hurt  
6 because many of the -- We didn't get everything we  
7 wanted or everything that we talked about but a lot  
8 of what we did talk about as a Council is included.  
9 I think that we should incorporate some of that. But  
10 the key is we want this to be done with. Yes sir.

11 CO-CHAIR SULLIVAN: I just have one  
12 suggestion. I would -- That next to the last --  
13 Well, the last "whereas" the last line, I would  
14 delete everything after PACHA because you're already  
15 said this is consistent with the recommendations made  
16 by the Advisory Council and the President has  
17 mentioned that because then I think that takes a  
18 little out of the potential for being seen as a  
19 political document.

20 MEMBER REZNIK: Okay. Questions?  
21 Concerns? Monica.

22 MEMBER SWEENEY: Maybe we can find out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 which Congressional session we're in. Is it 112th?

2 CO-CHAIR SULLIVAN: It's my understanding  
3 from Mosa yesterday that if this doesn't pass this  
4 time it will start all over.

5 MEMBER SWEENEY: I know but I just wanted  
6 the number in there.

7 (Several speaking at once.)

8 MEMBER SWEENEY: This blank, blank  
9 specifically put a number in there.

10 MEMBER REZNIK: During the 201st or  
11 whatever.

12 MEMBER SWEENEY: Something. Yes.

13 CO-CHAIR SULLIVAN: Well, I don't know.

14 MEMBER REZNIK: It's not summer session.

15 I think Congress --

16 PARTICIPANT: Whatever session.

17 DR. WASSEF: Whatever session. Whatever  
18 number it is.

19 CO-CHAIR SULLIVAN: Why don't you just  
20 say "conversation in 2006."

21 MEMBER REZNIK: I like putting in the  
22 number.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MEMBER SWEENEY: Joe said he would fill  
2 it in if we would like.

3                   MEMBER REZNIK: During the XXX and it  
4 would then be Congress. Right? Wouldn't it? The  
5 231st Congress. Isn't that how it should be worded  
6 or is it session?

7                   MEMBER YOGEV: Put it during the "current  
8 XXX Congressional session."

9                   MEMBER REZNIK: Well, the current --  
10 Okay.

11                   MEMBER HOLMER: We're in "The second  
12 session of the XXX Congress" whatever it is.

13                   MEMBER REZNIK: "During the Second of the  
14 XXX Congress."

15                   CO-CHAIR SULLIVAN: I suggest we get out  
16 of the bureaucratic mode and let our staff fill it  
17 in.

18                   MEMBER REZNIK: Not a problem. I'm just  
19 getting the outline for them. Is that good? We have  
20 the intent. Are we all in agreement? I called the  
21 question. All in favor say aye and raise your hands.

22                   (Chorus of ayes.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER REZNIK: All opposed?

2 (No response.)

3 MEMBER REZNIK: That ends the Treatment,  
4 Care and Prevention section motions.

5 (Applause.)

6 MEMBER YOGEV: (Inaudible.)

7 MEMBER REZNIK: I run a very large  
8 website.

9 CO-CHAIR SULLIVAN: Why don't you go  
10 ahead?

11 MEMBER REDFIELD: Thanks. Just an update  
12 on the International subcommittee. We really  
13 addressed and discussed several issues, but really  
14 focused down on two and as I mentioned before, the  
15 question was really how to proceed. I think the  
16 group as a group didn't feel at this stage any  
17 particular resolution would necessarily be required  
18 but rather what we proposed is really first focused  
19 on in a fairly focused way in the International  
20 committee the importance of the current PEPFAR  
21 program and in particular, the importance as we can  
22 see from our domestic Ryan White situation with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reauthorization on the PEPFAR reauthorization.

2           So in that context in the discussion, Dr.  
3 Sullivan suggested and the committee concurred that  
4 as the outgoing chairs, himself and Anita Smith would  
5 meet with the Secretary and in the context of that  
6 underscore PACHA's view of the importance  
7 particularly of the PEPFAR program from the point of  
8 view in particular the reauthorization process and  
9 that the committee would, PACHA would, be happy to  
10 provide assistance particularly if the Secretary  
11 would find it helpful to do a strategic review of  
12 some of the critical issues related to the PEPFAR as  
13 would pertain to the potential reauthorization  
14 process particularly focusing on structural issues,  
15 impact assessment issues and balance of funding  
16 issues. So really that was the discussion. It's the  
17 plan of the International Committee to begin to try  
18 to address some of these issues as we move forward  
19 and it's the anticipation that the Secretary's office  
20 will find the input something that would be helpful  
21 and therefore request it. I don't know. Dr.  
22 Sullivan, do you want to comment any further on that?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CO-CHAIR SULLIVAN: No, only that it  
2 seems to me that if we were to have this discussion  
3 it really would be with the purpose of saying to the  
4 Secretary these are issues which we are very  
5 concerned about. We want to bring them to your  
6 attention. We would like to offer our assistance to  
7 you if this would be helpful, to really to offer to  
8 try and help get out in front of some of these things  
9 and as I was saying earlier, we could really have  
10 some of the detailed issues here and of course, we  
11 will have these resolutions here to form the basis  
12 for our conversation.

13 So I can't speak for the Secretary but I  
14 would think that he would find this kind of  
15 discussion helpful because we're dealing with a lot  
16 of difficult issues. He's dealing with the tug of  
17 war between what the President wants to do and what  
18 the Congress is willing to do and other issues like.

19 But the purpose of this would be really to say to  
20 the Secretary we are concerned about these. We want  
21 to be helpful to you if you see that the Council can  
22 be in trying to address issues in an effective way.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So that's really the spirit of the discussion that we  
2 had.

3 MEMBER REDFIELD: And then the intent  
4 would be really to take the lead from what the  
5 committee had done in the past from the document that  
6 was prepared. It was suggested that we would go  
7 forward and hope by the first quarter of 2007 to have  
8 an Executive white paper that PACHA could prepare  
9 with really addressing some of these key issues and  
10 proposed analysis or input from them that could then  
11 be used as a helpful document to move forward with  
12 this reauthorization process.

13 I think the clock is ticking. My own  
14 assessment would be that this has to be locked out  
15 about 18 months before the program is over. So 18  
16 months from the time the program is over is about the  
17 summer of 2007. So the reauthorization process  
18 really needs to be moving forward pretty aggressively  
19 in the spring, summer and fall of 2007. So that was  
20 really the first action item that we thought we'd  
21 pursue and again pending feedback that Dr. Sullivan  
22 and Anita Smith gets from the meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The second issue that was raised and  
2 there was some discussion about whether we had a  
3 resolution or whether we got more information and  
4 tried to move this forward potentially with the  
5 opportunity for a resolution or inclusion in this  
6 white paper is the concern that continues to be  
7 recognized of the persistent limitation of the  
8 availability of antiretroviral therapeutics  
9 particularly for children that require alternative  
10 formulations from the adult formulation. And again  
11 the Committee was encouraged by the First Lady's  
12 recent announcement and facilitating the gathering of  
13 really a number of the pharmaceutical companies in  
14 our country as well as the generic companies to meet  
15 together to begin to try to create innovative ways to  
16 address this requirement.

17           So at this stage rather than have a  
18 distinct resolution, there was some discussion. The  
19 commitment was to work together, Joe, to try to get  
20 sort of an update. I know the original meeting of  
21 the group was in late April and there was a follow-up  
22 meeting trying to get a sense of where that is and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then determine if there's a way that we can help just  
2 reinforce that process to sort of continue to  
3 highlight the need to move forward with given access  
4 to these medicines and the pediatric formulations.  
5 So those really were the two areas of discussions and  
6 summary in the manner in which the group as a group  
7 decided to proceed. I don't know if there is anybody  
8 else from the committee who would like to make a  
9 comment.

10 MEMBER YOGEV: Just that we agreed I  
11 presume to discuss a decision, identify an expert and  
12 discuss the issue at the next meeting.

13 MEMBER REDFIELD: Yes, Ram brought a third  
14 area that we did bring just in general just for the  
15 topics for discussion coming up at the next public  
16 meeting was there was significant discussion as there  
17 was in our previous conference about the whole issue  
18 of circumcision and what its role is as a prevention  
19 strategy and the recognition that it would be  
20 extremely useful like at this meeting where we had  
21 some different issues raised to try to look and  
22 identify many several individuals that may come

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 different points of view to come present these issues  
2 before the committee.

3 CO-CHAIR SULLIVAN: Thank you very much.

4 Any questions or comments? Discussion? Is everyone  
5 comfortable with the proposal? Then we'll proceed  
6 according. I'd like to go back to the Prevention and  
7 Treatment Committee to raise a question to David.  
8 Two of the issues we talked about yesterday was the  
9 problem we heard about in our prisons and did you  
10 decide to defer that? Now that was one and then the  
11 whole issue was the college --

12 MEMBER REZNIK: The colleges we decided  
13 to defer. These motions were written pretty quickly  
14 as I think everyone could tell and the college issue  
15 is a very sensitive issue and we wanted to make sure  
16 that that one is managed appropriately. I think we  
17 have probably enough knowledge to write it, but we  
18 also want to make sure that it's handled in a fashion  
19 that won't cause a greater problem I think is the  
20 most appropriate way to word that.

21 And the prisoner issue actually does need  
22 to be addressed as well. We're talking about an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue that's addressed that's seriously impacting an  
2 important community especially in the south. So  
3 considering that we had an one and a half to write  
4 the motions, I think that it was very difficult for  
5 us to come up with something quite that quickly.

6 With that being said, I think we can be  
7 more prepared after hearing the testimony and having  
8 our books. I think that we can write during off  
9 period and have something ready to go, but it's a  
10 very sensitive issue. When it was first brought up,  
11 I remember there were some who were very concerned  
12 that it would be only associated with HBCUs and it  
13 really wasn't. There were other schools that were  
14 involved but we do know that it's impacting African  
15 American youth and we do know it has to be addressed.

16 CO-CHAIR SULLIVAN: Okay. Thank you very  
17 much. Any other issue to come before the Council?  
18 If not, then I think that concludes our business and  
19 as I said earlier, it's been a pleasure to work with  
20 all of you and also thank my fellow outgoing members  
21 of the Council, Monica, Anita and Joe. It's been a  
22 pleasure to work with all of you. I certainly have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 learned a lot during this process and thank you for  
2 helping educate me on this process and, Joe, thank  
3 you for great support and your staff and we'll  
4 certainly see if we could be helpful by hoping for a  
5 meeting with the Secretary. The meeting is  
6 adjourned. Thank you.

7 (Whereupon, the above-entitled matter was  
8 concluded at 3:10 p.m.)

9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701