

1 formation of this amide bond.

2 Well, if you look at the amino acids in  
3 the food system, there are already a couple that  
4 have amide bonds present in the side chain. One of  
5 these is asparagine and the other is glutamine.  
6 Now, amino acids during typical cooking conditions  
7 undergo a process of decarboxylation followed by  
8 deamination. If you look at this asparagine side  
9 chain, it looks very similar to acrylamide. What  
10 is known as the structured aldehyde of the amino  
11 acids would form this aldehyde here, and there is  
12 the possibility that there is a side reaction going  
13 on where we can form acrylamide from asparagine.  
14 You will see, as I show further on, that this is a  
15 small part of the reaction. Glutamine here just  
16 has an extra methylene group but there is the  
17 possibility that under typical cooking of food  
18 where you get decarboxylation followed by  
19 deamination it might form some type of  
20 rearrangement of products which could lead to a  
21 conceivable small portion of acrylamide formation.

22 [Slide]

1           To address this we developed a model  
2 system. In this case we tried to make it like a  
3 potato chip. We took potato starch and water. We  
4 were able to heat this kind of like a dough sheet,  
5 and to this system we could add a variety of amino  
6 acids, reducing sugars and a variety of other  
7 ingredients which could include inhibitors. After  
8 this we could take it to a frying process and then  
9 measure acrylamide in the finished product. This  
10 could also be baked and we showed in our studies  
11 that baking can result in the formation of  
12 acrylamide.

13           So, the elegance of this model system--we  
14 have to make sure that our system is inert itself.  
15 Here we looked at a potato starch system and we  
16 went through the frying process and got less than  
17 50 ppb acrylamide. To our potato starch we started  
18 adding reducing sugars such as dextrose. We take  
19 our potato starch, we add in asparagine alone and  
20 we start to detect acrylamide formation. However,  
21 the combination of dextrose and asparagine gives us  
22 significant amounts of acrylamide formation here.

1           We also looked at other amino acids, such  
2 a alanine, aspartic acid, lysine, threonine and  
3 glutamine. We can actually detect a small level in  
4 glutamine, 156 ppb versus asparagine with 9000.  
5 So, about one percent the level is formed in  
6 glutamine compared to asparagine. However,  
7 arginine, cysteine, all these other amino acids do  
8 not form detectable levels of acrylamide. You can  
9 see from this that we kind of felt that asparagine  
10 is really the source of acrylamide.

11           [Slide]

12           How does this relate to food systems?  
13 Well, what about amino acid composition of  
14 potatoes? We looked at that and approximately 50  
15 percent of the amino acids in potatoes are in the  
16 free state, which means it is not incorporated into  
17 the protein. Out of that, asparagine is roughly  
18 half of the free amino acid content. So, it is  
19 conceivable that potatoes have such a high level of  
20 free asparagine that that could be the source of  
21 acrylamide formation in potato products.

22           [Slide]

1 Other reactions were carried out with  
2 using free amino acids such as asparagine. We  
3 wanted to find out whether protein-bound asparagine  
4 could also participate in the formation of  
5 acrylamide. So, as an analog, you can purchase  
6 N-acetyl asparagine where the alpha-amine group is  
7 tied up to this bond, here, mimicking a protein  
8 analog. We reacted this with dextrose to see if it  
9 formed acrylamide. The results were that no  
10 acrylamide formation was observed. So, from our  
11 understanding, we felt that all we need to be  
12 concerned with is free asparagine because that is  
13 what is the precursor to acrylamide formation  
14 during heat in the food system.

15 [Slide]

16 We know that asparagine is required for  
17 homolysis and dextrose is also required. So, we  
18 looked at a dose-response curve from dextrose. If  
19 you look at a potato, to get a rough estimate,  
20 asparagine is actually 1.25 percent in a potato.  
21 The dextrose or reducing sugars is about 0.9  
22 percent in a fresh product when harvested, but as

1 product is harvested, usually in the late summer  
2 early fall, it may go into storage and be stored  
3 for quite a period of time and the level of  
4 reducing sugars will actually increase in potatoes.  
5 So, you can see that as you increase your level of  
6 free reducing sugars you actually increase your  
7 level of acrylamide. So, we know that you need not  
8 only asparagine but a level of reducing sugars in  
9 potatoes.

10 [Slide]

11 Are there other carbonyl sources that can  
12 form acrylamide? Some recent work speculated that  
13 the formation of acrylamide from asparagine, the  
14 structured degradation reaction--structured  
15 degradation reaction is implicitly explained,  
16 actually a di-carbonyl such as, in this case,  
17 glyoxal reacting with the amino acid causes the  
18 reaction to proceed. We also showed that  
19 glyceraldehyde, 2-deoxyglucose and ribose are also  
20 efficient at forming acrylamide in food systems.

21 People who are familiar with the Maillard  
22 reaction understand that the typical browning

1 reaction involves first a reaction of a carbonyl  
2 amino acid. If you use a molecule such as  
3 2-deoxyglucose where it is C2 here, you do not have  
4 a hydroxyl group. This prevents the molecule from  
5 undergoing the rearrangement. So, this actually  
6 lets us know that all we need is to Schiff base the  
7 formation for the formation of acrylamide. This is  
8 also verified by reactions we did, lipid aldehyde  
9 such as decanal, and Dr. Adam Bakowsky at Health  
10 Canada also published about octynal, another lipid  
11 aldehyde that can react with asparagine to form  
12 acrylamide.

13           However, people may ask is lipid oxidation  
14 contributing to acrylamide formation? I would  
15 think not because if you look in the food system,  
16 typically the reducing sugars are probably on the  
17 order of about one to two magnitudes higher than  
18 the lipid aldehydes. So, I think what we need to  
19 be concerned with is level of reducing sugars.

20           [Slide]

21           To prove that, initially we are thinking  
22 that the asparagine actually going into acrylamide

1 is the side chain here. Just to make sure we can  
2 prove that this is going on, you can purchase  
3 isotopes which can be incorporated and enriched in  
4 either <sup>15</sup>nitrogen or <sup>13</sup>carbon. So, we carried out  
5 experiments just to confirm that this is where the  
6 source of carbon nitrogen is coming from in  
7 asparagine.

8 [Slide]

9 For the initial experiment we used  
10 amide-labeled asparagine so it is <sup>15</sup>N here.  
11 Reacting with dextrose, we should form acrylamide  
12 where we have a <sup>15</sup>nitrogen at the amide bond.  
13 Acrylamide has a molecular weight of 71. We are  
14 going to be monitoring and any unlabeled acrylamide  
15 would show a mass at 72. However, since we are  
16 incorporating <sup>15</sup>N here, we think we should be  
17 detecting this at a mass of 73. And, this is what  
18 we see. We do not detect any unlabeled acrylamide.  
19 Really 97 percent of the total acrylamide response  
20 is at the monolabel, suggesting that this amide  
21 nitrogen is being incorporated into the acrylamide.

22 [Slide]

1           We did further studies where we labeled  
2 the alpha-amine nitrogen. Again, this is the  
3 source of the carbon nitrogen so when we add  
4 dextrose to form acrylamide we should get a mass at  
5 72 here so any detectable levels of acrylamide were  
6 the unlabeled acrylamide, again suggesting that  
7 this nitrogen is not being incorporated into the  
8 acrylamide formation.

9           [Slide]

10           Next was to verify where the carbons were  
11 coming from. So, we purchased a uniformly labeled  
12 asparagine where all the nitrogen and carbons are  
13 labeled. In this case we got this nitrogen label  
14 and these three carbons and we should see an  
15 increase of four mass units and we should be  
16 detecting acrylamide at a mass of 76. Indeed, from  
17 our analysis all we could detect was the acrylamide  
18 at 76. You see in this chromatogram that we also  
19 monitored at 75, 74, 73 and 72 for any other  
20 molecules. So, from these experiments we concluded  
21 that this side chain of asparagine is what is being  
22 incorporated into the acrylamide.



1 [Slide]

2 From these studies we were able to form  
3 this following mechanism of acrylamide formation.  
4 The alpha amine group of asparagine here is a  
5 nucleophilic attack on the carbonyl source, forming  
6 glycoamine. As you are driving away the water,  
7 you get the formation of Schiff base. So, actually  
8 this process is favored under reduction of water in  
9 your cooking system. After this, as heat is  
10 applied we get decarboxylation that forms as  
11 intermediate which rapidly degrades to form  
12 acrylamide, or hydrolyzed to form beta alanine  
13 amide, and beta alanine amide itself can undergo  
14 elimination of acrylamide. We showed that we can  
15 heat this under typical frying conditions and it  
16 will be able to decompose even in the absence of  
17 sugars to form acrylamide.

18 So, this is kind of a proposed mechanism.  
19 How can we prove that? Well, utilizing LC/M mass  
20 we are able to do that. What I will show you on  
21 the next slide is where we are going to be  
22 monitoring our carbonyl source. In this case we

1 use dextrose so we can monitor that at a molecular  
2 weight of 180. We are going to be monitoring  
3 asparagine. And, as we heat a product out we are  
4 going to be looking at the formation of Schiff  
5 base, the beta alanine amide and the acrylamide to  
6 prove our mechanism.

7 [Slide]

8 Here are the first monitoring  
9 intermediates in acrylamide formation. In this  
10 case, we use just regular asparagine reacted with  
11 dextrose. You can see that at our initial time,  
12 zero seconds, we get a response for dextrose and a  
13 response for asparagine. At intermediate time, 180  
14 seconds, we actually start to see our first  
15 intermediate Schiff base being formed. As we heat  
16 this on to 270 seconds we have actually depleted  
17 all our source of asparagine, dextrose. The Schiff  
18 base is gone. We get an extra intermediate beta  
19 alanine amide and also acrylamide. So, we can  
20 monitor these intermediates in this reaction  
21 system.

22 Just to confirm that they were there we

1 actually used isotope labeled  $^{13}\text{C}$  and  $^{15}\text{N}$  molecules  
2 and we can see the corresponding shift to mass  
3 units. In this case we are monitoring asparagine  
4 at 133, with these all being labeled, and  
5 incorporation of six mass unit difference. We  
6 monitor at 139 and we can see the increase so the  
7 acrylamide has gone from 72 to 76. The beta  
8 alanine amide has gone from 89 to 94. So, we have  
9 confirmed that these intermediates are actually  
10 formed during the reaction process.

11 [Slide]

12 Next is understanding acrylamide formation  
13 in food products. All these studies I have been  
14 showing you right now are a model system so we need  
15 to prove in a real food system that asparagine is  
16 the source of acrylamide. Questions arising--is  
17 asparagine the only precursor to acrylamide in  
18 heated foods? What about other potential sources  
19 of acrylamide, methionine, glutamine, cysteine or  
20 acrolein? These have been postulated by people to  
21 maybe provide a minor amount of acrylamide. In our  
22 model system, we think we have disproved this fact

1 and have shown that they are not sources.

2 But another way to do this, we decided to  
3 do selective removal of asparagine from a real food  
4 product with the enzyme asparaginase to address  
5 these questions because we felt like if we could  
6 have asparaginase in this real food system degrade  
7 all the asparagine and look at acrylamide formation.  
8 we would show that that reduces acrylamide  
9 formation and asparagine is the source of  
10 acrylamide in a real food product.

11 [Slide]

12 Asparaginase, this enzyme, will hydrolyze  
13 the amide bond of asparagine utilizing water and  
14 will form aspartic acid. If you remember our  
15 initial model system that I showed you, we analyzed  
16 aspartic acid's ability to form acrylamide and it  
17 formed undetectable levels. So, we feel like if we  
18 do convert asparagine to aspartic acid it should  
19 result in reduction of acrylamide formation.

20 [Slide]

21 So, here is our real food system. We took  
22 washed, Russet bake potatoes purchased from the

1 local grocery store, boiled for one hour, and then  
2 we blended the flesh on a one to three ratio with  
3 distilled water. We have two plots here, one as a  
4 control and the other one we did with enzyme  
5 asparagine-treated, carried out for 45 minutes at  
6 room temperature. Then we microwaved this at two  
7 minute intervals for a total of ten minutes. This  
8 is a highly cooked product to maximize acrylamide  
9 formation. Both the control and asparaginase  
10 treated products were dry and brown after the step.

11 To make sure that the enzyme was working  
12 correctly we analyzed for change in asparagine and  
13 aspartic acid. This is our control sample and, as  
14 mentioned earlier, free asparagine is high in  
15 potatoes so you have a nice peak response here for  
16 asparagine and a smaller response for aspartic  
17 acid. In our asparagine-treated sample you can see  
18 where the asparagine has been depleted. We  
19 depleted about 88 percent here and the aspartic  
20 acid is subsequently increased. So, we know that  
21 in our system here the reaction was carrying out  
22 the way we expected.

1           Next was to monitor for acrylamide. We  
2 can see in our control product we have 20,000 ppb  
3 acrylamide. Asparagine is treated down to 164 so  
4 we actually got greater than 99 percent reduction  
5 in acrylamide by using asparaginase. So, we feel  
6 that this experiment is able to prove that  
7 asparagine is the mechanism for acrylamide  
8 formation in a real heated food system, in this  
9 case being the potato.

10           How does this relate to other foods? In  
11 our studies we looked at the yield of acrylamide  
12 from asparagine. We deduced that the yield was  
13 less than 0.5 percent. Dr. Adam Bakowsky at Health  
14 Canada also showed in his work that the yield was  
15 about 0.1 percent. So, we have taken these numbers  
16 and looked at a variety of food products. If you  
17 look at the amount of free asparagine in the  
18 starting food products and you correspond to the  
19 yield of about 0.1 to 0.5 percent, I think that  
20 will compensate for all the level of acrylamide  
21 that has been detected out there. So, we feel that  
22 asparagine is the source of acrylamide formation in

1 all food products.

2 [Slide]

3 Acrylamide precursors are ready to  
4 intervene. We know that asparagine is important  
5 for this formation and also reducing sugars.  
6 Typically in food systems reducing sugars are  
7 glucose and fructose. Some foods will contain  
8 sucrose and in the cooking process will undergo  
9 hydrolysis to form glucose and fructose.

10 How is this affected in potatoes? Well,  
11 the level of asparagine and reducing sugars  
12 actually varies by the source of potato. I think  
13 there are many people out there in potato  
14 processing areas who are monitoring asparagine in a  
15 variety of potatoes and also looking at reducing  
16 sugars, and we know that in storage conditions, as  
17 potatoes are stored for periods of time, the level  
18 of reducing sugars will increase. If you look at a  
19 product in the early fall, it will probably have a  
20 low level of acrylamide but as people start to use  
21 more potatoes that have been stored for a longer  
22 period of time the acrylamide will potentially

1 increase.

2 [Slide]

3 In conclusion, asparagine is the major  
4 source of acrylamide formation in foods. Carbonyl  
5 source typically in food systems is going to be  
6 reducing sugars as required in the reaction. Oil  
7 oxidation products and starch do not appear to be  
8 significant factors in acrylamide formation.

9 One thing I forgot to mention when I was  
10 showing you our model system where we took our  
11 potato starch and added amino acids and fried it,  
12 we also looked at fresh oil versus an oxidized,  
13 aged oil to see if acrylamide formation was  
14 affected and there was no difference. So, we were  
15 able to conclude that oil quality such as oxidized  
16 oil did not significantly affect the level of  
17 acrylamide formation.

18 So, that is the conclusion of my talk.

19 Thank you.

20 **Questions of Clarification**

21 DR. MILLER: Thank you. Comments or  
22 questions?



1 DR. BUSTA: You were generating acrylamide  
2 in a microwave in a water system which wouldn't be  
3 over 100 C. Right?

4 DR. ZYZAK: Yes.

5 DR. BUSTA: I thought we required a higher  
6 temperature than that to generate acrylamide.

7 DR. ZYZAK: I mentioned that in the  
8 microwave system it was dry and brown, and there  
9 are results out there that you can form acrylamide  
10 during microwave conditions. What we found is a  
11 big factor in the level of acrylamide formation is  
12 the moisture of the product. So, if you do  
13 microwave something and you still have a higher  
14 moisture content, it is probably okay. It is when  
15 you get down to low moisture content that you drive  
16 that reaction. As I showed the mechanism, as we  
17 remove water from our Schiff base you get the  
18 decarboxylation step. So, I think finer moisture  
19 content is a critical factor in acrylamide  
20 formation in food products.

21 DR. BUSTA: Are you saying temperature is  
22 not?

1 DR. ZYZAK: Temperature is. I think it is  
2 a combination of both. You need to have a low  
3 moisture environment to get that Schiff base and  
4 you need to get heat involved to get the  
5 decarboxylation step going on. You do need both of  
6 those going on, but we do see that at 100 degree C  
7 you can form acrylamide.

8 DR. MILLER: Johanna?

9 DR. DWYER: I think I am right that  
10 ascorbic acid is a reducing sugar and is present in  
11 some foods.

12 DR. ZYZAK: Correct.

13 DR. DWYER: Is that a significant factor?  
14 For instance, my ancestors ate a lot of potatoes  
15 and I want to know if I have gene damage.

16 [Laughter]

17 DR. ZYZAK: I think you were talking about  
18 ascorbic acid and four carbon sugars can  
19 participate in this reaction, but I think if you  
20 look at the level of reducing sugars, such as the  
21 glucose and fructose, they are about half a percent  
22 and can range up to two percent in a potato. So,

1 that far outweighs the level of ascorbic acid in  
2 there that is enough to facilitate the reaction.

3 DR. MILLER: Yes?

4 DR. MEHENDALE: I was wondering if you  
5 have tried any carbonyl blocking mechanisms in your  
6 reactions.

7 DR. ZYZAK: Yes, the typical anti-browning  
8 reason are sulfites. All this would be simple if  
9 we could add sulfites to solve the problem but it  
10 didn't work and you can only add a pretty low  
11 percentage of sulfites in a food product, like if  
12 you buy dehydrated potato products I think it is  
13 less than a percent or something like that, the  
14 level of sulfite you can add in there. Since you  
15 already have a couple of percent of reducing sugars  
16 we didn't see any benefit to adding sulfites.

17 However, we also looked at another amino  
18 acid like lysine. We added lysine in there to  
19 block the carbonyl source, dextrose in this case,  
20 that was ineffective. However, if you add the  
21 amino acid cysteine, you can actually decrease the  
22 level of acrylamide formation. The question is, is

1 the cysteine reacting with the dextrose tied to the  
2 carbonyl, or is it reacting with the acrylamide  
3 once the acrylamide forms? It is actually a later  
4 part of the reaction so it is complexing with the  
5 acrylamide.

6 DR. MEHENDALE: I have a follow-up  
7 question. You know, in some old literature a  
8 gentleman by the name of Serami has done a lot of  
9 work on di-cosylated end products for aging.

10 DR. ZYZAK: Yes.

11 DR. MEHENDALE: It seems to be that it was  
12 carbonyl groups of dextrose that are involved.

13 DR. ZYZAK: Yes.

14 DR. MEHENDALE: So, it seems to me like  
15 there may be some potential for either blocking or  
16 reacting the carbonyl groups with other things.

17 DR. ZYZAK: Yes, you bring up Dr. Anthony  
18 Serami and I did my graduate studies with Dr. John  
19 Baines, who were kind of competing with each other  
20 so I am very familiar with his work. We also did  
21 studies by adding one protein and other things in  
22 our model reactions. Maybe we can add a protein

1 source that will either react or just tie up the  
2 reducing sugars. We weren't very successful at  
3 that.

4 We did try adding a protein source to our  
5 model system and didn't seem to have a significant  
6 increase. But, again, in that case we were using  
7 something off the shelf like a relatively  
8 inexpensive source. I mean, you can go out and buy  
9 some yeast products which may have a high content  
10 of glutathiamine. It is a very pricy product. So,  
11 if you want to try to reduce the level of  
12 acrylamides, you could probably incorporate a  
13 source of protein which may have a high  
14 concentration of thiol groups in there which are  
15 known to be very active with acrylamide once it is  
16 formed. People have done studies looking at amino  
17 acids. Amine groups will react with acrylamide but  
18 not very readily where there are high thiols.

19 DR. MEHENDALE: So, how long can we keep  
20 potatoes?

21 [Laughter]

22 DR. ZYZAK: I think many people in the

1 industry are also looking at that, you know, how is  
2 acrylamide going to be affected as later in the  
3 season we are using older potatoes? I think we are  
4 all looking at that now and I think many people are  
5 addressing that so it will come up in the future I  
6 believe.

7 DR. MILLER: It depends on how you cook  
8 the potato.

9 DR. ZYZAK: Sure, yes.

10 DR. MILLER: Any other comments? Yes?

11 DR. TORRES: Are there any other food  
12 systems where there would be a lot of free  
13 asparagine?

14 DR. ZYZAK: Yes, asparagus has a high  
15 level of free asparagine I believe. I believe at  
16 the subcommittee meeting Dr. Lauren Jackson showed  
17 some data that the level of free asparagine is high  
18 in almonds. I think it is high in legumes, beans,  
19 bean products. Asparagine actually is used by  
20 plants as a source of nitrogen storage system. So,  
21 most plants utilize asparagine to store the  
22 nitrogen for further use as energy or convert it

1 into protein. So, I think we are kind of stuck  
2 with this because, you know, that is the way plants  
3 are going to grow so they are going to use nitrogen  
4 as a fixation source until we can use some biotech  
5 and utilize some other source of nitrogen.

6 DR. RUSSELL: I was just wondering is  
7 there much of a difference between white potato and  
8 sweet potato in the acrylamide formed under similar  
9 conditions?

10 DR. ZYZAK: You know, I don't have that  
11 data. We haven't done that experiment but I think  
12 definitely there is activity in that. We,  
13 ourselves, know that the variety of potato will  
14 affect the level of acrylamide because different  
15 varieties of potatoes will have a varying factor of  
16 asparagine in them and reduced sugars so we are  
17 also looking at that.

18 DR. MILLER: It seems to me that most root  
19 vegetables cooked at high enough temperatures  
20 should be excellent sources of acrylamide.

21 DR. ZYZAK: Yes. We actually talked with  
22 kind of a potato professor in industry just to

1 understand more about how it is using asparagine so  
2 we can get potatoes with a lower source of  
3 asparagine. There doesn't seem to be a lot of  
4 information out there about that. You know, I was  
5 specifically told that roots are different from  
6 tubers so I don't know all the botanical aspects of  
7 that but it can be different whether it is a potato  
8 versus a carrot.

9 DR. MILLER: Well, if it stores asparagine  
10 as a nitrogen source then, depending on how it is  
11 cooked, it will have a high level of acrylamide.

12 DR. ZYZAK: Exactly, yes. I think any  
13 source of product out there that has free  
14 asparagine, if you cook it under conditions where  
15 you are going to drive off the moisture and heat it  
16 up, you are going to get acrylamide formation.

17 DR. MILLER: Certainly the big concern  
18 would be legumes as well.

19 DR. ZYZAK: Yes.

20 DR. BUSTA: Is this information readily  
21 available to anyone who wants it now?

22 DR. ZYZAK: Which information? What I



1 just presented? I think it is going to be up on  
2 the website so anybody can download it.

3 DR. BUSTA: How about before this?

4 DR. ZYZAK: Yes, actually the JIFSAN--you  
5 know, you have heard the struggle between people  
6 whether you are in academia and there is a need to  
7 publish--I think Procter & Gamble is a great  
8 company to work with. Actually, at the JIFSAN  
9 meeting back at the end of October I presented the  
10 mechanism and I told people we identified these  
11 intermediates and I informed people we used the  
12 enzyme to confirm that. At AIOC we showed  
13 mechanism formation, which was in late September.  
14 So, once we felt confident and we knew this was the  
15 mechanism we have been trying to be forthcoming to  
16 the industry and the academic people, releasing the  
17 information.

18 DR. MILLER: Terry, do you have a comment  
19 you want to make?

20 DR. TROXELL: Thank you. If you look at  
21 the spectrum of foods in which we find acrylamide,  
22 you are tracking foods that contain enough

1 asparagine and glucose to form acrylamide so we are  
2 talking about wheat products, corn products. So,  
3 it is not just tubers and so on.

4 DR. MILLER: No, no, that is the point I  
5 am trying to make.

6 DR. TROXELL: Exactly. Might I ask a  
7 question of the speaker?

8 DR. MILLER: Not a good idea! Johanna?

9 DR. DWYER: I was just wondering, I think  
10 I followed your chemistry but I wasn't sure about  
11 instant mashed potatoes. Would those be high  
12 because of the extrusion product?

13 DR. ZYZAK: You may have small levels.

14 DR. DWYER: I am talking about the  
15 instantizing process.

16 DR. ZYZAK: Yes. In industry I think most  
17 of the mashed potatoes you buy from the shelf  
18 actually have sulfites in there but there is still  
19 a level of acrylamide. We are even monitoring our  
20 flakes, our starting material, and during the  
21 flaking process of potatoes they go through cooking  
22 and they are mashed and they undergo a spray-drying

1 process and, yes, there is actually a small amount  
2 of acrylamide. I think it is probably around 100  
3 ppb but you also have some precursors there too  
4 which are formed, such as the Schiff base. So,  
5 during the formation of these dried potato products  
6 you do have a small amount of acrylamide and  
7 probably some precursors.

8 DR. MILLER: Depends on how they are  
9 cooked again.

10 DR. ZYZAK: Yes.

11 DR. MILLER: Other questions or comments?

12 Thank you.

13 DR. ZYZAK: Thank you.

14 DR. MILLER: Our next speaker is Dr.  
15 Robert Brown, substituting for Dr. Steve Saunders,  
16 from Frito Lay.

17 **Reduction Strategies**

18 DR. BROWN: I feel very fortunate to be  
19 here today. There have been some very good  
20 presentations this morning and it has been nice. I  
21 don't have a handout. We will have to print one  
22 off. Anyhow, I feel fortunate to have heard the

1 particulars this morning. There is some  
2 interesting science going on and it is amazing how  
3 far we have moved forward in a short period of time  
4 on this. FDA and other groups have really moved  
5 forward quickly.

6 [Slide]

7 I also feel very fortunate to be standing  
8 in here for Dr. Steve Saunders because Steve is not  
9 only my mentor and my colleague but he is also a  
10 good friend of mine. Unfortunately, Steve was not  
11 able to be here today due to unforeseen  
12 circumstances and I know that he wishes he could be  
13 here to be making this presentation today, and he  
14 wanted me to convey to all of you his sincerest  
15 regret for not being able to be here in person to  
16 make this presentation. I am a nutritionist and I  
17 was attending a nutrition meeting in town, and he  
18 asked me if I could step in and present this  
19 information for him. I am sure I can't really  
20 substitute for Steve but I am going to give it a  
21 shot. That said, I want to present the slides that  
22 Steve sent to me and the notes that he provided for

1 me.

2 [Slide]

3 That was an excellent presentation from  
4 Procter & Gamble today, very compelling information  
5 on the formation of the acrylamide passing through  
6 a Maillard reaction product and that is what we  
7 have here so I can just skip past this.

8 [Slide]

9 If we look at the first intermediate of  
10 the reaction between asparagine and glucose or  
11 reducing sugar, we see this intermediate. When the  
12 typical Maillard reaction product is formed that  
13 typically has an energy activation level of about  
14 25050 kilo calories per mole. These products that  
15 are formed are the typical browning colors and the  
16 flavor compounds that are formed in the typical  
17 Maillard reaction product.

18 As David mentioned, there is a second  
19 pathway, a minor pathway proceeding through the  
20 Schiff base and going to decarboxylation and beta  
21 elimination and proceeding to acrylamide. We have  
22 done some work in our laboratory on a model system

1 similar to what P&G has done, and we have estimated  
2 that the energy of activation of acrylamide  
3 formation is on the order of 70 kilo calories per  
4 mole. So, you see, it takes more heat energy to  
5 form this compound and it is more of a minor  
6 pathway as compared to that going to the Maillard  
7 reaction products.

8 [Slide]

9 Clearly, our first insight then is that in  
10 a chemical pathway leading to acrylamide is a low  
11 yield pathway with a higher activation energy.

12 This will be demonstrated in a couple of slides I  
13 have coming up to show a difference in  
14 concentration between reactants and the products in  
15 this reaction.

16 [Slide]

17 If we look at a summary of the data on  
18 acrylamide values in food, you are all very  
19 familiar with this data but I want to make a couple  
20 of points about the different concentrations of  
21 acrylamide in foods. First of all, if you look at  
22 the foods on this table you will see that there is

1 a wide variety of foods that contain acrylamide,  
2 and across these different foods there is a huge  
3 range of concentrations of acrylamide found in  
4 these food products.

5           Additionally, even across and within a  
6 category of food there is a very, very wide range  
7 of acrylamide formation. You will see in some  
8 foods that the range of the acrylamide can be as  
9 much as two orders of magnitude. So that is quite  
10 a bit.

11           The third thing is that undoubtedly we are  
12 going to uncover more food products that are going  
13 to contain acrylamide, and I think the data that  
14 was presented by Procter & Gamble makes it clear  
15 that we probably can find those foods quickly by  
16 determining the concentration of asparagine in  
17 those foods and looking at potentially the cooking  
18 process, and then looking at the level of reducing  
19 sugar in those foods.

20           [Slide]

21           This slide looks at those different foods  
22 that were on that list. We did a food consumption

sgg

1 survey and we looked at this information to look at  
2 all foods that contain acrylamide to get an idea of  
3 what the impact on the American diet would be. If  
4 we look at this list, this list shows that  
5 approximately 38 percent of total calories consumed  
6 in the American diet are foods that contain  
7 acrylamide. As you look down the list you see  
8 that, of course, many of the nutrients at about  
9 that same level also are coming from foods which  
10 contain acrylamide. The variation in  
11 micronutrients is dependent on the type of food,  
12 some of which is fortified. For instance, many of  
13 the bread products are fortified with iron, and  
14 such. So, that level of iron would be higher than  
15 you would expect as a percentage of the calories.

16 [Slide]

17 Clearly, this insight tells us that the  
18 acrylamide question really is affecting a large  
19 fraction of the American food supply and is  
20 something that we have to be concerned to  
21 understand.

22 [Slide]



1           When we began our thinking at Frito Lay  
2 about means to look at the issue of acrylamide  
3 formation and how one might begin the investigation  
4 of reduction of acrylamide in food we organized our  
5 thinking in these three areas: One could look at  
6 removing the reactants, either the glucose or the  
7 asparagine; one could look at disrupting the  
8 reaction to form acrylamide by a number of means;  
9 or one could look at removal of acrylamide once it  
10 is formed in food products.

11           Finally, at the end of the talk today I  
12 just want to talk a little bit about the  
13 significance of the study of exposure to  
14 acrylamide, which should be an interesting endeavor  
15 that we are looking forward to.

16           [Slide]

17           If we start with looking at ways to reduce  
18 the reactants, first of all, this data is coming  
19 out of Dr. Mottram's lab at the University of  
20 Reading in the U.K., and if we look at this, this  
21 was mentioned just a few minutes ago, the  
22 difference in acrylamide that is potentially found

1 in different potato products and, in this case,  
2 what we see is that if we look at the baking  
3 potatoes as compared to the King Edwards potatoes  
4 we will notice that in the raw state we find  
5 essentially no acrylamide. In both potatoes, when  
6 they are boiled, in other words the heat stays  
7 below 100 degrees C, we see no formation of  
8 acrylamide. But once the products are fried we see  
9 the formation of acrylamide. In this case we see a  
10 ten-fold difference in the formation of acrylamide  
11 between the typical baking potatoes and the King  
12 Edwards potatoes.

13           Clearly, what we would anticipate from the  
14 information we just saw from Procter & Gamble is  
15 that the level of reactants is probably different  
16 between these two, whether it be more reducing  
17 sugars in King Edward's potatoes, though those were  
18 not measured in this particular study, but that  
19 would be a good assumption that we might make.

20           DR. MILLER: Just a matter of clarifying  
21 something, which is the potato generally used to  
22 make chips?

1 DR. BROWN: There is a variety of potatoes  
2 used in all industries. Frito Lay uses a  
3 proprietary potato and I am not sure of the exact  
4 variety name of that one. We call it the Frito Lay  
5 variety and it is called a chipping potato, and  
6 they tend to be lower in reducing sugars but, as  
7 was mentioned, as potatoes are stored over time the  
8 levels of reducing sugars rise.

9 [Slide]

10 In this slide if we look at the asparagine  
11 in various crops, as was mentioned just a couple of  
12 minutes ago, in fact the level of asparagine does  
13 vary quite significantly across crops. As you look  
14 at this, there are many crops and food products  
15 that have quite a high level of asparagine. In  
16 this case, you see in some of the products that  
17 there is a huge range of asparagine level in  
18 potatoes, looking at 0.5 to 10 milligrams/gram. In  
19 other products such as asparagus there is a huge  
20 range in the level of asparagine. Then, if you  
21 look at wheat the range can be 100-fold difference  
22 between low level wheat and high level wheat. So,

1 what we have is a system that has a lot of  
2 complexities in it and you can't look at one  
3 solution that is going to work for everything.

4 Just to mention too, this table was  
5 compiled by the Food Research Institute and they  
6 have a list that goes quite a bit longer than this,  
7 but just to know that we have a very complex  
8 problem involving the entire food supply.

9 [Slide]

10 In our laboratory we did a model system  
11 and we looked at the substrate concentration and  
12 applied the reactants, both glucose and asparagine,  
13 and looked at the change in concentration with the  
14 formation of acrylamide. What we see here is a  
15 second order reaction where the maximum level of  
16 acrylamide is formed when both the substrates are  
17 in fairly equal concentration. Whenever one of the  
18 substrates is at a reduced concentration you see  
19 that there is quite a large reduction in the level  
20 of acrylamide formation. This is an interesting  
21 insight for us because at this point we were able  
22 to understand that you need to look at your

1 specific food product and make the determination  
2 which is the reactant that is highest in that food  
3 product and that is the one that is probably going  
4 to be the one reactant that you want to go after in  
5 that particular food product. The equation on the  
6 bottom of the slide describes the fit of the  
7 surface plot.

8 [Slide]

9 Then, the insight is that the reaction is  
10 a second order reaction and that the concentrations  
11 of the two reactants need to be in fairly equal  
12 concentrations to get maximum acrylamide formation.  
13 The reaction becomes very limiting for that  
14 reactant that is at lower concentration. So, in  
15 the case of the example that Procter & Gamble  
16 brought up when the reducing sugars are at much  
17 lower concentration than the asparagine in potatoes  
18 the reducing sugar is, in fact, the rate limiting  
19 step on the formation of acrylamide.

20 [Slide]

21 The next area that we want to look at is  
22 what is the possibility of disrupting the reaction

1 of acrylamide formation. If we go back to the data  
2 from the U.K. and we look at the bottom there and  
3 we look at what is the effect of cooking on  
4 acrylamide formation, if we look at the data on the  
5 bottom there, you look at frozen frying potatoes,  
6 French fries, and you look at a cooked product  
7 having approximately 3500 ppb acrylamide, then  
8 overcooking the product increases the level of  
9 acrylamide by four-fold. This demonstrates very  
10 clearly the time-temperature relationship to the  
11 formation of acrylamide and is something that gives  
12 us insight into some of the things that we may  
13 begin looking at to reduce the formation of  
14 acrylamide.

15 [Slide]

16 In our laboratory we wanted to validate  
17 our model system and this is similar to data that  
18 was done by Mottram et al., in their lab in  
19 Reading. In Reading, they showed that there was an  
20 inflection point of formation of acrylamide at 120  
21 degrees C.

22 I would be interested in the data that was

1 presented on microwave cooking, looking at the  
2 moisture level of the products that were done in  
3 the microwave, what the real temperature was at the  
4 surface of the product in formation of acrylamide  
5 and looking at the browning reaction. If there was  
6 a browning reaction that was actually taking place  
7 in the microwave, I think that the surface  
8 temperature may have been higher than 100 degrees,  
9 but that may not be the case. I don't know if they  
10 had measurements of the surface temperature but  
11 that would be interesting to know.

12           But in our model system in the laboratory,  
13 if we look at this in the model system we have no  
14 formation of acrylamide at temperatures under 110  
15 degrees C. The reaction really starts going as you  
16 go above 120 degrees C and then is exponential in  
17 the increase in rate of acrylamide formation. I  
18 think this data is important because, as Terry  
19 mentioned earlier today, the study to look at  
20 surface temperatures and being able to probe,  
21 temperature at the surface is going to be very  
22 critical. And, the information from Procter &

1 Gamble regarding moisture content--in most food  
2 systems, as you know, if the moisture content is  
3 high you cannot drive temperatures beyond 100  
4 degrees C. As you drive off the moisture, that is  
5 when your surface temperatures can actually reach  
6 above 100 degrees C and that is where you will see  
7 the formation of acrylamide beginning.

8 [Slide]

9 We plotted a kinetic model of the  
10 formation of acrylamide over temperature in Kelvin  
11 here, and if you look at the formation of  
12 acrylamide in this kinetic plot what you see is  
13 that the inflection point appears to be around 120  
14 degrees C. From that point the rate of acrylamide  
15 formation is very rapid. The rate on the bottom of  
16 the chart, on the right-hand side there, is  
17 approximately 175 degrees C and that is the typical  
18 baking temperature for most food products. As you  
19 can see by that temperature, at 175 degrees that is  
20 well above the temperature to drive the formation  
21 of acrylamide to a maximal rate.

22 [Slide]



1           The insight, therefore, is that acrylamide  
2 formation is extremely temperature dependent and  
3 occurs well below temperatures needed in typical  
4 baking or frying operations. It is probably not  
5 possible to cook products without any formation of  
6 acrylamide. The surface temperature studies that  
7 will be undertaken I think will be very  
8 interesting, looking at whether one could modify  
9 the final surface temperature of products by  
10 alternate cooking methods.

11           [Slide]

12           We have also investigated the pH  
13 dependency of acrylamide formation in foods and we  
14 found that at pH under five acrylamide formation is  
15 severely inhibited. Even at the pH of six there is  
16 some significant inhibition of acrylamide formation  
17 and as you get towards neutral pH you see that the  
18 acrylamide formation is maximized at a pH of around  
19 seven.

20           [Slide]

21           A very interesting idea in the whole  
22 disruption of acrylamide formation is can you come

sgg

1 up with an inhibitor of acrylamide formation  
2 similar to the case of vitamin C added to reaction  
3 to inhibit the formation of nitrosamines. It is a  
4 very exciting idea to think that we could come up  
5 with something like that, but in this case the  
6 whole Maillard reaction process is one of amino  
7 acids forming the color and flavor compounds that  
8 we expect in our cooked foods and in this case we  
9 are trying to inhibit a single amino acid reaction  
10 rather than the whole cascade of free amino acids  
11 that would react.

12           However, one other point on that is that  
13 the inhibitor would have to be food safe and it  
14 would have to be approved for use in foods. I  
15 think we are all hoping that a similar simple story  
16 can be developed, and we have seen published  
17 reports and we have heard from Procter & Gamble  
18 today about some of the potential ingredients that  
19 could be added to foods to inhibit this reaction.  
20 Rosemary flavonoids have been reported to inhibit  
21 the reaction of asparagine going to acrylamide but  
22 we haven't seen data on that.

1           There was, of course, the interesting  
2 JIFSAN conference that we just heard about, and the  
3 use of the amino acid cysteine to inhibit this  
4 reaction. It would be interesting to look at what  
5 levels of cysteine could inhibit the formation of  
6 acrylamide and how could it be added to foods.  
7 Obviously the wide number of foods we have would  
8 require different mechanisms to incorporate  
9 cysteine into a surface of a product that would try  
10 to inhibit this reaction because there would be no  
11 need to have the inhibitor throughout a product if,  
12 in fact, the formation of the acrylamide was  
13 occurring only on the surface of the product where  
14 the browning is taking place.

15           In our model system we have also studied  
16 other inhibitors that might be functional in this  
17 area, and we have looked at divalent and trivalent  
18 cations and found that they also inhibit the  
19 formation of acrylamide. However, it takes a large  
20 amount of the divalent or trivalent cation to have  
21 this inhibition come into place. It takes  
22 approximately one equivalent of cation per mole of

sgg

1 reactant to inhibit the acrylamide formation. We  
2 are not sure how these could be practically applied  
3 to foods. It may not be successful in all types of  
4 food because, again, of trying to get the cation in  
5 the area to inhibit the reaction.

6 [Slide]

7 If we turn to the kinetic model of  
8 Wedzicha and Mottram we have a pretty interesting  
9 array of areas that we might look at to try to  
10 develop a mechanism to inhibit the formation of  
11 acrylamide. As we see here, if glucose is in fact  
12 in a particular reaction system, the rate limiting  
13 step, there would be means to force the reaction of  
14 glucose, to deplete the glucose by other reactants  
15 that might react with glucose such as other free  
16 amino acids that would compete against asparagine  
17 for the formation of this reaction. Also, pH,  
18 temperature and time variables are other potential  
19 things that we might look at. If we can look in  
20 the cooking process at some way to control the  
21 final surface temperature of the product as another  
22 means of looking to inhibit this rate of formation.

1 As we learn more about these reactions and these  
2 kinetic constants out of the lab, we hope to learn  
3 much more where the best place to attack this issue  
4 will be.

5 [Slide]

6 Finally, I want to talk just a couple of  
7 minutes about our attempts to actually remove  
8 acrylamide after formation in food products. In  
9 this case we have tried a couple of things. The  
10 supercritical CO-2 is very effective in removing  
11 acrylamide. Of course, it removes everything and  
12 completely destroys the product.

13 [Laughter]

14 UV light is something that we had high  
15 hopes for to be an effective use, a fairly simple  
16 technique to cause the acrylamide to polymerize and  
17 effectively eliminate the hazard. In this case we  
18 take ground product. We expose it to all levels of  
19 light. I think we came up with this, remembering  
20 back when we used to make polyacrylamide gels and  
21 we thought this was something that, you know, was  
22 really going to work. We exposed to product to

sgg

1 wavelengths of light ranging from UV to red and  
2 essentially we have found no effect at any  
3 wavelength that we have tried to date. Potentially  
4 the level of acrylamide is too low in food products  
5 for this to be effective.

6 [Slide]

7 Finally, I am going to talk just a little  
8 bit about the toxicology of acrylamide and its  
9 presence in the food supply.

10 [Slide]

11 On this first slide is data that was also  
12 developed for us looking at the food consumption  
13 survey and mirroring that to the data on acrylamide  
14 content of foods consumed in the American diet. If  
15 we look at the total here and then if we look at  
16 the red line on the bottom, which is essentially  
17 drawn on the X axis, that red line on the X axis  
18 would be the standard risk assessment line that  
19 would be developed under standard techniques of  
20 developing risk assessment for average daily  
21 exposure. As you look at that line you can see  
22 that it is orders of magnitude, at least two orders

1 of magnitude lower than the exposure of acrylamide  
2 in the U.S. food supply. You can see that no one  
3 food is going to be the bulk of that. Assuming  
4 that we can eliminate the content of all potato  
5 products, fried potatoes, other kind of cooked  
6 potatoes, mashed potatoes and potato chips and we  
7 remove the acrylamide from all those products, we  
8 are still in the range of two orders of magnitude  
9 too high with the total acrylamide concentration in  
10 the diet using standard risk assessment techniques  
11 to form this line.

12 This is where I think the research on  
13 toxicology, looking at the low concentration versus  
14 high concentration p450, 2E1 metabolism of  
15 acrylamide is going to be very important to  
16 understand the difference in low and high  
17 concentration of acrylamide in the diet.

18 [Slide]

19 Steve gave me some food for thought here.  
20 Everybody is probably not that hungry right now but  
21 I didn't have any lunch so I still have some room  
22 for food. The whole idea of carcinogen in food is

sgg

1 not a new one to us, and is something we have dealt  
2 with before if we look at cooked meats and such.  
3 We also have the NAS report and the Ames/Gold as  
4 other references to talk about that.

5           Also, as we evaluate acrylamide we have to  
6 understand that humans have been cooking foods for  
7 millennia and we have been exposed to acrylamide  
8 for all those years. So, we need to understand  
9 what is going on and what is happening or not  
10 happening with acrylamide exposure low dose versus  
11 high dose. We need to understand the toxicology  
12 there because it is going to be very important to  
13 us.

14           As Terry said this morning, there are  
15 going to be no quick fixes of this issue because  
16 acrylamide is going to be so widespread in the  
17 entire food supply. We are going to have to look  
18 at different bullets. Instead of one magic bullet  
19 for all foods, there are going to have to be  
20 different bullets for different food products as we  
21 move forward to look for means to address this  
22 issue.



sgg

1 [Slide]

2 As we begin doing feasibility analyses of  
3 what kind of intervention we can have in the food  
4 supply and what we are going to do, we really need  
5 to understand the whole kinetics and the removal of  
6 substrates from food products. We need to  
7 understand each individual food, determining  
8 whether in this case the asparagine or the reducing  
9 sugar would be the rate limiting step for the  
10 formation of acrylamide.

11 Also, if we look at low temperature  
12 intervention what would be required in the  
13 development of new cooking techniques that could  
14 potentially reduce the level of acrylamide in  
15 foods. For some foods it will be impossible to  
16 develop these low temperature techniques. In other  
17 foods it may be possible to use two-step cooking  
18 where you heat at higher temperatures before  
19 moisture levels are driven down and then lower  
20 temperatures as the food product is drying out and  
21 temperatures on the surface can increase.

22 Again, there are no magic bullets here.

sgg

1 We have to look at individual potential solutions  
2 to each of the problems, and there is absolutely no  
3 precedent to the kind of ordered magnitude of  
4 intervention we have to have in the food supply  
5 from the processing to the cooking to the growing  
6 of foods in our food system. It is dependent on  
7 what we find in the toxicology and how far we have  
8 to drive the acrylamide level down.

9 [Slide]

10 Then some final thoughts here, the issue  
11 affects a large portion of the food supply.  
12 Lowering acrylamide clearly in one food is not  
13 going to do much to lower the overall level of  
14 acrylamide exposure in the population. We need to  
15 understand the toxicology here so that we know what  
16 our target is in this case.

17 Clearly, as was mentioned this morning,  
18 foods cooked at home and foods cooked at  
19 restaurants are going to be a significant source of  
20 acrylamide so foods that are processed by the  
21 manufacturer are taken into the home and then baked  
22 out, for instance as apple pie. Those foods are

1 going to have a large potential exposure to  
2 acrylamide so we need to look at methods that would  
3 also address those.

4           If we look at what does the future look  
5 like, given the magnitude of the change in the food  
6 supply that could be represented by this, we need  
7 to really understand the nature of the low dose  
8 hazard of acrylamide to humans, and we need to  
9 really look at the impact of any proposed  
10 interventions and the consequences, if there are  
11 any unintended consequences to the public health.  
12 In going forward, as we begin to study the  
13 toxicology of acrylamide, we need to be  
14 simultaneously looking for all the interventions  
15 that are possible to be driving the acrylamide  
16 level down so that we are working on both ends of  
17 the spectrum, to both lower acrylamide  
18 concentration in the food supply and to understand  
19 the real significance and the real health effects  
20 of acrylamide levels in the food supply and on  
21 human health. Thanks very much.

22

#### Questions of Clarification

sgg

1 DR. MILLER: Thank you. Comments or  
2 questions?

3 DR. DWYER: Thanks, Bob, for a very, very  
4 interesting talk. I just have a question that is  
5 sort of silly but I was wondering does free  
6 asparagine have a taste in food so if you took it  
7 out it would taste different?

8 DR. BROWN: I don't know. I am not a  
9 flavor chemist so I am really not too sure. Thanks  
10 very much.

11 DR. MILLER: Thank you. We are ready to  
12 take a break. We are a little early again. I will  
13 tell you what, why don't we go ahead with the  
14 exposure assessment, Dr. Robie? We will go ahead  
15 and have that and then we will take a break after  
16 she finishes.

17 **Exposure Assessment**

18 DR. ROBIE: I am going to be referring to  
19 the handout you have in your package. I am going  
20 to be presenting the exposure assessment for  
21 acrylamide for FDA as prepared by Dr. Michael  
22 DiNovi and myself.

1 [Slide]

2 I am going to start out the presentation  
3 by going through some history, then I am going to  
4 move right into our exposure estimates and the  
5 model that we used, the assumptions that we made  
6 and the future work on it, and then the results and  
7 the interpretation.

8 [Slide]

9 You have already seen this a bunch of  
10 times. Less than a year ago a group of Swedish  
11 scientists reported the occurrence of acrylamide in  
12 food, and in their report they included a  
13 preliminary exposure estimate. This was based on  
14 acrylamide data in about 100 food samples. They  
15 split that into eight food categories. They may  
16 make some assumptions about the foods for which they  
17 didn't have acrylamide data, and they calculated a  
18 preliminary exposure estimate of about 0.7 mcg/kg  
19 body weight per day for a 60 kg individual.

20 [Slide]

21 Then, about two months later, two months  
22 after the Swedish scientists publicized their

1 findings, there was an FAO/WHO consultation held to  
2 discuss the issue of acrylamide exposure through  
3 the consumption of food. They also performed some  
4 exposure estimates. They used the same residue  
5 data as the Swedish scientists used in conjunction  
6 with some food consumption data from several  
7 national food consumption databases. They used a  
8 couple of different approaches to exposure  
9 estimates but the bottom line they reported was a  
10 range of about 0.3 to about 0.8 mcg/kg body weight  
11 per day, which is in agreement with the Swedish  
12 scientists.

13 [Slide]

14 That is all the history I am going to bore  
15 you with. Now I am going to go on to how we  
16 approached calculating the exposure to acrylamide.  
17 Really we approached it in the same way that we  
18 would approach the exposure to any additive or  
19 contaminant or naturally occurring substance in  
20 food, following this general equation.

21 Basically, we need information on the  
22 concentration of the substance that we are

1 interested in, in the food. Then, we need  
2 information on the food, and we need to know how  
3 frequently it is consumed, and we need to know how  
4 much is consumed and when it is consumed. We get  
5 the frequency and portion size from the food  
6 consumption databases and the concentration data  
7 for acrylamide we get from the laboratory. This  
8 expression, this multiplication takes place for  
9 every food, each individual food, the concentration  
10 of a food, times the intake of the food. This is  
11 summed over all of the foods that would contain the  
12 substance to get estimated daily intake of the  
13 substance for an individual. Then, this  
14 information is summed over individuals to get an  
15 EDI for the population. Again, this is the way we  
16 do it for every food additive, everything in food.  
17 So, there is nothing different there.

18 [Slide]

19 Food consumption surveys--I mentioned that  
20 we are going to be getting a lot of our data from  
21 them. We use three food consumption surveys. Let  
22 me explain them, the differences between them and

sgg

1 why we use three.

2           The first two that we use are CSFII  
3 surveys. We use a three-day consumption survey and  
4 a two-day, and each has about 20,000 participants.  
5 We just wanted to see the comparison between these  
6 two. This is going to help us evaluate the  
7 robustness of our model. Of course, in making this  
8 comparison we have to have knowledge as to how the  
9 number of days of the surveys is going to affect  
10 the outcome, the final answer that we are going to  
11 get. So, that is what I have in this last bullet.  
12 I was just going to leave this up here and then it  
13 was explained to me last week that this might not  
14 be intuitive so I am going to spend a couple of  
15 minutes explaining what this means.

16           The percent eaters, the percentage eaters  
17 value that we get from our food consumption survey  
18 is the percentage of eaters that report eating or  
19 consuming food on any one day of the survey. So,  
20 the longer the survey, the better chance we have to  
21 get higher percentages. We capture more of the  
22 eaters the longer the survey duration is.



1           The intake for the eaters is considered  
2 overestimated for a shorter survey because the  
3 intake for the eaters as reported from the survey  
4 is reported as an average over the days of the  
5 survey. So, the more days of the survey, the lower  
6 the intake for the eaters, the mean intake for the  
7 eaters.

8           [Slide]

9           We also use an MRCA 14-day survey. Again,  
10 we had the data; we wanted to make the comparison  
11 and test the robustness of our model. After saying  
12 what I just said about how the difference in the  
13 number of days is going to affect what we expect to  
14 see from the survey, I need to point out that this  
15 is a 14-day survey but it is 14 days of reported  
16 frequency. So, the percent eaters is going to be  
17 higher. The participants in the survey, every time  
18 that they consume the food, they report it as a  
19 consumption occasion. So, we are going to get  
20 percent eaters but they don't report the amount of  
21 food. The amount of food we get from the USDA  
22 Nationwide Food Consumption Survey so the frequency

1 data from MRCA is linked to this food consumption  
2 survey and this is a three-day survey.

3           So, I am going to talk about the  
4 differences between the CSFII surveys and the MRCA  
5 surveys because, again, we are going to be  
6 comparing them and we have to have knowledge as to  
7 what the differences are between them to make valid  
8 comparisons. There is a lot of difference in the  
9 food groupings between the two surveys. The CSFII  
10 food coding system allows us to be a lot more  
11 specific about the foods that we choose. The MRCA  
12 survey has big, broad categories. For example, for  
13 the CSFII survey we were able to separate crisp  
14 breads from crackers and other salty snacks,  
15 whereas for MRCA we weren't able to do that and  
16 they were all grouped together. When we get to the  
17 data and you see the tables you will see how the  
18 categories are changed slightly.

19           Another difference in the surveys is the  
20 time periods over which they were carried out. For  
21 the MRCA we are talking about early to mid-'80s,  
22 and the two-day CSFII survey was carried in the mid

1 to late '90s. Certainly, food consumption patterns  
2 and habits have changed over that time. Whether or  
3 not it is significant enough to affect the model is  
4 debatable but it is a difference that merits  
5 mention.

6 [Slide]

7 I am not going to spend a lot of time  
8 talking about this because our office is not  
9 actually doing this. This is work that is being  
10 performed by Dr. Clark Kerrington with the Office  
11 of Plants, Dairy Foods and Beverages Risk  
12 Assessment Division. He is looking at taking the  
13 two-day CSFII data, our most recent data, and  
14 expanding it to longer than two days. We really  
15 want to model product exposure and using a two-day,  
16 three-day or even a 14-day survey may not be that  
17 appropriate. I mean, it is data that we have so  
18 that is what we are using right now but Clark is  
19 going to be working on adjusting this two-day CSFII  
20 survey data and that is work that is in progress  
21 right now.

22 [Slide]

1           So, we are back to our exposure equation.  
2 This is basically the same equation that we had a  
3 few slides ago. The food frequency and portion  
4 size are combined here from the information that we  
5 get from the food consumption survey. Again, we  
6 multiply that by the concentration of the substance  
7 that is in each individual food. This is summed  
8 over food and summed over individuals. This is  
9 typically done at the mean so we arrive at a point  
10 estimate. The result we get is one point. This  
11 approach is useful for substances only in a few  
12 foods or when the EDI and the ADI to TDI in this  
13 case are very different from each other. In the  
14 Frito Lay presentation we saw that the ADI and TDI  
15 are not that different from each other so these two  
16 things don't really fit for acrylamide. Acrylamide  
17 is in a lot of foods so we decided to use  
18 probabilistic modeling.

19           So, instead of just using the means and  
20 getting point estimates we are able to use the  
21 entire distributions for the food consumption and  
22 also for concentration data. I am going to talk

1 about this in a lot more detail in the next few  
2 slides.

3 [Slide]

4 Probabilistic modeling is an iterative  
5 process and for each iteration, as I said, we use  
6 the whole food consumption distribution so each  
7 iteration is a random sampling of the food  
8 consumption distribution for an individual food.  
9 In a similar way, the computer will randomly sample  
10 the distribution for the acrylamide level that we  
11 have so we are not just going to be using an  
12 average acrylamide value that we have for each  
13 food. We are going to actually use distribution  
14 and then we are going to again apply the percentage  
15 of eaters to the result we get from multiplying the  
16 food consumption to the acrylamide level.

17 [Slide]

18 I mentioned random sampling. I am going  
19 to show an illustration of what that is. This is  
20 known as Monte Carlo sampling. To be strictly  
21 correct, Dr. DiNovi and I used a variation of Monte  
22 Carlo sampling called Latin hypercube sampling.

1 But for purposes of what we are talking about  
2 today, which is illustrating how the food  
3 consumption distribution is sampled, this sampling  
4 is certainly appropriate.

5           What we have is the cumulative probability  
6 distribution for a given food from zero to 100  
7 percent. So, from zero to one the computer  
8 generates a random number for each iteration. It  
9 generates a random number from zero to one. So, in  
10 this case, this is where the computer is generating  
11 a random number for this iteration. This random  
12 number is used to sample the food consumption  
13 distribution. This is the generated value for food  
14 consumption that we got for this iteration. The  
15 acrylamide concentration distribution is sampled in  
16 a similar way. Again, those two numbers for each  
17 iteration are multiplied together to arrive at the  
18 acrylamide intake that we will get from consuming  
19 that much of this particular food.

20           [Slide]

21           For this expression I am going to be using  
22 AA for acrylamide throughout this presentation. I

1 believe this is probably the first time you have  
2 seen this. This is just the same exposure equation  
3 that we have seen, just reworked a little bit. The  
4 food amount, the information that we get from the  
5 food consumption surveys is here. We are going to  
6 view this as one iteration. This is what is  
7 happening for each iteration for each food. The  
8 acrylamide level that is randomly sampled from the  
9 acrylamide concentration distribution is put there.  
10 Then, these two things are multiplied together to  
11 give us the amount of acrylamide that this  
12 iteration or virtual consumer will be exposed to by  
13 eating this amount of the food containing this  
14 amount of acrylamide.

15           Now, I have been saying that we are  
16 applying the percent eaters to this, and the way  
17 that we do that is also in each iteration and  
18 either a zero or a one is multiplied by the amount  
19 of acrylamide that we have decided we get from  
20 eating that food in this expression, here. One is  
21 in proportion to the percent eaters. Let's say a  
22 certain food is reported as having 80 percent

1 eaters, for 80 out of 100 iterations this number is  
2 going to be one, and this food will be considered  
3 to be eaten by this iteration, this virtual  
4 consumer. The other 20 times this number will be  
5 zero and there will be no contribution to the total  
6 acrylamide for this iteration or this consumer from  
7 this particular food.

8           Again, this expression is for a food for  
9 an iteration. The values are summed over all the  
10 foods and then we have combined all of the  
11 iterations to arrive at distributions for  
12 acrylamide intake, which I am going to be showing  
13 later on in the presentation.

14           [Slide]

15           This is what we will refer to as the first  
16 page of the handout, virtual consumer number one.  
17 I just want to illustrate the point that we showed  
18 on the last slide a little bit better by actually  
19 showing you the output that we see on the computer  
20 screen when we run an iteration.

21           I just have the first seven rows  
22 reproduced up here. You have the whole table. The



1 food consumption of the eater is the result that we  
2 get from sampling the food consumption distribution  
3 for this iteration for each individual food and  
4 then the acrylamide concentration, again, is  
5 sampled from the acrylamide level distribution for  
6 this particular food. These are multiplied  
7 together and, again, they are multiplied by either  
8 zero or one depending on whether or not this food  
9 is considered to be eaten by this consumer for this  
10 iteration. So, we either have a zero here or we  
11 have a number for acrylamide contribution to this  
12 particular eater. These are all summed then to  
13 arrive at the value of 0.49 for this particular  
14 eater or this particular iteration.

15 We have provided you a couple of  
16 additional virtual consumers in your packet. I  
17 think there are three more virtual consumers just  
18 to give you an idea and make sure everybody  
19 understands the point of what we are doing here and  
20 the results that we are getting.

21 [Slide]

22 We did 25,000 iterations. I am going to

1 explain some of the assumptions that we made in the  
2 model. The first one is that there has been no  
3 accounting for correlations between food choices,  
4 either positive or negative. Examples of that  
5 could be if you look at virtual consumer number  
6 four, virtual consumer number four is shown as  
7 consuming both oven browned and restaurant French  
8 fries on the same day. All of this is entirely  
9 possible as an example of a negative correlation.  
10 A positive correlation could possibly be between  
11 peanut butter and bread or coffee and toast. These  
12 are things that we haven't included in the model.  
13 It is not that it can't be done; it can be done but  
14 historical knowledge has shown us that really it  
15 won't make that much difference and won't have that  
16 much of an effect on the bottom line result that we  
17 are going to get from running the model.

18 Another assumption that we made, or what  
19 we wanted to make you aware of at least, is that we  
20 have used the food consumption distributions only  
21 from zero to the 99th percentile. The 100th  
22 percentile we saw what we considered to be some

1 pretty irrationally high values. I know that is a  
2 strong word but 13 liters of coffee for one person  
3 in one day not only seems like a lot of coffee but  
4 it is also 11 liters higher than what is reported  
5 at the 99th percentile. So, we didn't want these  
6 numbers, which we considered irrationally high and  
7 possibly reporting errors or calculation errors of  
8 some kind, to interfere with our model. Another  
9 example of that is cookies, 620 grams of cookies  
10 per day was reported at the 100th percentile by the  
11 CSFII two-day survey and the recommended portion of  
12 cookies is 30 grams, and also at the 99th  
13 percentile this value of cookies was 130 grams.  
14 So, you know, it is a five times difference between  
15 the 99th and 100th percentile. So, we just went  
16 ahead and took off the 100th percentiles and used  
17 from zero to 99.

18 DR. BUSTA: Why did you use zero?

19 DR. ROBIE: It is possible that people  
20 consumed zero. I see what you are saying, to just  
21 cut off both extremes of the distribution. There  
22 is no zero percentile? Good point.

1 [Slide]

2 Some of the limitations that we see in the  
3 model include the surveys and the laboratory data  
4 which are two inputs for getting information from  
5 the surveys about the food consumption. We have  
6 already talked about these. We have talked about  
7 the duration of the surveys. Two or three days,  
8 even 14 days to model product intake is not an  
9 ideal situation. And, the food classifications, I  
10 have already talked about, especially for the MRCA.  
11 They have very broad groupings. It would be better  
12 if we could separate the groups as much as  
13 possible.

14 A primary limitation of the model is  
15 laboratory data. You have heard people talk about  
16 the laboratory data all day and I am going to do it  
17 again. Some of the samples that we have of food  
18 types are represented by fewer than five data  
19 points from our laboratory and we see that as a  
20 limitation. Most of these are the samples that are  
21 lower consumption and don't contain a lot of  
22 acrylamide. We have tried to focus on the ones

1 that we think are going to have a big impact on the  
2 total overall intake for the population.

3 Another model limitation that we see is  
4 variability in acrylamide levels in different  
5 foods. Again, you have already heard people  
6 talking about this all day today. What we do see  
7 is that as you go down this list we see greater  
8 variability. There is a significant amount of  
9 lot-to-lot variability, even more brand-to-brand  
10 variability. Different products can be included in  
11 the same food category. I don't want to pick on  
12 potato chips here but we have a lot of potato chip  
13 data so we have definitely seen this. We have seen  
14 differences in lot-to-lot, brand-to-brand and  
15 product-to-product. If you have a baked potato  
16 chip versus a fried potato chip, they both have  
17 acrylamide. The differences between the two are  
18 pretty drastic.

19 Then, there is always the problem with the  
20 foods prepared at home and, again, you have heard  
21 about this. We do have data on toast and we have  
22 data on oven browned French fries but these are

1 samples that were prepared in our laboratory  
2 following package instructions and I don't really  
3 think we have probably captured the variability  
4 that we are going to see in people making it at  
5 home to their color and taste preference.

6 [Slide]

7 Just one more slide and then we will go to  
8 data. We wanted to make you aware that we did  
9 apply some factors to some of the food types,  
10 typically the foods that are consumed as liquids.  
11 The analytical technique that we are using makes it  
12 a lot easier to test these as solids than as  
13 liquids as they are consumed but, of course, they  
14 are reported in food consumption surveys by the  
15 survey participants as liquids as they drink them  
16 or eat them.

17 So, for the last three on this list,  
18 instant coffee, dry soup and dry cocoa powder, the  
19 calculating effect was pretty straightforward in  
20 that we are taking the amount of the dry powder  
21 that we are going to then add a known amount of  
22 water to and dilute and consume the entire amount.

1 So, whatever is in the package, you are consuming  
2 it all.

3 For ground coffee it is not so  
4 straightforward because when you make coffee you  
5 don't eat the grounds. We know how much acrylamide  
6 is in ground coffee. What we need to know is how  
7 much acrylamide is in coffee as consumed so we can  
8 compare it with the food consumption data that we  
9 have. We use a value of 24 for this. This has  
10 been experimentally derived by Dr. Musser's lab.  
11 They measured the acrylamide in the ground coffee.  
12 They made coffee from it and then they measured the  
13 acrylamide in the coffee as consumed. They are  
14 still working on fine-tuning this number, if it is  
15 25 or 23, but we are right there with the  
16 preliminary value that they have given us, I am  
17 sure.

18 [Slide]

19 As I said, we used three food consumption  
20 surveys and data from those and we did it for two  
21 populations. So, really you are going to have six  
22 slides for these. Instead of tabulating all 30

1 foods for all three surveys for both populations, I  
2 am going to go ahead and just show two slides just  
3 to show you all the foods that we are considering,  
4 and then we are going to go on to the  
5 survey-specific information. Actually, these are  
6 results from the CSFII two-day survey for the two  
7 years and older population. The data that you have  
8 here is the mean population for acrylamide intake  
9 for this food. This is restaurant fried French  
10 fries; this is oven browned French fries. These  
11 are sorted in order of their contribution for  
12 acrylamide, the contribution that they are going to  
13 have on the total acrylamide intake for the  
14 population. And, we have cumulative percentiles  
15 too.

16           So, restaurant fried French fries will  
17 contribute 15 percent acrylamide to the total  
18 population. Oven browned French fries will  
19 contribute another 13 percent. So, the cumulative  
20 percentile there is 27 percent, and so on down the  
21 slide to soft bread. We pick it back up again at  
22 corn snacks and then down to soup mix. Here are



1 the last, cocoa to breaded fish and then donuts to  
2 multi-drinks, with multi-drinks having the least  
3 contribution to the total population acrylamide  
4 intake of 0.37 mcg/kg body weight per day. I know  
5 that these look like they have no contribution. I  
6 decided to only go out to three decimal places on  
7 the slide but they do contribute, just not very  
8 much.

9 [Slide]

10 Now we are going to look at data specific  
11 to the populations in food surveys. Let me refer  
12 you to page six of the handout. The way that these  
13 are ordered is just from oldest data to most recent  
14 data. There is no other significance to the order  
15 that I will be showing you.

16 These are the results of the MRCA 14-day  
17 survey two years old and older population. I have  
18 up here the foods contributing five percent or more  
19 individually to the total acrylamide population of  
20 0.48. These are eight foods. You have the rest in  
21 your handouts. For each food we have the percent  
22 eaters, the mean food consumption for the eaters,

1 the acrylamide concentration for that food. If we  
2 multiply the mean food consumption of the eaters by  
3 the mean acrylamide concentration for each food we  
4 get an eaters only acrylamide intake, which we then  
5 weight using the percent eaters to get a mean  
6 population acrylamide intake for that food. Then  
7 we sum all these to arrive at a value of 0.48  
8 mcg/kg body weight per day as the mean acrylamide  
9 intake for the population using this survey data.

10 [Slide]

11 This is the same table for the CSFII  
12 three-day survey. Again, the foods that we have  
13 chosen to tabulate on the slide are the ones that  
14 contribute five percent or more individually to the  
15 total mean acrylamide intake of the population  
16 which, for this survey, is about 0.32. It is a  
17 little bit less than what we saw for the 14-day  
18 survey but, again, over 14 days we are going to  
19 have a lot greater percent eaters. That is why  
20 this value is lower here than for the MRCA 14-day  
21 survey.

22 The other thing of significance to look at

1 on this slide--actually, I was going to show you  
2 the percent eaters difference. Look at the percent  
3 eaters for potato chips here. It is 18 percent for  
4 this survey and it is 76 percent for MRCA 14-day  
5 survey. So, the difference in the percent eaters  
6 can be very significant for some foods.

7           The other thing to note on this slide as  
8 compared to the slide for the MRCA data is that  
9 these top foods that each contribute five percent  
10 or more are the same for both surveys. The order  
11 is changed a little bit but the top contributors  
12 are the same for both surveys.

13           [Slide]

14           Then I have the same slide, which is the  
15 next page in your handout, for the CSFII two-day  
16 survey. The bottom line here is 0.37 and we saw  
17 0.32 for the three-day survey. It is a little bit  
18 higher, which is expected, but everything is pretty  
19 much in the same ball park. Again, the top eight  
20 foods are the same. The order may be a little bit  
21 different but the top contributors are the same no  
22 matter which survey you look at, which shows us

1 that our model is very robust.

2 Another thing I just want to point out is  
3 that all these values are very consistent with each  
4 other that we have gotten from these three surveys,  
5 and also they are consistent with previous exposure  
6 estimates which I talked about on the first couple  
7 of slides of this presentation.

8 [Slide]

9 We are going to go in the same order of  
10 surveys for two- to five-year old population. That  
11 is on the next page of the handout. The first  
12 things that are probably pretty obvious to you are  
13 that we no longer have eight foods; it is seven  
14 foods because the coffee dropped off. Two- to  
15 five-year olds aren't drinking a lot of coffee,  
16 which is good. Each of these foods, these tops  
17 seven foods, are again contributing five percent or  
18 more individually to the mean acrylamide intake for  
19 this population and it is the same seven foods as  
20 we saw on all the other slides, except for coffee.

21 Another thing that I am sure you have  
22 noticed is the fact that the mean acrylamide intake

1 for the population is about twice what it was for  
2 the two years old and older population. This is  
3 expected when we are talking about data on a  
4 kilogram body weight basis. Children tend to eat  
5 about half of what adults eat but they weigh about  
6 a fourth of what adults weigh. So, the factor of  
7 two is an expected result for what we see here.

8 [Slide]

9 Then, for the CSFII three-day survey data  
10 for the two- to five-year old population, again the  
11 coffee is gone and the top seven foods are the  
12 sane. The order is moved around a little bit.  
13 Again, the value that we have here is lower than we  
14 had for the 14-day. Again, we are capturing fewer  
15 eaters, and it is also about twice what we saw for  
16 the CSFII three-day survey for the two years old  
17 and older population.

18 [Slide]

19 One more table of data, the CSFII two-day  
20 survey, and we see the same trends as we saw for  
21 the two years old and older population. Again,  
22 this mean acrylamide intake for the population is a

1 little bit higher than we saw for the three-day  
2 survey but with a shorter survey that is expected.  
3 Again, the top seven foods are the same.

4 [Slide]

5 I have shown you tables of data, lots of  
6 tables of data. Now I am going to show you a lot  
7 of distributions to go along with those tables of  
8 data. On the data tables that you saw we showed  
9 you mean acrylamide concentrations and mean food  
10 consumption and a mean result but we really don't  
11 get out just a mean; we get distribution of  
12 acrylamide intakes. This is for the MRCA 14-day  
13 survey two years old and older population.  
14 Acrylamide intake is on the X axis in units of  
15 mcg/kg body weight per day. The mean, again, is  
16 about 4.8 mcg/kg body weight per day. This occurs  
17 about the 70, 75th percentile and that is the case  
18 for all of the survey results, all the  
19 distributions that I am going to show.

20 DR. MILLER: Just to clarify, we are  
21 talking here about population means; we are not  
22 talking about eaters?

1 DR. ROBIE: Right, we are talking about  
2 population means. I am sorry if I misspoke.

3 Another thing of note, the 99th percentile  
4 we have shown here is 0.91 mcg/kg body weight per  
5 day, just about twice the mean for the population,  
6 which is an expected result.

7 [Slide]

8 This is the same looking type distribution  
9 for the acrylamide intake from the CSFII three-day  
10 survey data for the two years old and older  
11 population.

12 [Slide]

13 For the CSFII two-day survey for the two  
14 years old and older population.

15 [Slide]

16 Then we will go on to the two- to  
17 five-year old population. One difference of note  
18 here is that we have expanded the scale to six. We  
19 cut the scale off at three for the two years old  
20 and older population. We have already discussed  
21 how we expect to see a doubling in the population  
22 mean intake when we are talking about two- to

1 five-year old population when comparing to a two  
2 years old and older population on a kilogram body  
3 weight basis.

4 [Slide]

5 Here is the acrylamide intake distribution  
6 for CSFII three-day survey two- to five-year old  
7 population.

8 [Slide]

9 And the two-day survey. So, we haven't  
10 seen anything unexpected. Again, all the surveys  
11 agree with each other and the previous exposure  
12 estimates, and that is all I am going to say about  
13 that.

14 [Slide]

15 The table that you have in your handout to  
16 go along with this slide I believe is on page five.  
17 What we have done here, just to round out the whole  
18 picture and put things in perspective, is to show  
19 you how much acrylamide an eater would get from  
20 consuming one recommended portion of any of these  
21 foods. We are applying the mean acrylamide  
22 concentration. We are multiplying these by



1 recommended portion sizes. These are not portion  
2 sizes that we got from any survey; these are  
3 portion sizes from 21 CFR 101. This is the  
4 labeling section of CFR, the food labeling section.  
5 These are the portion sizes that you are going to  
6 see on labels. These are recommended portion  
7 sizes.

8           What I have tabulated here are the top  
9 eight foods that you kept seeing consistently in  
10 the previous tabulated data. You have the full  
11 list in front of you, and these are also in  
12 alphabetical order.

13           I guess the thing I want to point out here  
14 first of all is that this is wrong in the copies of  
15 the slides. This is 3.2 and the actual number is  
16 2.0. It is correct on page five of your handouts.  
17 Something else to note is that certain foods that  
18 we saw having large contributions appreciably  
19 contributing to the total acrylamide intake for the  
20 population, notably breakfast cereal and soft  
21 bread, there is really not a lot of acrylamide per  
22 portion for these foods. These are frequently

1 consumed foods and highly consumed foods.

2 [Slide]

3 We also ran some "what if" scenarios.

4 Probabilistic modeling lends itself very well to  
5 carrying out these types of scenarios. What we are  
6 talking about here is looking at the effect of a  
7 chosen mitigation measure on the population  
8 acrylamide mean, final result. So, we have chosen  
9 some foods and food groups and set the acrylamide  
10 level of these foods and food groups to be zero and  
11 we ran the model. I am going to show you the  
12 results for several food groups.

13 It is important to note, however, that the  
14 foods are still included in the model. We are just  
15 assuming that the acrylamide can be removed from  
16 the foods. To remove the food from the model and  
17 consider it not being in the diet anymore we would  
18 have to consider what it would be replaced by and  
19 the implications behind that. So, that is not  
20 anything that we did here. We just set the levels  
21 to zero in the foods.

22 [Slide]

1           These are the results for several  
2 different food groups for the CSFII two-day survey  
3 two years old and older population. Remember, the  
4 population mean result for the acrylamide intake  
5 was 0.37. We have assumed zero concentration of  
6 acrylamide in French fries, both types of French  
7 fries, both the restaurant fried and oven browned  
8 French fries, and recalculated the mean to be 0.26  
9 mcg/kg body weight per day.

10           We also ran it assuming that acrylamide  
11 would be removed from snack foods, and in snack  
12 foods we included potato chips, corn snacks,  
13 popcorn and pretzels. The mean was reduced to 0.31  
14 mcg/kg body weight per day.

15           We did the same thing for breakfast cereal  
16 and coffee. The bottom line here, and Terry  
17 mentioned this in the morning, is that no one food  
18 is contributing the majority of the acrylamide to  
19 the total population of acrylamide intake that we  
20 are seeing in any of the surveys.

21           [Slide]

22           For our future work I have already

1 mentioned the modeling of longer-term food  
2 consumption that Clark Kerrington is working on for  
3 us to more accurately model chronic intake. We  
4 will continue to run "what if" scenarios based on  
5 the technological capabilities in industry. Also,  
6 we are going to analyze the sensitivity analysis of  
7 our model. Sensitivity analysis will allow us to  
8 determine the sensitive inputs for the model which,  
9 in turn, allows us to identify important  
10 uncertainties and that is going to help direct our  
11 future efforts in our exposure estimate.

12 [Slide]

13 In summary, we have seen that the mean  
14 population acrylamide intakes that we got from  
15 three survey are consistent with previous exposure  
16 estimates. The greatest contributors to the mean  
17 population acrylamide intake, the top eight or the  
18 top seven for the children, is the same for all  
19 surveys. We see that some of the foods that have  
20 lower acrylamide levels do contribute appreciably  
21 to the overall mean population but, again, this is  
22 because they are commonly consumed foods. On the

1 "what if" slide I just showed no one food or food  
2 group accounts for the majority of the mean  
3 population acrylamide intake.

4 Thank you very much for your attention.

5 **Questions of Clarification**

6 DR. MILLER: Thank you, Donna. Comments  
7 or questions? Yes?

8 DR. BUSTA: If you ran the "what if"  
9 scenario on the two- to five-year olds that had a  
10 mean of 1.0, knowing that the coffee wouldn't be  
11 there, would it be a similar kind of reduction or  
12 would it be more dramatic? These range somewhere  
13 between 35 percent reduction and less than that.

14 DR. ROBIE: Let me find the data.

15 DR. BUSTA: I can look for it. Down to  
16 0.84, so go down from 1.0 to 0.84. You are talking  
17 about removing French fries?

18 DR. BUSTA: Breakfast cereal.

19 DR. ROBIE: Breakfast cereal.

20 DR. MILLER: Johanna?

21 DR. DWYER: Thanks for a very good  
22 presentation. I am sort of hung up on one thing,

1 and that is this business of using food consumption  
2 surveys of different lengths of time, two, three 14  
3 days, and the issue of a person reporting they  
4 didn't eat it because they didn't eat it on that  
5 day when what you are really trying to model is  
6 chronic consumption. It could be that they do eat  
7 it but they don't eat it the day that you observe  
8 them or they report on.

9           It is my understanding that the group at  
10 Iowa State has been doing some statistics, as they  
11 always do, and have developed a method that may  
12 help to adjust distributions in that respect. Now,  
13 I understand that, particularly when you get up to  
14 the 90 percentiles, they can be up to the 95th  
15 percentiles. It is also my understanding that a  
16 group at the National Cancer Institute, Dr. Dowd  
17 and his colleagues, are working on something called  
18 propensity to consume that basically is just--I  
19 hope he is not here--a guess as to how often you  
20 consume something that you are telling the  
21 observer, and that has now been approved for use in  
22 NHANES. Could you tell me in your modeling if you

1 are taking that into account, adjusting in any way  
2 for the artifact because of those issues?

3 DR. ROBIE: The longer-term food  
4 consumption modeling that we are talking about that  
5 another scientist in our office is working on, I  
6 believe that is about the same as the IOC. I don't  
7 understand the intricacies and I didn't talk about  
8 it too much about it today, but this is something  
9 that has been done before and been published. I  
10 believe this is along the same lines as what you  
11 are talking about. This is just taking a little  
12 bit more time. We went ahead and did what we could  
13 with the two- and three-day surveys to see what  
14 results we could get. Of course, we are not  
15 stopping there. We are considering this  
16 preliminary. But I believe that what we are doing  
17 with these data here, these two-day data to expand  
18 over 365-day period is similar or the same as what  
19 you are talking about.

20 DR. DWYER: Well, if you are eventually  
21 going to be using the NHANES survey for looking at  
22 hemoglobins and all these other things and trying

1 to relate it to consumption it might be worth  
2 talking to the people at NCHS to find out if, on  
3 the instrument they have, they have 120 foods I  
4 think where they are asking for propensity to  
5 consume, if those eight foods that you care a lot  
6 about from the standpoint of acrylamide are  
7 included in that list. If they are not, perhaps it  
8 is worth talking to them and seeing if there is a  
9 way to get them.

10 DR. ROBIE: Thank you.

11 DR. MILLER: If you did the same analysis  
12 you did on the population of eaters only, would the  
13 top seven foods change any or would the  
14 distribution change any?

15 DR. ROBIE: Well, we can look at one of  
16 the tables because on your tables we do also have a  
17 column of eaters only. I am just going to put up  
18 one of the tables for anybody who doesn't have the  
19 handouts. If we were to sort on the eaters only  
20 column, certainly the foods that you are seeing  
21 right now are not going to be the same ones if you  
22 sort if by that column. We have looked at that.



1 The breakfast cereal is where it is because the  
2 percent eaters is high, especially for the 14-day  
3 surveys, 37 percent. So, I guess the answer to the  
4 question is yes, they would sort differently.

5 DR. MILLER: It just seemed to me that  
6 there were enough differences between the two, just  
7 quickly glancing at these curves, that you would  
8 get a different pattern of what the top seven or  
9 eight might be for people who are eaters and also,  
10 of course, the total intake is going to be  
11 considerably higher or at least would be  
12 significantly higher.

13 DR. ROBIE: For eaters only, yes, that is  
14 true.

15 DR. MILLER: It would be interesting to  
16 know how much greater the intake is for eaters  
17 only.

18 DR. ROBIE: Well, it is not really  
19 appropriate to add the eaters only values. If each  
20 of these categories was 100 percent eaters then  
21 that would be appropriate, or if we knew that they  
22 were the same eaters. That is why we have added

sgg

1 for the mean population.

2 DR. MILLER: Right, I understand. Anybody  
3 else?

4 DR. DWYER: I have one more.

5 DR. MILLER: Yes, please.

6 DR. DWYER: I guess the first thing I  
7 would ask if I were coming off the street is the  
8 Swedes came up with an estimate of about 0.7 and  
9 then FAO came out with an estimate of 0.3 to 0.8.  
10 You are coming out with estimates that are toward  
11 the lower end. Is this because you are from a fast  
12 food nation or is it because of some defect in the  
13 model, or is our model better and were those early  
14 estimates simply imprecisely high?

15 DR. ROBIE: Well, I'd like to think our  
16 model is better but I can't necessarily say. I can  
17 say for the Swedish data, again, this is a very  
18 limited data set, only 100 food samples for only  
19 eight food categories. They made a lot of  
20 assumptions and predictions for the foods that they  
21 didn't have data for, but they assumed that they  
22 had acrylamide, things like meats and vegetables

1 and fruits. They went ahead and assumed that they  
2 had the same average level of acrylamide as the  
3 food they did test. I believe that is why their  
4 value is so high.

5 As for the results from the FAO/WHO, it is  
6 hard to know reading the report. Again, they used  
7 the same residue data so we are talking about a  
8 limited data set to start with. It is not really  
9 clear from reading this report what they have done  
10 about the foods that haven't been tested at this  
11 point, if they also made assumptions or if they  
12 assumed those to be zero and ran the model. Again,  
13 there are several different food consumption  
14 databases so it is possible that the value is  
15 higher for Sweden and lower for another country and  
16 that is how they came up with this range. Their  
17 lowest number in the range is 0.3; our lowest  
18 number is about 0.3, and we go from about 0.3 to  
19 about 0.5.

20 DR. MILLER: Dr. Torres?

21 DR. TORRES: What is the body weight  
22 difference between the U.S. population and the

1 Swedish population? I imagine it must be  
2 significant.

3 DR. ROBIE: I can't say I know the answer  
4 to that question.

5 DR. MILLER: It really isn't that much  
6 different. Anybody else?

7 DR. TORRES: One last question, if you  
8 were to run the computer model a couple of times  
9 what would be your position about the value  
10 obtained? Is it going to be pretty reproducible?  
11 The question I want to ask you is how confident are  
12 you of the numbers that you are getting?

13 DR. ROBIE: We are very confident in the  
14 numbers. The number of iterations we have chosen  
15 is 25,000 and Dr. DiNovi and I ran it once just to  
16 see how long it took to converge. It was 5000  
17 iterations and we have run it several times and get  
18 the same results.

19 DR. MILLER: We will take a 20-minute  
20 break and be back here at three o'clock.

21 [Brief recess]

22 DR. MILLER: Given the nature of the

1 product and how widely is used, why don't we see  
2 pizza on this list?

3 DR. ROBIE: Somebody else asked me the  
4 pizza question. We don't have laboratory data on  
5 pizza. We have some data on Boboli pizza crusts  
6 where they detected above the limit of detection.  
7 I can't remember the actual residue levels.  
8 Forgive me, I don't have the data right in front of  
9 me and there are quite a few data points. But I  
10 know that Steve Musser's laboratory has tested the  
11 Boboli pizza crust. I don't think we have tested  
12 any like take-out pizza from any pizza chain or  
13 anything like that.

14 DR. MILLER: Well, what kind of data did  
15 they get?

16 DR. ROBIE: For the Boboli pizza?

17 DR. MILLER: Yes.

18 DR. ROBIE: I think it was non-detect.  
19 It might have been detected but under the limit of  
20 quantitation for the method. We deemed that the  
21 values weren't high enough to really have enough  
22 data. We are talking about a Monte Carlo method so

1 we don't want to add something that has just a few  
2 levels that non-detects or are under the limit of  
3 quantitation.

4 DR. MILLER: But you have large numbers of  
5 eaters.

6 DR. ROBIE: Well, we would assume we have  
7 large number of eaters. I can't necessarily say  
8 that without looking at the survey data. You would  
9 assume I guess that there would be a large number  
10 of eaters but I don't want to say that  
11 unequivocally without looking at the data.

12 DR. MEHENDALE: To follow up, you know,  
13 crust is one aspect and also cheese and the crust,  
14 of course, is relatively low in moisture and so on.

15 DR. BUSTA: In one table it said 33, the  
16 crust. It is really low.

17 DR. MILLER: That is interesting. Given  
18 that it is basically a flat bread, you would expect  
19 to find a high concentration. Thank you.

20 DR. ROBIE: While I am up here, I  
21 want to point out an error on the last two tables  
22 of the handout that you have. It says two plus

1 population and it should be two- to five-years  
2 population. That is one of the hazards of cutting  
3 and pasting. I apologize for that error.

4 DR. MILLER: Thank you for your patience.  
5 Dr. Tim Fennell, RTI International, will talk about  
6 adduct studies.

#### 7 Adduct Studies

8 DR. FENNELLS: Thank you very much. I am  
9 very pleased to be here. I would like to thank the  
10 organizers for inviting me, and I would like to  
11 thank you all for your time.

12 [Slide]

13 What I am going to talk about, what I was  
14 actually asked to talk about are adducts of  
15 acrylamide, and metabolism is one of those things  
16 that is inextricably thrown in there so I am going  
17 to talk about metabolism also.

18 I am going to review some of the general  
19 concepts of metabolism and pharmacokinetics,  
20 hemoglobin adducts and DNA adducts, and then get  
21 into a little bit about the history of metabolism  
22 of acrylamide, hemoglobin adducts and DNA adducts,

1 and some of the recent studies that we have been  
2 doing and where we are going.

3 [Slide]

4 I would like to acknowledge a number of my  
5 collaborators. I used to be at CIIT and while I  
6 was there I worked extensively with my wife, Dr.  
7 Susan Sumner. I would like to particularly call  
8 attention to a couple of people. One is Rodney  
9 Snyder who has moved to RTI with me, and Burham  
10 Ghanayem at NIEHS who has collaborated with me on  
11 Cyp 2E1 null mice. I would also like to call  
12 attention to the various sources of support I have  
13 had from CIIT and from the acrylamide industry, and  
14 in particular most recently SNF who is currently  
15 funding some of my studies.

16 [Slide]

17 Going back just to give you a little bit  
18 of history, I started work on acrylamide in 1989.  
19 I had never been to a meeting on acrylamide until  
20 last year.

21 [Laughter]

22 It just shows you how much interest has



1 been generated since April of last year. Since  
2 August of last year I have been to five specific  
3 meetings on acrylamide.

4 [Laughter]

5 That is what it is like to be in vogue.

6 Acrylamide is a reactive chemical. It  
7 undergoes Michael additions. As we have heard, it  
8 is very reactive with sulfinyl groups. It also  
9 reacts with amino groups. It is extremely reactive  
10 with proteins and reacts very slowly with DNA.

11 [Slide]

12 I am going to be talking about a number of  
13 different kinds of labeled acrylamide and I just  
14 wanted to go through and review for everybody what  
15 I am talking about. For unlabeled acrylamide, we  
16 usually use that for pharmacokinetic studies for  
17 measurement of chemicals. For chemical  
18 measurements with things like mass. spec. For  
19 radio-labeled acrylamide we use 2,3, <sup>14</sup>C acrylamide.  
20 The labels are in the vinyl carbons. Usually we  
21 have a small percentage labeled used for metabolism  
22 disposition, pharmacokinetics, adducts--you name

1 it, we can do it.

2           The limitation here is how hot you can  
3 make this, how high a degree of radioactivity. It  
4 has a tendency to polymerize. So, that is always  
5 one of the big concerns. You can't make it  
6 tremendously high.

7           What we have done a lot of studies with is  
8 uniformly labeled 1,2,3 <sup>13</sup>C, <sup>14</sup>C acrylamide which is  
9 essentially 100 percent labeled at each site. We  
10 use this for metabolism disposition studies and  
11 also for adducts. We developed at CIT a method for  
12 analyzing metabolites by taking this material,  
13 giving it to animals, collecting the urine and  
14 looking at metabolism in the urine using <sup>13</sup>C NMR  
15 spectroscopy and we can use that to find and  
16 characterize specific metabolites even if we don't  
17 know they are there until we go looking for them  
18 and we can measure them.

19           [Slide]

20           One of the other things we need to talk  
21 about when it comes to metabolism is glycidamide.  
22 This is the epoxide metabolite of acrylamide. It

1 is formed by oxidation. It is a reactive epoxide.  
2 It reacts with protein and it also reacts with DNA.  
3 This is the big concern from the standpoint of  
4 carcinogenesis. This reacts with DNA and can cause  
5 mutations.

6 [Slide]

7 So, when we are dealing with metabolism  
8 and pharmacokinetics, generally most chemicals  
9 undergo metabolism to things that are more water  
10 soluble and less toxic. While most of the  
11 metabolites are unreactive and heavily excreted,  
12 some are more reactive. The problem with reactive  
13 chemicals or metabolites is that they can react  
14 with macromolecules, with glutathione, and they can  
15 disrupt all kinds of cellular processes that can  
16 lead to toxicity or carcinogenicity.

17 When you have metabolism by more than one  
18 route, and we actually do have that with  
19 acrylamide, you may have one route that will give  
20 you the reactive metabolites and the other that  
21 will give you stable metabolites. Then, you can  
22 have those reactive metabolites undergo further

1 metabolism so that life gets complicated.

2           What we really want to know is the balance  
3 between the various metabolic processes, the  
4 relative rates, and these can be an important  
5 determinant in toxicity and they can differ between  
6 species and between high and low doses.

7           [Slide]

8           So, when it comes to risk assessment, we  
9 want to know about metabolism and adduct formation  
10 so we can understand relationships between exposure  
11 and internal dose. Here, internal dose is  
12 something that pharmacokineticists think of as area  
13 under the curve in blood. It is the amount  
14 integrated over time at a particular site.

15           For dose response--we have already heard  
16 about dose response and linearity. Do we have  
17 linearity in range of effects? Can we compare our  
18 internal dose measures with effects that are  
19 generated in bioassays? Do we have differences  
20 between species? Can we use measures of dose for  
21 reactive chemicals or metabolites to improve other  
22 studies such as epidemiology studies,