

# **Official Transcript of Proceedings**

## **NUCLEAR REGULATORY COMMISSION**

Title:                   Advisory Committee on the Medical  
                              Uses of Isotopes (ACMUI)

Docket Number:       (not applicable)

Location:  
                  Rockville, Maryland

Date:                    Wednesday, October 18, 1995

Work Order No.:       NRC-363

Pages 1-224/280-307

**1323 Rhode Island Avenue, N.W.**  
**Washington, D.C. 20005**  
**(202) 234-4433**

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24

UNITED STATES OF AMERICA

NUCLEAR REGULATORY COMMISSION

+ + + + +

ADVISORY COMMITTEE ON MEDICAL

USES OF ISOTOPES (ACMUI)

+ + + + +

WEDNESDAY,

OCTOBER 18, 1995

+ + + + +

ROCKVILLE, MARYLAND

+ + + + +

The Advisory Committee met at the Nuclear Regulatory Commission, Two White Flint North, Room T2B3, 11545 Rockville Pike, at 8:30 a.m., Barry Siegel, Chairman, presiding.

MEMBERS PRESENT:

- BARRY A. SIEGEL, M.D., Chairman
- DANIEL S. BERMAN, M.D., Member
- WIL B. NELP, M.D., Member
- ROBERT M. QUILLIN, Member
- JUDITH ANNE STITT, M.D., Member
- DENNIS P. SWANSON, M.S., B.C.N.P., Member
- LOUIS WAGNER, Ph.D., Member
- DAVID WOODBURY, M.D., Member
- JUDITH I. BROWN, Member

- 1 Also Present:
- 2
- 3 Larry Camper
- 4 Josephine M. Piccone
- 5 Donald Cool
- 6 Sally Merchant
- 7 Torre Taylor
- 8 Manuel Cerqueira
- 9 Cheryl Trottier
- 10 Stewart Schneider
- 11 Trish Holahan
- 12 Cathy Haney
- 13 Jim Smith
- 14 Jim Clark
- 15 Mattew Combs
- 16 Susie Hoffman
- 17 Dennis Sering
- 18 Evelyn Watson
- 19 Peter Almond
- 20 Mel Griem
- 21 Carl Paperiello
- 22 Patricia Rathbun
- 23 Bob Ayres
- 24
- 25

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

A G E N D A

<u>Agenda Item</u>	<u>Page</u>
Director's Comments	4
ACMUI Review of Training and Experience Exemptions	19
Sally Merchant	
Update on Rulemakings and Guidance	78
Intravascular Brachytherapy Issues	132
Jim Smilth	
Exemption for Commercial Distribution for	
<i>in vivo</i> testing	174
Inspection Manual 1360 Role of Medical Consultant	203
Dennis Serig	
Manual Chapter on Patient Follow-up	218
Report on Subcommittee Review of Draft	
Licensing Modules	280
Barry Siegel	
Status Report on National Academy of Sciences Study	
of Medical Use Program	300
Patricia Rathburn	

P-R-O-C-E-E-D-I-N-G-S

(8:36 a.m.)

MR. CAMPER: Good morning, ladies and gentlemen.

I am pleased to welcome you to Rockville, Maryland and to the NRC headquarters for this public meeting of our advisory committee on the medical uses of isotopes. I am Larry Camper. I am the chief of the Medical, Academic, and Commercial Use Safety Branch, and I am the designated federal official for this advisory committee meeting.

This meeting -- this announced meeting of the advisory committee is being held in accordance with the rules and the regulations of the General Services Administration and the Nuclear Regulatory Commission. This meeting was announced in the Federal Register on the 25th of September, 1995, and that notice stated that the meeting will begin at 8:30 a.m. and we're just a little bit late.

The function of the advisory committee is to advise the NRC staff on issues and questions that arise in the medical use of byproduct material. The committee provides counsel to the staff but does not determine or direct the actual decisions. The NRC solicits the opinions of counsel and values the opinions of this committee very much.

The staff requests that the committee reach a consensus, if possible, on the various issues that will be discussed today but also values stated minority or dissenting

1 opinions. And we ask that you would clearly articulate those  
2 dissenting opinions as we discuss the specific agenda items.  
3 Our agenda today is once again full and I would request that  
4 you make your comments specifically germane to the topic under  
5 discussion and make them as succinct as possible so we can  
6 conduct as much business as possible.

7           As part of the preparation for this meeting, I  
8 have reviewed the agenda for members financial and employment  
9 interests. I have not identified any conflicts that based  
10 upon the very general nature of the discussion that we're  
11 having at this time. Therefore, I see no need for any  
12 individual to recuse themselves from the discussion. However,  
13 if during the course of our business you determine that you  
14 may have some conflict, please state that for the record and  
15 recuse yourself from the discussions.

16           What I'd like to do at this point before  
17 introducing the committee members for the record and so forth  
18 is allow Dr. Donald Cool, the division director for the  
19 Division of Industrial and Medical Nuclear Safety, to make  
20 some comments under the director's comments.

21           DR. COOL: Thank you, Larry.

22           I'm pleased to welcome you back once again for  
23 another meeting. For some of you, it was just like you were  
24 just here. Over the last few weeks, there were a number of  
25 subcommittee activities working on various modules and

1 activities related to some of our training. I was very  
2 pleased that the ACMUI was able to undertake that sort of  
3 endeavor and get into some of those details and provide us  
4 some assistance with that. So let me express my thanks to you  
5 for those special efforts and the work that was done.

6 I know one of the questions that was raised at  
7 that time or by some of those subcommittees was how does this  
8 now then fit into the overall structure with where the office  
9 of Nuclear Materials Safety and Safeguards is headed in terms  
10 of regulatory guidance and that process. You have probably  
11 heard at least rumor and innuendo, if not more so, associated  
12 with what has turned out to be a massive undertaking on the  
13 part of my staff to essentially re-engineer the licensing  
14 process for materials licensees. At a first, very superficial  
15 look, that seems, well, relatively simple. You go in and see  
16 what you're doing now. You see how you could do it better and  
17 you go and institute those changes. Unfortunately, as with  
18 all things, it's not nearly that simple, although we have been  
19 continuing to move through a rather substantial process which  
20 will continue over the next year or so.

21 One of the pieces of that process is a division  
22 of the whole way in which the guidance system that we use and  
23 put out for licensees and ourselves to use is formatted and  
24 organized. In some cases, it will also result in new guidance  
25 or changes to the guidance that exists there because of things



1 which are outdated, things which have been hanging around in  
2 drafts for long periods of time, and a variety of  
3 circumstances. That process is ongoing and in fact I will  
4 leave from here to go and meet with a management review team  
5 of NRC managers from the regions and headquarters that will be  
6 reviewing the efforts to date on that licensing guidance  
7 activity.

8           One of the things we have attempted to do is to  
9 build into that whole development process and outlining  
10 process a safety analysis of the system. The NMSS guidance  
11 for materials has grown up over a long period of time, 20 to  
12 30 years. And with anything that sort of grows and evolves  
13 over the course of time, you get some interesting  
14 discontinuities and otherwise. And so we undertook a  
15 systematic analysis of the safety implied by the guidance  
16 activities and have now integrated that in the outline.

17           Fundamentally, the new guidance will deal with  
18 two areas. That kind of information which all, or at least  
19 substantial segments, of the licensee community needs to know.  
20 Fundamentals with regards to radiation protection. How to  
21 apply for a license. Securities of materials. Some of those  
22 sorts of things. And then from there, move into specifics for  
23 particular classes and types of licenses. So you can  
24 envision, and I don't care whether you draw the pyramid upside  
25 down or right side up, but moving from that which is very

1 general to that which is very specific such that if you are a  
2 particular licensee, and I'll pick radiography just to stay  
3 out of any of the groups that are here today. But if you were  
4 a radiographer and you asked the NRC, what do I need to know  
5 about this particular license. Or, if you were going to do  
6 mobile brachytherapy, or if you were going to intervascular  
7 brachytherapy, or any of a number of things that you have on  
8 your agenda today, you would be able to extract from that  
9 general and specific guidance that particular list of things  
10 that was directly applicable to you. We hope to have it all  
11 done electronically.

12           As a result, the efforts that you folks did in  
13 the subcommittee, and the ongoing efforts with a number of  
14 these modules, will fit, I believe, very nicely within this  
15 pattern because those are in fact the detailed specifics that  
16 will apply to any little particular class of licensee  
17 activities, and be dependent upon the other more general  
18 information which other people need to know.

19           I wanted to provide you with that brief synopsis.  
20 Later this afternoon we're going to be talking a little bit  
21 more about the re-engineering effort in general. But it is  
22 taking considerable amount of effort and time.

23           There are a couple other things that I just want  
24 to sort of note to you and then let you proceed on your way.  
25 You have a couple of topics on the agenda that I believe are

1 particularly important. One of them dealing with emerging  
2 technology and use. That is, the intravascular brachytherapy,  
3 which raises a number of questions with regards to ink packs,  
4 appropriate supervision, training, experience requirements,  
5 and otherwise for kinds of activities and perhaps kinds of  
6 individuals who have no previously been using sources, at  
7 least in this particular type of modality for treatment. So,  
8 that is something which I think bears considerable degree of  
9 attention because we are still on the front end of it enough  
10 so that we do not have to catch up. We can actually stay even  
11 with the power curve in this particular case.

12           One of the other things you have on the agenda is  
13 a discussion associated with medical consultants and how those  
14 consultants work in particular circumstances, what kinds of  
15 information that we might be looking for. And to try and get  
16 some clarity with what I've discovered over the past six  
17 months tends to be not necessarily very clear. And in fact,  
18 would almost lead you to believe that in order to be able to  
19 do your job you had to deny the request to act as a consultant  
20 because it was of relatively minor significance. Rather than  
21 making the statement for the record that based on the  
22 information that you had, this was of minor significance and  
23 being done with the job. It's a very strange perception  
24 although maybe perhaps you get to the same end point.

25           And so, I think that discussion in terms of how

1 you function as consultants, both you here on the ACMUI and of  
2 the other consultants that the agency retains for use in  
3 specific medical situations. That will be a very interesting  
4 discussion and hopefully we can get a little better clarity  
5 with regards to the sorts of things that can and should be  
6 done and the proper way to represent what was accomplished in  
7 that sort of thing.

8           Lastly, I want to simply mention the incidents  
9 that have been going on over the past few months. They are  
10 obviously not medically related in the sense that they involve  
11 the treatment of a patient with radioactive materials for  
12 diagnosis or therapy. However, I believe that what will come  
13 out of all of the efforts associated with the NIH  
14 contamination event where a female individual who was in fact  
15 pregnant at the time received internal contamination of  
16 phosphorus-32. There's been a great deal of publicity just of  
17 late associated with that and an event which the commission  
18 found out about just this week which was very, very similar at  
19 Massachusetts Institute of Technology. Again, phosphorus-32  
20 internal contamination. Amounts of material at or very close  
21 to the regulatory limits for occupational exposure. Raising  
22 questions associated with the appropriateness of the rules and  
23 guidance that we have in place for security of materials. For  
24 functioning and authorities of radiation safety officers. For  
25 reporting and record keeping requirements.

1           And I bring these to your attention mostly for  
2 your information because there may well be a ripple effect  
3 that goes well beyond the simple broad scope license. Most of  
4 these broad scope licenses are liable to have medical problems  
5 associated with the problems -- programs associated with them  
6 as well as, perhaps, research reactors and other activities.  
7 And so, I would like you to at least have that in the back of  
8 your mind. If there is input that you might wish to make at  
9 some point during the conference, we'd be happy to receive  
10 that.

11           I have a minute or two to answer questions and  
12 try to keep you on schedule.

13           Barry?

14           CHAIRMAN SIEGEL: No specific questions. I'm  
15 quite interested in your last comment and I wonder what we can  
16 do to keep you all from over reacting. Because I am very  
17 concerned that extremely stringent security measures in  
18 reaction to what appear, at least initially, to be extremely  
19 unusual events, can really be very disruptive, not only of the  
20 daily conduct of business in a research university, but of the  
21 practice of medicine in a way that it can become very, very  
22 difficult to just conduct ones business.

23           DR. COOL: That is something that I am also  
24 concerned about. But you are right. That the pair of  
25 incidents, being as they are and coming with the timing that

1 they have, has resulted in a significant agency response. I  
2 should note that the NRC yesterday afternoon initiated an  
3 incident investigation team, it's highest investigation team  
4 effort which reports directly to the executive director for  
5 operations, to review the MIT action. We have been tasked by  
6 the chairman to review the regulatory aspects and the  
7 application of our regulations coming out of NIH and out of  
8 MIT.

9           And so, while I maybe can't give you specific  
10 items, were the committee to have some comments and  
11 suggestions about appropriateness of security in given  
12 situations and what some of those impacts might be, either  
13 generated during this meeting or perhaps separately as  
14 individual consultants, that input would be very useful. We  
15 are on a tight time frame. I would expect that we will need  
16 to be to the commission with our analysis and some  
17 recommendations by the end of the year or very early in next  
18 year. Typically, IITs are on-site for a week or two and then  
19 have 45 days to complete the report and provide the report to  
20 the commission. That will place it prior to the end of the  
21 year. And the commission will be expecting that the analysis  
22 associated with the regulations and guidance will be right  
23 behind it. So, we are in a very -- a relatively fast moving  
24 time frame.

25           CHAIRMAN SIEGEL: Given that time frame, consider

1 the possibility that one or more members of this committee  
2 might be asked to, not necessarily join the IIT team, but  
3 rather to come in at some point to hear what's going on and at  
4 least lend a perspective that you might not have within the  
5 agency.

6 DR. COOL: Certainly take that into --

7 CHAIRMAN SIEGEL: So, I'm sort of following two--

8 DR. COOL: -- as a thought as how to best try to  
9 accomplish that. That is an interesting idea.

10 CHAIRMAN SIEGEL: Judy?

11 MEMBER BROWN: I just had a question. I'm not  
12 familiar with the MIT incident. Does that seem to be the same  
13 deliberate internal action that the NIH was?

14 DR. COOL: We have nearly a matching set of  
15 allegations.

16 MEMBER BROWN: Oh, lord.

17 DR. COOL: And at this point, it's obviously way  
18 too early to tell what may or may not be truth. What  
19 generally happens is that the first reports of the events and  
20 everybody scrambling around, you usually figure that maybe 50  
21 percent or more of what you just heard is wrong. That's part  
22 of what the team which is currently on-site is going to try  
23 and figure out. But there are statements to that effect and  
24 there is certainly some evidence which, if true, would lead  
25 you to believe that this was something besides accidental.

1 But, until the facts of the matter are ascertain with a lot  
2 more clarity, that is an open question.

3 Are there other questions I can answer?

4 If not, I wish you well in your deliberations.

5 You have a very busy schedule. I will try to stop back by  
6 depending upon how much other activities with some of the  
7 incidents end up taking of my time.

8 Thank you very much.

9 MR. CAMPER: Thank you, Don.

10 All right. Let us continue with just a few more  
11 administrative items before we open business.

12 I want to introduce the committee members that  
13 are present today for the record. We have Dr. Woodbury at my  
14 extreme left representing the FDA. Dr. Lou Wagner who is a  
15 practicing physicist. And Mr. Dennis Swanson who is a  
16 radiopharmacists. And Dr. Judith Stitt who is a practicing  
17 oncologist radiation therapist. And Dr. Josephine Piccone who  
18 is a section leader for the medical and academic section. We  
19 have the esteemed chairman, Dr. Barry Siegel. And to my  
20 right, we have Mr. Bob Quillin representing the state  
21 regulator's perspective. And we have Dr. Wil Nelp who is a  
22 practicing research specialist and offers that perspective.  
23 We have Ms. Judith Brown who brings us the patient's concerns  
24 and advocacy types of concerns and issues. And we have Dr.  
25 Dan Berman who represents cardiology interests.



1           In addition to the committee members, later today  
2 we will be joined by several consultants who aid our agency in  
3 evaluating misadministration events when we talk about the use  
4 of medical consultants that Dr. Cool was referring to. We  
5 will be joined later today by Dr. Peter Almond, Dr. Mel Green,  
6 Dr. Petrovich, Evelyn Watson, and Dr. Richard Whittington.  
7 And at some point during the day they will join us.

8           I'd also like to point out to the members of the  
9 audience, and it very good, by the way, to see such a good  
10 turn out from the public. It's very encouraging to see your  
11 interest and I welcome all of you here. We do have a couple  
12 of requests to speak which Dr. Siegel will address shortly.  
13 And also, we'd like to draw to the attention of the audience  
14 the fact that there are several members of the medical staff  
15 available. We have Sally Merchant over here to my right,  
16 standing. We have Torre Taylor over here to the left. And we  
17 have Dr. Holahan, Trish Holahan. And of course, Josie, I've  
18 already mentioned. We have -- there may be some others  
19 around. I encourage you in the audience to chat with those  
20 individuals if you have questions about the medical program or  
21 processes. It may be easier to grab one of them than it is to  
22 grab Josie or I. But, we're also available if you have  
23 questions or thoughts and we encourage your questions.

24           Administratively, a couple of points. We do have  
25 restrooms to the rear of the room. Go down toward the

1 television, turn left or right. Unfortunately, there are no  
2 water fountains as Dr. Stitt has already pointed out on this  
3 floor. But I think she might have found one somewhere. And  
4 on the first floor there's a large cafeteria that has a very  
5 full selection of food and drinks. So, please make yourself  
6 available to that if you're so inclined.

7           So, with those introductions and administrative  
8 comments, Dr. Siegel, would you please chair the meeting for  
9 us?

10           CHAIRMAN SIEGEL: Thank you. Good morning,  
11 everyone. Short and sweet. I won't presume to say that the  
12 agenda for this meeting looks less onerous than the one we had  
13 for the last meeting which I thought we'd zoom through without  
14 much difficulty but it seemed to go on, and on, and on, and  
15 on. But, I think the issues this time look like they're  
16 relatively more focused and we ought to be able to get through  
17 each of them in the allotted time. And we ought to get  
18 rolling so that since we're already about ten minutes behind  
19 schedule.

20           We have three requests for public comments.  
21 Requests from the American Society of Nuclear Cardiology,  
22 request from ASTRO, and a request from Tri-Med. And rather  
23 than take the public comments as a block, I will use the  
24 chairman's prerogative to align those public comments with the  
25 corresponding discussion items so that they fit better with

1 what we're talking about. And consequently, the Nuclear  
2 Cardiology one will go with this first time this morning, the  
3 ASTRO one with the intravascular brachytherapy issue, and the  
4 Tri-Med one with the petition immediately following. And  
5 we'll actually take the Nuclear Cardiology comments following  
6 Sally Merchant's introductory comments so that the  
7 representative from ASNC can hear her material and help us  
8 focus the discussion.

9           I want to make clear, and Sally, I'm sure, will  
10 make clear in a moment, that we are not opening up for  
11 discussion the entire issue of training and experience  
12 criteria for licensure as an authorized user. That's not a  
13 topic of discussion that this committee is going to consider  
14 any time until after the National Academy of Sciences report  
15 has hit the street, until we've evaluated it, until -- and  
16 until the Part 35 rewrite gets going in earnest.

17           So, with that minor introductory comment, we will  
18 conduct our business today as usual. And we'll give everybody  
19 a chance to participate in consensus building.

20           Are there any other introductory comments from  
21 other members of the committee?

22           I'm told that ASTRO only gave us written comments  
23 and that no one's actually going to make a presentation. So  
24 we'll look at their written comments.

25           And with that, we'll let Sally take the floor and

1 tell us about review of training and experience exemptions by  
2 this committee.

3 MS. MERCHANT: As Dr. Siegel said, training and  
4 experience was an agenda item at the last meeting. As a  
5 result of that meeting, physicians who apply to be authorized  
6 users on NRC licenses but who do not meet, fully meet, the  
7 requirements of 10 CFR Part 35, Subpart J, require an  
8 exemption in order for that to -- for them to become  
9 authorized users. Exemptions requests will be reviewed by the  
10 ACMUI.

11 The purpose of this presentation is to develop  
12 some procedures for the advisory committee to use -- for the  
13 staff and the advisory committee to use. I've provided a  
14 strawman and I'm hoping that the committee will help me to  
15 fill it out so that we can come up with a really usable  
16 procedure.

17 Just for clarification, 10 CFR 35.920(b) is the  
18 other category for physicians who want to do diagnostic  
19 procedures and want to be authorized users to do the  
20 diagnostic procedures. And 35.920(b) requires 200 hours of  
21 classroom and laboratory training in basic radioisotope  
22 handling techniques and 500 hours of supervised work  
23 experience under the supervision of an authorized user, and  
24 500 hours of supervised clinical experience under the  
25 supervision of an authorized user.

1           10 CFR 35.19 specific exemptions provides for  
2 those exemptions. And they say -- it says, in part, "The  
3 commission will review requests for exemptions from training  
4 and experience requirements with the assistance of the  
5 advisory committee on the medical uses of isotopes."

6           Applications to become authorized users on NRC  
7 medical use licenses are submitted to the appropriate NRC  
8 regional office. We're actually going into the procedure now.  
9 If, when reviewed, the submitted training and experience does  
10 not meet the criterion listed in 10 CFR Part 35, Subpart J, an  
11 exemption would be required to approve the request. The  
12 request for exemptions to Subpart J are forwarded to NRC's  
13 headquarters in the form of a technical assistance request  
14 which is a formal request from the regions for us to provide  
15 some guidance or -- does someone have a question? And we call  
16 those TARs. And you'll see them referred herein as TARs.

17           CHAIRMAN SIEGEL: Sally, real quickly, I think  
18 we've asked this question before I don't remember the answer.  
19 You are getting on average how many of these annually?

20           MS. MERCHANT: We don't have a number because as  
21 we discussed last time, there was some small overlap. So, if  
22 there was a small concurrence allowed, it -- between the 500  
23 and the 500. And it varied and the license reviewer would  
24 review usually based on what they had done rather than hours.  
25 So all of them didn't come into headquarters.

1                   Guidance is going out to the regions, and has  
2 gone out to the regions, to -- that all of them must come in  
3 now. So, up until now, we've only got one that needs to be  
4 reviewed by this committee. We expect it will be several. I  
5 really couldn't put a number on it.

6                   What do you think, Larry?

7                   MR. CAMPER: Well, I think the number that we  
8 actually get at headquarters is small. I think that probably  
9 5 or 6 a year, perhaps one or two which makes it's way to this  
10 committee. But that's only part of the picture.

11                   What we found when we queried the regions on this  
12 topic, we found that, in all candor, the regions were  
13 processing these types of applicants differently. Some of  
14 them were expecting more hours to be demonstrated. You might  
15 recall from Sally's first slide that there's 1,000 hours of  
16 experience with the types and quantities and clinical  
17 experience along with 200 hours of didactic. 200 hours of  
18 didactic is fairly simple and straight forward. It gets a  
19 little more complicated when you look at the 500 and the 500.

20                   So, I think the bottom line is, is that at number  
21 of these applications, many more than the number I mention,  
22 probably on the order of -- I don't know exactly how many  
23 physician applicants apply to be authorized users per year,  
24 particularly for the limited use in cardiology, but I suspect  
25 the number's on the order of 50 to 100, something in that ball

1 park, I suspect, maybe a couple of hundred. But the numbers  
2 of hours that they have presented and the way in which they  
3 have been processed by the regions has varied and is  
4 different. And one of the things we're attempting to do, and  
5 there are several things, but is to try to lend uniformity and  
6 consistently to that.

7           You might recall that during the last meeting we  
8 discussed some of the problems that we were seeing and tried  
9 to developed a model for dealing with it more generically, if  
10 you will, although we weren't really talking about generic  
11 exemptions. We were talking about a model to use to process  
12 all exemptions, be it on a case-by-case basis.

13           So, I think the truth is as Sally says, we don't  
14 really know the exact answer but certainly there has been  
15 variability with regards to how they've been processed. And  
16 that's been part of the problem. We don't know just how many  
17 there are.

18           MS. MERCHANT: All right.

19           To apply for an exemption from Subpart J, the TAR  
20 must provide all supporting documentation including  
21 documentation of the applicant's classroom and laboratory  
22 training and documentation of the supervised work and  
23 supervised clinical hours the applicant has submitted.

24           The NRC's headquarters staff member who is  
25 assigned the TAR will prepare a package for the ACMUI with a

1 cover memo indicating the procedures of the review. The  
2 package will be provided to the NRC headquarters ACMUI  
3 coordinator who forwards it to the appropriate ACMUI members.

4 The procedure for the ACMUI review is as follows.  
5 The prepared package will be provided to the appropriate  
6 members with a cover memo indicating the time frame, typically  
7 two weeks, for review and procedures for returning responses.  
8 In order to comply with FACA meeting constraints, ACMUI  
9 members can't discuss the exemption request with each other  
10 and should submit their reviews to NRC in writing.

11 MR. CAMPER: Is it clear to all the committee  
12 members what Sally means by FACA meeting?

13 MEMBER NELP: No. No, it isn't.

14 MR. CAMPER: Sally, could you clarify that a  
15 little bit for them?

16 MS. MERCHANT: According to Part 7 of Code of --  
17 of Title 10 of the Code of Federal Regulations, two or more  
18 members are a meeting. Meetings have to be noticed.  
19 Therefore, you can't really -- according to Part 7, you can't  
20 discuss this with each other. According to Part 7.

21 Are there any other questions?

22 MEMBER NELP: What's FACA?

23 MS. MERCHANT: That's FACA. Have we  
24 misinterpreted, Dr. Woodbury?

25 MEMBER WOODBURY: No, not that I'm aware of.



1 MS. MERCHANT: Thank you.

2 MR. CAMPER: Just point out, Sally will point out  
3 in a minute, Dr. Nelp. If it turns out that deliberation is  
4 needed, there is a mechanism for doing that.

5 MS. MERCHANT: We have that.

6 MR. CAMPER: She's not through that. But the  
7 problem is, if we disseminate the packages to committee  
8 members individually for your distinct and individual reviews,  
9 because of the sunshine provision associated with FACA, if  
10 you're going to deliberate with a colleague on the committee,  
11 we get into a noticed scenario. It's just public disclosure  
12 is the --

13 MEMBER NELP: May I be so bold as to ask what  
14 FACA means?

15 CHAIRMAN SIEGEL: Federal Advisory Committee Act.

16 MEMBER NELP: Thank you.

17 MS. MERCHANT: After the committee member has  
18 reviewed the package, the findings should be returned to NRC  
19 in the provided self-addressed envelope. I would ask that  
20 after you review the package, you either return the package to  
21 us or destroy it. Keep in mind that you, as well as your  
22 colleagues, would not like your private business publicized.  
23 So it's really better to -- these must be kept private.

24 Once all comments are received from the ACMUI  
25 members, the responsible NRC staff member reviews the comments

1 and determines a majority opinion. The staff, in consultation  
2 with the Office of the General Counsel's staff, makes the  
3 determination as to whether the exemption will be granted.  
4 The staff member maintains clear documentation of the ACMUI  
5 review and the basis for the final decision.

6 For applications for unusual or atypical use, the  
7 following procedure for a conference call may be followed. A  
8 time will be arranged by NRC with agreement from all  
9 participants for a conference call. And this would require a  
10 Federal Register notice. Minutes of the meeting will be  
11 prepared by the NRC staff and signed by the ACMUI chairperson  
12 or designee. The meeting should be scheduled within two weeks  
13 of receipt of the TAR.

14 CHAIRMAN SIEGEL: Sally, are you constrained by a  
15 30 day Federal Register notice requirement, or can you shorten  
16 that?

17 MS. MERCHANT: I think that there is a provision  
18 for what I think is termed, and don't hold me to this, unusual  
19 circumstances such that Federal Register notices can go in  
20 with no time constraints.

21 CHAIRMAN SIEGEL: Torre, did you want to comment  
22 about that?

23 MS. TAYLOR: Yes, also it would be a closed  
24 session so we would definitely --

25 CHAIRMAN SIEGEL: In a way it's slightly

1 oxymoronic that you have a Federal Register notice to announce  
2 that you're having a closed session of an advisory committee.  
3 But I understand.

4 MS. MERCHANT: And then finally, the NRC staff  
5 member who was assigned the TAR provides a written response to  
6 the region. ACMUI members who participated in the review will  
7 be on distribution for the TAR so that you will be able to  
8 follow the outcome of what happened.

9 I have a series of questions. Could you excuse  
10 me for just a second. I don't know where they are.

11 CHAIRMAN SIEGEL: Do we want to take the  
12 questions now or do we want to wait and hear comments from the  
13 ASNC?

14 MR. CAMPER: Well, what I would like to do is at  
15 least, if we --

16 CHAIRMAN SIEGEL: Pose the questions.

17 MR. CAMPER: Yes. I'd at least to pose the  
18 questions so the presenter is aware of the questions. And  
19 then we can then discuss them -- let them make their  
20 presentation and then we can discuss the questions.

21 CHAIRMAN SIEGEL: Dan?

22 MEMBER BERMAN: Can we ask questions just to  
23 clarify what she presented?

24 CHAIRMAN SIEGEL: Of course.

25 MR. CAMPER: Sally, Dr. Berman --

1           MEMBER BERMAN:  Sally, I just want to clarify  
2 something that you had said which is on, I think, on your  
3 second overhead that had 10 CFR 35.920(b).  Is this -- If  
4 somebody applies with all of these, 500, 500, and 200, does  
5 that have to come through this exemption?

6           MS. MERCHANT:  No, you've met the requirement.

7           MEMBER BERMAN:  Good.  I needed that  
8 clarification.  Because related to that, I think, then, we  
9 have to have some basis for determining, well, if they don't  
10 meet this, then what's enough.

11          MS. MERCHANT:  Well, the first question is, which  
12 members will perform the reviews?  Is this -- This is your  
13 decision.  Do we need the entire committee?  Should it be a  
14 subcommittee of some number of members?  Should there be any  
15 qualifying criteria?  Is it something -- I'm kind of going  
16 through the questions because they all kind of group together.  
17 DO you want to have rotating committees where four will do it  
18 from this date to this date, four more will do it from this  
19 date to this date.  This is entirely up to you.  What do you  
20 think?  Who should review these?

21          MR. CAMPER:  Let me point out.  As you ponder  
22 that question, and again, after the presentation we'll go  
23 through the questions in more detail.  But as you ponder that  
24 question, you probably should bear in mind that I would expect  
25 to see more of the exemption requests being processed by this

1 committee than has historically been the case. Because, as I  
2 said earlier, our findings have been that the regions have  
3 processed these applicants differently. And this really all  
4 gets back to this whole concept of the fact that the 500 hours  
5 of the types and quantities and the 500 hours of clinical  
6 experience can be obtained concurrently. And that's been sort  
7 of a working concept for years, probably with not the degree  
8 of clarification and guidance from headquarters that I would  
9 have preferred as I go back and look at it.

10           So, as a result, the regions, and for that  
11 matter, applicants and professional organizations, have  
12 interpreted the concurrent concept differently. Some believe  
13 that 500 and 500 translate into one 500 hours. I mean, that's  
14 concurrent at a one-for-one ratio. I think most of us in the  
15 program have viewed concurrent training a little bit  
16 differently than that. But then you start getting into a  
17 situation when you begin to articulate just what you mean by  
18 that and you start involving the Office of General Counsel and  
19 you start to get a literal interpretation of the regulations  
20 which is what we were discussing with you last time.

21           So, given that we have instructed the regions to  
22 look at these closely, to process them in a fashion that we're  
23 going to come to closure on today, we'll see more of these.  
24 So, you might bear in mind how you want to structure your  
25 deliberations given that we might see -- again, I don't know

1 exactly what the numbers -- but we might see 25, 30, or 40 of  
2 these a year.

3 MS. MERCHANT: I would also add that this does  
4 not mean you can't revise the procedure at the next meeting if  
5 we find that it -- that whatever the procedure that we all  
6 agree on doesn't work for one reason or another, it wouldn't  
7 be a major thing to revise a procedure.

8 CHAIRMAN SIEGEL: You don't need to see 35 or 30  
9 of these a year. What you need to do is to revise the  
10 training and experience criteria to make them rational. And  
11 that's the problem. And I recognize that that's not an item  
12 that's open for debate.

13 MR. CAMPER: I think you said that, didn't you?

14 CHAIRMAN SIEGEL: And I said it. Well, we're not  
15 going to debate the specifics. We're going to get the  
16 principle on the table which I've done over, and over, and  
17 over again. And I have a couple more -- two more meetings, at  
18 least, to do so.

19 MEMBER NELP: I'm concerned that you really can't  
20 give us the volume of the work. I mean, if it's one a year,  
21 it's not very much. If it's 200 a year that are being  
22 processed out in the field, that might be worthwhile. But  
23 you're devoting a lot of time and effort to --I realize there  
24 is a problem but I would sort of like to know what the  
25 magnitude of the work effort is for the NRC. Because if it's

1 really as small as it might be --

2 MS. MERCHANT: Let me say just for your  
3 information, and I don't believe that this is telling anything  
4 that is proprietary. I've been doing a study of training and  
5 experience involved in it, been looking at the 200 hour  
6 courses, and I can say with some confidence that they graduate  
7 probably about 1,000 a year 200 hour. I would suspect that  
8 some portion of them will apply for exemption. They do  
9 greater than 1,000 a year.

10 MEMBER NELP: These are proprietary evening,  
11 weekend type courses?

12 MS. MERCHANT: Yes. So that's -- I can tell you  
13 that's what the volume is as far as those people that are  
14 taking the 200 hour course. I mean, that's just off the top  
15 of my head rather than give you -- they gave me numbers.  
16 Everyone was very cooperative.

17 MEMBER NELP: Are the instructors in these  
18 programs highly credentialed by your criteria?

19 MS. MERCHANT: I wouldn't want to get into that  
20 before we release the report.

21 MR. CAMPER: Let me make a comment there for your  
22 benefit, Dr. Nelp, and that of the committee.

23 Sally's referring to a study that we have done,  
24 private sector programs providing a 200 hour didactic  
25 component. That's phase 1 of a three phase plan. Phase 2

1 will be to go look at some of the residency programs that are  
2 going on because there have been some fairly significant  
3 comments which have been made about the quality and the number  
4 of hours being provided in a residency program. So, whether  
5 they line up with out regulations and whether we think -- what  
6 is actually going on is what we think is going on, et cetera,  
7 et cetera. So at some point in phase 2, we're going to put in  
8 place a contract to go look at residency programs. That will  
9 probably begin to occur early in calendar '96.

10           Phase 3 will be to compile all these findings and  
11 at some point during the process of the major revision to Part  
12 35 which will commence following the National Academy of  
13 Science report, we intend to have amongst a series of public  
14 meetings a public meeting that would involve the various  
15 professional societies that have a vested interest in this.  
16 The American College of Nuclear Physicians, the Society of  
17 Nuclear Medicine, the American College of Radiology, the group  
18 representing the cardiologists, and so forth and so on,  
19 endocrinologists, and there may be others that I haven't  
20 thought of.

21           And at some point, we'll sit down with all of  
22 those bodies and we'll say, look, this is the current training  
23 and experience criteria in our regulations. We went and look  
24 at how that training is occurring. Now, this is what the  
25 training is. This is what we found. Why don't you talk to us



1 about, (a), Dr. Siegel's point which he brought up a few  
2 minutes ago and that is, what about the appropriateness and  
3 adequacy of the existing training. If this is not right, what  
4 should it be? And secondly, the mechanisms by which it's  
5 being provided, the actual number of hours of training that  
6 occurring. What's right with it. What's wrong with it. And  
7 how do we fit it. Or, for that matter, is the concept of  
8 achieving a number of hours the entirely wrong concept. Is  
9 there a better alternative? If so, what is it? So forth and  
10 so on. Because all those professional societies representing  
11 the various types of physicians practicing that want to use  
12 byproduct materials in the course of practicing medicine, we  
13 think they're the ones who should help us figure out what it  
14 should be. Because obviously right now it's very  
15 controversial.

16                   So, the study to which she's referring is just  
17 phase 1 of a three phase program.

18                   CHAIRMAN SIEGEL: David.

19                   MEMBER WOODBURY: Well, Larry, the thing that  
20 seems to me to be is getting some feel for what numbers we're  
21 talking about. It makes a difference if we're talking about  
22 1,000 per year, 100 per year, or 10 per year in terms of  
23 answering the questions you've asked us. I think that's the  
24 question Bill and I want to get some feeling for.

25                   MR. CAMPER: Again, I wish I could give you a

1 number. I really do. If I could, I would. But with regards  
2 to the 1,000 number, Sally's number is a very accurate number  
3 in terms of number of physicians that are completing the 200  
4 hour program on an annual basis. Obviously all 1,000 of them  
5 don't process their applications in the same year. They take  
6 -- some of the, for whatever reason, don't go on to complete  
7 the 500 hour, 500 your components. Some of them do it over a  
8 somewhat protracted period of time, several years in fact.

9           Again, I don't know the exact numbers but I think  
10 it's reasonable to assume that in the regions we're seeing 200  
11 or 300 of these a year. And of those, if I take a look at how  
12 the regions have processed them differently, and I look at  
13 this current focus and clarification of what concurrent means  
14 or doesn't mean depending upon how one looks at it, I would  
15 expect that you'll probably see, again, I think a good working  
16 number is 30 or 40 of these a year.

17           MS. MERCHANT: Yes.

18           MR. CAMPER: Maybe a few more but I just can't be  
19 more explicit. I wish I could.

20           MEMBER BERMAN: Based on what you said and based  
21 on the growth of the field in cardiologists, and the growth of  
22 the number of people interested, and the 1,000 people per year  
23 supports this. I think it's an under estimation to think it's  
24 going to be small. It would, of course, depend on whether or  
25 not the track record is that there are any exemptions that get

1 through. Be it if they don't get through, they'll probably  
2 stop applying and everybody will come up with 1,200 hours.  
3 And so, our discussion about what -- is there any flexibility  
4 in that 1,200 hours is going to be relevant to this. But if  
5 there is some flexibility, I think it's going to be more in  
6 the range of a couple hundred or a few hundred per year rather  
7 than 30.

8                   MR. CAMPER: That's a good point. I was going to  
9 mention that a corollary, a fall out of this, you're right, is  
10 that as the word gets out that there's more scrutiny being  
11 applied, some of those that would have applied for exemptions  
12 will not. Some will simply say, okay, I've got to get 1,000  
13 and that's it and be done with it. Others may test the waters  
14 for a while. And you're right. We don't know how that will  
15 play out. But the numbers could be that high. I acknowledge  
16 that.

17                   CHAIRMAN SIEGEL: What we've most often done in  
18 the past, this committee, is that we've been asked to look at  
19 training that essentially met the required hours but was  
20 acquired in unusual training circumstances, such as in a  
21 practice environment as opposed to in a formal institutional  
22 training environment. And so, we've been asked to judge  
23 whether the quality of the training experience based on the  
24 documentation provided to us in that unusual educational  
25 environment was appropriate for approval. We have not been

1 asked to say this person only has 600 hours but he says he's a  
2 good guy and his preceptor says he's a good guy. Should we  
3 approve it. And frankly, I think that that would be an  
4 exceedingly unfair and dangerous thing for us to do. And the  
5 right way to attack that one, Dan, is not to allow exemptions  
6 while these rules are in place, but to deal with these rules  
7 in a logical orderly fashion as quickly as possible.

8           MEMBER BERMAN: I think, though, that if you do  
9 focus the discussion only to what you said, that you will  
10 have, I believe, excessively narrowed the scope of what could  
11 be done through this committee. It was -- That's why I  
12 clarified in my question what did 10 CFR 35.920(b) say. It  
13 says, 1,200 hours. What are being asked to look at? We're  
14 being asked to advise on exemptions. People who do not meet  
15 exactly what is there.

16           Now, your interpretation of what our scope might  
17 be was just now focused on whether it was done in a full-time  
18 training program or in a not full-time training program.  
19 Based on Mr. Camper's earlier discussions here, which was that  
20 in the past there has been -- and Sally Merchant mentioned  
21 that there has been a small amount of concurrence allowed, and  
22 Larry just referred to the fact that there has been some  
23 concurrence allowed, it's part of, I believe, necessary for  
24 this group to discuss the question of whether any degree of  
25 concurrence can be allowed in order to determine whether or

1 not an exemption is discussable.

2           CHAIRMAN SIEGEL: We've all -- the issue of  
3 concurrence is addressed in the regulations because it says  
4 all of the above can be accomplished in a six month training  
5 period. And we know that 1,200 hours is more than six months.  
6 That's the level of concurrence that's built into the  
7 regulations themselves. I think it would be exceedingly  
8 dangerous for this committee to deviate from that concurrence  
9 posture without the whole issue being really analyzed in great  
10 detail. And first of all, we don't have the time to do it  
11 today. We're already ten minutes over schedule and we haven't  
12 heard from the ASNC yet, and haven't answered the questions.  
13 And we -- to do it before the National Academy of Science's  
14 report would just be not right.

15           MR. CAMPER: A couple of points. Let me just  
16 interject here, Sally.

17           Barry, I understand what you're saying and I know  
18 why you say that. But let me bring a couple of things to bear  
19 that the committee must keep in mind.

20           The problem that we -- where we are today is  
21 today is that the concurrent issue has been dealt with  
22 informally historically. What has happened though is that as  
23 a result of increasing interest the staff has been forced to  
24 deal with this issue in a logical approach and understand  
25 exactly what needs to be done to process these. When that

1 happens, you then get into an interpretation of your existing  
2 regulations. The more there is pressure applied, and the  
3 applying of pressure is okay, we don't mind that. That's part  
4 of the regulatory process. But what happens when that occurs  
5 is that you then are forced to deal with things literally and  
6 to put in place a procedure accordingly.

7                   Now, our dilemma then, having said  
8 that, is as follows. You have a couple things. 35.19 talks  
9 about specific exemptions. The gist of 35.19 is that  
10 exemptions will be entertained by the Commission. It goes on  
11 to conclude by saying that the Commission will review requests  
12 for exemptions from training and experience requirements with  
13 the assistance of its Advisory Committee on the Medical Uses  
14 of Isotopes.

15                   Now, what that means for you,  
16 unfortunately, ladies and gentlemen, is that it's not just  
17 about whether or not it's been obtained in a formal program or  
18 an informal program or a private hospital or whether it seems  
19 to pass the smell test. It's more than that. It's evaluating  
20 and assisting the staff in any exemption request and that  
21 could be as simple as 500 hours versus 1,000 hours.

22                   Now, you also cannot come to a  
23 conclusion ahead of time that you will not entertain anything  
24 but 1,000 hours because you must evaluate each exemption on a  
25 case by case basis. Now, Barry's point, Dr. Siegel's point

1 about really entertaining less than 1,000 hours at a time when  
2 we know the real issue is to look at the training experience  
3 requirements is obviously a very logical approach and it makes  
4 sense. But the problem is, unfortunately, you can't give  
5 yourself the luxury of doing that approach because you simply  
6 must help us entertain any exemption and we must look at them  
7 on a case by case basis.

8                   You may in the final analysis conclude  
9 through your Committee deliberations that you're not prepared  
10 to let Dr. X submit less than 1,000 hours and your rationale  
11 is, or Dr. Y or Dr. B or Dr. Z. But again, you must entertain  
12 it on a case by case basis.

13                   CHAIRMAN SIEGEL: And my major concern  
14 is that it would be inappropriate for this Committee to  
15 exercise its perhaps whimsical judgments day by day on a case  
16 by case basis when there are fundamental principals that need  
17 to be established first and that we're not going to be able to  
18 establish until the whole set of arguments are on the table.

19                   The correct community response to what  
20 you just said, Larry, is for every cardiologist who wants to  
21 do this, but simultaneously every radiologist, every person  
22 with any other kind of training, and every radiation  
23 oncologist who wants to be able to become certified to do this  
24 with only one year of residency instead of four years of  
25 residency to instantly put in a request so that the ACMUI can

1 spend its full time doing nothing but evaluating those  
2 requests.

3 I'm making a reductio ad absurdum here  
4 intentionally to point out the fact that it's wrong for this  
5 Committee to deviate very much, if at all, from the rules that  
6 are currently in place until the basis for these rules have  
7 been reevaluated thoroughly, carefully and rationally. I  
8 acknowledge that you've got procedures here and we'll take  
9 those exemptions as you get them and we'll duke them out.  
10 Depending on who's in the room on a given day, you may or may  
11 not get outcome A versus outcome B. That really to me seems a  
12 terrible mistake.

13 MR. CAMPER: Well, I understand again  
14 why you say that way, but that's exactly what we'll have to do  
15 because there is a mechanism for exemptions in the  
16 regulations. It's explicit that if it deals with training and  
17 experience we'll use the Advisory Committee to aid us. We do  
18 that because as physicians and as physicists and  
19 radiopharmacists, et cetera, et cetera, the concept is that  
20 you're in the best position to aid a regulatory staff. None  
21 of us are physicians, but many of us are physicists and so  
22 forth. But you're in the best position as practitioners to  
23 aid us in determining whether or not the training and  
24 experience presented is adequate or if it should be grounds  
25 for an exemption. Unfortunately, the simple truth of the



1 matter is whatever we end up doing, whatever it might be with  
2 regards to adjustments in the training and experience  
3 criteria, and no one knows at this point, but whatever it is  
4 it will take a substantial amount of time for that process to  
5 play out given the public due process that's associated with  
6 rulemaking, et cetera, particularly one of the magnitude of  
7 the planned revision of Part 35.

8                   What that means is that probably over  
9 the next three or four years, I suspect, there will be many  
10 exemption requests that this Committee will need to review  
11 under the current criteria. I understand and I know why you  
12 feel the way you do, but that will be the challenge before the  
13 Committee. Unfortunately, we have to deal with the rules we  
14 have now until such time as they're changed.

15                   MEMBER BERMAN: Barry, I think that  
16 given the fact that what Mr. Camper has told us is that these  
17 exemptions will be entertained, that there will be, I believe,  
18 many applications that are going to come before the Committee.  
19 Now, one possibility would be that we're going to just adhere  
20 to our time schedule. We're not going to even discuss what  
21 are going to be the various people who might sit on these  
22 committees attitudes towards this concept of concurrence or we  
23 could have at least a discussion at this point so that we can  
24 understand what kinds of issues should be dealt with as we're  
25 going to be dealing with these exemptions. I think that it's

1 wrong to eliminate discussion at this point in this morning's  
2 meeting because of the fact that we are going to have to go  
3 ahead and evaluate these exemptions requests.

4 I am a cardiologist and a nuclear  
5 medicine doctor. I sit on this Committee because of my  
6 representation of both sides and I need at least a few minutes  
7 to be able to discuss a view about what is behind this issue  
8 of concurrence. I guess there's a request from ASNC to  
9 present.

10 So, it seems to me that there are  
11 several minutes of discussion that need to take place this  
12 morning for us to have a good concept of what to do over the  
13 next year in terms of these exemptions.

14 MR. CAMPER: I would suggest that --  
15 again, I certainly understand your concerns and Barry as the  
16 chair can orchestrate this. But again, I think the point that  
17 Barry made in the beginning is the one to focus upon. To the  
18 extent that you can focus your discussions upon the process  
19 for the handling of exemptions requests and the process of  
20 this Committee reviewing those exemption requests as opposed  
21 to the question of whether the training and experience is  
22 right, the level currently in our regulations is appropriate  
23 or not, you need to focus upon processing of because you'll  
24 never resolve the other issue at this point and there will be  
25 an opportunity to do that.

1 MS. MERCHANT: May I suggest that if  
2 there is some discomfort with the procedure of independently  
3 reviewing and not concurring, we could probably set up  
4 conference calls with those members that you all decide would  
5 be appropriate and resolve several at one time. That's  
6 another way to approach it. The B method for unusual or  
7 atypical applications. If it would make everyone have a  
8 higher comfort level, it could be arranged. It would not be  
9 something that we couldn't do.

10 CHAIRMAN SIEGEL: I think we should  
11 deal with the procedural issues and we're going to because  
12 those are the questions you've asked us. I think we really  
13 can't deal with the specific exemption issues. I've said that  
14 19 times and I'm saying it again. In part, I think having  
15 this Committee look, as directed by the regulations, on a case  
16 by case basis will help focus this Committee's thinking when  
17 it comes time to advise the NRC on the overall redo of the  
18 process.

19 MEMBER BERMAN: But the problem that I  
20 see is this, Barry. You as the Chairman have said that it's  
21 your view that the degree of concurrence that it would be  
22 acceptable would be to go from 6.92 and from 1200 hours down  
23 to 6 months, which is a reduction of some amount of time.  
24 Your opinion is that -- if I interpret what you said earlier,  
25 the way you read it, we should be looking for that 1,000 hours

1 and the 200 hours, look for the 1200. If they're not there,  
2 then we don't even consider it. I believe that we need to  
3 understand are you correct in giving that as your opinion? Is  
4 that the way it is or, in fact, is there some flexibility that  
5 you have not expressed? If you're prepared to say that you  
6 say it's 1,000 hours and that's as low as it goes, then that  
7 would be the end of the discussion. If not, we need to  
8 discuss this.

9 MS. MERCHANT: Let me put something  
10 else in here rather quickly. You all once reviewed a  
11 physician's training and experience who did not meet the 1200,  
12 decided that the experience that he had had was excellent and  
13 you suggested what else he needed to do in order to meet. It  
14 was not -- he actually had not gone through a formal  
15 procedure. What you suggested was very doable for him. So,  
16 in some of those cases, although you may not accept what's  
17 submitted, after reading what the physician has done, we would  
18 hope you'd make suggestions that this physician would meet if  
19 he did whatever you found appropriate.

20 CHAIRMAN SIEGEL: Dan, I completely  
21 understand what you're saying and I'm just telling you that I  
22 think that for us to open this up in the mechanism you suggest  
23 will create a free for all. I don't think that that's the way  
24 federal licensure should occur. For us to simply sit down and  
25 look at Part 35 and say, "You know, what we really think is

1 that you can really do all of this in three months," and let's  
2 come right out and say that, a lot of work went into the  
3 creation of these regulations. Obviously a lot of political  
4 pressure in multiple directions went into the creation of  
5 these regulations. For this advisory committee to sit here in  
6 ten minutes, we're way over time now, and think that we're  
7 going to open this up when this is going to need to be a  
8 multi-day discussion after much evidence is on the table  
9 doesn't make sense.

10 I'm willing to do whatever the  
11 Committee believes and we'll juggle the agenda if that's what  
12 we need to do.

13 MEMBER NELP: I'd like to make a  
14 comment. It seems to me that you're dealing with a problem  
15 out in the field and you want us to help you with it. If you  
16 have people out there that you're granting exemptions to and  
17 you don't think that they're qualified or it's questionable or  
18 you don't know how -- bring us to date. I haven't seen one  
19 such situation. So, we're talking about a rather nebulous  
20 area as far as our own personal interaction with these  
21 individuals.

22 I would be happy to review them in  
23 light of the guidelines. Enough said. But go ahead and do  
24 it. If your people in the field are feeling pressured, then  
25 fine, I'd be happy to look at it. But I agree with Barry, the

1 regulations are in place. If I want to be qualified or  
2 certified to do something in the medical sphere that doesn't  
3 have anything to do with radioactivity, if I want to be a  
4 certified oncologist or a certified endocrinologist or a  
5 certified cardiologist, I have to get the training and follow  
6 the rules. There's no -- there's some flexibility, but not a  
7 whole lot.

8 MS. MERCHANT: Yes. I do not believe -  
9 -

10 MEMBER NHELP: So that's how we look at  
11 it. That's my advice.

12 MS. MERCHANT: I don't believe that we  
13 have granted authorization for anyone who is unqualified. I  
14 believe that the license reviewers --

15 MEMBER NHELP: That's fine. I'm not  
16 perceiving the problem to be -- I don't understand exactly  
17 where the problem is. If you have a problem with your people  
18 in the field, we'll be happy to assist them in evaluating  
19 credentials.

20 MS. MERCHANT: Larry would like to  
21 answer this.

22 MR. CAMPER: Let me make something  
23 clear. We don't have a problem with people in the field, Dr.  
24 Nelp.

25 MEMBER NHELP: Okay.

1 MR. CAMPER: We don't have a problem  
2 with authorizing unqualified users. Let me try to articulate  
3 what the problem is.

4 MEMBER NEMP: I would like to know what  
5 the problem is.

6 MR. CAMPER: All right. Let me tell  
7 you what the problem is. Our regulations, if you read them,  
8 are very explicit. Two hundred hours, 500 hours and 500  
9 hours. That totals 1200 hours.

10 MEMBER NEMP: It also, I believe, says  
11 this can be condensed to the equivalent of six months.

12 MR. CAMPER: No, actually it does not  
13 say that.

14 MEMBER NEMP: Oh, I'm sorry.

15 MR. CAMPER: It says something a  
16 little different than that. There is the board certification  
17 pathway. There is a pathway which we refer to as the "or"  
18 pathway, which is the one I just described which is 200 hours,  
19 500 hours and 500 hours, and then it has something else which  
20 literally is not correct also. It says, "has successfully  
21 completed a six month training program in nuclear medicine  
22 that has been approved by the Accreditation Council for  
23 Graduate Medical Education and that included classroom and  
24 laboratory training, work experience and supervised clinical  
25 experience in all the topics identified in Paragraph B of this

1 section." That doesn't exist.

2                   What happens is that that group  
3 approves programs, some of which contain within them a six  
4 month program. But we're not aware of any literally six month  
5 programs as described in that language that are approved by  
6 the Council. Okay? So, the language is off base also.

7                   MEMBER NERP: I'm sorry. I thought  
8 that meant if they came and trained with Dr. Berman for six  
9 months in his approved program and they focused on, in this  
10 case say, nuclear cardiology, that that was the intention of  
11 that statement. I believe that's what happens frequently.

12                   MR. CAMPER: Let me try to articulate  
13 for you what the problem is. It's not a question of our  
14 people in the field being able to review these. The problem  
15 is this. For years there has been a working concept and my  
16 predecessor, for example, Dr. Glenn, is on record as saying  
17 that training can be obtained concurrently. But that's all  
18 that was said.

19                   Now, some people interpret that to mean  
20 concurrently with regards to the types and quantities  
21 experience and the clinical experience as being one for one.  
22 Therefore, 500 hours resulting in a total of 700 hours,  
23 whether they do it in three months or six months or two years,  
24 700 hours.

25                   Now, the problem is as attention has



1 continued to be focused upon this and as it became aware to us  
2 that there was interpretations of concurrent differently than  
3 we perceived it and we ourselves have never set down and said,  
4 "Okay, exactly what do we mean by concurrent?" We know that  
5 some of these things from receipt of package to administration  
6 to the patient are done on a continuum. There's a concurrent  
7 effort going on there.

8                   So, as we attempted to articulate in  
9 guidance space for use by our regional reviewers just what we  
10 meant by concurrent, we developed and brought to this  
11 Committee a model. The Committee resoundingly said, "We do  
12 not want to entertain a model that could be used as a  
13 guideline by your regional reviewers for the granting of  
14 exemptions because we think that that, in essence, is a de  
15 facto way of creating a different set of regulatory criteria."  
16 Rather, we want to see each one on a case by case basis and  
17 we'll aid the staff in achieving the exemption possibility and  
18 pathway allowed in the 35.19.

19                   Where we are today is to say, "Okay, we  
20 heard the Committee. We, in this case, chose not to go  
21 against the Committee's advice but rather to embrace the  
22 Committee's advice. We're now discussing with you a mechanism  
23 to achieve that."

24                   MEMBER NHELP: And we said if you have a  
25 problem with those applicants, we would be happy to review

1 them and assist you in evaluating their training, experience  
2 and credentials. That's a pretty simple solution. I bet you  
3 it wouldn't take me or Barry or anyone around this table very  
4 long to assist in a single evaluation.

5 CHAIRMAN SIEGEL: Judy?

6 MEMBER BROWN: After the NAS report is  
7 received and the revisions are done, will you also be granting  
8 exceptions under those new revisions?

9 CHAIRMAN SIEGEL: Almost certainly.

10 MEMBER BROWN: So you'll still be  
11 making it up as you go along.

12 CHAIRMAN SIEGEL: That's one way to  
13 characterize it.

14 MR. CAMPER: Well, Judy, we would hope  
15 in the best of worlds we would come up with a set of criteria,  
16 although you'll never get total agreement. You hope that  
17 you'll ultimately develop a set of criteria that is  
18 reasonable, that is fair, that is obtainable and that the  
19 community helps us come to closure on. But despite that,  
20 there will always be a possibility for exemptions. There has  
21 to be an exemption possibility in the regulations.

22 Now, when you develop regulations, what  
23 you're trying to do is develop the type of regulations that  
24 won't require a lot of exemptions, the granting of. But there  
25 will always be some.

1                   CHAIRMAN SIEGEL: The notion that the  
2 concurrence equals six months, there is some historical  
3 precedent for that in terms of the fact that the assurances  
4 provided to the NRC by the American Board of Radiology such  
5 that it achieves deemed status under the regulations is based  
6 on the ABR's assurance that its candidates will have received  
7 six months of training and the ACGME acts accordingly. Now,  
8 whether six months is the right number, four months is the  
9 right number as many argued ten years ago, or whether three  
10 months or a week with the right kind of preceptor statement is  
11 the right number I think can't be open for debate right now,  
12 but I think must be debated carefully, thoroughly and changed  
13 in the future.

14                   Dan, I know we're disagreeing on this  
15 issue, but you actually realize that I agree with the posture  
16 that the construct that is currently here doesn't make sense  
17 and that the NRC's role needs to be focused on the radiation  
18 safety aspects of this and the training requirements need to  
19 be much less to be an authorized user under an NRC license and  
20 be divorced completely from the clinical training requirement.  
21 I believe that, but I'm not willing to change it in ten  
22 minutes at this table.

23                   Now, having said that, we're way over  
24 schedule. We have a request from Dr. Cerqueira to make a  
25 statement on behalf of the American College of Cardiology and

1 the American Society of Nuclear Cardiology. Five minutes is  
2 allotted. He can make the presentation.

3                   But Manny, I would ask you please to  
4 limit your comments to the procedural issues that are before  
5 us. If you tell us that cardiologists only need three months  
6 of training, I don't want to hear it because we know that  
7 that's what you're going to say and we may even agree with  
8 you, but it's not germane to what we're talking about today.  
9 So, try to focus. If you do it in less than five, we'll  
10 appreciate it.

11                   DR. CERQUEIRA: Well, I'll certainly  
12 try.

13                   On behalf of the American College of  
14 Cardiology and the American Society of Nuclear Cardiology, I'd  
15 like to thank the esteemed Chairman Siegel and the rest of the  
16 --

17                   CHAIRMAN SIEGEL: I don't mean to  
18 interrupt you. Esteemed chairman. I remember a few years ago  
19 when the name of one of our major corporations was -- people  
20 started to think it was known as ailing Chrysler. I'm  
21 starting to wonder whether esteemed chairman is --

22                   DR. CERQUEIRA: Well, I'm just  
23 following up on Barry's -- and the rest of the Advisory  
24 Committee for giving me this opportunity to address the issue  
25 of training and experience criteria for authorized user. My

1 comments will be general rather than trying to deal with the  
2 specifics that have been discussed during the last half hour.

3                   The mission of both the College and the  
4 American Society of Nuclear Cardiology is to foster the  
5 optimal medical care for patients through professional  
6 education, development of standards and the formulation of  
7 health care policy. We are in complete agreement with the  
8 Committee about the importance of radiation safety in the use  
9 of nuclear cardiology procedures. Stipulating a certain  
10 number of hours or months for training was developed as a  
11 vehicle to ensure an adequate level of training necessary for  
12 public health and safety.

13                   Our organizations have always  
14 maintained that a nuclear cardiologist is concerned only with  
15 the imaging of a single organ system, that is the heart, and  
16 in maintaining a radiation risk to the patient that is as low  
17 as is reasonably possible.

18                   We believe that the previously  
19 acceptable practice of allowing physicians to concurrently  
20 complete their required supervised clinical and work  
21 experience has worked well and is sufficient to assure  
22 radiation safety and the practice of nuclear cardiology. To  
23 change this policy is potentially arbitrary and restrictive.  
24 Furthermore, we have no knowledge of any serious violations of  
25 radiation safety among nuclear cardiologists who are licensed

1 under the current interpretation of the regulations.

2                   Our concern is that the ACMUI reviewers  
3 may experience a conflict of interest in judging the  
4 applications that are brought before the Committee. A  
5 discussion of turf, as happened at the last ACMUI meeting,  
6 clearly demonstrates the validity of this concern. The  
7 College and the American Society of Nuclear Cardiology  
8 strongly recommends that the Committee maintain the current  
9 method of licensing that recognizes concurrent training as a  
10 viable and accepted standard. However, if the Committee  
11 decides to move forward with their review of each exemption  
12 that is presented to it, we would support the review of  
13 nuclear cardiologist's credentials being done only by  
14 individuals with board certification in both cardiology and  
15 nuclear medicine and/or radiology.

16                   We look forward to the review of the  
17 current regulations by the National Academy of Sciences. The  
18 American College of Cardiology and the American Society of  
19 Nuclear Cardiology also look forward to working with the NRC  
20 staff and the Advisory Committee on the most effective  
21 training for our members that will ensure the highest level of  
22 radiation safety both to the physicians and to the general  
23 public.

24                   Thank you very much.

25                   CHAIRMAN SIEGEL: Manny, thank you.

1 Let me ask you a question.

2 DR. CERQUEIRA: Sure.

3 CHAIRMAN SIEGEL: Your statement about  
4 who should review, repeat that again?

5 DR. CERQUEIRA: Well, it's our feeling  
6 that basically we should have a cardiologist and somebody who  
7 is also board certified in nuclear medicine and/or radiology  
8 to review it to avoid some of the turf issues that were  
9 clearly obvious during the last discussion.

10 CHAIRMAN SIEGEL: Yes. I think the  
11 notion that we would entertain as this Committee an  
12 application from a cardiologist for an exemption and exclude  
13 Dr. Berman from the discussion is incredible to me. Under no  
14 circumstances would I allow that to be conducted. If we were  
15 planning a conference call review of such a thing and Dr.  
16 Berman was unavailable, I would insist on it being  
17 rescheduled.

18 So, the suggestion that this  
19 Committee's activities would be designed to restrain trade I  
20 find a little bit offensive.

21 DR. CERQUEIRA: Yes.

22 CHAIRMAN SIEGEL: We want to state for  
23 the record that the approach that this Committee would take so  
24 long as I help to guide what it does will be as fair as  
25 possible, as fair as reasonably achievable. That's AFARA.

1 DR. CERQUEIRA: Well, that's very  
2 reassuring information. We certainly weren't implying that  
3 the Committee would in any way deal with the issue in any  
4 manner other than what you've just described very eloquently.

5 CHAIRMAN SIEGEL: Bob?

6 MEMBER QUILLIN: Since I represent the  
7 Agreement States which actually will look at more of these  
8 than the NRC will look at, and since I have a role of trying  
9 to be a liaison between this Committee and the Agreement  
10 States, I also think somewhat umbrage at the comment that only  
11 two groups should look at this and these kinds of applications  
12 because it's really necessary for me in my role on this  
13 Committee to let the Agreement States know what the ACMUI is  
14 thinking.

15 DR. CERQUEIRA: You're right.

16 CHAIRMAN SIEGEL: So, having heard that  
17 and having heard your comments, let me suggest the following,  
18 and this is not a position I had until I've heard this  
19 discussion. I would suggest that the way we ought to handle  
20 these exemptions is that we ought to do it as a committee of  
21 the whole so that we have all the representation and we have  
22 the full wisdom and expertise of all the people on this  
23 Committee, that we should do that as many as possible as part  
24 of our biannual meetings as we can cram into those meetings  
25 and when we need to do more that we do it by noticed



1 conference call meetings so that we can have participation of  
2 all of us who are available at that moment to participate in  
3 the discussion.

4 I'm concerned that the paper reviews  
5 will deny each of us from the wisdom of the other person's  
6 point of view and assessment of the training and experience of  
7 that individual. I also believe that the desire for having  
8 the whole Committee involved is motivated by bringing the  
9 whole Committee up to speed for the major debate which is  
10 going to be --

11 DR. CERQUEIRA: My only comment to  
12 that, Barry, would be that you'd like to get a procedure that  
13 would have a relatively good turnaround time. What you're  
14 proposing would be somewhat cumbersome in the sense of getting  
15 --

16 CHAIRMAN SIEGEL: We all have  
17 telephones. Most of these individual exemptions can be dealt  
18 with. In past experience they've been very short meetings.

19 DR. CERQUEIRA: But the Committee has  
20 what, 17 members?

21 CHAIRMAN SIEGEL: What?

22 DR. CERQUEIRA: How many members are on  
23 the Committee?

24 CHAIRMAN SIEGEL: There are actually  
25 only 12 or 13 at the moment and we need, therefore, more than

1 half for a quorum according to our rules. We would make  
2 certain that a quorum would include individuals with the-- and  
3 we can discuss this procedurally, but if we were doing a  
4 radiation oncology one, we wouldn't want the quorum to exclude  
5 both Dr. Stitt and Dr. Flynn. I don't think that's  
6 procedurally complicated and I think that there is real  
7 benefit to having the whole Committee involved. But I'd be  
8 willing to see what other people think.

9                   MEMBER SWANSON: I would agree with  
10 you. In lieu of a specific set of criteria to evaluate the  
11 exemptions, if you start farming these out to groups of  
12 individuals you have the opportunity to enter bias into the  
13 decision making process or unevenness into the decision making  
14 process. Therefore, I think it has to be reviewed by the  
15 total Committee.

16                   MEMBER WOODBURY: I agree that the  
17 Committee as a whole would be the way to go. The problem I  
18 have is the same question we raised earlier is the volume.  
19 For instance, if you have 500 of these to do in a quarter or  
20 half year or even at one of these meetings, it would take up  
21 the whole meeting. Nothing else would get done. So, that's  
22 why trying to assess the volume that you're talking about is -  
23 -

24                   CHAIRMAN SIEGEL: I'm willing to take  
25 that risk.

1                   MEMBER BERMAN:  But you're really  
2 talking -- I believe, as I mentioned before, you're dealing  
3 with potentially a few hundred of these.  I believe it's going  
4 to take a tremendous amount of time.  I also believe that if  
5 we try to do this by telephone conference call, it's going to  
6 be very difficult.  What Dr. Cerqueira mentioned, which is  
7 that because of that difficulty that this might just add  
8 another impediment in the process, you'd think that what could  
9 end up happening is that people who are applying for licenses  
10 might end up with six month to a year's extra delay because of  
11 the process that we're now putting in place.

12                   CHAIRMAN SIEGEL:  I don't think that  
13 the process has required that time in the past when it's been  
14 involved.  But tell me what you would propose -- which of the  
15 strategies you find more executable, Dan?

16                   MEMBER BERMAN:  Well, it has to come  
17 out on the table.  I think that at some point in time this  
18 Committee of 12 needs five minutes, 10 minutes, 15 minutes of  
19 discussion so that they'll be able to process 25 or 30  
20 applications.  We are dealing with a concept that there are  
21 500 hours of work experience that deals predominantly with  
22 radiation safety, a concept that doesn't even apply to nuclear  
23 medicine residents or to radiology residents.  Nobody spends  
24 that much time monitoring packages.  If that concept is just  
25 going to be not discussed, I think we are closing off

1 discussion unnecessarily.

2                                   CHAIRMAN SIEGEL: This Advisory  
3 Committee does not have the authority to change the Code of  
4 Federal Regulations.

5                                   MEMBER BERMAN: I'm not asking for  
6 that. What I am saying -- but 1200 hours is equal to 6.92  
7 months. It's more than six months. 1200 hours at 40 hours a  
8 week is not a six month time. There already is, as you've  
9 pointed out, evidence that some degree of concurrence on this  
10 training is acceptable. What we're trying to define is how  
11 much is reasonable. Sally recently told us that during the  
12 time that you've had tenure on this Committee this group has  
13 met, has reviewed applicants who did not meet the 1200 hours,  
14 looked at the training and said it was adequate. So, this  
15 exception has already been taken in the past by this  
16 Committee. I think it's unrealistic to assume that the  
17 cardiologists of the world who are interested in training who  
18 do 700 hours, which is four months, and that would be with  
19 complete concurrence, would do that four months of training,  
20 it would be unrealistic to assume that they're not going to  
21 apply. So, they're going to apply based on past precedent and  
22 we're going to have to evaluate them. I think we need to do  
23 it as a Committee as a whole, but I think we need some kind of  
24 understanding as to what might be an appropriate minimum  
25 amount of time that's acceptable.

1                   MEMBER NELP: I perceive you can't take  
2 exemptions and set rules for exemptions. I thought Manny's  
3 statement was a very reasonable statement. It seemed to be in  
4 line with the current regs. and we can't change the  
5 regulations, but we also can't sit around and say, "Okay,  
6 we're going to agree as a Committee as a whole that three  
7 months is it."

8                   MEMBER BERMAN: Three months is not  
9 even on the table.

10                  DR. CERQUEIRA: We didn't talk about  
11 times.

12                  MEMBER NELP: I'm not referring to  
13 Manny's statement. I'm referring --

14                  MEMBER BERMAN: But you and Barry both  
15 referred to three months and three months is not even up for -  
16 - nobody is asking for that.

17                  MEMBER NELP: That's merely an  
18 expression. That's an off-the-cuff remark. I don't know what  
19 it should be. But it would seem very difficult for us as a  
20 Committee to come up and set guidelines for exemption. I  
21 think they should be handled -- I haven't seen -- I'm a new  
22 guy on the block. I've been here what, a year and a half? I  
23 haven't seen one of these items come to the table.

24                  DR. CERQUEIRA: That's a good point in  
25 the sense that the way the procedure is being carried out by

1 Larry's committee with the interpretation has not resulted in  
2 any problems or any violations. We're not aware of any  
3 serious misadministration or radiation risks. So, I think  
4 Larry is trying to get clarification and I don't see what was  
5 wrong with the method that was being used.

6 MEMBER NELP: You're saying that he's  
7 been doing a fine job.

8 DR. CERQUEIRA: He's been doing a great  
9 job.

10 MEMBER BERMAN: But the problem is that  
11 we changed it our last meeting. When Larry brought to the  
12 table the fact that concurrence was allowed to varying degrees  
13 in the field and wanting some clarification of that, that's  
14 when our Committee rejected that, except for my vote. What we  
15 found out now, they're going to be coming forward and that's  
16 what we're dealing with.

17 DR. CERQUEIRA: And I think we're sort  
18 of potentially burdening this Committee with a lot of problems  
19 that have not really been problems.

20 MEMBER NELP: I think what Barry has  
21 said is let's don't change the rules until you go through this  
22 very critical review that's going to expose the whole system  
23 of regulations and see how it fits because we'll just be --

24 DR. CERQUEIRA: But in the meantime it  
25 would be reasonable to let Larry's committee continue to do as

1 they've done in the past, which is to basically deal with the  
2 issues.

3                   MEMBER NELP: We didn't advise them in  
4 any way that they couldn't do that.

5                   MR. CAMPER: No. Actually, I think you  
6 did. What you have here is a classic situation where the  
7 sleeping dog is no longer asleep.

8                   MEMBER NELP: Oh, come on.

9                   MR. CAMPER: The dog has been kicked.  
10 The truth of the matter is that for years we have processed  
11 these applications. I've already acknowledged unfortunately  
12 that there was some lack of uniformity in how they were  
13 processed amongst the various regions. I'm not critical of  
14 the regions for doing that because I think, in fact, there  
15 hasn't been adequate guidance from Headquarters on the  
16 subject.

17                   But as time has marched on and there is  
18 more interested in physicians becoming authorized users, as  
19 the question of what does concurrent mean as it gets  
20 interpreted, the dog was kicked awake. Then we found  
21 ourselves in a situation of trying to develop a model to  
22 facilitate the processing of these applications for the very  
23 reasons that are being talked about now. We've brought that  
24 model to this Committee. The Committee had a resolution that  
25 it did not want to entertain that model, rather it wanted to

1 have these things reviewed on a case by case basis.

2                                 So, the concept of our regional  
3 personnel continuing to review these things in the absence of  
4 further guidance is history.

5                                 CHAIRMAN SIEGEL: Okay.

6                                 MEMBER NELP: Now, wait a minute. We're an  
7 advisory committee, Larry. You can accept our advice or not  
8 and you can go ahead and run your program as you see  
9 appropriate for the issues and the problems.

10                                CHAIRMAN SIEGEL: Big risk.

11                                MEMBER NELP: Dr. Cerqueira just told me and he  
12 told you that you're doing a very fine job.

13                                MR. CAMPER: Well, thank you, sir. We appreciate  
14 that.

15                                MEMBER NELP: And I would say continue to work as  
16 you have been.

17                                MR. CAMPER: Let me just make a record real  
18 quick. The problem that we have though is you're absolutely  
19 right. This Committee makes recommendations to this Agency.  
20 We could have chosen to ignore or to entertain bits and pieces  
21 of your resolution. We could have continued to do it the way  
22 that we did it. You're absolutely right.

23                                The problem with that mindset though as a  
24 regulator is this is about training and experience for  
25 physicians. To ignore or to select only in part the



1 recommendation of this Committee that deals with such a  
2 sensitive issue as physician training and experience when this  
3 Committee is comprised primarily of physicians I think would  
4 have been a very unwise thing to do as a regulator.

5 MEMBER NELP: I agree. Your wisdom is well  
6 recognized. We've said bring it to us and we'll help you.  
7 That's all.

8 CHAIRMAN SIEGEL: Judy?

9 MEMBER NELP: Until this --

10 CHAIRMAN SIEGEL: We need closure here.

11 MEMBER NELP: -- Academy of Sciences thing is  
12 reviewed and we're fine.

13 CHAIRMAN SIEGEL: And I'm going to try to give us  
14 closure.

15 MEMBER BROWN: Is NRC expecting a big increase in  
16 the number of exemptions --

17 CHAIRMAN SIEGEL: Oh, you bet.

18 MEMBER BROWN: -- permitted because word is now  
19 on the street that there are these exemptions and why wouldn't  
20 anybody apply for a lower standard if they could?

21 DR. CERQUEIRA: I don't think the word on the  
22 street has changed in any way.

23 CHAIRMAN SIEGEL: The mail trucks are outside  
24 right now.

25 MEMBER NELP: I think Larry kicked the dog.

1 DR. CERQUEIRA: Well, we're in a situation where  
2 we have people who want to come into the field. We have no  
3 evidence that they are misadministering radioactive compounds,  
4 so we should be happy that people want to get into it.

5 MR. CAMPER: Let me make, again, one comment for  
6 the record so there's no confusion.

7 MEMBER BROWN: So it's only the people in the  
8 know that can apply for these exemptions and get in under the  
9 lower standards. Other people are just kind of --

10 DR. CERQUEIRA: Well, I wouldn't call them lower  
11 standards. There's been no evidence that people are coming in  
12 unqualified.

13 MEMBER BROWN: Well, they wouldn't be applying  
14 for an exemption if they had more than the required training,  
15 right?

16 DR. CERQUEIRA: Well, that gets back to Dr.  
17 Siegel's point as to the basis upon which those standards were  
18 established. It may be that it's overkill.

19 CHAIRMAN SIEGEL: Let me suggest the following.

20 DR. CERQUEIRA: Sure.

21 CHAIRMAN SIEGEL: Let me suggest first of all  
22 that the procedure by which this Committee ought to develop an  
23 approach for granting exemptions with really figuring out what  
24 we want to do while you're still worrying about how you're  
25 going to change Part 35 at some distant time in the future, if

1 you really want us to do that and you want us to have this  
2 debate, that you should schedule some time in the spring, and  
3 we've already got one meeting on for the spring plus the other  
4 regular one. Let's schedule another meeting. Let's schedule  
5 a full two or three day meeting to include public testimony  
6 from all the interested parties like occurred at the Holiday  
7 Inn Bethesda 12 years ago, whenever that meeting was, when at  
8 the time the current regulations got cast in concrete and then  
9 let's create a set of exemptions based on that meeting that we  
10 can use as our operating posture for 1996 while you work  
11 forward to a rewrite of Part 35, ideally based on the  
12 information that came out at that meeting.

13           So, I put that suggestion on the table. We need  
14 another meeting like we need a hole in the head and I need  
15 that meeting on my watch like I need a hole in the head. But  
16 nonetheless, I think that that will satisfy the concerns that  
17 have been expressed if we really debate the issues fully.

18           Procedurally we have a more important question to  
19 address. It seems to me we need to consider whether we want  
20 to do this one of three ways. Way number 1 is to accept the  
21 idea that we do paper reviews. On the other extreme, number 3  
22 is to go with the concept of Committee as a whole which has  
23 some advantages, a learning process, but admittedly is chunky.

24

25           Way number 3 is for us to design right this

1 moment basically two subcommittees. Subcommittee one relates  
2 to nuclear medicine and subcommittee two relates to radiation  
3 oncology. I would propose that the nuclear medicine  
4 subcommittee be composed of Dr. Berman, Dr. Nelp, myself, Dr.  
5 Woodbury, Dr. Wagner and Dennis Swanson, Bob Quillin who  
6 should sit on both subcommittees. The radiation oncology  
7 subcommittee should be Dr. Flynn, who is not here, Dr. Stitt,  
8 the new radiation oncology physicist and Mr. Quillin. Judy  
9 can sit on either or none or both, whichever she prefers, and  
10 we can do it.

11           That will be -- it will be easier to organize  
12 conference calls of a smaller group of people than it will be  
13 of a larger group of people. It will meet the requirements  
14 for Federal Advisory Committee Act and we can do it. So, I  
15 think we've got three strategies.

16           DR. CERQUEIRA: I'd propose there be a fourth  
17 also in the sense that the NAS recommendations are going to be  
18 coming. So, any sort of conference to make changes may be  
19 influenced by what happens. Why change what has been working?  
20 Why not continue what was being done in the past until you get  
21 the NAS recommendations and then at that point review the  
22 process?

23           MEMBER NELP: I would like to make a motion that  
24 we accept Barry's number 3 suggestion of having two  
25 subcommittees to, on an interim basis, deal with the issues.

1 We'll see what the issues are and then we can be flexible. If  
2 we need to change that, that's fine. I so move.

3 MR. CAMPER: I have a clarification question,  
4 Barry. You're saying -- for the record, you're looking at two  
5 committees, two subcommittees that would review the actual  
6 requests for exemptions or review submitted training  
7 experience, right?

8 CHAIRMAN SIEGEL: Correct.

9 MEMBER NELP: As you see fit. We only want to  
10 review the things that you feel are problematic. We don't  
11 want to do your work for you. We want you to bring to us  
12 issues that you or the people in the field think need  
13 additional attention.

14 MEMBER BERMAN: But could I clarify what you're  
15 saying? It seems to be a little discrepancy.

16 What they were doing up until recently was --  
17 Larry, I wanted you to catch this. Up until recently what  
18 they were doing was accepting at a certain degree of  
19 concurrence and that was -- as you're pointing out, that was  
20 working. What we decided at our last meeting was we were  
21 going to say, "No, you can't do that anymore."

22 MEMBER NELP: No, we advised them of our opinion.  
23 They have no constraints about following that advice and I  
24 imagine they've continued to operate as they have.

25 MEMBER BERMAN: I don't think so actually.

1           MEMBER NELP:  And in the regulations, they can  
2 grant exemptions and if they have problems with that in terms  
3 of the qualifications of individuals, then I think it's very  
4 reasonable that we could help them --

5           MEMBER BERMAN:  But on the interim basis, until  
6 we have the meeting, the excellent meeting that Barry  
7 suggested --

8           MEMBER NELP:  That won't change the regulation.  
9 That meeting will just vent a lot of expression and give a lot  
10 of direction, but it won't change any regulations.

11          MEMBER BERMAN:  Right.  But the meeting that  
12 Barry described will actually, I think, get a lot of  
13 discussion that will clarify how the subcommittees might work.  
14 But until that time, are you suggesting that our  
15 subcommittees, you're going to look at everything or would you  
16 be willing to let Larry's group or to advise them that--

17          MEMBER NELP:  I think Larry has a responsibility  
18 as the director of a certain component of the NRC and one of  
19 his responsibilities is to look at these things and if he has  
20 a problem that he feels he can't deal with, we'd be happy to  
21 assist him.  But I think he's very capable to grant exemptions  
22 and my motion is if he feels that our advice is so strong that  
23 he wants us to look at them, then a subcommittee evaluation  
24 would be appropriate.

25          MEMBER BERMAN:  Okay.

1                   CHAIRMAN SIEGEL: He's required to get the ACMUI  
2 to look at exemptions by Part 35 as it currently stands.

3                   MEMBER NELP: But he hasn't done this in the  
4 past.

5                   MR. CAMPER: Because we didn't grant exemptions.

6                   MEMBER NELP: Oh, I thought you did.

7                   MR. CAMPER: No. What we did was --

8                   MEMBER NELP: You granted concurrence.

9                   MR. CAMPER: Our reviewers reviewed the submitted  
10 training and experience of an applicant and they came to  
11 closure given the guidance that they had to work with, which  
12 I've already indicated was minimal on this question of what  
13 constitutes concurrence. Now, what has happened is as this  
14 issue has continued to escalate, we have now recently been  
15 provided with an interpretation by the Office of General  
16 Counsel that the regulations as currently written require 200,  
17 500 and 500. If you're going to authorize a physician user  
18 who presents less hours than that, you will do so through the  
19 mechanism of an exemption.

20                   Now, if I turn to 35.19, it tells me that if I'm  
21 going to grant -- if the Commission is going to grant  
22 exemptions that deal with physician training and experience, I  
23 will grant those exemptions in concert with assistance from  
24 the Advisory Committee on the Medical Uses of Isotopes. The  
25 assistance that the Advisory Committee has offered in your

1 last resolution in your last meeting was we do not want to  
2 entertain a model for establishing concurrence. Rather, we  
3 want to see each and every application. That's the assistance  
4 that you've offered.

5 MEMBER NELP: Correct.

6 MR. CAMPER: We have accepted that assistance and  
7 we are proceeding to develop the procedure to implement your  
8 recommendation.

9 MEMBER NELP: And I made a motion that we would  
10 like to provide that assistance through the use of  
11 subcommittees. We'll evaluate the problem, come up with a  
12 working solution and I look for a second.

13 CHAIRMAN SIEGEL: Was there a second?

14 MEMBER WAGNER: I'll second that.

15 CHAIRMAN SIEGEL: Okay. Lou, you've been  
16 chomping at the bit for awhile.

17 MEMBER WAGNER: I would like to see the Committee  
18 move on with its business. We are not going to solve this  
19 issue at this meeting. We are an hour behind time and the  
20 facts are that I personally would not want to make any  
21 decisions until I start reviewing some of these cases. Sally  
22 has said before that we can change these rules midstream if we  
23 want to in terms of how we're going to review these things.  
24 At this time, I don't think this Committee wants to go ahead  
25 and make a whole lot of ideas about what we're going to do



1 until the whole Committee sees some applications and can make  
2 some decisions.

3 CHAIRMAN SIEGEL: The motion has been made and  
4 seconded that the process for review of exemptions be by  
5 subcommittee. At least for the moment, let's say that the  
6 subcommittee composition is as articulated by me a few moments  
7 ago. Is there further discussion on this motion?

8 All in favor of the motion, indicate by saying  
9 aye.

10 (Ayes.)

11 CHAIRMAN SIEGEL: All opposed?

12 (No response.)

13 CHAIRMAN SIEGEL: Okay. So, the motion is  
14 passed. That's the procedure we've adopted and I take that to  
15 mean that we choose not to do paper reviews and we choose not  
16 to act as a Committee as a whole. I suppose it's conceivable  
17 that the subcommittees may find that something is sufficiently  
18 contentious that they'll want to refer it to the whole  
19 Committee.

20 MEMBER NELP: I think the subcommittees will  
21 fully inform the Committee.

22 CHAIRMAN SIEGEL: But I think they'll do it.

23 Now, let me ask the Committee before we move on  
24 how the rest of you feel about my suggestion for more work,  
25 that rather important political battle and it gets to the

1 heart of the philosophy of an important regulatory issue. It  
2 was debated at great length 12 years ago. There are certain  
3 elements on the sides of the different turf battles that will  
4 still feel the same way they did 12 or 15 years ago. I think  
5 there are others who taking an approach for reengineering the  
6 government and deregulation will argue for less role for the  
7 NRC in this. We just need to have the debate.

8           MEMBER BERMAN: I think it's an excellent  
9 suggestion. What Larry just told us a couple minutes ago of  
10 about now the counsel saying that you need 1200 hours means  
11 that all the radiologists who are being trained with their six  
12 months aren't meeting the 1200 hour requirement. So, really  
13 you've got -- you have a big problem that has opened up.

14           MEMBER NELP: That was a piece of advice. We  
15 didn't change anything.

16           MEMBER BERMAN: No, no. I'm not suggesting we  
17 change anything. What I'm suggesting is that this debate is  
18 really needed and I strongly support Dr. Siegel's suggestion.

19           MEMBER NELP: And I would like to add one more  
20 thing, Barry.

21           The next time we meet, Larry, I would like to  
22 have the data. I would like to know the numbers. I would  
23 like to know the position of your people out in the field.  
24 We're dealing with some nebulous figure and I'd like you to  
25 try to quantitate the extent of the situation so we know what

1 the heck we're dealing with because it's sort of nebulous.

2 MR. CAMPER: All right. We can certainly do  
3 that.

4 MEMBER NELP: I know it's there and you have a  
5 better feeling for it than I do.

6 MR. CAMPER: We can certainly attempt to do that.  
7 Let me just make one more closing comment about this. I  
8 think, frankly, if we do add on a day to discuss this training  
9 and experience issue as a follow-on to the meeting talking  
10 about the NAS, I like the idea that when we go to talk to the  
11 professional groups that have an interest, the  
12 endocrinologists and the cardiologists and the radiologists,  
13 et cetera, et cetera, that we will have pulsed the ACMUI and  
14 can say, "The ACMUI, we shared this information and this was  
15 generally their recommendations and their perspectives." I  
16 think that would facilitate that discussion frankly. So, we  
17 can think more about that.

18 CHAIRMAN SIEGEL: Good.

19 DR. CERQUEIRA: I'd like to thank Dr. Siegel and  
20 the Committee for hearing our request. We'd like to be  
21 actively involved in future discussions.

22 CHAIRMAN SIEGEL: I don't doubt that you will be.

23 DR. CERQUEIRA: Thank you, Barry.

24 CHAIRMAN SIEGEL: Yes. We are going to take a  
25 break. The rulemaking update probably will only take about a

1 half an hour. We're behind schedule, but we're going to do  
2 some catch-up. So, a ten minute break.

3 (Whereupon, at 10:19 a.m., the proceedings went  
4 off the record.)

5 CHAIRMAN SIEGEL: We have a quorum and we have at  
6 least one federal -- now we have both federal officials, so we  
7 can proceed.

8 We are going to go on with the update on  
9 rulemakings and guidance. And then we are going to continue  
10 directly changing the agenda with the petition for rulemaking,  
11 1130 item and we're going to shift the intravascular  
12 brachytherapy to follow. Jim Smith said that would work for  
13 him.

14 And so, Cheryl Trottier, go for it.

15 MS. TROTTIER: Thank you. First, I feel like I  
16 should warn you. I found out about this yesterday morning.  
17 Because at the beginning of this session, I think Dr. Cool  
18 explained the situation at MIT and that we were doing this IIT  
19 team. Well, unfortunately, John Glenn who is our normal  
20 branch chief, is heading up that team. And so now I am branch  
21 chief and I get to come to you and explain rulemakings that I  
22 know next to nothing about because I haven't been in the  
23 office for the last four months. But, we'll get through it.  
24 I do have some of the staff here. So, if there are any  
25 questions that I can't deal with, I'm sure they'll be able to.

1           The first rulemaking is really just a real quick  
2 update for you. You may already be aware of this, that wrong  
3 patient was published in the Federal Register. The date is  
4 there on the slide, September 20th. I did not make any  
5 overheads, again, because of this short notice. And we did  
6 make some extra copies of the slides so anyone from the public  
7 who doesn't have access to the slides that the committee has,  
8 can pick them up in the back.

9           Anyway, that was published in the Federal  
10 Register in September. So we are done with that.

11           CHAIRMAN SIEGEL: Any comments on that item,  
12 folks? That was pretty much per our recommendation and  
13 concurrence.

14           MS. TROTTIER: Yes, it was.

15           CHAIRMAN SIEGEL: Yes.

16           MS. TROTTIER: All right. The next rulemaking is  
17 patient release. It's been changed somewhat since the last  
18 time you saw it and what we have put together on the slides  
19 today is to show you what some of the changes are.

20           I will tell you, first of all, it's current  
21 status that it is on its way to our commission. It is  
22 currently in our executive director's office. I would  
23 anticipate that within a week, if all goes well, it should  
24 make it up to the commission. But of course, it went to the  
25 executive director's office in May and it's been back several

1 times. So anyway, I'll just run through some of the changes  
2 that have been made to it as a result of his concerns.

3           On the first slide, you'll notice there is a  
4 proposed rule language and the previous proposed rule  
5 language. The main change there was to remove the phrase in  
6 parenthesis, including a breast feeding infant. It doesn't  
7 really make a significant change in the rule but we're dealing  
8 with the breast feeding infant in guidance space more than in  
9 rule language space. But when I get to the next slide, I  
10 think you'll see that.

11           Then on the next slide, you'll see, again, the  
12 proposed rule language is slightly different from what you saw  
13 before. Around the middle of the paragraph, after the ALARA  
14 statement, it says, "if the does to a breast feeding infant or  
15 child could exceed 1 millisieverts, assuming there were no  
16 interruption of breast feeding, that the instruction should  
17 include guidance on interruption of breast feeding and  
18 information on the consequences of failure to follow the  
19 guidance." That is the change that is in the package that is  
20 currently in the EDO's office.

21           MEMBER WOODBURY: Consequences to whom?

22           MS. TROTTIER: Consequences to the infant, or a  
23 child, in either case. The breast feeding individual.

24           CHAIRMAN SIEGEL: Dennis?

25           MEMBER SWANSON: I had a comment on the second

1 part which is actually added. I think the information on the  
2 consequences of failure to follow the guidance. As chairman  
3 of the radiation safety committee at our institution, human  
4 use subcommittee, I've been trying to come up with statements  
5 of risk associated with radiation exposure. And to be honest  
6 with you, I'm not sure what information on the consequences of  
7 failure to follow the guidance I can give to a mother. If  
8 their infant is exposed to 200 millirems of radiation, what  
9 are the consequences of that in consideration of the fact that  
10 their annual radiation exposure is 300 millirems? And so, I  
11 think I mean, you're kind of leaving us there with a difficult  
12 situation to try to explain in many cases. I mean, I can  
13 explain 5 rads exposure but I'm not quite sure how to deal  
14 with that.

15 MS. TROTTIER: I understand. Now, again, as I  
16 said, since I was not here, maybe -- Larry, do you have a view  
17 on why we chose the phrase that we chose on this?

18 CHAIRMAN SIEGEL: This has been in again and out  
19 again a couple of times.

20 MS. TROTTIER: In again and out.

21 MR. CAMPER: I would ask Dr. Holahan. She was  
22 actively involved in that.

23 Trish, do you recall exactly why?

24 CHAIRMAN SIEGEL: Or Stuart may know.

25 MR. CAMPER: Or Stuart may know.

1           MR. SCHNEIDER: Has to do specifically with the  
2 thyroid in the breast feeding infant.

3           CHAIRMAN SIEGEL: Right, Stuart, and I recognize  
4 that. That was Stuart Schneider, by the way, for the record.

5           The problem is, is exactly what Dennis said.  
6 Clearly, if someone being treated with I-131 who had been  
7 breast feeding, and I chose those words carefully, I would  
8 tell that mother, you may not breast feed any longer because  
9 if you do, you will wipe out your infant's thyroid gland.

10           The problem, on the other hand, though is if  
11 someone's going to have a study with technetium pertechnetate  
12 where most tables would recommend that ceasing breast feeding  
13 for 24 hours is the strategy to get the effective dose below  
14 100 millirems, I would have trouble saying now, listen, if you  
15 don't follow my instruction, here are the consequences. And  
16 so, if you insist on this language, then the NRC has to be  
17 willing to accept the following in written instructions. We  
18 recommend that you discontinue breast feeding for 24 hours  
19 because we subscribe to the policy of maintaining doses as low  
20 as reasonably achievable. If you do not follow these  
21 instructions, it is unlikely or it is impossible to prove that  
22 any adverse consequences to your infant will result. Because  
23 I would insist on wanting to write that because I can't  
24 honestly tell a patient that 140 millirem dose to her infant  
25 will harm that infant any more than I could tell that patient



1 that the 300 millirem effective dose to her from the study  
2 will harm her.

3 MS. TROTTIER: Correct.

4 CHAIRMAN SIEGEL: And that's why I had objected  
5 to this phrase in this part of the rule previous. And I guess  
6 I'm objecting to it again.

7 MR. CAMPER: Trish?

8 MS. TROTTIER: Trish?

9 DR. HOLAHAN: It is my understanding that in the  
10 reg guide basically what you're saying there, Barry, in terms  
11 of that as much could be done in terms of the consequences, we  
12 recommend that you discontinue for 24 hours to avoid,  
13 otherwise your baby may receive some unintended exposure, or  
14 even to go as far as to say there are no expected consequences  
15 if you don't stop breast feeding. And that is what was meant  
16 in terms of consequences. Because no consequence is also a  
17 consequence.

18 CHAIRMAN SIEGEL: Why not change that? Why not  
19 say information on the consequences or lack thereof of failure  
20 to follow the guidance?

21 See, I'm concerned that license -- I know you're  
22 not going to put those words in because OGC will never let it  
23 stand. But I'm concerned that licensees, and more  
24 importantly, inspectors, will interpret this to mean there  
25 better be a statement about the consequences and they better -

1 - it better be based on the linear hypothesis rather than the  
2 linear quadratic or I could include a consequence based on a  
3 hermetic hypothesis which would say this will benefit your  
4 infant.

5 MS. TROTTIER: Yes. Well, I think, in fact, when  
6 I first looked at this slide yesterday, that this is a subject  
7 that we probably need to include in the regulatory guide.  
8 It's not in there now but I do think there's some guidance and  
9 the staff is telling me inspection guidance also. So, there  
10 are mechanisms that we can use to make it clear to both  
11 inspectors and licensees what the staff intended by those  
12 words. Hopefully that will solve that problem.

13 MEMBER SWANSON: As a committee member, I'd just  
14 like to make the recommendation that the sentence end,  
15 guidance on interruption on breast feeding, period, which  
16 could certainly include consequences if there are expected  
17 consequences of that.

18 CHAIRMAN SIEGEL: Are you making that as a -- I'm  
19 not sure whether we've got any option at this point, given the  
20 way this package is. But that doesn't prevent us from making  
21 the motion.

22 MR. CAMPER: No, it does not.

23 MS. TROTTIER: No, you can make it.

24 MEMBER SWANSON: I would like to make that  
25 motion.

1 MEMBER WOODBURY: I second it.

2 CHAIRMAN SIEGEL: Is there a second?

3 MEMBER WOODBURY: Second.

4 CHAIRMAN SIEGEL: Is there further discussion?  
5 Judith?

6 MEMBER BROWN: I'm going to abstain. I haven't  
7 really given this enough thought to make a quick decision.  
8 Sorry.

9 CHAIRMAN SIEGEL: I think -- Let me speak on your  
10 behalf, even without -- Because I -- No, having understood --

11 MEMBER BROWN: I trust you on that, Barry.

12 CHAIRMAN SIEGEL: Yes, well, having understood  
13 some of your concerns about this issue in the past, I think we  
14 are really all of a like mind here because I think everybody  
15 on this committee, and I think the vast, vast majority of  
16 medical licensees will not go out of their way to harm infants  
17 who are breast feeding. And the notion that you have to  
18 explain to someone the radiological risks when there is no  
19 scientific basis for making those statements is what we're  
20 trying to avoid here.

21 MEMBER BROWN: But it doesn't say radiological  
22 risks. It just says consequences. So in the little box, you  
23 say no consequences, right? They just want to make sure  
24 somebody paid attention to this aspect.

25 CHAIRMAN SIEGEL: The trouble, and I guess in a

1 way, I would -- by forcing me to describe consequences, it  
2 actually sort of limits my flexibility as a practitioner. In  
3 a way, I'd like to be able to say although we really don't  
4 have any reason to think that this will harm your child, we  
5 recommend keeping doses as low as possible and as low as  
6 reasonable. And we strongly encourage you to stop breast  
7 feeding for 24 hours. Well, Doctor, what will happen if I  
8 don't? If then pressed with that question, I said, there's  
9 really no scientific evidence that anything will happen. I  
10 think if I have to put all of that complex language in my  
11 written instruction, which I would be inclined to interpret  
12 that this will then translate into what has to be in the  
13 written instruction, that that's going to start confusing  
14 patients. And I would --

15                   MEMBER BROWN: I don't think anybody's going to  
16 be confused by that. I think that just documents that you  
17 paid attention to it. And, of course, you're going to pay  
18 attention to that because you speak on my behalf. But I'm not  
19 sure anybody else is. I mean, everybody else is.

20                   MEMBER SWANSON: But let me emphasize something.  
21 By putting in a written instruction that there are no  
22 consequences, I'm concerned that that will distract from the  
23 precautions I've asked the patient to take. I would rather  
24 simply explain the precautions and not have to go on and say  
25 there are no consequences associated with this because I

1 actually think, as I said, by saying that there's no  
2 consequences, that might distract from my precaution  
3 statements. So you might get a negative impact there to what  
4 you're trying to achieve.

5           CHAIRMAN SIEGEL: In other words, do what I say  
6 but please note that there will be no benefit to doing what I  
7 say. I'd rather not have to say that. What I'd really like  
8 to say is, I'm the doctor. Do what I say. That's very  
9 paternalistic of me but in this case, I'd prefer to encourage  
10 the woman to do the right thing and not to spend a half an  
11 hour getting into which hypothesis we're using of radiation  
12 risk.

13           Lou, do you --

14           MEMBER WAGNER: No, I fully concur with what  
15 you're saying. The idea that there won't be any confusion on  
16 the interpretation of on the consequences I think is wrong. I  
17 think there will be tremendous confusion as to what that  
18 means, not only on the patient's part and the physician's  
19 part, but also on the regulator's part. This kind of a very  
20 nebulous phraseology is extremely susceptible to  
21 misinterpretation.

22           MEMBER WOODBURY: I had no idea what it meant.  
23 And if I'm a practitioner and I don't know what it means, then  
24 I'm in trouble.

25           MR. CAMPER: Well, I think the problem is, if you

1 look at it, I think that the logic was, you have in step 1  
2 instructed interruption to breast feeding. The patient may or  
3 may not -- may or may not grasp the consequence of not  
4 following your instruction to interrupt breast feeding. And  
5 point two asks you to explain what that consequence might be  
6 if you don't follow the instructions to interrupt breast  
7 feeding.

8 Now --

9 MEMBER WAGNER: But, Larry, consequences is a  
10 very strong word. And the thing is, maybe something lighter  
11 like -- and the reason for this guidance, would be a different  
12 interpretation. But consequences is so ominous. That's the  
13 problem. It's how ominous consequences means. The reason or  
14 --

15 MR. CAMPER: The importance of following the  
16 guidance or the rationale?

17 CHAIRMAN SIEGEL: That's better.

18 MEMBER WAGNER: Yes, that's much better.

19 MEMBER WOODBURY: Call the question, Mr.  
20 Chairman.

21 MEMBER SWANSON: To me, it's incorporating the  
22 word. When I give guidance to my children, I try to explain  
23 the reasons why.

24 MEMBER WOODBURY: Call the question, Mr.  
25 Chairman.

1           CHAIRMAN SIEGEL: The motion been called. There  
2 were some other -- there was some other discussion. But, we  
3 can either take the question or we can go through the motion  
4 to answer the question call. Do other people feel they need  
5 to make a comment before we proceed?

6           All right. Question has been called. So, the  
7 motion was, is that we're recommending that you truncate that  
8 sentence after the word breast feeding and delete the item 2.

9           MEMBER BROWN: I thought the question -- I  
10 thought the recommendation that you substitute a word such as  
11 rationale?

12          CHAIRMAN SIEGEL: No, that was not Dennis'  
13 motion.

14          MR. CAMPER: Not the motion.

15          MEMBER WOODBURY: The motion is to --

16          CHAIRMAN SIEGEL: Now, we could come up with an  
17 amendment or a substitute motion.

18          MEMBER BROWN: I'd vote for that one, the one to  
19 change the words since consequences seems to be such a  
20 sticking point and have such a negative connotation.

21          CHAIRMAN SIEGEL: So let's to try the following  
22 just for the purposes of discussion. I guess the question has  
23 been, can we table motion to call the question while we  
24 continue to discuss this? Does the motioner allow that? And  
25 the committee go with that? We're not getting too formal

1 here.

2                   How about the instructions shall also include,  
3 (1) guidance on the interruption of breast feeding, and (2),  
4 the rationale for interrupting breast feeding.

5                   MEMBER NELP: Why don't you -- I'd like to make a  
6 suggestion in the language. Say, assuming there were no  
7 interruption of breast feeding -- I'm not sure of the English  
8 of that.

9                   CHAIRMAN SIEGEL: That's correct. It's called  
10 for.

11                   MEMBER NELP: Yes, I guess that is subjunctive.  
12 Thank you, Doctor, esteemed Doctor.

13                   MR. CAMPER: Esteemed Chairman.

14                   MEMBER NELP: Assuming there were no interruption  
15 of breast feeding, the instructions. I would say the licensee  
16 should provide guidance for the patient, period. Just make it  
17 very simple.

18                   CHAIRMAN SIEGEL: Well that's the original  
19 motion.

20                   MEMBER NELP: If there's no interruption, the  
21 licensee should then provide appropriate guidance for the  
22 patient.

23                   MEMBER BROWN: I think given -- just as a  
24 practical manner, given how much this has been debated and  
25 where it is in the process of becoming a final rule, that this



1 committee would have a lot better luck changing one word than  
2 dropping two. And I would vote for changing the word to  
3 something less objectionable.

4 MEMBER STITT: I like Judith's idea. The -- I'm  
5 sitting here listening to the discussion and I grew up in the  
6 era of Truth or Consequences. And that tells you something  
7 about why that word is such a harsh word here. Because either  
8 you've got the truth or you've the consequences. And I think  
9 that if we try to make a major change in this, we're going to  
10 get absolutely no where. But that's a hostile word, at least  
11 in my generation.

12 CHAIRMAN SIEGEL: We've got several different  
13 approaches on it.

14 MEMBER WAGNER: Well, what we have is we have a  
15 motion and then we have motion to amend. So we have to look  
16 at the motion to amend first and then look at the motion.

17 MEMBER NELP: Could you state the motion, please?

18 CHAIRMAN SIEGEL: Well, the motion was that we  
19 recommend that the final sentence of proposed (b) be truncated  
20 at breast feeding. The motion to amend was that we recommend  
21 that item 2 --

22 MEMBER NELP: The first motion eliminated item 2,  
23 is that correct?

24 MEMBER WAGNER: That's right.

25 CHAIRMAN SIEGEL: The first motion is to

1 eliminate item 2. The second --

2 MEMBER NELP: The second?

3 CHAIRMAN SIEGEL: The amendment or the substitute  
4 motion would be to come up with a different language for item  
5 2. And did someone write down what I said? Because I  
6 didn't.

7 MEMBER WAGNER: Information on the rationale to  
8 follow the guidance.

9 MEMBER BERMAN: Wouldn't it be simple to say  
10 guidance on and rationale for the interruption?

11 MEMBER BROWN: That's fine.

12 MEMBER BERMAN: That would be fine. And could it  
13 be guidance on and rationale for the interruption or  
14 discontinuation of breast feeding, based on what you had said,  
15 Barry? You might want to add that.

16 MEMBER BROWN: I don't think we have too much  
17 license to edit given where this is in the process.

18 MEMBER WOODBURY: We have license to advise.

19 MR. CAMPER: We certainly will take your advice.

20 MEMBER BERMAN: Interruption suggests they can go  
21 back on it. Whereas if it's I-131, as Barry was saying, he's  
22 want to tell them to discontinue.

23 CHAIRMAN SIEGEL: Well, that is spelled out in  
24 the regulatory guide. That's spelled out in lots of  
25 scientific documents that we would be expected to refer to as

1 practitioners. But tell me what you just said, Dan?

2 MEMBER BERMAN: Guidance on and rationale for the  
3 interruption or discontinuation.

4 MEMBER NELP: I like it.

5 MEMBER BERMAN: Of breast feeding, period.

6 MEMBER NELP: I like that very much.

7 CHAIRMAN SIEGEL: Now --

8 MEMBER WAGNER: I second that motion.

9 CHAIRMAN SIEGEL: Good. So that really now  
10 becomes the substitute motion and I guess there's an option  
11 for the --

12 MEMBER SWANSON: I will withdraw the initial  
13 motion.

14 CHAIRMAN SIEGEL: Excellent.

15 MEMBER BROWN: And I withdraw the amended motion.

16 CHAIRMAN SIEGEL: Excellent. So we now have a  
17 substitute motion. And let me read it based on what I think  
18 it says. The substitute motion would be, is that the ACMUI  
19 recommends that the final sentence of proposed -- what is this  
20 -- 35.75(b)?

21 MS. TROTTIER: Right. Just (b) is good enough.

22 CHAIRMAN SIEGEL: Be amended to read, If the dose  
23 to a breast feeding infant or child could exceed 1  
24 millisievert (0.1 rem), assuming there were no interruption of  
25 breast feeding, instructions shall also include guidance on

1 the interruption -- no, guidance on --

2 MEMBER BERMAN: And rationale for.

3 CHAIRMAN SIEGEL: Guidance and -- No, it should  
4 be --

5 MEMBER BERMAN: Guidance on and rationale for.

6 CHAIRMAN SIEGEL: And rationale for the  
7 interruption or discontinuation of breast feeding, period.  
8 That's the motion.

9 MEMBER BROWN: That's good.

10 MEMBER BERMAN: And you're taking out the when  
11 parenthesis also.

12 CHAIRMAN SIEGEL: Is there a further discussion  
13 on that motion?

14 MEMBER NELP: Has it been seconded?

15 MEMBER WOODBURY: Yes.

16 CHAIRMAN SIEGEL: It was seconded and 13 prior  
17 motions were withdrawn.

18 MEMBER NELP: Call for the question.

19 CHAIRMAN SIEGEL: All in favor?

20 (An oral vote was taken.)

21 CHAIRMAN SIEGEL: Opposed?

22 Let the record show that the -- and I vote aye.

23 Let the record show that the ACMUI unanimously recommends that  
24 even though this package is sitting with the EDO, that we go  
25 back to that language.

1 MS. TROTTIER: Actually, we have it. So --

2 CHAIRMAN SIEGEL: Super.

3 MS. TROTTIER: We will discuss this with the  
4 EDO's office.

5 CHAIRMAN SIEGEL: Good. Continue.

6 MS. TROTTIER: On the next slide, then, this is  
7 the record keeping part of the rule. And I know a lot about  
8 this because I was in the EDO's office when he rejected this  
9 rulemaking the first time. And it was because the language  
10 that was previously proposed in his mind was very confusing.  
11 It's down at the bottom and you can see it. He really got  
12 caught on attenuation of radiation by body tissue, blah, blah,  
13 blah. His view was only health physicists understand this  
14 and, anyway.

15 What we ended up with, I think, will probably be  
16 acceptable to him in that it is in more plain English. So  
17 that's really what the purpose of this change was, to make the  
18 record keeping requirement easily read.

19 CHAIRMAN SIEGEL: Dennis?

20 MEMBER SWANSON: Afraid I have a comment there,  
21 too. What is meant by using an activity other than the  
22 activity administered? Is this as in making a error in the  
23 calculation, an error in the administration? What is that in  
24 reference to?

25 MR. CAMPER: It means -- it's a conservative

1 approach. We're using the original amount of activity  
2 administered to the patient as opposed to any consideration of  
3 biological elimination at some point in time. You may  
4 certainly do that. You may certainly use the approach where  
5 you bring to bear biological elimination and so forth. But if  
6 you do that, it requires a record.

7 CHAIRMAN SIEGEL: Don't 1 and 3, though --

8 MEMBER SWANSON: Hand in hand.

9 CHAIRMAN SIEGEL: -- capture the same thing?

10 MS. TROTTIER: Yes, it does.

11 CHAIRMAN SIEGEL: Although they capture it in a  
12 slightly different way. One -- the component in 1 allows for  
13 a very rapid initial elimination component that quickly  
14 reduces the body burden to some relatively small number. And  
15 then 3 uses an effective life of the remainder as opposed to  
16 just the physical life.

17 Now, either one could be captured by either 1 or  
18 3. In a way they're redundant. But I personally can live  
19 with this. Especially if regulatory guidance explains what's  
20 going on here.

21 Anybody terribly troubled by it?

22 MEMBER SWANSON: Even if you used the biological  
23 half-life, how can you base it on an activity other than that  
24 which was administered? It just doesn't make any sense to me  
25 unless you're talking about errors.

1                   MEMBER BERMAN:  Maybe it should say using  
2 activity less than the activity administered.

3                   MEMBER SWANSON:  No, why would you do that?

4                   MEMBER BERMAN:  Because what was stated about  
5 rapid excretion.

6                   MEMBER BERMAN:  I tell you, that statement just  
7 doesn't make any sense.

8                   MEMBER WOODBURY:  The thing that disturbs me, if  
9 the language is written that the committee can't understand,  
10 how do you expect the licensees to understand?  And I'm  
11 totally confused.

12                   MEMBER BROWN:  Right.

13                   MEMBER STITT:  It reads like a misadministration.  
14 You gave something that you didn't mean to.

15                   CHAIRMAN SIEGEL:  Actually, why do you need item  
16 1 at all?

17                   MEMBER SWANSON:  Right.

18                   MEMBER WOODBURY:  That's right.

19                   MEMBER SWANSON:  Just eliminate it.

20                   CHAIRMAN SIEGEL:  Because I think the concept  
21 that we went through when we discussed this rule at length  
22 was, basically that the NCRP-37 approach says, here's a point  
23 source of I-131.  Stand at it from a meter.  Allow for 25  
24 percent occupancy.  And here's your external exposure.  We've  
25 addressed issues of the leaky patient in prior discussions.

1 And then the things you can do to modify NCRP-37 are to assume  
2 a different model for elimination as opposed to no  
3 elimination. To assume that there is attenuation of the  
4 activity by the patient and to assume a different occupancy  
5 factor. Those are the three variations. And I don't think  
6 you need to say that with four items. One item captures it.

7 Does anybody -- staff, have a concept that's  
8 different on that?

9 Stuart? I'm looking at you.

10 MR. SCHNEIDER: The reason we put that in was if  
11 the number in the -- if the activity was less than what was in  
12 our release table, then it was using the activity  
13 administered. But if you had a value that was greater than  
14 the release table, you may have to hold the patient until that  
15 activity was less and it no longer was the activity  
16 administered.

17 CHAIRMAN SIEGEL: So you're implying that a  
18 patient would get 6.8 millicuries of I-131 and the release  
19 table say 6.6. And you just keep him for an hour and it's  
20 down to that level and then you let him go home?

21 MR. SCHNEIDER: But it's still based on --

22 CHAIRMAN SIEGEL: But your release table is  
23 actually also going to include some -- substitute measurements  
24 based on external dose rate as well. So the licensees are  
25 going to have an out from there as well.



1                   MEMBER NELP: That's going to be the determinant,  
2 isn't it? Or either/or?

3                   CHAIRMAN SIEGEL: It's still going to be a little  
4 bit of either/or.

5                   MR. SCHNEIDER: In the case where it's either/or,  
6 then if you use the release value based on the dose rate, then  
7 you have to have the record of the survey. And that's  
8 explained in the guidance attached to that.

9                   MEMBER WAGNER: Barry, would the wording -- since  
10 what they want is the retained activity rather than the  
11 administered activity, it seems to me that that's the change  
12 that you need in number 1 to satisfy what they want.

13                   CHAIRMAN SIEGEL: Well, it's retained --

14                   MEMBER WAGNER: It's the retained activity.

15                   CHAIRMAN SIEGEL: Retained when?

16                   MEMBER WAGNER: Well, it doesn't matter. I mean,  
17 it's retained at any point that they want to release the  
18 patient. If it's based upon the retained activity at the time  
19 of release rather than the administered activity.

20                   MEMBER NELP: I'm confused.

21                   CHAIRMAN SIEGEL: That actually is the basis for  
22 releasing someone who got thyroid cancer therapy, right?

23                   MEMBER WAGNER: Right.

24                   CHAIRMAN SIEGEL: You really are basing it on  
25 retained activity.

1 MEMBER NELP: Rather than monitored exposure?

2 I'm confused.

3 CHAIRMAN SIEGEL: Well, it's either/or.

4 MEMBER WAGNER: Yes, it's either/or.

5 MEMBER NELP: It would seem to me that if someone  
6 gives more activity to the patient than is in the table, then  
7 they ought to go the release criteria by monitoring the  
8 patient.

9 CHAIRMAN SIEGEL: Cathy?

10 MEMBER NELP: That's -- you have a choice,  
11 wouldn't you?

12 MR. CAMPER: No, remember, it's purely dose  
13 driven now.

14 CHAIRMAN SIEGEL: And it's --

15 MR. CAMPER: It's 500 millirem absolute limit and  
16 you also have the 100 millirem consideration.

17 CHAIRMAN SIEGEL: Right. It's dose driven but  
18 it's dose driven with the ability for licensees to refer to  
19 tables if they don't want to calculate doses. And the tables  
20 provide lot of conservative room --

21 MR. CAMPER: I understand. But I think Dr. Nelp  
22 was referring to the current criteria where you're measuring a  
23 meter, 5 mr per hour the other or that currently exists?

24 CHAIRMAN SIEGEL: But the table actually -- the  
25 tables as we last saw them included both dose rates and

1 retained activity, as I recall.

2 MEMBER NEMP: Isn't that correct?

3 CHAIRMAN SIEGEL: And I have this in the  
4 regulatory guide.

5 MR. CAMPER: The tables do that. That's right.

6 MS. TROTTIER: Right. I believe they still do  
7 today.

8 CHAIRMAN SIEGEL: Cathy.

9 MR. CAMPER: That's right.

10 CHAIRMAN SIEGEL: Cathy, did you want to comment?

11 MS. HANEY: I was just going to say that the reg  
12 guide tables, the way they're set up right now, are set up as  
13 administered activity and that's why the -- one of the reasons  
14 why the rule language, it was in there base don administered  
15 activity. However, if you're taking into account at the time  
16 of administration, then you are looking at the dose that is  
17 retained in the body. So, it depends upon -- both are right  
18 but it depends which way you're attacking the problem. Which  
19 way you're attacking.

20 MEMBER WAGNER: It seems to me what you're  
21 getting at, though, is the idea that you'd use retained  
22 activity which still would be beyond what the table is.

23 MS. HANEY: It is. But the values -- the simple  
24 way to look up the table is to look at the administered  
25 activity.

1           MEMBER WAGNER: Right. I understand. I  
2 understand. And if they wanted to release him based on  
3 retained activity, they'd have to go through a calculation to  
4 judge that -- to justify that.

5           MS. HANEY: Right.

6           MEMBER WAGNER: So, a solution to your problem is  
7 to say, using the retained activity, not the administered  
8 activity. So if in their justification they used retained  
9 activity as opposed to administered activity, they can justify  
10 it. I mean, I think that's the issue that you're getting at.

11          MR. CAMPER: The problem is, if you go back why  
12 was C put in at all? And if you -- for example, if you read  
13 it and it said the licensee --

14          CHAIRMAN SIEGEL: You don't really want to raise  
15 that question.

16          MR. CAMPER: I think I just did.

17          MEMBER WAGNER: Kick the dog again, Larry.

18          MR. CAMPER: But if you were, for example, to  
19 say, the licensee shall maintain a record of the basis for  
20 authorizing the release of the individual for three years  
21 after the date of release, period, that's a problem. We felt  
22 that was a burdensome record keeping requirement because it  
23 would require every release to have a record. And we didn't  
24 want to do that.

25                 So, what we attempted to do was to establish a

1 conservative criteria that if followed, and this is where you  
2 get into you're treating it as a point source, you're treating  
3 at a specified distance. You're using the original amount of  
4 activity administered. If you release considering those kinds  
5 of considerations which the tables describe the amounts, then  
6 no record keeping is required. But if you deviate from that,  
7 then you find yourself in record keeping space. So it was an  
8 attempt to reduce the amount of record keeping.

9 MEMBER WAGNER: I understand that.

10 MEMBER NELP: I'd like to comment.

11 Larry, you know, if I take your chest X-ray, I'm  
12 obligated to keep it in my file for X number of years. If I  
13 treat you as a patient, I'm obligated to put in your medical  
14 record what I've done and that medical record is a permanent  
15 file for your life. And for a number of years. So, it's  
16 really not very burdensome, and I do this routinely and I'm  
17 sure other people do, when I treat you, I will say how much I  
18 gave and I can put in there released with such and such  
19 activity, period. I mean, it's a matter of current procedure.

20 MR. CAMPER: But I don't think that the  
21 documentation of chest X-rays and the like have anything to do  
22 with the possible dose consequence to a member of the public.

23 MEMBER NELP: No, but I'm saying even now I keep  
24 this record permanently. It isn't a burden for me to keep  
25 this record for three years. That was my point. I keep this

1 record permanently now.

2 MR. CAMPER: I agree. I don't think the keeping  
3 of the record is the problem. I think the development, the  
4 need for the development of the record is the problem. What  
5 we attempted to do here was to establish a threshold below  
6 which you would not have to develop a record using  
7 conservative practice.

8 MEMBER NELP: Even below your threshold I keep a  
9 record permanently.

10 MEMBER WOODBURY: Is keeping the record  
11 appropriate?

12 MR. CAMPER: But do you want -- You don't want  
13 the NRC to impose that on all --

14 MEMBER NELP: Yes, I think that's very  
15 reasonable. You know, if --

16 CHAIRMAN SIEGEL: We've had this discussion.  
17 You're retro --

18 MEMBER NELP: Am I, really? Because this is a  
19 routine form of medical practice. If you come to my office, I  
20 enter that visit in my medical record on a permanent basis.

21 CHAIRMAN SIEGEL: I'm not sure you keep those  
22 records in an NRC readily inspectable format.

23 MEMBER NELP: I think I do. I could access those  
24 very readily.

25 MEMBER SWANSON: Isn't what you want to say is

1 using an activity that results in an exposure rate of less  
2 than 0.1 millirem, assuming an occupancy factor of .25?  
3 Because what you're really trying to do is -- your problem is  
4 you're trying to allow people to release based upon your  
5 guidance document but you can't refer to your guidance  
6 document and regulation, right?

7 MR. CAMPER: That's right.

8 MEMBER SWANSON: So, you've got to refer back to  
9 the criteria used in your guidance document as your  
10 regulation. And so that's what I'm saying, using an activity  
11 that results in exposure rate less than 0.1 millirem, assuming  
12 an occupancy factor of 0.25, which is what your tables are  
13 based on. Or something in that kind of wording.

14 MR. CAMPER: Well, you're right on the mark with  
15 what the problem was, that's right.

16 CHAIRMAN SIEGEL: Right. Because you can't  
17 reference the guidance in the rule.

18 MEMBER NELP: But isn't this related to keeping  
19 of the record?

20 MS. TROTTIER: Well, it's which records you have  
21 to keep, that's the concern. Rather than keep records of  
22 every release.

23 MS. HANEY: Can I just say something?

24 CHAIRMAN SIEGEL: Yes, Cathy.

25 MS. HANEY: we felt that it was important in the

1 case of number 3 to have it in there because of all the  
2 discussions that took place about having a table that would  
3 allow for release by taking account biological considerations.  
4 And again, we were trying to keep the record burden down. The  
5 required regulatory record burden in the license down by  
6 making sure that that statement was in there.

7           CHAIRMAN SIEGEL: I'm a slow learner here. Give  
8 me one more example that focuses only on item 1. I give a  
9 patient 30 millicuries of I-131, or 100 millicuries of I-131.  
10 When would I release the patient using some other activity?  
11 Give me an example. I'm having trouble understanding an  
12 example that is not -- that's just based on using a different  
13 number as opposed to using one of these other assumptions to  
14 get to the different number. That's where I'm confused.

15           I mean, I might say it's okay for me to release  
16 patients over 150 pounds when they have 50 millicuries because  
17 I've considered occupancy factor. But that's not using a  
18 different activity administered. I might do it on the basis  
19 of biological elimination. I mean, not occupancy factor,  
20 shielding. I might do it on the basis of occupancy factor.  
21 But I don't understand how I would ever use a different number  
22 other than the starting number unless you mean what Dennis and  
23 Lou were driving at which is the retained activity at the  
24 moment of release based on some measurement.

25           MR. CAMPER: But you see, under that scenario,



1 that wouldn't require a record.

2 MEMBER WAGNER: It would if it's still beyond the  
3 tables, wouldn't it?

4 MS. TROTTIER: Right, if it's not the value on  
5 the table.

6 MEMBER WAGNER: That's the point that we're  
7 trying to make. And that was what I thought the issue was.  
8 If you're still beyond the table but you're still justifying a  
9 higher release activity.

10 CHAIRMAN SIEGEL: But then you're going to be  
11 doing it on one of these other factors, not juts on the fact  
12 that it's a different number.

13 MEMBER WAGNER: Right. But the point -- that's  
14 exact -- Well, no. I think the --

15 MR. CAMPER: No, you are. Because the reality of  
16 the matter is you could release patients with substantially  
17 higher activity. And the thing that would let you do that, of  
18 course, is item 3.

19 MEMBER NELP: May I ask --

20 MR. CAMPER: And in that case, you will create a  
21 record because you opt to release that patient at a much  
22 higher activity level.

23 MEMBER WAGNER: Well, that's only if you want to  
24 follow the tables. But my point is, is that if you don't to -  
25 - if you still want to release at a higher activity beyond

1 what the tables are, then you would still have to justify it  
2 on the basis of the other activity, also.

3 MR. CAMPER: I understand. But the table, the  
4 one basic table is about physical decay.

5 MEMBER WAGNER: I understand what you're saying.  
6 All right. Yes. I agree. I agree. You can eliminate 1 and  
7 it won't change anything.

8 MEMBER NELP: May I ask a question again?

9 CHAIRMAN SIEGEL: Sure.

10 MEMBER NELP: If I release a patient with some of  
11 these exceptions based on my own judgment, I'm going to make a  
12 record of it. If I release a patient according to the  
13 guidelines without any exceptions, I'm going to keep a record  
14 of it.

15 CHAIRMAN SIEGEL: That's your choice.

16 MR. CAMPER: Not for us you're not.

17 MEMBER NELP: No, but in the practice --

18 CHAIRMAN SIEGEL: It's no longer an NRC required  
19 record.

20 MEMBER NELP: But in the practice of medicine,  
21 because of my role as a physician, my medical malpractice  
22 insurance, my ability to bill appropriately, and my  
23 professional career, I am going to keep a record of it.

24 CHAIRMAN SIEGEL: But I'm just telling you that  
25 if you send people home who got 5 millicurie imaging doses of

1 I-131, assuming they're not breast feeding, you don't have to  
2 put anything down on paper for anyone --

3 MEMBER NELP: Yes I do. Yes, I do.

4 CHAIRMAN SIEGEL: NRC requirements.

5 MEMBER NELP: That's exactly correct.

6 CHAIRMAN SIEGEL: You can make whatever record  
7 you choose to based on the way you practice medicine.

8 MEMBER NELP: If I'm in the practice -- anybody  
9 in the practice of medicine --

10 CHAIRMAN SIEGEL: But NRC won't --

11 MR. CAMPER: With the exception of the patient  
12 dose record. We do have a requirement.

13 CHAIRMAN SIEGEL: I understand.

14 MEMBER NELP: Let me complete this, Barry.

15 CHAIRMAN SIEGEL: Please.

16 MEMBER NELP: If you kept a record on everything,  
17 it wouldn't be a burden to anyone because the record exists.  
18 You see? The record exists. There's no way that you're  
19 going to treat a patient without a record.

20 CHAIRMAN SIEGEL: We need to have a chat about  
21 deregulation.

22 MEMBER NELP: I understand.

23 CHAIRMAN SIEGEL: And about getting the  
24 government out of our face and not about giving them more to  
25 do.

1           MEMBER NELP: Thank you. I just wanted to be  
2 sure that you understood my opinion.

3           CHAIRMAN SIEGEL: I guess.

4           Do we want to recommend that 1 disappear because  
5 it seems like it's irrelevant?

6           MEMBER WAGNER: I second that motion.

7           CHAIRMAN SIEGEL: I didn't make it but I guess I  
8 did. Do you guys have a strong argument why it has to be in  
9 there? Please explain it to me.

10          MS. TROTTIER: See, I'm staying out of this fight  
11 because I recommended about one or two things. And so --

12          MEMBER NELP: It's totally redundant.

13          MR. CAMPER: In the side bar, I was just trying  
14 to understand if we pulled out that element within the tables,  
15 what would that do to the entire table?

16          CHAIRMAN SIEGEL: Not much. But the tables are  
17 based on the assumptions that with a given administered  
18 activity, that the dose will be either less than 100 or less  
19 than 500 with an occupancy factor of .25 at a meter with no  
20 biological elimination and with no shielding.

21          MR. CAMPER: That's correct.

22          CHAIRMAN SIEGEL: And consequently, 1 is  
23 irrelevant, I think. I don't want to -- if you've got a  
24 carefully articulated reason for 1 being in there, I want to  
25 hear it before we vote on this motion. Because I don't want

1 to mess something up that you've really thought through very  
2 carefully. But I'm happy to destroy something if you don't  
3 got a good reason for it.

4 MS. TROTTIER: I'm going to be bold and say I  
5 don't think we have a really strong reason.

6 CHAIRMAN SIEGEL: In that case, shall we call the  
7 question?

8 MEMBER WOODBURY: Call the question.

9 CHAIRMAN SIEGEL: All in favor of the  
10 recommendation from the ACMUI that item 1 be eliminated in  
11 paragraph C --

12 MR. SCHNEIDER: One second, Barry. When this was  
13 out in July, there was an instance where it came about, which  
14 I can't remember now, where the lack of this phrase was very  
15 important that it be there. And I just can't remember right  
16 now that specific example.

17 MR. CAMPER: Well, it becomes the basis for the  
18 following elements. You have to assume some activity to begin  
19 with.

20 MEMBER WAGNER: How can you administer an  
21 activity that's not administered?

22 MEMBER SWANSON: Exactly. Unless it's a  
23 misadministration.

24 MR. CAMPER: But that wouldn't call for the  
25 elimination of 1 entirely.

1           MEMBER WAGNER: Using an activity other than the  
2 activity administered.

3           MR. CAMPER: Yes, but how are you going to  
4 address the point? You must have some basis of activity to  
5 begin with.

6           MEMBER WAGNER: Right.

7           MEMBER BROWN: It's activity administered.

8           MR. CAMPER: Sorry. Say that again.

9           CHAIRMAN SIEGEL: A zero has got to be the  
10 starting point. Differential equation we're going to solve  
11 here. I mean, I could be giving people 100 millicuries and  
12 let's just say, what I'm going to do is just say I gave him  
13 one. Let's just do that. That's using an activity other than  
14 the activity administered. That's willfully falsifying the  
15 records. I don't get it.

16          MEMBER NELP: But you have to keep that falsified  
17 record for three years.

18          MEMBER WAGNER: Could you possible have a  
19 situation where you administer an activity and for some reason  
20 it doesn't get into the patient? It falls on top of the  
21 patient or something?

22          MR. CAMPER: It triggers the creation of the  
23 record.

24          CHAIRMAN SIEGEL: This is goofy.

25          MEMBER WOODBURY: It doesn't make any sense,

1 Larry.

2 CHAIRMAN SIEGEL: What triggers the record?

3 MR. CAMPER: Using some number other than that  
4 amount of activity which was actually administered.

5 CHAIRMAN SIEGEL: No. But the only basis for  
6 using a number other than the number administered is because  
7 you did calculations related to 2, 3, or 4.

8 MR. CAMPER: Right.

9 CHAIRMAN SIEGEL: Because you can't say, well, i  
10 really gave this patient 100 millicuries but let's just say we  
11 only gave him 10 and we'll release him based on that. You  
12 can't say that. What you can say is, we gave them 100. This  
13 patient weighs 600 pounds. He attenuates a lot. He lives  
14 alone in the mountains and we're going to let him go home.  
15 Okay? Not because we didn't really give him 100. Because we  
16 gave him 100.

17 I think we should call the question to eliminate  
18 1.

19 MEMBER WOODBURY: Call the question.

20 (Whereupon, an oral vote was taken.)

21 MEMBER BROWN: I'd like to abstain since I don't  
22 have the special knowledge to judge this.

23 CHAIRMAN SIEGEL: Let the record show that with  
24 the one abstention, that we unanimously recommend --

25 MEMBER NEMP: The only knowledgeable person

1 abstains.

2 CHAIRMAN SIEGEL: You want to try (d)?

3 MS. TROTTIER: Yes, let's try (d). I really  
4 think (d) is probably pretty easy.

5 Yes, go ahead, Torre.

6 CHAIRMAN SIEGEL: Don't you dare say that.

7 MS. TAYLOR: I need to say that everyone that's  
8 speaking off the main table needs to say their name for the  
9 transcript so we know who's speaking.

10 MS. TROTTIER: Under (d), which is the last slide  
11 on this rulemaking, this is simply the addition that addresses  
12 the instructions for the breast feeding woman. And that it's  
13 to retain the record for three years. Previously we didn't  
14 have that provision in there at all because it wasn't in the  
15 previously proposed rule version you saw.

16 MEMBER SWANSON: I need to ask a question about  
17 that.

18 MS. TROTTIER: Sure.

19 MEMBER SWANSON: Excuse me. You've got providing  
20 instructions if the exposure could exceed .1 rem but your  
21 requirement for the written documentation is at .5 rem. Do  
22 you really mean that?

23 CHAIRMAN SIEGEL: Yes.

24 MS. TROTTIER: Yes, they say yes.

25 MEMBER SWANSON: So, you're saying --



1 CHAIRMAN SIEGEL: Breast feeding.

2 CHAIRMAN SIEGEL: Dennis, here's the --

3 MEMBER SWANSON: Let me just understand this as a  
4 licensee. I give instructions at the .1 rem level but you  
5 don't require that I have to document it unless it's above .5  
6 rem?

7 CHAIRMAN SIEGEL: Right. And from an inspection  
8 point of view, what that means, I'm hoping, is that the  
9 inspector will come in and say what do you tell breast feeding  
10 women who are having thyroid scans with technetium  
11 pertechnetate. They might ask the technologist or they might  
12 ask the radiologist, or the nuclear medicine physician, or  
13 look through the brochure that's handed out. On the other  
14 hand, they might say have you treated any patients with I-131  
15 for thyroid cancer who were breast feeding, or for  
16 hyperthyroidism in the last year. And then they'll want to  
17 see the actual record that says the patient was instructed  
18 that it is necessary for her to discontinue breast feeding.  
19 And that's in the chart. So that's the difference.

20 MEMBER BERMAN: But shouldn't that then say, in  
21 line 2, instructions regarding interruption or discontinuation  
22 rather than just instructions? Instructions were provided to  
23 breast feeding women.

24 CHAIRMAN SIEGEL: That instructions were  
25 provided.

1 MEMBER BERMAN: It's instructions regarding  
2 discontinuation of breast feeding.

3 CHAIRMAN SIEGEL: Well, it's discontinuation or  
4 interruption.

5 MEMBER BERMAN: Or interruption, that's right.  
6 But instructions regarding interruption or discontinuation of  
7 --

8 MEMBER NELP: You can maintain --

9 MEMBER BERMAN: I'm saying you should insert the  
10 words instructions regarding interruption or discontinuation  
11 of breast feeding.

12 CHAIRMAN SIEGEL: That would make it clearer.  
13 Cathy, you had a comment on that?

14 MS. HANEY: I just wanted -- this is Cathy Haney.  
15 I just wanted to say at least preliminary inspection guidance,  
16 what we plan on saying is, having the inspector look at were  
17 instructions given, yes or no. Our intent at this point is  
18 not to have the inspectors looking at the instructions.

19 MEMBER NELP: That's reasonable.

20 MR. CAMPER: Amen.

21 CHAIRMAN SIEGEL: Doctor Berman has suggested,  
22 though, that clarification might require adding the following  
23 phrase, if I captured it. The licensee shall maintain a  
24 record for three years after the date of release that  
25 instructions regarding interruption or discontinuation of

1 breast feeding were provided to a breast feeding woman if the  
2 radiation dose to the infant of child from continued breast  
3 feeding -- that's getting to be a pretty legalistic phrase  
4 here -- could result in a total effective dose equivalent  
5 exceeding 5 millisieverts. And I think that clarification  
6 doesn't hurt. I think it helps.

7 So, we could entertain that as a motion, too?

8 MEMBER NELP: But haven't you already required  
9 those instructions to be given about breast feeding and this  
10 is specifically -- It's already gone through that scenario.

11 CHAIRMAN SIEGEL: I understand. This is just a  
12 different part of the rule and it's just to make it imminently  
13 clear.

14 MEMBER BERMAN: It's simply a clarification.

15 CHAIRMAN SIEGEL: It's simply clarification. I  
16 don't think it hurts at all. It's not redundant in this case.

17 Can we have a motion to make that a change?

18 MEMBER SWANSON: So moved.

19 CHAIRMAN SIEGEL: Second?

20 MEMBER BROWN: Second.

21 CHAIRMAN SIEGEL: Further discussion?

22 MEMBER BERMAN: Question.

23 (Whereupon, an oral vote was taken.)

24 MEMBER SWANSON: Mr. Chairman, can I make one  
25 comment on this subject? And item of concern that I think

1 this committee needs to look at. There's still a fair amount  
2 of concern in the nuclear medicine community that the new Part  
3 19 and 20 regulations that define training requirements for  
4 the general public and for occupational workers may be  
5 inferred to mean that patients exposed, let me go on, to  
6 patients released -- or, excuse me. Family members exposed  
7 to the patients released may have to receive instruction.  
8 There's still some concern on that.

9 I think that what I would like to recommend is  
10 that how that is going to be addressed in Part 19 and 20 be  
11 brought specifically back for discussion at this committee at  
12 the next meeting.

13 CHAIRMAN SIEGEL: You're referring to 201301,  
14 Dennis?

15 MEMBER SWANSON: Yes.

16 CHAIRMAN SIEGEL: Those limits for individual  
17 members of the public?

18 MS. TROTTIER: Part 19 applies to workers'  
19 instruction, it's not for the public.

20 MR. CAMPER: That's correct.

21 MEMBER SWANSON: The problem is it says  
22 "Occupational dose does not include dose received from  
23 background radiation as a patient from medical practices from  
24 voluntary participation in medical research programs or as a  
25 member of the public.

1 CHAIRMAN SIEGEL: Correct.

2 MEMBER SWANSON: It doesn't say or from a  
3 patient, okay.

4 CHAIRMAN SIEGEL: But occupational dose, it does  
5 in fact include the dose from a patient.

6 MEMBER SWANSON: Absolutely.

7 CHAIRMAN SIEGEL: Yes.

8 MR. CAMPER: Every day.

9 CHAIRMAN SIEGEL: But remember occupational dose  
10 isn't at 100 mili-rems. Occupational dose is cut at 5 rams,  
11 right? So the fact that I work around patients who are  
12 treated with 100 millicurie doses of I-131 is very much  
13 relevant to my occupational dose, and my occupational dose  
14 isn't limited at 100 mili-rems per year and, therefore, I  
15 don't need an exemption to get it up to 500 mili-rems per year  
16 because because it's already 5 rems per year. fortunately I  
17 always get minimal, but that's where it is. Are you with me?  
18 So occupational dose and public dose don't mix in this  
19 scenario.

20 There has been some concern expressed that public  
21 dose was going to be tricked by this release stuff, but I've  
22 been assured in discussions that I've had with Mr. Camper and  
23 others that 35.75 will rule the day on this. And much as  
24 we've seen in other discussions were 35 provides more specific  
25 information that applies to a medical situation than the

1 generic information in 20, then 35 wins. That's been the  
2 general ruling made by the Commission on a couple of these  
3 questions.

4 MEMBER SWANSON: And I agree with you and I am  
5 aware of that from sitting on this committee, but I can tell  
6 you the way the regulations are currently written it remains a  
7 concern in the nuclear medicine community.

8 MR. CAMPER: Well, you have two things to bear in  
9 mind. If you go back to the wrong patient rule, 20.1002, "The  
10 scope," was modified so that it now reads "The limits in this  
11 part do not apply to dosage due to background radiation, due  
12 to any medical administration the individual has received."

13 The patient release rule further goes on to  
14 clarify "Or doses from an individual who has been  
15 administering material."

16 MEMBER SWANSON: Right, but will there be  
17 language in Part 20 to say that the patient release rule takes  
18 preference over the Part 20, Part 19 and Part 20 in a similar  
19 vein?

20 MR. CAMPER: Well, we do have some language.  
21 Where is the language that clarifies that the more specific  
22 part applies?

23 MS. TROTTIER: Are you talking about in patient  
24 release, Larry?

25 MR. CAMPER: Yes.

1 MS. TROTTIER: I don't have the rule in front of  
2 me, but there is no training requirement in Part 20 for  
3 members of the public.

4 CHAIRMAN SIEGEL: How could you train the general  
5 public? You can interpret that question on many levels.

6 MR. CAMPER: First of all, Dennis, let me, that  
7 information that was published in early '94 in which the  
8 Commission was explaining that. The more specific part, in  
9 this case Part 35, ruled more than the general requirements,  
10 Part 20. Subsequent to that, in the wrong patient rule under  
11 the language in 20.1002 "The scope," that has been further  
12 clarified that it does not apply to any exposure that the  
13 individual has received as a result of a medical  
14 administration.

15 In the language in the patient release rule, and  
16 I don't have that in front of me, it goes on to further  
17 indicate that it's also exposure to members of the public from  
18 an individual undergoing a medical procedure. So we have  
19 already been on record as saying that the more specific  
20 regulation applies, and we have further gone on to clarify  
21 even the scope of Part 20 in each of the two rulemakings.

22 But then the occupational worker part of it  
23 doesn't apply to members of the public. It only applies to  
24 occupational workers.

25 MEMBER SWANSON: I don't have a problem with

1 anything you're saying --

2 MR. CAMPER: Okay.

3 MEMBER SWANSON: -- to this committee. I  
4 understand your intent.

5 MR. CAMPER: Okay.

6 CHAIRMAN SIEGEL: I am concerned again that the  
7 regulations in Part 19 and 20 have been interpreted by the  
8 members of the nuclear medicine community, and more than one  
9 is saying that it could mean that patients -- excuse me,  
10 family members of patients receiving radioactive materials  
11 would be required to have training, okay. And for a couple of  
12 reasons, number one, they kind of fall out in between, okay.  
13 Public dose means the dose received by a member of the public  
14 from exposure to radiation and/or radioactive material  
15 released by a licensee, okay.

16 So basically I'm a licensee, I release a patient,  
17 okay, so it falls into that criteria. It says it does not  
18 include occupational dose or doses received from background  
19 radiation as a patient from medical practice. It doesn't say  
20 "from a patient from medical practices", it says "as a  
21 patient" or from voluntary participation in medical research  
22 programs.

23 All I'm saying is where is the specific language  
24 where Part 35 release criteria will take preference over Part  
25 19 and 20 statements, that's all I'm saying.



1 MS. HOLAHAN: Dr. Siegel?

2 CHAIRMAN SIEGEL: Yes, Cathy or Trish?

3 MS. HOLAHAN: Okay, Trish Holahan. I just wanted  
4 to say that as part of this rule package there are changes to  
5 Part 20. One of the changes is to the definition of public  
6 dose to exclude doses received from patients released in  
7 accordance with 35.75. Also there are similar changes to  
8 20.1301 in terms of the public dose limit.

9 MEMBER SWANSON: Thank you. And I think those  
10 need to be brought back out again.

11 MS. HOLAHAN: And they are in the rule package.

12 CHAIRMAN SIEGEL: Okay, good.

13 MEMBER WAGNER: May I make one comment please?

14 CHAIRMAN SIEGEL: Sure.

15 MEMBER WAGNER: On the pamphlet that was passed  
16 out, the regulatory guide 8.39, in your tables please make  
17 sure you distinguish appropriately between capital M's and  
18 small m's. We don't want people getting megacuries of  
19 activity.

20 MS. TROTTIER: Before you say anything further  
21 about the regulatory guide, I just want to make one important  
22 point. I'm giving you copies of the regulatory guide. I will  
23 put that in the public document room for individuals who are  
24 in the room and would like to get copies of it. It is a very  
25 rough draft. It has not been approved by anybody, so

1 therefore you can look at it, you know, taking it into  
2 account, it's status. Hopefully it will be soon out for  
3 publication, for comment. I don't anticipate this process  
4 taking a long time, but I don't believe it will go within the  
5 next couple months. So, you know, certainly your views are  
6 welcome, but as I said, you know, remember this is a very  
7 rough draft.

8           CHAIRMAN SIEGEL: And I've been kind of pushing  
9 hard over the last month or two to see if we were going to get  
10 this draft regulatory guide before the meeting so we could  
11 review it. We obviously haven't. The concern I've had is  
12 that when we discuss this rule the first time we really  
13 started seeing some real language, much of our concern related  
14 to the content of the draft regulatory guide. And so my  
15 question to you is, how do you wish to hear back from ACMUI  
16 about what's in here given that no realistic meeting time will  
17 allow us to discuss it at a meeting?

18           MS. TROTTIER: Because I'm putting it in the  
19 public document room, we can take written correspondence on it  
20 from anyone.

21           CHAIRMAN SIEGEL: Okay.

22           MS. TROTTIER: And, you know, as I'm trying to  
23 say, I'm giving it a couple of months because I don't  
24 anticipate it getting out of here within the next two months,  
25 but, you know, six months is probably too long to get back to

1 us.

2 CHAIRMAN SIEGEL: In the event that members of  
3 this committee worked hard tonight and we thought that there  
4 were some issues that needed to be raised while we're here,  
5 I'd guess I'd reserve the right, unless you tell me I can't,  
6 that we might try to address some of this tomorrow.

7 MR. CAMPER: I think that's fine, if the agenda  
8 allows it.

9 CHAIRMAN SIEGEL: Okay. So I would encourage all  
10 of you to try to look at this --

11 MR. CAMPER: Let me ask you another question,  
12 Barry.

13 CHAIRMAN SIEGEL: Sure.

14 MR. CAMPER: As Cheryl pointed out, these guides  
15 will be published for public comment. And what's the time  
16 line on this particular guidance document for public comment?

17 MS. TROTTIER: You mean how long?

18 MR. CAMPER: Yes.

19 MS. TROTTIER: We don't have one set. I mean I  
20 don't believe there is, you know, an urgency to have a short  
21 review period.

22 MR. CAMPER: You might want to ponder, Barry,  
23 whether or not a subcommittee may --

24 CHAIRMAN SIEGEL: Why don't I just move in?

25 MR. CAMPER: -- public comment period. I only

1 offer that as something to think about, and we would entertain  
2 that.

3 CHAIRMAN SIEGEL: Yes, great. It's not all that  
4 entertaining, but maybe.

5 Okay, continue.

6 MS. TROTTIER: Okay, now I did, you know,  
7 obviously tell a fib, that I could be done here in an hour, so  
8 we'll move on.

9 I believe the next topic will be fairly simple  
10 because I really don't have much to tell you. This is the  
11 guidance for the radiopharmacy rule. You reviewed it the last  
12 time you met, I believe, and we have taken some additional  
13 comments and we expect the guides to be issued for public  
14 comment shortly. The public comment period will be 180 days,  
15 so there is going to be a long period of time, but I think  
16 it's pretty close now, so.

17 CHAIRMAN SIEGEL: Yes, Dennis?

18 MEMBER SWANSON: Question?

19 CHAIRMAN SIEGEL: Yes, Dennis.

20 MEMBER SWANSON: Was it still your intention to  
21 conduct a workshop on that in the involved part?

22 MR. CAMPER: Yes, I wanted to make two comments.  
23 I wanted to, as Cheryl pointed out, this committee has seen  
24 this before. And also there has been a great deal of effort  
25 exhorted by Dennis Swanson and Marc Ratman. I think Marc is

1 still here. Dr. Ratman is one of -- is our ex-medical  
2 visiting fellow. And a great deal of work has gone into these  
3 guidance documents. Also Dr. Pollycove too has made a  
4 significant contribution. I want to thank them for that.

5           But, yes, we do intend, we have previously  
6 committed on the record that we would have a workshop, a one-  
7 day workshop, with representatives of the radiopharmaceutical  
8 industry, and we had hoped to do that before the guidance  
9 documents were published. That hasn't happened or won't  
10 happen for a number of different reasons. But, yes, during  
11 the public comment period there will be a one-day workshop  
12 here, and we'll allow representatives of the industry to take  
13 a look at the guidance as well, absolutely.

14           MEMBER SWANSON: Thank you.

15           MS. TROTTIER: Okay, the next rulemaking that we  
16 had on the agenda was the pregnancy and breastfeeding rule.  
17 That's currently on hold for a number of reasons. We're still  
18 waiting for information from our contractors as well as the  
19 decision to just hold off until we get the National Academy of  
20 Sciences study completed. But I believe the staff had  
21 actually come up with some questions.

22           In an effort to move this along, we could defer  
23 these really. I mean I don't believe there is an urgency, Dr.  
24 Siegel, if you would like to defer them. I think we had them  
25 on the agenda, but we're really not going to make any

1 decisions on this topic until the next meeting.

2 CHAIRMAN SIEGEL: This is not a five minute  
3 discussion.

4 MS. TROTTIER: Yes, I realize that.

5 CHAIRMAN SIEGEL: And I think when we do it, we  
6 ought to do it in a fashion to revisit the stuff we talked  
7 about three years ago --

8 MS. TROTTIER: Yes.

9 CHAIRMAN SIEGEL: -- and do it so we can really  
10 analyze it in depth and not in two minutes.

11 MS. TROTTIER: I would prefer to do that. So  
12 unless you object, I'll not --

13 CHAIRMAN SIEGEL: And I'm saying not just in the  
14 interest of our schedule, but in the fact that this really  
15 needs to be aired with more than a little bit of time.

16 MS. TROTTIER: Yes.

17 CHAIRMAN SIEGEL: Any disagreement? Okay.

18 MS. TROTTIER: Okay. Well, then I will jump to  
19 the petition and --

20 CHAIRMAN SIEGEL: Excuse me, Cheryl.

21 MS. TROTTIER: Yes, sure.

22 CHAIRMAN SIEGEL: We theoretically have about an  
23 hour and a half's worth of work to do and it's now 11:30,  
24 before we break for lunch. We don't know how long this  
25 petition will take. Larry was just looking to see if there is

1 any logical way to juggle this. I would propose that if we  
2 can get through all of it in an hour, that we work through  
3 until 12:30 and then not break for lunch until then. But does  
4 anybody feel hypoglycemic?

5 MAN: I've gotten pretty --

6 CHAIRMAN SIEGEL: You can leave.

7 MR. CAMPER: Another alternative would be to do  
8 the intervascular brachytherapy issues now and break at lunch.

9 MS. TROTTIER: We could go back and do it the way  
10 it originally was on the calendar, because --

11 MR. CAMPER: If you do that, you probably can  
12 cover the intravascular.

13 MS. TROTTIER: In 30 minutes.

14 CHAIRMAN SIEGEL: The only question I would ask  
15 is if there are representatives here from Tri-Med who would  
16 feel betrayed if they to stay until after lunch? The real  
17 question is whether you're going to miss your airplanes if we  
18 do it right after lunch?

19 MAN: Yes.

20 CHAIRMAN SIEGEL: Then why don't we take Larry's  
21 suggestion --

22 MS. TROTTIER: That's fine.

23 CHAIRMAN SIEGEL: -- delay it until after lunch  
24 and let's go on with intravascular brachytherapy.

25 MS. TROTTIER: Okay.

1           CHAIRMAN SIEGEL: We haven't even begun to  
2 consider the turf issues on this one yet.

3           MS. TROTTIER: Thank you very much.

4           CHAIRMAN SIEGEL: Thanks, Cheryl.

5           I announced earlier that we were going to get  
6 oral comments from ASTRO and I'm told we only have written  
7 comments from ASTRO, and you have copies of them before you.

8           Jim, go ahead.

9           MR. SMITH: Yes, the topic that we want to  
10 discuss today is something we see is coming on the horizon and  
11 it's probably a very large application of brachytherapy and a  
12 non-cancer modality.

13           We first got wind of this back in May when Trish  
14 came back from, what was it, the International Conference on  
15 Brachytherapy, down in Palm Beach. And we first heard that  
16 there was the proposed treatment of brachytherapy for  
17 restenosis.

18           From some of the information we received from one  
19 of the local vendors of sources it appears that in 40 to 60  
20 percent of patients who undergo balloon angioplasty, that  
21 they're liable to -- they're possibly going to have restenosis  
22 later in the future. Various medications and mechanical  
23 methods have been used in an effort to prevent restenosis with  
24 very disappointing results. There is evidence that a  
25 proliferation of smooth muscle cells causes restenosis in



1 response to stretch and stimulation by a variety of growth  
2 factors. And this comes into play also because they are now  
3 using stents and they're finding that the stent itself also  
4 causes restenosis.

5           It's been hypothesized that local radiations to  
6 the angioplasty treatment site may result in a reduction of  
7 the incidents of restenosis due to the growth and inhibitory  
8 effect of radiation on vascular smooth muscle cells.

9           There have been two studies that I'm aware of.  
10 One is being done at Scripps Institute, and currently today  
11 they are having a conference to present some of their results.  
12 And there is another trial that was conducted in Germany, and  
13 they've had promising results. Animal and human studies using  
14 these treatments in Europe have demonstrated promising  
15 results. So there is a great interest.

16           Currently at the AAPM they decided to prepare a  
17 task group to deal with this issue. They plan to put out  
18 information regarding the modality in a newsletter, and  
19 they're also planning on doing a task group report on the  
20 subject.

21           It's estimated that approximately 400,000  
22 patients a year will be candidates for this procedure, so this  
23 can well outshine any radiation treatment or brachytherapy  
24 treatment of cancer patients. With this number of treatments  
25 it's anticipated that the use of brachytherapy may be used

1 more by cardiologists than by oncologist. I know we've always  
2 had issues of training experience with cardiologists here in  
3 the nuclear medicine area, and this may be another area for  
4 the training experience issue to come up again.

5           Additionally, in recent months, ever since we  
6 found out about this, we've been approached by several  
7 manufacturers, some that are suggesting that we use permanent  
8 implants in the microcurie range, some are currently using or  
9 plan to use HDR treatment for these treatments.

10           The activity sources ranges from microcurie for  
11 the permanent implants up to the curie range for the HDR  
12 treatments. Since the goal is to deliver a dose of radiation  
13 to the smooth muscle cells and vessel and to limit the dose to  
14 the rest of the patient. Some manufacturers are suggesting  
15 that they use a beta emitting coated stent under 10CFR35400  
16 intravascular brachytherapy is not an approved use, nor is the  
17 use of this unsealed source.

18           Trish? I know each of you has these questions in  
19 your handout, but for the benefit of the people in the  
20 audience?

21           MEMBER BROWN: Is it necessary for me to know  
22 what restenosis is, or just to know it's a bad thing and you  
23 don't want it?

24           MR. SMITH: It's following balloon angioplasty I  
25 believe there is a growth of cells inside the vessel wall, and

1 it basically it occludes the vessel within a few months  
2 following the treatment.

3 MEMBER BROWN: Okay.

4 CHAIRMAN SIEGEL: Buzz, please use the microphone  
5 so people can hear you.

6 MEMBER BROWN: Thank you.

7 CHAIRMAN SIEGEL: Okay. Did you get any of that?  
8 Doctor Nelp tried to say that it was a tightening up of the  
9 coronary arteries so that blood flow is impaired again  
10 following angioplasty.

11 MR. SMITH: We understand that it also goes to  
12 femoral arteries too because there have been some peripheral  
13 treatments and they had the same results.

14 The first question we have is, should NRC  
15 consider changing its training experience requirements to  
16 allow cardiologists to perform these treatments? We have  
17 discussed this matter with our office director, and his  
18 statements to us, although they're not written down, is that  
19 regardless of who performs the treatment, they should have the  
20 same training experience as a radiation oncologist currently  
21 required under our regulations.

22 MR. CAMPER: Yes, I was going to point that out.  
23 I mean it's not so much allowing cardiologists, it's that  
24 currently the training requirements in Part 35 are so  
25 extensive for the use of brachytherapy that it may or may not

1 be compatible with the practicing cardiologist's ability to  
2 leave their practice to go get that training.

3           You have a similar situation, although on a much  
4 smaller scale, with the didactic training requirements in  
5 35.920. I mean currently it's on the order of three years to  
6 be able to use brachytherapy. But by the same token one can  
7 envision that if this is something that fits readily into  
8 cardiology practice there could be an interest in  
9 cardiologists, and that might translate into an effort to  
10 reduce the number of hours.

11           MR. SMITH: Especially when you consider the fact  
12 that there is a wide range of treatments that they are  
13 planning. There is the permanent implant where you're dealing  
14 with microcurie amounts of activity, so there's really not a  
15 whole lot of radiation safety involved as far as the  
16 occupational exposure to employees and exposure to members of  
17 public. However, you're going to get the same dose to the  
18 patient's vessel wall.

19           MEMBER BERMAN: Just a point, it's probably not  
20 just cardiologists, it's cardiologists and radiologists who  
21 are not radiation therapists because these are not only for  
22 the coronary arteries, so it's a broad issue.

23           CHAIRMAN SIEGEL: And vascular surgeons.

24           MEMBER BERMAN: And vascular surgeons, okay.

25           CHAIRMAN SIEGEL: It's a fairly broad rule.

1 MR. SMITH: We can leave that one up there.

2 And that's the next question here. Should  
3 someone who is conducting this treatment using a permanent  
4 implant have the same training experience requirements as  
5 somebody who is doing it with HDR? I guess it depends on how  
6 you view the training experience requirements. Are we there  
7 looking for the safety of the patient, are we also looking for  
8 the safety of the individuals who are conducting the  
9 treatments?

10 CHAIRMAN SIEGEL: This seems to me like a  
11 technology eminently in need of partnership during its  
12 formative years.

13 MR. CAMPER: I want to come to that at the end.  
14 I have some questions. I have a concern about supervision  
15 along the lines of what we previously discussed with the  
16 urologist/therapist connection for the prostate implants. You  
17 might recall we discussed that not too long ago.

18 I can readily see where this question of adequate  
19 supervision and interfacing could be a problem for these  
20 procedures.

21 Are you going to go back and revisit each  
22 question?

23 MR. SMITH: Well, I was hoping we could visit  
24 these questions right now, but we can present them --

25 MR. CAMPER: Because I'd like to get the

1 committee to come to some kind of --

2 MR. SMITH: Okay, all right.

3 CHAIRMAN SIEGEL: We should go back to number  
4 one?

5 MR. SMITH: This is number one here.

6 MS. HOLAHAN: Do you want to go back to slide  
7 one?

8 MR. SMITH: No, let's just go through them first  
9 and then we can go back and try to get comments.

10 MR. CAMPER: Oh, I see, okay.

11 MEMBER NELP: May I inquire again, you said for  
12 an individual to be qualified to use brachytherapy now, it's  
13 an approximate --

14 CHAIRMAN SIEGEL: It's three years.

15 MEMBER NELP: -- three years of appropriate  
16 training.

17 CHAIRMAN SIEGEL: Basically a radiation oncology  
18 residency.

19 MEMBER NELP: A three year residency equivalent.

20 MR. SMITH: And also another issue that's come up  
21 with this that we've never seen before, brachytherapy, I  
22 believe, is traditionally done with sealed sources. Now, in  
23 order to use a beta emitter inside of somebody, we've had  
24 recommendations that they have a beta emitting coated stent.  
25 Now, the problem with the stent is that when it expands, part

1 of the coating is going to break off and go to the rest of the  
2 body. Now, we don't anticipate that the doses anywhere else  
3 in the body will be high as where the stent is localized, but  
4 should we have some sort of criteria from this administration.

5 CHAIRMAN SIEGEL: You're way ahead of the curve.  
6 In fact it seems to me that you're also -- but it's good to  
7 know that you're thinking of that as the first thing on your  
8 plate. Where is CDRH in these discussions?

9 MR. SMITH: We've had joint --

10 CHAIRMAN SIEGEL: Because it seems to me that  
11 before any of these things are going to get used, they're  
12 going to be in the loop pretty early in the game.

13 MR. SMITH: -- I think I can say the following,  
14 and if I don't say it, if I say something that is proprietary,  
15 Ralph, just jump up and scream. Ralph Shupin is in the back  
16 there. And let me see if I can remember her name --

17 MS. RYAN: Tara Ryan.

18 MR. SMITH: Tara Ryan, and Graham Zuckerman from  
19 CDRH are here, and we've had joint meetings with them with  
20 three manufacturers. Currently I believe FDA's position is  
21 that this is an interventional treatment with significant risk,  
22 therefore, even though you have a broad scope license and you  
23 have an IRB approve it, FDA is going to have to approve your  
24 IRB's review of this treatment before you can proceed.

25 CHAIRMAN SIEGEL: So these devices clearly need

1 an IDE in order to be used per FDA's viewpoint?

2 MR. SMITH: Ralph is shaking his head, so yes I  
3 guess that's correct.

4 CHAIRMAN SIEGEL: I'm sorry for all the  
5 abbreviations.

6 MR. SMITH: Okay. brachytherapy CDRH was Center  
7 for Devices and Radiological Health. IDE is Investigational  
8 Device Exemption.

9 MR. SMITH: Now, Scripps Institute has conducted  
10 these trials. Now, I don't know whether or not they received  
11 approval from FDA, but I don't believe they did. Today they  
12 are doing a conference on their results. It's been kind of  
13 difficult to get any information out of them. I believe they  
14 believe their treatments are proprietary right now. I don't  
15 know how much longer they will be conducting their treatments  
16 though.

17 Okay, we can go to the next one.

18 MEMBER BERMAN: Do you know if they involved  
19 radiation therapists or if it done by cardiologists?

20 MR. SMITH: We don't know anything about it.  
21 They've pretty much kept it quiet. We've heard some rumors.  
22 It's been really quiet. Although the manufacturer of the  
23 sources for these treatments has promised me that after today  
24 he will give me some information on the trials.

25 Also, this is another issue that's come up, as



1 far as FDA is concerned, intraluminal does not mean  
2 intravascular. However, at least one HDR unit is approved for  
3 intraluminal use, and that manufacturer has stated that in his  
4 opinion or its opinion that intravascular should be included  
5 in intraluminal. And we'd like your comments on that, what do  
6 you think? I personally see some differences in sticking a  
7 catheter in somebody's heart, but I'm not a medical physician,  
8 so. I think we can go on to the next question.

9 CHAIRMAN SIEGEL: It's no worse than magnetically  
10 steered sources going into the brain.

11 MR. SMITH: And, again, this is sort of just a  
12 catchall, are there unique radiation safety concerns  
13 associated with this? If you're conducting this treatment and  
14 the source should happen to break off and lodge in someone's  
15 heart, you're going to have to have a team go in and remove  
16 the source. And I'm not sure how complicated open heart  
17 surgery is, but I imagine staring at a 10 curie source would  
18 be kind of a difficult situation to deal with.

19 CHAIRMAN SIEGEL: It's more complicated than  
20 lancing an abscess I can tell you, especially with a 10 curie  
21 source on board.

22 MR. SMITH: Yes. Now, I believe that everyone  
23 got a copy of the written statement from ASTRO. And it's  
24 their conclusion, I believe just from summarizing it, that we  
25 shouldn't change any of our regulations, that we should keep

1 our requirements the same and view the training experience  
2 requirements as the same for radiation oncologist regardless  
3 of who is performing treatment.

4 And that's the last question I have. And then I  
5 guess we can go back and run over each question individually.

6 MR. CAMPER: Jim, before we actually go through  
7 each of the questions, I would like to afford the opportunity  
8 for the representatives from FDA, if you have any comments  
9 that you'd like to make about the procedure, the modality, or  
10 where you stand in your review process, or anything you think  
11 might be of use to the committee, if you'd like to make some  
12 comments, please feel free to do so.

13 MR. SMITH: I think earlier they called me to let  
14 me know that we got in touch with them a little too late and  
15 they wanted a prepared written statement and it was a little  
16 late to do that.

17 MR. CAMPER: All right, I just wanted to afford  
18 the opportunity.

19 CHAIRMAN SIEGEL: So let ask a clarification  
20 question. Do you have any license applications?

21 MR. SMITH: No, we don't have a license  
22 application for the medical use, but we do have ongoing  
23 discussions with the device and source manufacturers to try  
24 and see what we're looking for and what FDA is looking for.  
25 Currently FDA is a the big hurdle because they've made the

1 statement this is a significant risk device and treatment, so  
2 we currently don't have anything to worry about. Nobody has  
3 got approval from FDA, and until that happens, we're not going  
4 to see any treatments done at an NRC licensee.

5           CHAIRMAN SIEGEL: It would strike me, and I'm  
6 curious to see, I'm told that we have a manufacturer's  
7 representative here who would like to make some comments. Let  
8 me just speak for a second here and then we can perhaps do  
9 that.

10           It strikes me that this is an emerging technology  
11 that involves some issues that unequivocally require the  
12 expertise of cardiologist and/or cardiothorasic surgeons  
13 interventional radiologists and/or vascular surgeons, people who  
14 are trained in steering catheters in the vascular system and  
15 understand how to treat the complications related to the  
16 presence of the catheter, the administration of contrast  
17 agents, and understand how to interpret the significance of  
18 vascular stenoses and whether and how they need to be treated.  
19 That's one group, one level of expertise.

20           It also seems to me that there is a substantial  
21 opportunity here for problems related to radiation safety, and  
22 they include both permanently implanted low dose rate sources  
23 and certainly include the high dose rate sources that would  
24 need the expertise of a team of individuals that might include  
25 physicians, radiation oncologist, but also would very likely

1 include medical physicists with expertise in brachytherapy and  
2 the rest of the team that's normally assembled in a radiation  
3 oncology department.

4           And I would think that rather than us trying to  
5 give glib answers to your very complex questions, that urging  
6 you to do initial licenses by way of a team approach as the  
7 basis, that you'll accept this going down, is the right way to  
8 start to emerging technology off and then let's watch it  
9 evolve.

10           I think to say right now that we should say well,  
11 but cardiologists who take six months of training in  
12 brachytherapy ought to be able to do this without the aid of  
13 anyone else in his medical center. I think that would be a  
14 mistake. First of all, that individual couldn't get that  
15 training. It's not clear where it would come from right now,  
16 or it might be difficult to get that training. And I think  
17 just as we encouraged with the prostate cancer seed  
18 implantation that this warrants a team approach to medical  
19 care.

20           And in some ways, you know, there's going to be a  
21 concern, everybody is concerned, you know, Medicate will only  
22 one physician for this procedure, but I think having this  
23 committee and the NRC and the FDA take the posture that this  
24 warrants a team approach is at least one way to encourage HCFA  
25 to think that there might be the need for more than one

1 billable physician involved in this procedure. And I'd be  
2 curious to see what the rest of you think about that.

3 MEMBER NELP: It isn't clear to me what sources  
4 of radioactivity or what amounts of radioactivity are being  
5 used or proposed to be used in these --

6 MR. SMITH: It ranges the gambit. We have beta  
7 emitters in the microcurie range for permanent implants.

8 MEMBER NELP: What species of nuclides?

9 MR. SMITH: Well, I don't know that I can tell  
10 you. I know that there has been a publication at least on P-  
11 32 coated stents. I know that there are one or two other  
12 isotopes that have been I guess given to us in confidence, I  
13 don't believe that we can release that information right now  
14 in the public forum.

15 MEMBER NELP: Judith, are you aware of what  
16 materials they're using and what levels of activity they are  
17 using?

18 MEMBER STITT: The iridium-192, 10 curie source  
19 is one of the ones that are, what was it again, Eminent Chair,  
20 Esteemed Chair?

21 CHAIRMAN SIEGEL: Esteemed, Esteemed.

22 MEMBER STITT: Is so clever because he sent to  
23 all of us who have E-mail, and those who don't have these  
24 articles, which is probably everybody but me, the Helicobacter  
25 pylorie group of articles as well as the HDR, and the animal

1 research is being done with iridium-192 and the German trial  
2 that was published in the Red Journal was also with the high  
3 dose rate, 10 curie source. So that's a common source, it  
4 fits into the lumen.

5           Let me make some comments. I think the questions  
6 that you've put together are far more detailed than our  
7 knowledge, and it's a good question base to start with. In my  
8 opinion number five is probably the most important question of  
9 all of them. The others are specific detailed questions. But  
10 this procedure is a unique radiation safety concern, and I  
11 don't think it matters that it's treating benign disease, it's  
12 not benign in the sense that it's a very lethal disease. It's  
13 not a neoplasia type of disease, but as we've all sat through  
14 our discussions, committee meetings regarding particularly the  
15 use of high dose rate sources, it requires tremendous  
16 expertise, exactly as you put it, Esteemed Chair, from a team  
17 of people.

18           Well, certainly the cardiologists bring things  
19 that radiation oncologist bring different, and our physics  
20 colleagues, without whom we could have no idea of what we're  
21 doing or where we're doing it, when you look, if you would  
22 just white-out vascular stenosis, it reads just like a cancer  
23 article as far as the doses, the dose rates. The total doses  
24 are exactly what I give for endometrial carcinoma. These are  
25 high doses with high risk procedures, and have to be done very

1 very carefully.

2           We'll have misadministrations expediential with  
3 little numbers up in the corners that we haven't even seen  
4 before without a team approach. This is not a small amount of  
5 a low energy isotope that's being used for a nuclear medicine  
6 study.

7           I think that maybe we're ahead of the game in the  
8 sense that in some of the other isotope technologies we, as a  
9 group of professionals looking at safety saw it coming after  
10 it happened, and I think maybe we're ahead of time and  
11 potentially are leaders. So I appreciate the work that you've  
12 done. I would have to be called a biased observer because I'm  
13 a member of the subcommittee that put together the ASTRO  
14 intravascular document.

15           The fourth paragraph makes a statement that  
16 likens it to a lot of the other collaboration that radiation  
17 oncology is involved in, that is we cannot do endobronchial  
18 therapy which intraluminal and intravascular is a sub type of  
19 intraluminal, they're just body lumens, but we could not do  
20 that procedure in radiation oncology without the  
21 pulmonologist. And I think there is no reason to think that  
22 this technology is not going to be evolving in a direction  
23 that would be different than that.

24           MEMBER NERP: Can you tell me what dose rates  
25 you're delivering, they're delivering to the --

1 MEMBER STITT: Dose rate?

2 MEMBER NELP: -- to the lumenal walls?

3 MEMBER STITT: 300 --

4 MEMBER NELP: Not rates, I mean total doses?

5 MEMBER STITT: Total doses, well most of the  
6 articles are all in pigs. There's one in humans, but -- and  
7 the fractionation is variable, from a single fraction to  
8 multiple fractions, but 2000 centigray to a small volume. I  
9 have to go back to the old fashioned 2000 rad.

10 MEMBER NELP: That's nice, very good.

11 MEMBER STITT: Me too. When the numbers get in  
12 the decibel points and start moving I have to go back to the  
13 olden days.

14 MR. CAMPER: I'd like to make a comment.

15 MEMBER STITT: Okay.

16 MR. CAMPER: I'd like to put this entire  
17 discussion into perspective. There is much to do in the  
18 future obviously about this, and we will come back to the  
19 committee from time to time with specific questions or issues  
20 about this modality as it emerges. What we're attempting to  
21 do in various, and if we couched it adequately, and that is  
22 this is a very complex issue and we will explore it  
23 specifically, but what we're trying to do in keeping with the  
24 effort over the last three or four years certainly is to come  
25 to this committee earlier and earlier with conceptual



1 problems, and layout at least a framework for you to begin to  
2 think about the fact that we're going to visit this in more  
3 detail.

4           And one of the things that I think that we're  
5 going to have to explore more clearly as we move in any  
6 revision of Part 35, is this question of supervision.  
7 Supervision was changed significantly in 1987 when Part 35 was  
8 last revised, and it's a fairly loosely worded issue in the  
9 statements of consideration. And I think that there are  
10 modalities and practices which have emerged or are emerging  
11 where this team approach needs some attention. And we'll call  
12 upon you ultimately to help us articulate what that team  
13 approach should be like or what does constitute an adequate  
14 level of supervision, so we will get back to that at some  
15 point.

16           But we're just trying to say this is coming,  
17 we're aware of it, and we're going to be talking with you  
18 about it in more detail. But any thoughts you have at this  
19 point in time about these specific questions will be helpful  
20 to us at least for formulating ideas to look at for the  
21 future.

22           MR. SMITH: Okay, did you want to go back over  
23 the questions one at a time, or let's talk now?

24           CHAIRMAN SIEGEL: I'm going to allow this even  
25 though it was not announced. Do we have a representative from

1 Nucleotron who wants to make a couple of comments, and if so  
2 I'm going to let him do so? You can go to the mic if you'd  
3 like to. And please announce who you are, who you represent  
4 for the record, and try to keep your comments down to a couple  
5 of microseconds.

6 MR. TEAG: Is that like a couple of microcurie?  
7 My name is Steven Teag, I'm a representative of Nucleotron  
8 Corporation. And item four of the agenda discussing the  
9 definition of intraluminal to include intravascular came from  
10 a proposal that we offered to FDA recently.

11 I believe most of the people at the committee  
12 know who Nucleotron is and the product line that we developed.  
13 I'm not going to flatter anybody by using esteemed and  
14 distinguished to address the committee --

15 MEMBER NELP: Could you please tell us who  
16 Nucleotron is?

17 MR. TEAG: Okay. Nucleotron is the largest  
18 manufacturer of remote afterloading brachytherapy devices. We  
19 currently hold a 75 percent market share of this technology,  
20 and we have been the vendor that has developed all new  
21 technologies related to this specialty of uses of sealed  
22 radioactive sources in treatment of diseases in humans.

23 My first comment is concerning the regulatory  
24 space. And from the previous discussions we've heard this  
25 morning on training and experience, and I'll start with 35

1 Part 940 describing the T&E for brachytherapy. There is an  
2 exception to that in 941 for the ophthalmic use of strontium  
3 90 applicators as a source, specifically by non-radiation  
4 oncologist with that sub specialty. I offer that since 35 is  
5 being rewritten in its entirety in the next several years.  
6 The time is right to consider more medical specialty related  
7 items under training and experience rather than these global,  
8 you know, credentially by certain professional organizations.

9 My second comment is one, and I hate to say this  
10 in front of Dr. Stitt who I know well and admire intensely,  
11 but I am objecting to the very narrow view that ASTRO has  
12 taken in their prepared document, that only radiation  
13 oncologist have the T&E to use any sealed sources safely. I  
14 believe that -- I won't go any further down that line right  
15 now.

16 The third question that I'd like to address is  
17 number five on Mr. Smith's list of questions to you, was the  
18 area of radiation safety. Since the Nuclear Regulatory  
19 Commission, or from Mr. Quillin's standpoint, the agreement  
20 stated equivalents, authorized and licensed each device that  
21 uses radioactive materials including the radioactive sources  
22 themselves, this is a form where radiation safety issues  
23 regarding the technology can be well and appropriately  
24 addressed in the design and testing requirements prior to an  
25 agreement say or the NRC authorizing the licensing of a device

1 for use in humans.

2 I believe that the engineering design and the  
3 testing thereof can prove the inherent radiation safety of a  
4 device or of a radioactive source. Supplementing, that is  
5 adequate training and experience, for the authorized users of  
6 this device will suffice to serve the public needs for  
7 radiation safety both in the patients that are treated with  
8 this technology, the staff and physicians and paramedical  
9 personnel that will be involved with this, and global view of  
10 radiation safety to the public as a whole. Thank you very  
11 much.

12 CHAIRMAN SIEGEL: Dr. Nelp?

13 MEMBER NELP: I presume this translates into the  
14 corporate entity that you represent and probably also into the  
15 economic entity of the corporation. And the corporate  
16 position is that other users could use the device, and I  
17 presume you see this as a better economic pathway or a more  
18 facile pathway for you to follow than to market the device say  
19 through radiation oncologist. I'd like some feeling for what  
20 the company thinks about when they are marketing a device of  
21 this sort in terms of the user. You want to broaden the user  
22 base, but you imply that the user base will be bigger if you  
23 let more people in rather than channeling it through the  
24 current channels. Is that correct?

25 MR. TEAG: Currently there is no marketing

1 strategy that my company is proposing to use because there is  
2 no approved device either through this Commission or through  
3 the Food and Drug Administration to market any device for this  
4 indication of treating vascular diseases with radiation.

5           Certainly I echo Dr. Siegel's comments that this  
6 will be a multi-specialty use device in that the catheter  
7 twister is the interventional cardiologist, or in the peripheral  
8 area the interventional radiologist who has the training and  
9 experience to manipulate a catheter safely within the body.  
10 The application of radiation within an existing catheter is  
11 currently the prowess of the radiation oncologist, or other  
12 medical specialties that the Commission has previously defined  
13 as suitable for using certain specific isotopes and delivery  
14 systems, i.e. the ophthalmic applicator by ophthalmologists.

15           We see a public health benefit nationally to this  
16 whole treatment of vascular disease with radiation and a  
17 reduction in overall health care cost for vascular disease,  
18 which we all know is escalating almost exponentially. And  
19 that's basically the end of my prepared statement.

20           MEMBER NELP: Thank you.

21           CHAIRMAN SIEGEL: Does anyone have any other  
22 questions? Thank you very much.

23           MEMBER BERMAN: But related, it seems logical  
24 that if the use of a new technique for a very broadly, very  
25 prevalent condition like restenosis becomes something that's

1 out there, it will be inhibited if there is the need to  
2 involve two specialists compared to involving one from the  
3 growth of that technique.

4 I think that Dr. Stitt's comments are  
5 appropriate. I mean we do all this discussion about the use  
6 of diagnostic radionuclides and how much training a  
7 cardiologist needs, and at the same time we tell the NRC don't  
8 even regulate the field because nobody dies from these small  
9 diagnostic doses, and that's a discussion we'll have next  
10 February, but this one is larger. Now, we're talking about  
11 really sizable doses that could have potential major impact on  
12 the patient, and I think that it is an important area for us  
13 to try to help at an early stage, get involved in the early  
14 stage to define a joint pathway for doing this appropriately.

15 MEMBER STITT: And the other thing that will help  
16 us along the way is that we will be gaining a medical  
17 physicist with a brachytherapy background at some point in  
18 time. And I think the cardiologist and the radiation  
19 oncologist could find some common ground. I think the most  
20 important person in the whole event is the radiation  
21 physicist, the medical physicist because that's the radiation  
22 safety of the staff and the patient, and having some idea of  
23 where that dose is and where that dose isn't, so.

24 MEMBER BERMAN: But as that evolves over time  
25 then it's perhaps possible for the future, but a cardiologist

1 collaborating with a very strong radiation physicist would be  
2 able to do this -- that an exemption or some kind of training  
3 reduction from what an radiation oncologist goes through might  
4 be appropriate for a cardiologist if they're doing this in  
5 conjunction with the appropriately trained radiation  
6 physicist.

7                   MEMBER STITT: Well, again, I think we need to  
8 look at the safety. Safety to me of the patient and the  
9 public is where we need to start this whole procedure. The  
10 bodies will come. We don't want to modify training, we want  
11 to start with the overall picture.

12                   CHAIRMAN SIEGEL: I've been waiting to see what  
13 your comments were on this because you actually have two  
14 potential conflict of interest positions on this one, and I'm  
15 saying this jokingly. One is you could want to encourage  
16 cardiologists to be able to do this, speaking for them, but on  
17 the other hand you should remember that if this things works  
18 you're going to be doing a lot fewer thallium scans to look  
19 for restenosis in patients who had angioplasty three months  
20 ago, so it's going to have a big impact on your business.  
21 Just remember that.

22                   Now, I think the discussion focuses exactly on  
23 what we were already talking about earlier this morning, and  
24 it focuses on the thing I've been telling you for four years,  
25 which is you need to change the paradigm. Instead of starting

1 with existing medical specialties and trying to make the  
2 training and experience criteria more or less fit the models  
3 of those existing medical specialties who think they're doing  
4 an adequate job, each of the various things you should  
5 license, we should figure out what the training and experience  
6 really is that's necessary to do that irrespective of where  
7 you come from and what your other background is, and divorce  
8 the radiation safety aspects of this from the medical aspects.  
9 And then it will be easy.

10           Then we won't be thinking along specialty lines.  
11 It is possible that, having defined those requirements, that  
12 some specialties will be able to come and request deemed  
13 status and say our specialty training program already  
14 routinely incorporates all of these elements, therefore, board  
15 certification in our specialty should be sufficient to  
16 document that we have fulfilled the training experience.

17           In the past I think this was developed based on  
18 how can we make what we're going to put on paper fit the  
19 existing specialties as opposed to literally starting from the  
20 other end and do a ground-up approach to developing training  
21 and experience criteria.

22           MR. CAMPER: I think that's true, and I think as  
23 part of that deliberation when we get to it is, again as I  
24 have said before on the record, it's the concept of what is an  
25 authorized user in 1995. You know, you have using radiation



1 and radioactive material in the course of the practice of  
2 medicine, that means something and it may carry with it a  
3 particular level of training, but on the other hand you also  
4 have radiation safety in its pure sense for the objective of  
5 maintaining radiation safety, and that may carry with it some  
6 different level of training or meaning.

7           And the truth of the matter is, is that is what  
8 authorized users historically have been may not be the same  
9 thing today or in the future, and we need to explore that as  
10 part of that process.

11           MEMBER BERMAN: In terms of the precedent, the  
12 comment was made that ophthalmologists are allowed to use an  
13 ophthalmologic application without being radiation oncologist.  
14 Could you explain why it is that that particular exemption  
15 exists?

16           MR. CAMPER: Well, it's not an exemption. In  
17 35.941 --

18           CHAIRMAN SIEGEL: It exists, it exists because at  
19 the time this was created a substantial amount of that was  
20 being done by ophthalmologists. In fact probably more of it  
21 than by radiation oncologist. And the regulations were  
22 designed to capture the amount of training that  
23 ophthalmologists were currently getting in order to do this.  
24 It was a top-down regulatory approach from existing medical  
25 structure versus a bottom-up approach based on safety

1 considerations.

2           MEMBER STITT: And if you look at the practice of  
3 medicine, that is what are the safety issues and what are the  
4 medical issues, the strontium applicators are sort of black  
5 magic. No one can calibrate them, no one knows what dose  
6 you're giving, you kind of wave them around, and I'm being  
7 silly, but that's actually true, and depending on if your  
8 stopwatch works or doesn't work or, you know, if you whack the  
9 thing on the table, you may be exuding some radiation. But  
10 the medical issues and the safety issues are at absolutely  
11 opposite ends of the spectrum.

12           And we kind of laugh about the strontium because  
13 it seems to show up on our agenda every time we have one of  
14 these meetings and people roll their eyes because it really is  
15 a bit of a black magic sort of thing. And I think that Dr.  
16 Siegel described it well, top-up versus bottom-down type of  
17 thing. So we have two real different agenda items if you're  
18 comparing the --

19           MEMBER BERMAN: But is it also true that the  
20 radiation exposure potential, the potential hazard to public  
21 safety or the patient safety is much less with the  
22 ophthalmologic application?

23           MEMBER STITT: Yes, there's essentially no--

24           MEMBER BERMAN: -- So given that then, aside from  
25 the ophthalmologists are there any other kinds of exceptions

1 to radiation oncologist kind of training being required for  
2 this kind of application on the body? I think it would help  
3 if we can say no, there are not.

4 MEMBER STITT: Not a thing that I can think of.  
5 That's a real out --

6 MR. CAMPER: No, we only have the two at this  
7 point. We have the 940 which is the full spectrum of  
8 brachytherapy sources, which is the three years or  
9 certifications and the other one, of course, is 941 which is  
10 the ophthalmologic of strontium 90, but those are the only  
11 categories of brachytherapy therapeutic use.

12 MR. SMITH: But you also want to keep in mind  
13 that there are other proposals beyond HDR treatment. I mean  
14 there is the permanently implanted stents with radioactive  
15 materials on it. And from a radiation safety point of view,  
16 it's nowhere near HDR.

17 MR. CAMPER: Well, I think one message I'm  
18 hearing here as we go through this T&E issue in the future, I  
19 think we're going to be taking a long hard look at each of  
20 these modalities and what is the appropriate level of training  
21 or nature of training for each of these modalities. We have  
22 quite a bit of work to do, don't we?

23 MR. SMITH: Well, I think there are other people  
24 out there who believe we have a lot of work today too. Like I  
25 said, AAPN has already formed a task group for this, and there

1 is a lot of talk about it amongst other groups too.

2 MR. CAMPER: Do you want to go one by one to the  
3 questions.

4 CHAIRMAN SIEGEL: Dr. Wagner had a comment first?

5 MEMBER WAGNER: I just want to make the comment  
6 that I am fairly chagrined at the idea that item number three  
7 is placed at item number three. I think item number three  
8 should be way back in everybody's mind, and what we should be  
9 worried about is whether or not we've got proper training for  
10 people to minimize anything that may occur because ill trained  
11 people are using these devices.

12 I think the mind set of putting number three in  
13 the priority status it was given here, although these may not  
14 have any priority status, it's just an ill focused idea. And  
15 that we ought to focus more on items one, two, four and five  
16 as the prominent issues to be addressed at this point.

17 MR. CAMPER: Lou, we agree. They're not  
18 prioritized. But by the same token having said what you just  
19 said, and I agree with you, I can assure you that at some  
20 point discussions about misadministrations associated with  
21 these kinds of problems will become an extremely volatile  
22 issue. And it's good to at least at this point in time plant  
23 the idea in your minds that we need to deal with this at some  
24 point, because nothing inflames like misadministration. So  
25 this is food for thought.

1                   CHAIRMAN SIEGEL:  But of course the National  
2 Academy of Sciences is likely to tell you to decriminalize the  
3 misadministration issue and then it will be a whole different  
4 approach in your mind set as well.

5                   MEMBER NELP:  To answer --

6                   CHAIRMAN SIEGEL:  Having said that, I can only  
7 hope that that's what they're going to tell you.

8                   MEMBER NELP:  To answer your question about going  
9 through your questions, I as a advisor would much prefer that  
10 you go through your questions and then answer them, and I'd  
11 rather look at your solutions than your questions

12                  MR. SMITH:  Okay.

13                  MEMBER NELP:  You know how your approach will be,  
14 then we can construct more from that, I believe.

15                  MR. SMITH:  Well, basically I think the questions  
16 are leading themselves.

17                  CHAIRMAN SIEGEL:  We can do the questions.

18                  MR. SMITH:  Okay.

19                  CHAIRMAN SIEGEL:  We can do it.  Do you want to  
20 project them real quickly for the audience.

21                  MEMBER NELP:  Thank you, Esteemed Chair.

22                  CHAIRMAN SIEGEL:  Thank you, Esteemed Committee  
23 Member.

24                         Should NRC alter its training and experience  
25 requirements to allow cardiologists to be named as authorized

1 users for the modality? And I think the answer we have  
2 essentially given at the moment is, it would be premature to  
3 do so. And in the same breath we would encourage that once  
4 FDA has got far enough to start considering having these  
5 devices out with IDEs for clinical testing, that the NRC and  
6 state licensing posture for the use of these devices should be  
7 based on a team approach where all the kinds of expertise are  
8 in place necessary to develop the technology properly.

9           Because we're really in the evolutionary phase of  
10 this approach, and I think the problems that could arise,  
11 you've thought of some of them, but I'm sure we haven't though  
12 of all of them, and the best way to capture those problems is  
13 to make sure that people with all the right kinds of expertise  
14 are playing the game.

15           MR. SMITH: And we've tried to stay pretty close  
16 with the FDA and some of the manufacturers on this so that  
17 we're abreast of what's going on in the community.

18           CHAIRMAN SIEGEL: So do you all agree that  
19 recommending a Part 35 change as a quick fix for this would  
20 clearly be inappropriate?

21           CHORUS: Yes.

22           CHAIRMAN SIEGEL: Okay.

23           Next, should the microcurie range permanent  
24 implants require less training than the HDR treatments even if  
25 each is designed to deliver a total dose of 10 to 20 gray to

1 the vessel wall?

2 MEMBER NEMP: I think that's a detail that I  
3 would refer back to your first answer.

4 CHAIRMAN SIEGEL: But I think once we know what's  
5 involved, the answer is likely to be yes. But because the  
6 radiation safety issues to the team involved, occupational  
7 exposure is going to be much less of a problem than if a 10  
8 curie iridium source breaks off in a coronary artery.

9 MEMBER BERMAN: I'd just like to say that I think  
10 in the development of the kinds of modifications of training  
11 requirements it's going to be important to have a multi-  
12 specialty representation at the table and public comment in  
13 the deliberations.

14 CHAIRMAN SIEGEL: Oh, I agree completely, Dan.

15 I mean I think, if I were the FDA and I'll make  
16 this comment for them, and I were working with the  
17 manufacturer to design the kinds of people that were going to  
18 be involved with the clinical protocol, I would probably  
19 insist that the protocol, that the people involved have  
20 expertise in both brachytherapy and in steering catheters in  
21 coronary arteries, and that there be a team approach and  
22 monitoring clinical outcomes. Okay, so, yes, but premature to  
23 item two.

24 Number three, Dr. Wagner I think has already  
25 addressed how we feel about item three. You know, if FDA

1 writes the package insert that anticipates the dose to the  
2 other tissues based on flaking of the seeds or migration of  
3 activity then it won't be misadministration.

4           But I think that we really are ahead of the game  
5 on worry about how you're going to define a misadministration  
6 on this emerging technology.

7           MR. SMITH: We've never used an unsealed source  
8 before for these treatments, so we're not really sure we have  
9 a requirement that you check for leaking sources, and if you  
10 have a leaking source during a brachytherapy treatment, that's  
11 a misadministration. We know these things are going to leak  
12 to start out with.

13           MEMBER SWANSON: Again, I think this is an area  
14 where you really need to cooperate with the FDA to, as they  
15 evaluate these devices, to try to make sure that that doesn't  
16 happen, okay, up front. I mean that needs to be something  
17 that they're looking at as part of the device development  
18 process.

19           MR. SMITH: Okay.

20           CHAIRMAN SIEGEL: And question four is, at least  
21 one HDR unit is currently approved by FDA for intraluminal  
22 brachytherapy and the manufacturers argue that intraluminal  
23 includes intravascular. Should NRC interpret intraluminal as  
24 including intravascular?

25           I think the implication of that question is that,



1 if you simply make that interpretation, then people can go  
2 forward and start using this clinically today with no further  
3 thought. And my sense is that this committee thinks that this  
4 technology needs to be evaluated.

5 MR. SMITH: Okay.

6 CHAIRMAN SIEGEL: Do you all agree that we  
7 wouldn't want this turned loose in clinical, routine clinical  
8 practice tomorrow simply because of interpretation of a  
9 meaning of a word?

10 MEMBER STITT: That's exactly right.

11 MR. SMITH: I think FDA has made the same  
12 conclusion, that it doesn't include intravascular.

13 CHAIRMAN SIEGEL: Any disagreement on that?  
14 Okay.

15 Question five, are there unique radiation safety  
16 considerations associated with this modality, for example  
17 where is the most likely location within the medical  
18 institutions for such implantation?

19 The second part is easy, it's going to be in the  
20 cath lab or in the interventional radiology suite, sometimes in  
21 the operating room, but less often. It is much less likely to  
22 be just down in the basement with average radiation oncology  
23 departments.

24 MR. SMITH: It's still going to require shielded  
25 treatment.

1           MEMBER STITT: Or extraordinary shielding for  
2 high dose rate sources. So again we've got a new plant  
3 facilities here that most places will not have.

4           CHAIRMAN SIEGEL: But the average HDR room isn't  
5 currently equipped for cardiac catheterization either.

6           MEMBER STITT: No, our's would come close because  
7 we do everything under flovro, etcetera, etcetera. But you're  
8 right, there's probably no location in anybody's --

9           CHAIRMAN SIEGEL: Biplanar flovro?

10          MEMBER STITT: Yes. But that's unique, that's  
11 just our place. You're right, most cardiology suites, nor  
12 most HDR suites could do this procedure. And I think the  
13 other radiation safety aspect is we all have to find a  
14 friendly cardiac surgeon to agree to be the one that goes  
15 swimming for that source that just left its tether.

16          CHAIRMAN SIEGEL: That's a key issue.

17          MEMBER STITT: Right. And we keep bringing that  
18 up at our meetings here, and it's not a small issue. We do  
19 have to be prepared, and I believe that's the regulation that  
20 we were looking at three weeks ago, the guidelines say, if  
21 you're going to submit a license, you have to show that you  
22 are prepared to deal with these radiation emergencies.

23          CHAIRMAN SIEGEL: I mean here is the scenario,  
24 the source just broke, the source was sitting comfortably in  
25 the proximal left anterior descending coronary artery where it

1 was eradicating the area that had been angioplastated. The  
2 source is now sitting in the distal left anterior descending  
3 coronary artery where it has caused an acute myocardial  
4 infarction, created ventricular arrhythmias that have made the  
5 patient very unstable, and a cardiac surgeon is asked, at risk  
6 to his own life, to go in and remove the radiation source in a  
7 patient who normally would not be a candidate for any form of  
8 surgery because he's too unstable. I think that's a pretty  
9 significant safety problem.

10 Do you agree, Dan?

11 MEMBER BERMAN: Yes. The only thing that I'm  
12 still unclear about, and I need clarification maybe from  
13 Judith, is the difference between the beta emitting coated  
14 stent and the high dose radiation?

15 MEMBER STITT: Yes, and we're talking about a  
16 broad category. A beta emitting coated stent is totally  
17 different than radiation safety-wise and interstitial implants  
18 where you could get the source activity wrong and then totally  
19 different than a 10 curie source that's the size of a grain of  
20 rice that has been known to become disconnected.

21 MEMBER BERMAN: And the reason that I'm asking  
22 is, I think from what I'm just hearing here, there's a  
23 tremendous amount of -- we've focused a lot of attention on  
24 the high dose radiation rate approach.

25 MEMBER STITT: Right.

1                   MEMBER BERMAN:   And that has a lot of  
2 implications for safety.  I think the cardiology community may  
3 actually be going more in the direction of the beta emitting  
4 stent approach.  And if that's the case, shouldn't we be  
5 further discussing this question number two, that if you were  
6 to ignore the high dose rate approach for a second and come  
7 back to the discussion of the beta emitting stent, are all of  
8 the things that we're talking about in terms of hazards still  
9 relevant so that this is something that needs to be put on to  
10 the back burner until it's worked out, or are they so  
11 irrelevant it becomes more like a ophthalmologic application?

12                   MEMBER STITT:   Well, I think that each  
13 circumstance is unique, and there are specific relative  
14 hazards depending on which isotope and which technique, and  
15 again where, to kind of restate what we've said, we're at the  
16 beginning of the differing technologies, and if the beta  
17 emitting stents are going to be up for FDA review and  
18 accessible to the medical community soon, that can be worked  
19 on.  But it still requires a collaborative input, but there  
20 are some issues of radiation safety that are different as well  
21 as medical safety.

22                   CHAIRMAN SIEGEL:   Since you did not have E-mail  
23 at the time that I distributed this, I sent everybody on the  
24 committee who has E-mail a literature search that I did on  
25 this.  And I actually, and we can make copies for whoever

1 wants it, I actually did not find any articles that have used,  
2 in the published literature, low dose stents.

3 MR. SMITH: I have a set of articles that was  
4 given to me by a source manufacturer recently, and one of  
5 those is regarding a P-32 coated stent. And I have 15 copies,  
6 so whoever wants one can have one later.

7 CHAIRMAN SIEGEL: Okay.

8 MEMBER WAGNER: Is that on animals?

9 MR. SMITH: Yes, they were doing it with pigs.

10 MEMBER WAGNER: Yes, that's why you don't see it  
11 in your literature.

12 CHAIRMAN SIEGEL: No, my literature includes  
13 animal studies, and --

14 MEMBER BERMAN: In our institution the beta  
15 emitting stents are now being readied for study in humans. So  
16 I believe what we're talking about is something that is going  
17 to become, more likely to become, the focus.

18 CHAIRMAN SIEGEL: I actually think that when we  
19 know more about what the devices really are, we know more  
20 about what the radiation safety considerations really are, as  
21 well as the other safety applications, then I think the answer  
22 to question two will be yes. And we've already said that it's  
23 probably going to be yes, but I think we need to know a little  
24 bit more about what's going on and then we can build the  
25 requirements from the bottom up based on the safety

1 requirements.

2 MR. SMITH: Well, currently all of these  
3 proposals are proprietary and even though they were  
4 proprietary, they didn't give us a whole lot of information.  
5 I think basically they were fishing to find out what might be  
6 approved and proceed from there with their design. But we  
7 know that at least one manufacturer is going the way of a  
8 coated permanently implanted stent. And I believe there are  
9 some radiobiological basis for it also, but supposedly, if  
10 they deliver the dose over a long period of time following the  
11 angioplasty, they have a better result. But I'm not a  
12 radiobiologist, so I don't really know if that's true or not.

13 CHAIRMAN SIEGEL: Okay, we've answered them about  
14 as well as we can.

15 Does anybody have any other comments about this  
16 item?

17 MEMBER NEMP:

18 MEMBER NEMP: I liked your answers, they were  
19 very good.

20 CHAIRMAN SIEGEL: There was consensus, right?

21 CHORUS: Yes.

22 CHAIRMAN SIEGEL: Just checking.

23 Why don't we then adjourn for lunch and we should  
24 re-adjourn at 1:20.

25 (Whereupon, at 12:22 p.m., the proceedings in the

1 above-entitled matter were adjourned to reconvene this same  
2 day at 1:20 p.m.)

3

4

5

6

7

8

9

10





1           An environmental impact assessment or an  
2 environmental assessment, not an EI, will have to be done.  
3 That is going on -- oh no, we are about to do it.

4           We are in the process now of getting the contract  
5 in place so that we will be looking at that, and really what  
6 this does is it brings a question of the different ways that  
7 this could be handled if a decision were made to grant it.

8           The position that the commission is in right now  
9 is that we haven't made a decision in any regard either way,  
10 whether we are going to grant the petition, whether we are  
11 going to -- if we were going to grant the petition, which  
12 direction we would go, and I guess one of the reasons for  
13 bringing it before you today is primarily to discuss the  
14 petition and the pros and cons associated with going either  
15 way.

16           CHAIRMAN SIEGEL: But currently, if this were a  
17 licensed product from the FDA and a physician wished to use  
18 this product in his or her practice, eh would have to be an  
19 authorized user under 35.910 in order to do so. Is that  
20 right?

21           MS. TROTTIER: I believe that is correct. Yes.

22           CHAIRMAN SIEGEL: It is uptake dilution and  
23 excretion.

24           MR. CAMPER: And through a limited specific  
25 license.

1 MS. TROTTIER: We did receive a lot of public  
2 comment on the petition. The petition was noticed -- I  
3 thought I had it here, but somehow in my moving papers around  
4 I lost it, but anyway, the petition was noticed in the Federal  
5 Register, and we received 300 comment letters.

6 The majority of those letters are supporting that  
7 petition, and as I said before, we still have our own analysis  
8 to do.

9 So we are at least probably three to four months,  
10 minimum, away from making any decision in-house on whether we  
11 would grant the petition or not, and again, the last slide  
12 shows you the two options that they are requesting.

13 One would be distribution under general license,  
14 and that would fall under part 32, then, and the other one  
15 then, would be -- and then it would be exempt, but the other  
16 one would be to permit medical use under a general license,  
17 under part 35.

18 That was previously in the regulations, and in  
19 1987 when part 35 was revised, that provision was dropped.  
20 Primarily I think, at that time, because there was no real  
21 use. No one was using it so it was dropped for that reason.

22 So then, the question now would be if we decided  
23 to grant the petition, what would be the best way to do it.

24 I think in your packets you probably do have a  
25 discussion of the petition, and did we also include the

1 articles that -- okay, all right. So they have all of the  
2 information on the petition.

3 CHAIRMAN SIEGEL: Okay. Perhaps before we go on  
4 is someone from the company here to make a presentation? Is  
5 that correct?

6 MS. TROTTIER: I understood they wanted to.

7 CHAIRMAN SIEGEL: Please use the microphone and  
8 identify yourself.

9 MR. COMBS: My name is Matthew Combs. I am with  
10 Tri-Med Specialties, and we have given you two written  
11 statements from two representatives of our company that  
12 further elaborate on what we feel is the need to grant this  
13 petition.

14 I can read those aloud or if you have any  
15 questions about what we are trying to do, we will certainly  
16 entertain those questions, if you all have any need for  
17 further information.

18 CHAIRMAN SIEGEL: I think we will just reserve  
19 the right to ask you some questions as we proceed.

20 MR. COMBS: Sure.

21 CHAIRMAN SIEGEL: What would be the mechanism,  
22 assuming you all decided that is what you wanted to do for  
23 reestablishing general licenses?

24 What would that require mechanically?

25 MS. TROTTIER: It would simply require us to

1 publish a proposed rule with the decision to do that. There  
2 is nothing unique about doing that. We could go ahead and do  
3 that, I believe.

4           CHAIRMAN SIEGEL: If you were going to, is it  
5 likely you would do it for this specific -- in response to  
6 this specific petition or would you rethink the existence of  
7 general licenses in anticipation of future tritium and C-14  
8 diagnostic tests?

9           MR. CAMPER: That is an interesting question  
10 because what we would do is we would prepare a commission  
11 paper, as Cheryl is pointing out, and we would go back to the  
12 commission and recommend -- it really is a policy issue, that  
13 the general license category that existed previously in 35.31  
14 of the old part 35 could be reestablished. That is an option.

15           Now, then you have to ask yourself, "Well, okay.  
16 If you go the route of the general license, is it worthwhile  
17 to pursue that when you have identified only one procedure?"

18           At least my initial blush on that is -- and this  
19 is not a conclusion -- is that is a jump. That is a reach  
20 because if you go back and you look in the statements of  
21 consideration that accompanied the '87 rule change you will  
22 find some things that say the following: "NRC believes it is  
23 no longer efficient to issue medical general licenses that  
24 allow the administration of by-product materials to humans.

25           "The tests authorized under 35.31 have been

1 superseded by newer procedures with greater diagnostic  
2 accuracy.

3 "These developments have been reflected by a  
4 significant decrease in applications for general licenses."

5 To determine the status of general licenses, the  
6 staff performed a telephone survey of 10 percent of the then-  
7 current registrants.

8 The survey results indicated that less than 9  
9 percent of all of the current registrants still use material  
10 for medic use under general license.

11 Now putting that differently, is that of the  
12 registrants at that time, and I don't know the total number, 9  
13 percent were still using, but the commission opted to move  
14 away from the concept of the general license.

15 So then you have got to ask yourself, "Okay, if  
16 we go back and suggest the option of reestablishing it, and  
17 you are doing it on only one test, one modality, is that  
18 worthwhile?"

19 I don't know. It is a reach I think, but by the  
20 same token, if there were other procedures, then there could  
21 be more validity to that.

22 Another option would be the idea of the exempt  
23 distribution, but that poses some problems that really we  
24 would like to get at from this committee; not the least of  
25 which is if you did it under an exempt distribution bear in

1 mind that at least regulatorally, in terms of our parlance,  
2 you would not have to be in a position that administered the  
3 material.

4 MS. TROTTIER: Right. Anyone has the ability to  
5 use exempt material.

6 CHAIRMAN SIEGEL: All right.

7 MEMBER SWANSON: If I could ask somebody from the  
8 company, how is this being regulated by the FDA?

9 MS. HOFFMAN: Hi. I am Susie Hoffman with Tri-  
10 Med. The application for the NDA is currently in front of the  
11 FDA for approval, and the test would be prescribed by a  
12 physician, according to FDA regulations.

13 MEMBER NELP: And it is in what committee? Is it  
14 in radio pharmaceuticals?

15 MS. HOFFMAN: It is under GI.

16 MEMBER NELP: Under GI?

17 CHAIRMAN SIEGEL: Yes, but so it is not going to  
18 medical imaging drugs advisory committee.

19 MR. COMBS: They are to reviewing portions of it  
20 that are relevant. So it is being evaluated by several  
21 different --

22 CHAIRMAN SIEGEL: I am sorry. You have got to  
23 use the microphone. Good point. Actually I think the comment  
24 you just made about distribution is really less of a problem  
25 because this would be a product approved by the Food and Drug

1 Administration as a prescription drug.

2           Consequently, that drug can only be given to a  
3 human being upon the prescription of a licensed physician, and  
4 although it could be administered by a non-physician, you  
5 can't get your hands on the drug without a prescription.

6           MR. CAMPER: Right. The other thing that is  
7 interesting in this regard is I am unaware of any other exempt  
8 distribution that we authorized that is for administration to  
9 humans.

10           MS. TROTTIER: That doesn't mean it wouldn't be  
11 approved, but I mean currently it is --

12           MR. CAMPER: I understand. We have things like  
13 smoke detectors and certain other detection devices and things  
14 like this, but not for human use.

15           CHAIRMAN SIEGEL: So the reason for making its  
16 distribution exempt is so that it can be distributed to other  
17 than licensee's?

18           MS. TROTTIER: Correct.

19           CHAIRMAN SIEGEL: You are still covered by the  
20 fact that it can only be -- well, it can't only be distributed  
21 to physicians but it can only be administered upon a  
22 physician's prescription.

23           Am I correct on this?

24           MEMBER SWANSON: Correct.

25           CHAIRMAN SIEGEL: Okay, but you are right. It

1 could be held by a clinical laboratory where no physician was  
2 physically involved in running the clinical laboratory.

3 Well, it is an interesting question. I can tell  
4 you the average nuclear medicine department in the United  
5 States isn't prepared to do this test because they don't have  
6 a liquid scintillation counter.

7 MEMBER NELP: Do they send the collected samples  
8 back to a central location?

9 MR. COMBS: Again, this is Matt Combs from Tri-  
10 Med. Maybe I will describe a little bit about the test.

11 The test is expected to be performed by sites  
12 that have liquid scintillation counting facilities. We will  
13 offer the service of counting the samples by Tri-Med in either  
14 regional counting centers or through Tri-Med.

15 So for instance, a radio pharmacy in, say  
16 Baltimore, may set up a counting facility as well. So when  
17 they deliver their doses every morning, they would pick up  
18 balloons from yesterday and analyze those, because we utilize  
19 just a mylar balloon in the kit that the patient blows up, and  
20 then extract the CO<sub>2</sub> out of that breath in the balloon.

21 CHAIRMAN SIEGEL: So just out of curiosity. Why  
22 did you choose a mylar balloon rather than a hyamine to trap  
23 the CO<sub>2</sub>?

24 MR. COMBS: That is a good question. It is  
25 patient safety, actually, because the hyamine is caustic and



1 it is possible, not likely, that the patient could somehow  
2 inspire hyamine directly.

3           Whereas, here we remove the patient from the --  
4 from handling the caustic hyamine.

5           MEMBER NELP: What if the balloon breaks? Then  
6 you have to repeat the test. Right?

7           MR. COMBS: Well, first of all --

8           MEMBER NELP: Or do they get more than one  
9 balloon?

10          MR. COMBS: You can have more than one balloon if  
11 you so choose. We found that one balloon is sufficient.  
12 These balloons are very tough.

13          We have performed a lot of experiments on the  
14 balloons. They don't break very easily. It is pretty hard.

15          CHAIRMAN SIEGEL: If you have ever gotten one as  
16 a present you realize that they stay on the ceiling for weeks  
17 on end and you can't do anything about it.

18          Another regulatory question, and that is: If a  
19 laboratory chose to perform this test, what level of  
20 complexity will this test be classified with respect to the  
21 clinical laboratory improvement act?

22          I can see -- we are all sitting here worrying  
23 about the average physician wanting to do this -- this  
24 gastroenterologist -- wanting to do this test in his own lab,  
25 but if right now he is only doing a urinalyses and an

1 occasional hematocrit and he is classified as a low complexity  
2 lab under CLIA, and this converts him to a high complexity lab  
3 under CLIA, that physician is going to choose to say, "Thanks,  
4 but I am going to let somebody else do that test."

5 MR. COMBS: Yes. This test hasn't been  
6 classified by CLIA, but we believe it will be a moderate  
7 complexity test.

8 MEMBER NELP: Moderate complexity?

9 MR. COMBS: Yes. Especially if the site does not  
10 perform their own counting, because that is where most of the  
11 complexity comes from.

12 The other part of the test is you just take a  
13 pill, and 10 minutes later you blow up a balloon.

14 MEMBER NELP: Quite simple.

15 MR. COMBS: Yes. It is very, very simple.

16 CHAIRMAN SIEGEL: It is pretty straight-forward.  
17 It is the counting mode that is the problem, and currently  
18 CLIA regulations for moderate complexity tests are fairly  
19 onerous.

20 There are things going on, on the hill, people  
21 are trying to back physicians offices out of CLIA as we speak,  
22 maybe not today, but there is a lot of activity and pressure  
23 from the AMA to get things to back off a bit on CLIA, and I  
24 don't know whether any of that will go down.

25 MEMBER SWANSON: Larry, if this is done under a

1 general license rather than an exemption, is there any problem  
2 with the physicians' office sending the C-14 balloon back for  
3 analysis?

4 MR. CAMPER: No. No.

5 MS. TROTTIER: That would be evaluated during --  
6 while they did the safety analysis anyway, but I can't  
7 imagine.

8 MR. CAMPER: Yes. That is a good point. No  
9 matter which way we were -- either approach, exempt or  
10 general, there would have to be a safety analysis to accompany  
11 it.

12 CHAIRMAN SIEGEL: If it is exempt is it likely  
13 that there will be a possession limit? Is that built in to  
14 the exemption?

15 I mean, what I am trying to think about, let's  
16 think about what could go wrong. Why would we not want this  
17 safe drug in the hands of gastroenterologists, internists,  
18 pediatricians, for that matter.

19 MS. TROTTIER: The safety analysis would address  
20 possession of multiple dose kits, say, or capsules. I mean,  
21 whatever this is.

22 That would be done. It would be considered in  
23 the transport and all of that. You know, that multiples were  
24 being shipped, but I don't -- it wouldn't be in the  
25 regulations.

1           CHAIRMAN SIEGEL:  What I am driving at is the  
2 issue -- I think most of us would agree that the radiation  
3 exposure from one microcurie capsule of C-14 urea is  
4 negligible, that we are not worried about the radiation safety  
5 aspects of that to the patient.

6           One could conceive, and especially given some  
7 recent activity of someone trying to o.d. on C-14 urea, which  
8 is going to be tricky; and so if a practitioner has thousands  
9 of these capsules, such that it is possible to ingest a  
10 millicurie of C-14 urea, then there might be an issue of  
11 concern to the NRC.

12           On the other hand, if the way this stuff is going  
13 to be distributed is that no one practitioner could have in  
14 his possession more than 20 of them at a time, it is kind of a  
15 no-brainer from a radiation safety point of view.

16           MR. CAMPER:  On your question on possession,  
17 there is no specification of a possession limit.

18           What happens under our E-distribution, there is a  
19 category, there is a product that is categorically provided an  
20 E-distribution vehicle.

21           CHAIRMAN SIEGEL:  Okay.

22           MR. CAMPER:  In the course of having that product  
23 approved for E-distribution they present certain information  
24 that is designed to satisfy safety analysis requirements in  
25 part 32, and they make assumptions about the population of the

1 product and present some scenarios --

2 CHAIRMAN SIEGEL: Right. So if I choose to build  
3 my house out of smoke detectors --

4 MR. CAMPER: Right.

5 CHAIRMAN SIEGEL: I would be 92 standard  
6 deviations from the mean in terms of smoke detector density,  
7 but I suppose there is no way to regulate that?

8 MS. TROTTIER: Right. You can build your house  
9 out of smoke detectors if you want to.

10 MR. CAMPER: You certainly can.

11 CHAIRMAN SIEGEL: Now, what would my dose be if I  
12 did, just out of curiosity.

13 MR. CAMPER: Not much. You wouldn't have to  
14 worry about fires.

15 CHAIRMAN SIEGEL: Matthew?

16 MR. COMBS: I would like to respond to the  
17 possession. I don't know whether this is relevant or not, but  
18 we have requested a limit of 150 of these capsules at any one  
19 site.

20 That is based on physicians being able to order  
21 them in lots of 100, and when they get halfway through their  
22 first lot of 100, if they buy them that way, to be able to  
23 order another one. So they wouldn't run out.

24 MEMBER WAGNER: Who would regulate that?

25 MR. CAMPER: Pardon?

1           MEMBER WAGNER: Who would regulate that, having  
2 150 on site? No one.

3           MS. TROTTIER: Not if it was exempt.

4           MR. CAMPER: Again, the 150 is something that the  
5 petitioner has specified, but we would not put that  
6 limitation, an E-distribution doesn't work that way.

7           It is the individual product is approved under an  
8 E-distribution scenario.

9           MEMBER NELP: The FDA doesn't have any role in  
10 limiting the amount of any material in possession of a  
11 physician. Is there any way you can?

12           MEMBER WOODBURY: Not unless the amount given  
13 would exceed acceptable limits. With the amount given here I  
14 don't think that that would be a problem.

15           MEMBER NELP: No, but in terms of the number of  
16 pills, I could write a prescription for 1,000 pills if I  
17 wanted to.

18           MEMBER WOODBURY: Right.

19           MEMBER NELP: I would make the company happy, but  
20 you don't have any way of limiting my ability to prescribe?  
21 For instance, if I went into the drug store and ordered 1,000  
22 tablets of codeine, they wouldn't sell them to me.

23           DR. SIEGEL: With good reason.

24           MEMBER NELP: Exactly, I always order small  
25 amounts and say, "What's up?" There are some internal

1 controls in the drug distribution.

2 MEMBER WOODBURY: Usually the label insert, if  
3 the FDA approves it, the label insert will give recommended  
4 doses or recommended ranges, but this would not preclude you  
5 from ordering.

6 MS. TROTTIER: I have question that is non-  
7 regulatory. Can I ask it?

8 CHAIRMAN SIEGEL: Sure.

9 MS. TROTTIER: It is informational. What is the  
10 cost to work up the diagnosis of duodenal ulcer using this  
11 technique, which has to be considerably less expensive than  
12 endoscopy, biopsy, et cetera.

13 Can you give me ball park figures?

14 MEMBER NELP: Within \$100.00?

15 CHAIRMAN SIEGEL: Well, inverse is the strategy  
16 of just treating.

17 MS. HOFFMAN: I think that the ACG, the American  
18 College of Gastroenterology has put a lot of work into this  
19 recent, and the NIH recently had a consensus conference and  
20 stated that the breath test was the most accurate way of  
21 diagnosing iliohypogastric pilary and that all patients with  
22 ulcer disease should be tested for iliohypogastric pilary and  
23 treated.

24 Basically it is going to be a lot less expensive  
25 than endoscopy.

1           CHAIRMAN SIEGEL:  What about the competing  
2 technology which I uncovered in my literature searches of  
3 using mass spec --

4           MS. HOFFMAN:  The carbon-13?

5           CHAIRMAN SIEGEL:  C-13.

6           MS. HOFFMAN:  Well, neither test is out on the  
7 market at this point, but we believe that the carbon-14 is  
8 going to be less expensive.

9           Initially, if you have your own counter, your own  
10 scintillation counter, you can do you own analysis.  A lot  
11 fewer places have their own mass spectrometer, which is  
12 required to analyze the carbon-13.

13          MEMBER NEMP:  Do you have a cost projection for a  
14 capsule?

15          MR. COMBS:  We don't at this time because a lot  
16 of this depends on how long it takes to get approval, and  
17 there are a lot of factors.

18          So I don't want to say what we think it will be  
19 because I don't want to be held to that.

20          CHAIRMAN SIEGEL:  Can you tell us whether we are  
21 talking about tens of dollars, hundreds of dollars, thousands  
22 of dollars or millions of dollars?

23          MR. CAMPER:  There are some numbers in your  
24 petition.

25          MS. HOFFMAN:  Right.  For the capsules themselves



1 we are looking at tens of dollars.

2 MR. CAMPER: In their petition under the  
3 paragraph identified as, "Benefits of the Test," they point  
4 out that the C-14 urea breath test could be done by most  
5 doctors for less than \$100.00 cost to the patient.

6 "This is a considerable cost savings over  
7 endoscopy and biopsy. The benefits to the public are that  
8 curative therapy for ulcers will become available to all,  
9 saving the United States an estimated 500 million dollars per  
10 annum over conventional therapy."

11 That is pretty much where you -- do you still  
12 feel the same way today?

13 MR. COMBS: Yes, but whether it is \$50.00 or  
14 \$150.00 or \$200.00, we can't say at this time, but it is  
15 approximate.

16 MEMBER NELP: The longer it goes divided by the  
17 government, the more expensive it becomes.

18 CHAIRMAN SIEGEL: That's correct.

19 MR. COMBS: But the idea here is to offer  
20 something as low cost as we can because we are committed to  
21 that.

22 CHAIRMAN SIEGEL: Do you all have a sense yet  
23 about where the environmental impact analysis is going to go  
24 down?

25 Does this strike you as a particularly great

1 environmental impact concern?

2 MS. TROTTIER: No.

3 CHAIRMAN SIEGEL: During the time we have spoken  
4 here more C-14 was generated in the atmosphere by cosmic rays  
5 than is likely to be used over the next decade for this test.

6 MS. TROTTIER: Yes. The biggest issue right now  
7 is simply that this work has to be done. We can't do anything  
8 as far as making a decision without the work being done.

9 CHAIRMAN SIEGEL: I understand. I think we can -  
10 -

11 MR. CAMPER: Barry?

12 CHAIRMAN SIEGEL: Yes, sir.

13 MR. CAMPER: I have a question, just a thought.  
14 I think that is an excellent point. The environmental impact  
15 here is really not the deal.

16 CHAIRMAN SIEGEL: No. I'm not focusing on that.

17 MR. CAMPER: I understand that. I think the  
18 issue that concerns us the most is this regulatory philosophy  
19 issue.

20 Do we move back toward the general license in  
21 part 35, which was removed in '87 for the reasons I said or  
22 conceptually how does the committee feel about the idea that  
23 something would be distributed under the exempt distribution  
24 scenario for human use.

25 Those kinds of things are ticklish.

1           CHAIRMAN SIEGEL:  And I want to tackle that in a  
2 slightly different way.  Given that we don't know the answer  
3 to the environmental impact, but we can assume that it is not  
4 likely to be a deal breaker here.

5           I would then pose the question whether any of us  
6 feels that the use of this radioactive drug in a diagnostic  
7 test requires the level of training and experience laid out in  
8 35.910, and requires institutional or practice licensure under  
9 35.100 in order to be able to do this test safely from the  
10 viewpoint of patient safety, occupational safety, and  
11 ultimately environmental safety.

12           MEMBER BROWN:  And that is given that it will  
13 always be prescribed by a physician.

14           CHAIRMAN SIEGEL:  It will be a licensed  
15 prescription drug.

16           MEMBER NELP:  I feel very comfortable with having  
17 it be exempt under those conditions because it will be or  
18 should be in the hands of responsible people, and its  
19 certainly innocuous --

20           CHAIRMAN SIEGEL:  And it is not like -- the real  
21 issue here is radiation safety.  It is not like the use of  
22 this drug as a diagnostic test will be unregulated.

23           There is FDA licensing for test performance.  It  
24 will be interesting.  I am wondering, in discussions with the  
25 FDA has physician laboratory proficiency testing as part of

1 eventual distribution come up as something, as a service the  
2 company is thinking of either offering or being forced to  
3 offer by the FDA?

4           There have been some recent imaging drugs, for  
5 example, where interpretation is so complex that the FDA is  
6 including in the labeling, like in order to be able to use the  
7 drug you have to have some training under company tutelage  
8 in order to play the game.

9           MR. COMBS: Once again, wouldn't that fall under  
10 CLIA as far as the level of complexity for the testing?

11           CHAIRMAN SIEGEL: That was my third level of  
12 regulation. CLIA will be regulating this also. I am also  
13 wondering whether discussions with FDA have included anything  
14 specific in labeling.

15           MR. COMBS: Not at this time.

16           CHAIRMAN SIEGEL: Okay. So given that this test  
17 will be regulated at several levels, it is regulated as a  
18 prescription drug by the states.

19           It is regulated by CLIA -- its use will be  
20 regulated by CLIA. The chit will be regulated by FDA. I  
21 don't think that any of us think that radiation or  
22 occupational safety is likely to be a problem.

23           I think you could choose either strategy and it  
24 works for me.

25           The reason you got rid of general licensing is

1 because it was withering. People weren't doing blood volumes  
2 in their offices anymore, and they weren't doing Schillings  
3 tests.

4           It was hard for people to maintain the equipment.  
5 There were very few people involved.

6           The only argument for reconsidering that is that  
7 this may open the door to a substantial number of other C-14  
8 breath tests that have kind of languished: bile salt breath  
9 tests, fat absorption breath tests, that have been in  
10 regulatory, and consequently, clinical development limbo  
11 because nobody really knew how they were going to find their  
12 way in the market place, and there may be a reason to choose  
13 considering general licensure if you think that there is some  
14 safety need to maintain controls.

15           Otherwise, I would frankly argue for exemption.  
16 What do the rest of you think?

17           MEMBER NELP: I agree. I think it could be very  
18 nicely handled under exemption.

19           CHAIRMAN SIEGEL: And let the record show that  
20 the nuclear medicine physicians are not trying to claim any  
21 specific turf here by saying that we are the only ones who can  
22 do this test.

23           MEMBER NELP: I think the gastroenterologists --  
24 that's right, as long as they are board certified.

25           MEMBER WAGNER: But you don't care by what board.

1 Right?

2                   CHAIRMAN SIEGEL: If my memory serves me  
3 correctly, I think the physician who discovered that  
4 iliohypogastric pilary was responsible for ulcer disease just  
5 won the Nobel Prize for that or was it a Lasker prize?

6                   MR. COMBS: He just won the Lasker award.

7                   CHAIRMAN SIEGEL: And so the clinical importance  
8 of this observation is pretty clear. This has revolutionized  
9 the therapy of peptic ulcer disease.

10                  MEMBER STITT: Unfortunately, because the record  
11 should reflect that being from Wisconsin, we liked it when  
12 ulcer disease was treated with lots of milk.

13                   This is to our disadvantage.

14                  CHAIRMAN SIEGEL: Everybody has got a turf issue  
15 to on the floor.

16                  MEMBER STITT: I had to get that in there.

17                  CHAIRMAN SIEGEL: I think we have made our  
18 recommendation. Any other comments? We could go either way.

19                   I think whichever you guys think works better  
20 -- clearly from a clearly paper trail point of view, and in  
21 terms of minimizing the regulation, an exemption --

22                  MEMBER NELP: Which is easier for the  
23 manufacturer or corporation? Do you know, Larry? Which would  
24 -- both ways would be supportive?

25                  MR. CAMPER: I think either way would be of

1 minimal burden. General license really wouldn't impose any  
2 burden upon -- it would impose a little more of a burden upon  
3 the one who wanted to use the lab because then they would have  
4 to go through the old process we used to go through where you  
5 would get a registration certificate on record, and then a  
6 general license is issued.

7           Whereas, as compared under the exempt  
8 distribution process it is exempt, and once it is exempt --

9           MEMBER NELP: I think the simplest should be the  
10 preferred, if they are equal or comparable.

11           CHAIRMAN SIEGEL: But under the new system, I am  
12 just going to open up that NRC's web page -- and I am going to  
13 fill out my application for general license under the  
14 Internet, click on the submit button, and I will have my  
15 license in an hour.

16           Isn't that correct?

17           MR. CAMPER: That's right. Yes. You will. Let  
18 the record show that you will.

19           CHAIRMAN SIEGEL: The address is H2TP://WWW.

20           MEMBER NELP: How long will it take you to get  
21 the web page?

22           MR. CAMPER: It will be five minutes in your  
23 case.

24           CHAIRMAN SIEGEL: Bob, do you have any feelings  
25 about this from an agreement standpoint here?

1           MEMBER QUILLIN: The only thing I have been  
2 thinking about during this entire process is that we have  
3 suffered from several cases of generating license exempt  
4 materials that were never envisioned as accumulating in any  
5 one spot in any large quantity, but eventually did, and I  
6 couldn't think of any way that this would happen here, but I  
7 would certainly encourage that as a consideration that this  
8 potential problem be addressed.

9           MR. CAMPER: Let me comment on that, just real  
10 quick. I agree with you, in this particular case I couldn't  
11 see some of the problems that I could see in some other  
12 things, but there are some things going on today in the  
13 distribution process that are a little disconcerting to us,  
14 and it is not clear that things are going like they were  
15 originally intended to go.

16           We do intend to take a look at what is going in  
17 new distribution. Like, for example, watches that were  
18 distributed initially under the exempt distribution process  
19 end up today being collected by the same company for the  
20 purposes of repairing and fixing these watches, and now  
21 suddenly you have a lot of these watches at one site, that  
22 were originally distributed under exempt distribution, and  
23 that raises questions about was that the original intent of  
24 part 32, but that really, I don't think, has much bearing upon  
25 this.



1           We have had the same kind of observations that  
2 you have had in Colorado.

3           MEMBER STITT: Do these capsules have a shelf  
4 life having to do with just the capsule? That is, do they dry  
5 out or gum up or is there some sort of --

6           MR. COMBS: The anticipated shelf life is two  
7 years, and that is based on --

8           MEMBER STITT: You could use them to stick your  
9 smoke detectors together.

10          CHAIRMAN SIEGEL: And once they expired they  
11 would simply be disposed of?

12          MR. COMBS: That is --

13          CHAIRMAN SIEGEL: Oh, we used the BRC word here.

14          MEMBER STITT: I understand that when you make an  
15 exempt decision you lose control of disposal.

16          CHAIRMAN SIEGEL: Right.

17          MR. COMBS: I believe we would say that they  
18 would be returned to the manufacturer for replacement.

19          MEMBER QUILLIN: I would encourage that option  
20 rather than the direct disposal option because many local  
21 government entities have banned the disposal of radioactive  
22 materials in their landfills, directly.

23                 That doesn't mean that it doesn't get disposed  
24 of, but they have banned it.

25          MEMBER BROWN: So you would provide a financial

1 incentive for them to return it to the manufacturer by giving  
2 them replacements?

3 MEMBER NELP: I would just put it down in the  
4 sewer because there is more carbon-14 being formed than that  
5 in your own backyard.

6 MEMBER BROWN: Other people might not like that.

7 MR. CAMPER: Torre was pointing out --

8 CHAIRMAN SIEGEL: We can't have two conversations  
9 at once. Dr. Wagner? Dr. Wagner, cool it.

10 MR. CAMPER: I was just pointing out, as Torre  
11 was pointing out to me, that if it goes exempt, once it is  
12 exempt, it is exempt.

13 Whatever arrangements the manufacturer has with  
14 its clients for the return of it is fine, and your point is  
15 well made, but from a regulatory standpoint once it is exempt,  
16 it is exempt.

17 MEMBER BROWN: So what they are saying is just  
18 their intention. There is nobody who is going to make them do  
19 that?

20 MR. CAMPER: There is no basis for making them do  
21 it.

22 MEMBER BROWN: Right. So they could be saying  
23 that now, and then later say, well -- is it a concern that  
24 these things will be disposed of in toilets and stuff?

25 MEMBER NELP: No. It is done all of the time. I

1 mean we put thousands and thousands times greater activity  
2 down the sewers on an almost weekly basis from human excreta.

3 MR. CAMPER: The sewer part of it is the easy  
4 part. The part that Bob Quillin is getting at is even though  
5 in regulatory parlance we call it exempt, there is a  
6 detectable amount of radioactivity there, and many of the  
7 landfills today, by virtue of the permits granted to them by  
8 the local municipalities have zero tolerance for  
9 radioactivity.

10 CHAIRMAN SIEGEL: They only have gamma detectors.  
11 Is that right? Have you ever noticed?

12 MR. CAMPER: That's true.

13 CHAIRMAN SIEGEL: Here is -- I really propose  
14 that this committee has made an important judgment for you  
15 that we don't think the person using this test has to be an  
16 authorized user under part 35.

17 Whether you all choose to do this under an  
18 exemption or under a general license, based on whatever BRC  
19 fringe environmental concerns you might have about this is up  
20 to you.

21 You must recognize that the amount of total paper  
22 work load that you will have if you do it under a general  
23 license is going to be substantial.

24 You will get a lot of applications because this  
25 is a common medical problem and a lot of people are going to

1 want to offer this clinically important test.

2 MS. TROTTIER: Okay. All right. Thank you.

3 CHAIRMAN SIEGEL: Thank you. Cool. We actually  
4 finished something.

5 MS. TROTTIER: Done.

6 CHAIRMAN SIEGEL: Can we go home? Okay. We have  
7 finished lunch. It is now 1:00. Actually it is 2:00. We are  
8 exactly one hour behind schedule, and next is a discussion of  
9 role of medical consultant, inspection manual 1360, and Dennis  
10 Serig, you are going to speak to us.

11 MR. SERIG: We have among us a number of medical  
12 consultants. I think six of you sit at the table here as  
13 ACMUI members, and then we have five of our non-ACMUI medical  
14 consultants sitting as part of the audience.

15 CHAIRMAN SIEGEL: Can I invite doctors Almond,  
16 Griem, who else?

17 MR. SERIG: Mrs. Watson, Dr. Whittington.

18 CHAIRMAN SIEGEL: Yes. I mean, there are at  
19 least two chairs open on this side and there are two other  
20 chairs there that can be pulled up.

21 So if you guys want to join us at the table, we  
22 would love to have you for this discussion. If it is legal.  
23 Is it?

24 MR. CAMPER: That's fine.

25 CHAIRMAN SIEGEL: Thank you.

1           MR. CAMPER: It is illegal, but that is fine. We  
2 have to remind Peter, of course, that he can't vote. He is in  
3 the habit voting historically, having been a member.

4           CHAIRMAN SIEGEL: And Dr. Marcus will have to sit  
5 on the other side of the room.

6           MR. SERIG: Although these pieces of paper that  
7 you have in front of your or you are seeing on the screen have  
8 a lot of writing on them, I think that the concern is a fairly  
9 simple one.

10           We have two pieces of -- or two documents which  
11 direct that we use the services of medical consultants under  
12 certain conditions.

13           The slide here in essence says that when we have  
14 a misadministration reported to us that involves an over-  
15 exposure to the patient, then we are to use a medical  
16 consultant, read physician, in this case.

17           We may also, upon review of the event, choose to  
18 use a scientific consultant, read medical physicist. In  
19 short, we have a requirement to use a physician consultant and  
20 we may also choose to use a medical physicist as a consultant.

21           The next page is an excerpt from another document  
22 which helps to implement the management directive, again, a  
23 lot of words, but basically there are a number of other  
24 conditions under which we feel obligated to use the services  
25 of medical consultants.

1           Go one more page. Now we will get down to the  
2 crux of it. Even though we have some guidance to the staff  
3 that says, "You will, in fact, use a medical consultant under  
4 certain conditions."

5           When we call or the regions call the medical  
6 consultant, you are free for any number of reasons to refuse  
7 to provide that consultancy.

8           One of the reasons you might refuse is that you  
9 feel this is not a case which really warrants the services of  
10 a medical consultant, and that is the crux of the matter.

11           In our current mode of operation what we then are  
12 required to do is ask you to give a brief note that explains  
13 your basis and we then transmit that to the director of the  
14 division of industrial medical nuclear safety and he makes the  
15 call as to whether or not we will use a medical consultant.

16           What we would like to do, go to the final slide,  
17 is ask you for your comments about ways we might improve this.

18           I think some of the aspects are pointed out here.  
19 Even though you are refusing to give us your services by  
20 stating that they are not necessary, you actually do provide  
21 some service.

22           You provide us a note that explains why not. We  
23 would like to alleviate the need for the director to make a  
24 decision which may be more appropriately made by medical  
25 personnel.

1           We would also like to expedite this process. We  
2 have the regions and the headquarters staff going back and  
3 forth for a day or two trying to do something which is very  
4 simple.

5           So if we could get your comments on those things,  
6 and there is another issue that I think you can help us with,  
7 and maybe this is related to that.

8           It is the -- how soon we get medical consultant  
9 reports. We are required -- the documents require that we get  
10 a report within 30 days and sometimes we do, but quite often  
11 we do not.

12           If we can facilitate the process by screening  
13 events, somehow we would appreciate your input about that type  
14 of situation as well.

15           CHAIRMAN SIEGEL: The typical contractor letter  
16 that comes from the region to a medical consultant says,  
17 "Please provide us with your report within 30 days of  
18 completion of your analysis."

19           It doesn't say within 30 days of the phone call  
20 that brought you into the loop, and sometimes the analysis has  
21 involved getting additional medical information that has taken  
22 a couple of months to get.

23           Now as I think I have said before, it is easy to  
24 generate a first report and reserve the right to create an  
25 amendment in follow up at a later time, and then you have

1 solved that problem.

2           Let me ask, to focus, this simple following  
3 question. How often has the director of INMS gotten  
4 notification that the medical consultant declined to  
5 participate for the following reasons, feeling that a medical  
6 consultant was not necessary, and has overruled that opinion?

7           MR. SERIG: Never. Never has he overruled it to  
8 my knowledge.

9           CHAIRMAN SIEGEL: You have answered your own  
10 question. The process is currently complex because you are  
11 requiring it to be complex, and I think that if a medical  
12 consultant simply says first and follows with the written  
13 documentation that this case does not need a medical  
14 consultant because and articulates the reasons, that person  
15 has made a professional judgment.

16           He or she puts his or her own credibility on line  
17 by so doing, and why do you choose to second guess? Go for it  
18 and let the regions go for it.

19           I would go a step further. I wish you would take  
20 E-mail and not require a written letter. I was actually a  
21 little surprised, I think it was last week, to find that a  
22 three paragraph E-mail response that articulated my reasons  
23 for not consulting needed to be translated into a letter.

24           MEMBER NELP: I have another question in terms of  
25 numbers. How often do you use medical consultants during the



1 course of the year, and how often do they deny to provide  
2 service because they think it is of minor importance?

3 MR. SERIG: Over the last three years there have  
4 been on the order of 25 to 30 misadministrations that were  
5 finally judged to be misadministrations, of those, probably 10  
6 to 15 required the use of a medical consultant, of those  
7 probably 4 to 5 maximum were situations in which a medical  
8 consultant was contacted and said, "This is not a case where I  
9 need to be involved or a medical consultant needs to be  
10 involved," and to get more specific, usually those are small  
11 doses of iodine in a nuclear medicine situation.

12 MEMBER NELP: So once or twice a year the  
13 situation comes up.

14 MR. SERIG: Correct.

15 MEMBER NELP: Those consultants apparently have  
16 been given enough information to render an opinion that their  
17 services are not necessary. So all you want to do is get that  
18 in writing.

19 MR. SERIG: Correct.

20 MEMBER STITT: Is that what they always are?  
21 Almost always?

22 CHAIRMAN SIEGEL: I am wondering if any of them  
23 went to the wrong treatment site on brachytherapy sources, you  
24 know, the thigh getting two rounds as opposed to --

25 MR. SERIG: We believe that they could be, that

1 that could be the situation, but that has not been the  
2 situation.

3           Very often we end up sending anything having to  
4 do with wrong treatment site and brachytherapy to OGC for a  
5 decision.

6           MEMBER STITT: Wrong treatment site usually is  
7 also coupled with the intended treatment site didn't get the  
8 right dose. So I don't think that would fall into that  
9 category. Those are usually being viewed.

10           CHAIRMAN SIEGEL: Yes. I am talking about the  
11 one where the source was being retracted intentionally and  
12 then got stuck, hit between the thighs for 10 minutes instead  
13 of going through in the expected 30 seconds, and it ends up  
14 being called an unintended dose to the thigh, which is less  
15 than the dose that would have occurred had the treatment been  
16 conducted normally. At any rate --

17           MEMBER NELP: That is not in this domain. We are  
18 not talking about that. It seems to me that you have solved  
19 your problem.

20           If you call me up and ask me to consult on a  
21 problem and I said, "Well, from what you say it really doesn't  
22 need my services, but I will be happy to document that in  
23 writing. Send me the data and I will send you back a reason  
24 why I think it is not necessary." Is that what you are asking  
25 me to do?

1           MR. SERIG: Yes. That is the current situation,  
2 and one of the concerns is that it is a little back handed or  
3 gauche.

4           Your refusal is actually a consultation. You  
5 provide information, and maybe one thought that you could help  
6 us with is whether maybe this could be thought of as a  
7 positive consultation.

8           Your consultation is that there is not a very  
9 high likelihood of harm. You will write the note to that  
10 effect, and you will charge us for a half hour's services.

11          MEMBER NELP: I might charge you for an hour,  
12 that's my minimum charge.

13          MR. SERIG: Okay. I think that is the minimum  
14 you can charge us, anyway.

15          CHAIRMAN SIEGEL: Actually, that's not true, but  
16 that is okay. I think that is a wonderful suggestion.  
17 Basically, if I think about every one of these that I have  
18 asked not to participate in formally, then my response has  
19 been, "Based on the nature of this event further services of a  
20 medical consultant are not required."

21                 I will give a couple of reasons, and I will  
22 usually enclose a final paragraph which says, "If you later  
23 discover that you wish me to review the licensee's response to  
24 the incident or the information provided by the licensee to  
25 the patient, holler, and let me know, and I will do it."

1 I think it is pretty easy.

2 MR. SERIG: Okay.

3 CHAIRMAN SIEGEL: Anybody else have a problem  
4 here? Evelyn.

5 MS. WATSON: No problem, just a question. I  
6 think what has been addressed so far has been the physician's  
7 position, rather than strictly a medical consultant, and so  
8 what is the policy as to the scientific consultant, for  
9 example, the dosimetrist, the person who reviews the dosimetry  
10 or the data concerning the incident and then comes up with the  
11 radiation dose.

12 Is that an automatic thing to be done in  
13 instances like this?

14 MR. SERIG: No. It is not.

15 MS. WATSON: Do you go to the physician first and  
16 then decide whether to --

17 MR. SERIG: It has happened both ways.

18 MS. WATSON: Okay.

19 MR. SERIG: There have been occasions when the  
20 region was concerned about whether or not something was of  
21 misadministration because they were concerned about the dose  
22 assessment, and went to the medical physicist first, and the  
23 medical physicist, having decided that yes, there was a  
24 difference in the prescribed dose from the actual dose  
25 sufficient to make a misadministration, then they went to a

1 medical consultant.

2           There have also been cases where a medical  
3 consultant has said, "I think I need help from a scientific  
4 consultant." So it has gone both ways.

5           MS. WATSON: That doesn't present a problem,  
6 really.

7           MR. SERIG: No.

8           MS. WATSON: Okay.

9           CHAIRMAN SIEGEL: So, I guess, if I am hearing  
10 the group is acknowledging, what we are agreeing on, is that  
11 we would say that if a medical consultant tells you that he or  
12 she sees no need for further evaluation beyond review of the  
13 information provided in the preliminary notification and/or  
14 the conversation with the person in the region who made the  
15 contact that you all should accept that as the basis for  
16 moving forward.

17           MR. SERIG: Without the additional step of  
18 bringing the director into --

19           CHAIRMAN SIEGEL: Given that you have not  
20 overruled, it seems like it is not adding much in the way of a  
21 great safety net for you.

22           I think that if something looked strange in a  
23 given event, that you all would be discussing it enough  
24 between headquarters, the region, OGC, and all of the other  
25 people who tend to get in the loops on these things, that if

1 Larry or Dr. Cool felt that the medical consultant said he  
2 didn't need to be involved here, but this doesn't sound right,  
3 then pick up the phone and call him again or her again, and  
4 say, "We would like you to reconsider, and we would like you  
5 to take a look."

6 MR. ALMOND: Or couldn't they get a second  
7 opinion from another consultant?

8 MR. CAMPER: They could.

9 CHAIRMAN SIEGEL: Right, but in general I think  
10 we are making this more complicated than it really is.

11 MR. SERIG: I think that is really what we are  
12 trying to do here, is simplify this, and yet not leave out  
13 anything that needs to be done, and toward the point of  
14 simplification, E-mail of a response seems reasonable to me if  
15 we can make that work within the frame work.

16 MR. CAMPER: Well, that is the point I was going  
17 to raise. The second bullet there, the idea of some pre-  
18 established vehicle that could be used, one of the problems we  
19 have with the E-mail is the idea of a record for posterity.

20 CHAIRMAN SIEGEL: Print it.

21 MR. CAMPER: Well, we could print it out. That  
22 is true. We could just print it out. That is a good point.

23 Is there any value to a standard letter that  
24 could be used for documenting the declination?

25 MR. SERIG: I think the question is probably one

1 that has to do with the specifics of what you would write, and  
2 maybe there is such variety that you couldn't do that, and  
3 maybe -- I don't know.

4           CHAIRMAN SIEGEL: The only thing I can think of  
5 is if you or OGC thought there was some need for some  
6 boilerplate in such a letter to make it fulfill your internal  
7 needs, then you could put it in the letter, but it certainly  
8 isn't going to facilitate what we tell you.

9           DR. GRIEM: On one occasion someone contacted me  
10 on a well logging source that had been an industrial situation  
11 that messed up and a number of people were exposed, and I  
12 would presume that you do it the same way as the medical  
13 situation?

14           MR. SERIG: Yes.

15           CHAIRMAN SIEGEL: I would think so.

16           MR. CAMPER: Yes. That is true.

17           CHAIRMAN SIEGEL: All right. Have we reached  
18 closure on this? So I think our consensus is that giving you  
19 the consultation that telling you that no further evaluation  
20 is necessary constitutes a consultation, and that it need not  
21 be reviewed further per our recommendation, by the director of  
22 IMNS, and we leave it up to you whether you need a form letter  
23 of some sort to fulfill some legal requirement.

24           MR. CAMPER: That's fine. I want to thank the  
25 consultants who came in to participate in the deliberation,

1 and also, I want to thank you now for the help you have  
2 provided in the past or thank you in advance for any help you  
3 might provide in the future.

4           Let's hope there is little of that, but  
5 seriously, you do provide a very valuable service to us.

6           When we are dealing with these events you provide  
7 a level of expertise and attention that we can't provide, and  
8 ultimately your report is a significant component in the final  
9 analysis of the event, and we thank you for that, very much.

10           MR. SERIG: And you also put up with a great  
11 deal, and have over the last month in providing paper work to  
12 get yourselves reappointed, and we appreciate that.

13           MEMBER STITT: Larry, just a chatty point here.  
14 Your associate next to you is so enamored with E-mail, as you  
15 know, and I do enough medical consultings that I have put the  
16 NRC form on my computer, and so when I am writing a report it  
17 is on my computer and I make a hard copy for myself.

18           I have sent my report by E-mail as an attached  
19 document because whenever I have a region that calls in or a  
20 state that calls in I get their fax number.

21           We are doing business by fax, hard copy is easy  
22 to generate on either end, and they are able to look at  
23 something as I have it completed, and then I usually print one  
24 out for myself and one to send them.

25           I use the form for format, and I don't end up



1 typing stuff on that piece of paper that I get sent because I  
2 do a fair number of them, and it is easier to do it this way.

3           This is just commentary. I don't know if you  
4 have a response back to the electronic version of things.  
5 There is as much security in that as there is in the U.S.  
6 mail.

7           CHAIRMAN SIEGEL: You could have the region do a  
8 telephone notarization of the E-mail message. You know,  
9 subscribed and sworn to this day with three people on the  
10 phone. I think E-mail ought to do the job.

11           Okay. Next. The manual chapter on follow up.  
12 Who is going to present this?

13           MR. CAMPER: Cathy Haney.

14           CHAIRMAN SIEGEL: Cathy. We have lost her.  
15 Okay. We are done.

16           MR. CAMPER: The next issue is discussion of  
17 NUREGs. Isn't it?

18           CHAIRMAN SIEGEL: Dennis just walked out the  
19 door. Well, I have got the wrong version of the agenda, then.  
20 Torre. You have an old version of the agenda.

21           MR. CAMPER: That figures.

22           MS. TAYLOR: That had to be switched to  
23 accommodate Cathy Haney.

24           CHAIRMAN SIEGEL: The manual chapter on patient  
25 follow up is the correct version. NUREGs is on for tomorrow.

1           MR. CAMPER: Well, your highness, you seem to  
2 have the correct agenda.

3           MEMBER BROWN: Esteem highness.

4           MR. CAMPER: Your esteemed highness, your  
5 regalness. It shows you who ranks around here. Right.

6           CHAIRMAN SIEGEL: How about we go off the record.

7           (Whereupon, the proceedings were briefly taken  
8 off the record at 2:23 p.m.)

9           MR. CAMPER: Did everyone meet Dr. Ramirez today?  
10 Dr. Ramirez. Does everyone know her? She is visiting us from  
11 Spain. She is a physician, and she is involved with the  
12 regulatory program in Spain.

13           She is spending six months with us to learn more  
14 about the licensing and the inspection process and the  
15 regulatory process at large.

16           So make it a point to say hello and chat with  
17 her.

18           CHAIRMAN SIEGEL: Okay. We are back on the  
19 record and Cathy, you are on.

20           MS. HANEY: Okay. Thank you. What I would like  
21 to do today is just give you an overview of where we are on  
22 patient follow up.

23           I guess it was November, 1994, was the last time  
24 we spoke with you about patient follow up, and just as a  
25 status report.

1                   What I have up on the screen, and what you have  
2 on an overhead is the NRC's current policy on patient follow  
3 up.

4                   This comes out of management directive 8.10,  
5 which is the NRC management directive for dealing with follow  
6 up on medical events, and it is used by the regions when they  
7 are following up on misadministrations.

8                   It basically says that in the case of where there  
9 is an indication by the medical consultant that there could be  
10 long term effects, the director of NMSS in conjunction with  
11 our executive director for operations, will make a decision  
12 whether a long term medical consultant should be -- or long  
13 term follow up should be done on a patient.

14                   In the November, 1994, meeting the issue of  
15 patient follow up was discussed at some length. This is a  
16 quote that I took out of the minutes of that meeting.

17                   There were two possible goals that came out of  
18 that meeting, but there were caveats that were associated with  
19 both of them.

20                   Basically, what we want to let you know is that  
21 we heard what came out of the November, 1994, meeting, and in  
22 conjunction with the next slide, which is our experience to  
23 date with patient follow up, where we are going.

24                   We have followed one patient for a year. This  
25 would be the end of the year, this October. We have received

1 monthly reports on the patient's status.

2           The reports have been reviewed by the regional  
3 and headquarters staff. Once they have been reviewed by that  
4 staff they have been reviewed by upper management at NMSS on a  
5 monthly basis at our monthly operational events briefings.

6           So we have been following the reports that we  
7 have gotten from the licensee.

8           CHAIRMAN SIEGEL: This has been a single case?

9           MS. HANEY: One case. Right.

10          CHAIRMAN SIEGEL: Can you give us a little  
11 information about the nature of the exposure without revealing  
12 any proprietary information?

13          MS. HANEY: I would leave that to Larry or Josie  
14 to do. I don't know how much information can be released.

15          MS. PICCONE: This was the case of the prostate  
16 therapy where the seeds ordered were 10 times --

17          CHAIRMAN SIEGEL: Okay. Right. So we are aware  
18 of this case, and we have discussed this previously.

19          MR. CAMPER: Order of magnitude error in the  
20 seeds.

21          MS. HANEY: Okay. So where we went from there is  
22 based on these two items a draft guidance document was  
23 prepared, and it basically reiterated the guidance that was in  
24 8.10.

25          We received some comments on it, but again, based

1 on what we kept -- the recurring theme from the ACMUI in the  
2 November meeting, as far as what we learned from this patient  
3 that we have followed, we have decided to put the finalization  
4 of that chapter on hold, and it probably will not be revisited  
5 again until after we receive the NAS study.

6 That is where we are right now on patient  
7 release.

8 CHAIRMAN SIEGEL: Patient follow up.

9 MS. HANEY: I mean patient follow up. I have  
10 patient release left in my head.

11 CHAIRMAN SIEGEL: I wish we were in this good  
12 shape on patient release. Okay. Comments? Do you have  
13 specific questions?

14 MS. HANEY: No. I have no specific questions.  
15 This was just intended to be a status report.

16 CHAIRMAN SIEGEL: It was scheduled for an hour.  
17 That is the only problem.

18 MS. HANEY: We are trying to catch up on  
19 schedule.

20 CHAIRMAN SIEGEL: We are now ahead of schedule.

21 MS. HANEY: I ran upstairs, cut my schedule to  
22 get my presentation down.

23 MR. CAMPER: I think that Cathy's last point --  
24 when the agenda was put together we had originally intended to  
25 talk with you about some specifics in the guidance on the

1 patient follow up issue, but subsequent to that we have  
2 decided to table that and talk about it when we talk about the  
3 program at large after the NAS report.

4 So that changed it quite a bit.

5 CHAIRMAN SIEGEL: Okay. Thanks, Cathy. Any  
6 other comments or thoughts on that?

7 So really, the bottom line is that patient follow  
8 up is not something that is needed very often, nor did we  
9 expect that it would be.

10 Are you learning from these monthly follow ups  
11 information that you think is useful to the NRC?

12 MR. CAMPER: No. Not really. The patient's  
13 condition is progressing as you might have anticipated. There  
14 has been nothing striking or alarming.

15 Occasionally there will be events that arguably  
16 warrant following, but they are rare.

17 CHAIRMAN SIEGEL: Okay. We are like way ahead of  
18 schedule all of a sudden, after being way behind schedule.

19 Pat Rathbun is not going to be available until  
20 around 4:15, I am told because she was up in Gaithersburg. I  
21 was speaking with Cathy earlier and the option was whether she  
22 would come tomorrow morning, because it would only take a few  
23 minutes, versus coming later, and she is coming later.

24 I think to do the modules we need Trish, who is  
25 not here.

1 MR. CAMPER: She is apparently in a meeting right  
2 now.

3 MS. TAYLOR: She won't be here until 3:30.

4 CHAIRMAN SIEGEL: She won't be here until 3:30.  
5 That means we have an hour. We can open up the whole  
6 discussion of training for cardiologists.

7 MEMBER BERMAN: That's a good idea.

8 CHAIRMAN SIEGEL: Not a chance, Dr. Berman.  
9 There is no background material for me to look at. I won't  
10 know what to say.

11 MEMBER BERMAN: That is a good idea. We can talk  
12 about what concurrent means.

13 MS. TAYLOR: Since we have a closed session  
14 tomorrow at 8:30, we could possibly go ahead and do that now.

15 MR. CAMPER: Which one?

16 MS. TAYLOR: We have a closed session tomorrow at  
17 8:30.

18 CHAIRMAN SIEGEL: How many members of the general  
19 public, non-NRC staff are in the audience who would have to  
20 thrown out for a short period of time if we had a closed  
21 session?

22 MR. CAMPER: Four.

23 CHAIRMAN SIEGEL: Okay. You have got your hands  
24 raised. Now put your hands down. How many of you care if we  
25 throw you out for a short period of time?

1                   No one raised their hands.

2                   MEMBER OF THE AUDIENCE: Are you buying the  
3 coffee, Barry?

4                   CHAIRMAN SIEGEL: Only if I have to. We could do  
5 that. Who is going to present that?

6                   MS. TAYLOR: Sally. We just need a few minutes  
7 to pass something out.

8                   CHAIRMAN SIEGEL: All right. Why don't we go off  
9 the record for a second.

10                   (Whereupon, the proceedings were taken off the  
11 record at 2:32 p.m. and resumed in Closed Session.)

12

13



1 P-R-O-C-E-E-D-I-N-G-S

2 (4:25 p.m.)

3 CHAIRMAN SIEGEL: If the members of the committee  
4 would please take their seats it would be greatly appreciated.  
5 We are out of order, but we're on report on subcommittee  
6 review of draft licensing modules. Let me preface this with  
7 some background information.

8 You all will recall that at the last meeting, we  
9 spent a fair amount of time talking about a number of issues.  
10 The draft licensing modules we really didn't have time to go  
11 into in great depth. Consequently, we proposed and the NRC  
12 accepted that we have a series of subcommittee meetings to  
13 address the specific details in the draft licensing modules.  
14 A series of subcommittee meetings were held on September 27,  
15 28 and 29 with kind of a rotating cast of characters.

16 On the 27th -- I don't have the list in front of  
17 me, but on the 27th in the morning, I and Lou Wagner were  
18 there along with NRC staff to look at mobile medical services.  
19 In the afternoon, I and Dennis Swanson were there to look at  
20 radioactive drug therapy.

21 Then over the next two days, a group that  
22 consisted of Bob Quillin, Dr. Stitt, Dr. Flynn. Who did I  
23 forget from that group? Looked at a variety of radiation  
24 oncology related modules.

25 When discussing how this was going to be

1 presented to the committee, I wasn't exactly sure how we were  
2 going to handle this. So in discussions with Torre over the  
3 last couple weeks, we decided that we would try to put  
4 together some summary statements of what the major changes,  
5 conclusions, recommendations were made by the subcommittees,  
6 that I and Dr. Stitt would try to report what the  
7 subcommittees did, in conjunction with the staff person  
8 responsible for that particular module.

9           In addition, Trish has I guess overall  
10 responsibility now for all of hte modules in coordinating  
11 them. So she has an overall summary of major issues involving  
12 hte licensing modules.

13           Now we can do this in varying degrees of detail,  
14 depending on how we see fit. We can make these documents  
15 available for the record as part of the minutes of the  
16 meeting. I can make the general comment that the subcommittee  
17 met. They discussed the issues. We found a number of  
18 important points that needed to be clarified that related to  
19 just points that seemed ambiguous. I think we made a number  
20 of valuable suggestions and recommendations that the NRC I  
21 hope appreciates. I think overall, the discussions were  
22 useful.

23           Then why don't we, Trish, oh you're here. Why  
24 don't we just briefly, and I mean quite briefly, go over your  
25 major issues, summary statement. Let's just present it in a

1 couple of minutes. Then we can just kind of quickly walk  
2 through each of them and hit on what the big issues are. We  
3 can scan them simultaneously. If any people who are not at  
4 the subcommittee meetings have specific questions, we'll try  
5 to address them.

6 My recollection is, is there anything that came  
7 out of the meetings that the conclusion was too controversial,  
8 needed to come to the committee for resolution?

9 MS. HOLAHAN: No. I don't believe so.

10 CHAIRMAN SIEGEL: Good. Go ahead.

11 MS. HOLAHAN: Basically what we identified is  
12 there were some issues that were across the board in all  
13 modules, so we thought rather than going through repeating  
14 them for each module, we could just sort of summarize them.  
15 First of all, one of the recommendations was that we should  
16 ensure that all the modules should be consistent where  
17 possible on such overlapping issues as training. We made  
18 several modifications to the training for nurses, training for  
19 ancillary staff, and training for physicists and other staff  
20 to be consistent amongst modules.

21 Also there was in general recommendations that  
22 previously the authorized user training requirements were only  
23 up in the body, but in many cases, there was an indication  
24 that for authorized users that were coming under the "or"  
25 category, there may be specific training in a modality in

1 which they were going to be using, and so we should also  
2 include a discussion of the authorized user training  
3 requirements within each module.

4 Another recommendation was that there should be  
5 comprehensive list of the records and retention requirements  
6 for each module, or we may consider actually having one  
7 overall list in the body of the front part of the reg guide.

8 Currently, the only module that includes standard  
9 license conditions is the remote after loading brachy therapy  
10 module. That was primarily because many of the issues that  
11 are not addressed directly in the regulations. There are  
12 standard license conditions that have been developed, so we  
13 felt that licensees should be aware of those standard license  
14 conditions.

15 I think following discussions on all the modules,  
16 it was felt that we should actually include standard license  
17 conditions that would be used for all modules, and in fact,  
18 it's under discussion that we may include also a reviewer  
19 checklist and a sample license, that that could also be made  
20 available to licensees as well as the license reviewers.

21 Also, and you heard this morning about the final  
22 patient release rule. So there will be modifications as a  
23 result of the revised patient release rule that will be made  
24 to primarily three modules, mobile medical services,  
25 radioactive drug therapy, and manual brachy therapy for

1 permanent implants.

2           There was some discussion with regard to there  
3 was a statement within several of the modules that once a  
4 patient is released, the material is no longer -- the licensee  
5 no longer has a direct regulatory responsibility for the  
6 material. I think that's an issue that we believe, and we  
7 have gone on record previously stating that that is the case.  
8 Once a patient is released, it is no longer licensed material.

9           I think there was some question as to whether it  
10 was in conflict with part 20. But I don't believe our review  
11 is to date that it does not appear to be in conflict.

12           Also the modules, this is a minor thing, are not  
13 consistent. We can make sure that they are all numbered  
14 consistently.

15           There was also a discussion in several of these  
16 subcommittee meetings as to whether the appendices should be  
17 revised at this point in time. Well, as Dr. Cool mentioned  
18 this morning, is much of this is going to be tied in with the  
19 overall BPR efforts in the licensing process. I think we will  
20 not update the appendices at this point in time but that will  
21 be done as part of the BPR manual.

22           The other issue that we'll review as we go  
23 through the finalization of these modules is look again at  
24 what is in 10.8. I think some of the modules contain more  
25 details that are repeated in the body. We need to make sure

1 again that it's not confusing between flipping back and forth  
2 between the module and the body. So we need to make sure that  
3 the appropriate references are in place.

4 Finally, a question was raised that modules that  
5 are affected by the QM rule we should include specific  
6 guidance addressing the QM rule. A decision was made that  
7 what we will do is make sure that the appropriate references  
8 to Reg Guide 8.33 are included in there. Again, any  
9 modifications will be included in the BPR process, and then  
10 again following a major revision of part 35, we would look at  
11 8.33 again.

12 CHAIRMAN SIEGEL: Okay, good.

13 MS. HOLAHAN: So that's pretty much my summary.

14 CHAIRMAN SIEGEL: Any questions about that,  
15 general comments? Big fabric issues? Okay good.

16 So the first one that was discussed on Wednesday  
17 morning was mobile medical services. Torre, do you want to do  
18 it? Do you want me to do it? Okay. That's fine.

19 This was a I thought a very interesting  
20 discussion. We got some very interesting items on the table.  
21 I think the key thing we recognized is that the scope of  
22 mobile medical services is in evolution and it's not clear  
23 exactly how things are going to change with time. So part of  
24 what is in this regulatory guide needs to be a little bit  
25 flexible. I'm sure it will be.

1           We suggested that as we just heard, that the  
2 language be adjusted with respect to patient release rule.  
3 There was considerable discussion about including some point  
4 in the document, I'm not sure we knew exactly what needed to  
5 be in there about reciprocity with state licensing where the  
6 mobile medical services crossed state lines, and some guidance  
7 needed to be in there about how to address that.

8           I'm trying to remember what item three was. I  
9 expressed the concern that the regulatory criteria did not  
10 reflect the current trends. Torre, refresh my memory.

11           MS. TAYLOR: Yes. That was in line with what you  
12 just said about the scope of services changing and the new  
13 modalities.

14           CHAIRMAN SIEGEL: So this is just an observation?

15           MS. TAYLOR: Yes. Just an observation.  
16 Something that we can do in the guide.

17           CHAIRMAN SIEGEL: The single most important thing  
18 we did during the morning session was we killed the term  
19 called medical non-institution, which is not a term, and  
20 substituted instead the term non-institutional medical  
21 practice. I consider that, frankly, to be a triumph of the  
22 English language for bureaucratese. I hope it is accepted.

23           Considerable discussion about the potential  
24 conflicts that could occur between the mobile service  
25 contractor and the client, on the one hand, or between the

1 mobile service provider and the landlord, in those  
2 circumstances where mobile services are actually provided out  
3 of a residence. The bottom line on that was that the NRC  
4 needs to as part of the licensing process, get clearer  
5 understanding about the nature of the agreement between the  
6 provider, the contractor on the one hand, and the client.  
7 Some interesting discussions about scenarios that I think are  
8 pretty unlikely, but one has to plan for contingencies.

9           The document needs a description of the special  
10 problems associated with overseeing radiation safety programs  
11 in mobile services, since the authorized user in the RSO is  
12 not likely to be on site all of hte time. That also goes to  
13 the issue of what constitutes adequate supervision of  
14 supervised individuals. The guide suggested as often as every  
15 30 days review of individuals work. We questioned whether 30  
16 days was a reasonable frequency. We didn't really come up  
17 with a correct answer because it would depend on the nature of  
18 the mobile service itself.

19           We also questioned the current statement that the  
20 authorized user or RSO be able to respond to the incident  
21 within three hours. Respond in this case means physically  
22 present, because there are certain mobile services in rural  
23 areas that cover very wide territories where that could be a  
24 problem, and where the nature of the potential accidents  
25 wouldn't warrant such rapid response. So there's some wiggle



1 room on that, seemed to be required as well.

2 Any comments? Torre, you want to add anything?

3 MS. TAYLOR: No.

4 CHAIRMAN SIEGEL: Okay. Radioactive drug  
5 therapy.

6 MEMBER STITT: Dr. Siegel, I had a question.

7 CHAIRMAN SIEGEL: Please.

8 MEMBER STITT: A clarification. It would never  
9 be the case that the mobile HDR units would be looked at as  
10 mobile medical service.

11 CHAIRMAN SIEGEL: Currently a mobile medical  
12 service is only authorized for diagnostic imaging. Exemptions  
13 could be granted for radioactive drug therapy. You have  
14 granted some, is that correct, in the past in mobile services?

15 MR. CAMPER: Mobile? Yes. We have.

16 CHAIRMAN SIEGEL: We are told that the State of  
17 California either has an application or has licensed mobile  
18 HDR.

19 MR CAMPER: The State of California has licensed.  
20 We anticipate receiving an application for a license.

21 MEMBER STITT: Would that be regarded under the  
22 mobile or do we look at that under ---

23 MR. CAMPER: No. That would be --

24 MEMBER STITT: It doesn't really fit.

25 MR. CAMPER: The guidance document here does not

1 address mobile HDR.

2 CHAIRMAN SIEGEL: It addresses mobile service as  
3 currently defined in part 35, which is limited to 35.100 and  
4 35.200 applications. Correct?

5 MR. CAMPER: Also if we do end up licensing the  
6 mobile HDR, that would require an exception to the regulations  
7 because currently it's not addressed in the regulations.

8 CHAIRMAN SIEGEL: Okay. Radioactive drug  
9 therapy. I should point out that at this session, Mark  
10 Ratman, per invitation, joined the discussion and made many  
11 useful contributions. Key points. Documents are referenced  
12 alpha and beta emitters over and over. We basically said that  
13 really the key issue was the safety considerations associated  
14 with the proposed radioactive drug therapy program and you  
15 didn't need to single out alpha and beta emitters. You just  
16 need to have the licensee lay out what they plan to do and how  
17 they plan to address the safety issues.

18 There was a point about need to deal with  
19 released patients in the module. We basically said that when  
20 they are released, they are released, and the licensee no  
21 longer has radiation safety responsibility for those patients.

22 There was a word in there about licensee staff  
23 being able to understand isotope burden to the patient. We  
24 said that needed to be out. Requirement for including  
25 information on staffing levels was recommended it be removed

1 as not being really something NRC was supposed to be looking  
2 into. Rather, it was how the program was laid out.

3 I'll let you look at five yourself. It is  
4 straight forward.

5 There was a long list of training requirements.  
6 There was a list for nurses. There was a list for other  
7 people, professional staff involved in the therapy. Then  
8 there was a list for ancillary staff, like housekeeping staff.  
9 We basically suggested that those first two lists be collapsed  
10 into a common list. A training program for staff involved in  
11 the administration, monitoring and care of patients undergoing  
12 radioactive drug therapy, and that the training for those  
13 individuals, depending on their specific nature, should be  
14 commensurate with the individual's duties. So that gives  
15 licensees room to design their programs as they see fit.

16 Overlap issue was discussed. Item nine. Oh I  
17 see, we just made a redefinition of a term. We decided the  
18 dose calibrator and dose measurement were going to be made  
19 consistent with the radiopharmacy guide.

20 Dennis, you want to add anything? Bob, do you  
21 want to add anything there in the back there?

22 Okay. Now this is when I no longer was around.  
23 Dr. Stitt became the chairman. Manual brachy therapy. Do you  
24 want to do it or you want Dot to do it? Your choice. Manual  
25 brachy therapy. Do you want to summarize it? Who did manual

1 brachy therapy, staff person. Oh Trish, I'm sorry. Well I'm  
2 confused.

3 MEMBER STITT: We were just conferring. I will  
4 do it. We were actually conferring on what went between these  
5 two, which is --

6 CHAIRMAN SIEGEL: Was there one for HDR?

7 MEMBER STITT: Right. That's what we were -- let  
8 me start with remote afterloading. You don't have a page for  
9 remote afterloading.

10 CHAIRMAN SIEGEL: Okay.

11 MEMBER STITT: But HDR falls into -- most of the  
12 discussion really revolved around issues that we in this full  
13 committee have been discussing now for a year and a half,  
14 almost two years. It has been high on all of our agenda on a  
15 regular basis. So that there was nothing that was alarming or  
16 new or unusual. In fact, we basically verified that a lot of  
17 what we have been discussing as a committee will now show up  
18 in that format.

19 I think one of the issues that Trish and I were  
20 just reviewing also had to do with reciprocity, state  
21 licensure, and the different vendors of the different HDR  
22 units. That was brought up and we put out on the table as an  
23 issue much like you were discussing that in radioactive drug  
24 therapy or mobile.

25 I'll stop there on remote, unless there are any

1 other questions or comments. Trish, would you like to make  
2 any additions or better --

3 MR. AYRES: Bob Ayres with the staff. The reason  
4 there's no sheet is I felt as Dr. Stitt said, we have been  
5 over this many times and our subcommittee never came up with  
6 any major issues that I thought needed to come to the  
7 committee. The issue of reciprocity was just going to be a  
8 short note to the licensees that maybe they should check on  
9 it, because it is the service vendor's responsibility in this  
10 case to obtain the reciprocity. It's not the licensee's  
11 responsibility, but we thought a little note would maybe help  
12 jog people's memory.

13 I think the general comment, the subcommittee  
14 went great. I got a lot of really useful and valuable  
15 comments. I think a good part of the valuable contribution is  
16 we did a lot of work in that committee meeting in bringing  
17 this module into line with many of hte comments from previous  
18 subcommittee meetings the day before Trish provided input, in  
19 bringing these ancillary personnel, nurses training. In that  
20 meeting, we started to standardize the modules.

21 MEMBER STITT: Professor Quillin gets the Queen's  
22 English prize. He read every single word, all the colons and  
23 the sub-phrases and clauses, and has this in a very readable  
24 form.

25 MR. CAMPER: One comment I would make about hte

1 remote afterloading discussion. There was a fair amount of  
2 time that was devoted to discussion, the qualifications,  
3 training experience for physicists. We discussed at great  
4 length what we currently do in our guidance, in policy and  
5 guidance directive FC 86-4, which was updated substantially  
6 following the incident in Indiana, Pennsylvania.

7           Now we are looking for turning an experience  
8 currently for a physicist associated with high dose rate mode  
9 afterloading similar to what is currently specified in our  
10 regulations for teletherapy, except of course we are looking  
11 to see experience that is specific to the use of HDRs.

12           Now I think the important thing beyond that point  
13 is that it was recognized by the subcommittee that ultimately  
14 when we look at a revision part 35, we should be discussing at  
15 great length this whole issue about medical physicists.  
16 What's the best term to be used, should physicists be expected  
17 to have a document experience that is germane to the  
18 particular modality, be it teletherapy or HDR or gamma  
19 stereotactic radiosurgery and so forth and so on.

20           But that's not something that the subcommittee  
21 needed to take on or that we would take on at this point. But  
22 just be aware that at some point again, this physics T&E issue  
23 is something we'll have to work our way through. But for the  
24 time being, clearly for HDR we are expecting to see  
25 physicists, demonstrated experience with HDRs, and an overall

1 T&E similar to what is going on for teletherapy physicists,  
2 because all we define in our regulations currently is a  
3 teletherapy physicist.

4           MEMBER STITT: To move on to the manual brachy  
5 therapy module. You also have a handout on that. I don't  
6 think we need to read through them necessarily, but Dr. Flynn  
7 had a number of comments that he brought up and we discussed  
8 at great length, in addition to Dr. Quillin's grammar  
9 comments. You can read issues of shielding, record keeping,  
10 and survey procedures.

11           Questions or comments on the manual module?  
12 Again, as Trish brought up earlier, everything has been  
13 brought into line search. It will be easy to refer from one  
14 section to the next. The format will be the same.

15           Dr. Quillin is going to discuss the gamma knife  
16 fertility therapy module.

17           MEMBER QUILLIN: Well, I wasn't here for the  
18 teletherapy module, but I was here for the gamma knife module.  
19 There was a comment --

20           MEMBER BROWN: I thought you were going to say,  
21 but I'll discuss it anyway.

22           MEMBER QUILLIN: There was one comment I had that  
23 went throughout the brachy therapy and the gamma knife module.  
24 That was the laundry list of subjects that other staff were  
25 supposed to be instructed in.

1           We looked at those other subjects at some length  
2 and deleted some. I think in at least one case, added one,  
3 and clarified some because the subjects were generic in  
4 nature, but were not really clear as to the meaning as far as  
5 the presentation was concerned. For example, one of the items  
6 that was in the subjects as I remember was radiation signs. I  
7 think we made the recommendation that we listed down there as  
8 the meaning of radiation signs. That we weren't supposed to  
9 be training people in how to put up radiation signs  
10 necessarily, but what the signs meant to staff.

11           In the gamma knife module, I think the items here  
12 are reasonably self explanatory. Some of the things that we  
13 spent more time on than others were the qualifications for the  
14 physician and physicists, what type of qualifications and  
15 training, experience would be expected and what were the roles  
16 of the physician and physicist during these procedures.

17           Right now the document as written as presented to  
18 us was somewhat vague in that matter. We felt that that  
19 should be more explicit, and also should be consistent in form  
20 and format with the other documents.

21           Another issue that we talked about, and I'm not  
22 sure it's presented clearly here, is page 226 on the worst  
23 case scenario for doing radiation surveys. We didn't  
24 recommend that you do a worst case scenario, but basically  
25 what I would call a realistic case scenario for the survey.



1 That was based upon realistic work load criteria use and  
2 occupancy. The document was presented to us, assume that you  
3 did all of the cases in one day within one hour's time period.  
4 In other words, if you were going to be treating five people,  
5 you treated them all in one hour time frame, which we didn't  
6 feel was a realistic situation.

7           There was an issue on the intercom. We had some  
8 discussions. We felt that the need for an intercom, which was  
9 not included in the guide, should be included because of the  
10 need to be able to communicate with the patient during the  
11 procedure.

12           So those were the main things as I remember, from  
13 the gamma knife module. Any questions?

14           MEMBER WAGNER: Can I go back one? I just wanted  
15 to ask one question.

16           CHAIRMAN SIEGEL: On manual brachy therapy?

17           MEMBER WAGNER: Yes. It's under area survey  
18 procedures, consider including a recommendation to post a  
19 record of the survey. Is that for someone in particular's  
20 information or just a document that the survey had been done.

21           MEMBER QUILLIN: It was for information purposes  
22 so individuals entering the room could see what the results  
23 were.

24           MEMBER WAGNER: And what individuals are you  
25 thinking of? Who would understand what that means?

1 MEMBER QUILLIN: An authorized user, for example.

2 MEMBER WAGNER: Okay. So it is for hte  
3 physicists or other physicists? If you had two or more  
4 physicists, maybe one goes up, sees it was done, he could read  
5 what the number was.

6 MEMBER QUILLIN: Or for the authorized user to  
7 know what hte numbers were, to be able to use that information  
8 if a question arose.

9 MEMBER WAGNER: Okay.

10 CHAIRMAN SIEGEL: Okay. Any other questions or  
11 comments on the gamma knife stuff? Jim Smith will give us a  
12 short presentation about what happened to teletherapy. Part  
13 of this rotating musical committee members game, Dan Flynn  
14 took over the chair at that point of that subcommittee  
15 meeting, but Dan as you know is not here because someone else  
16 is in labor. I don't understand that totally, but that's  
17 okay.

18 MEMBER WAGNER: His partner's wife I think is  
19 having baby so he had to cover the practice.

20 MR. SMITH: We didn't have a whole lot of  
21 comments on the teletherapy. Basically, the first item is the  
22 same as from the gamma knife module basically, because we just  
23 covered that under the gamma knife.

24 Dr. Flynn felt that if we needed an intercom for  
25 a gamma knife, we also needed intercom requirement under

1 teletherapy.

2           The other was sort of a recommendation that we  
3 could recommend to our licensees that they post action levels  
4 in the form of normal treatment parameters so that  
5 technologists or therapists conducting these treatments for  
6 the teletherapy unit would know when something was out of the  
7 ordinary. That was about the entire gist of the main items.

8           CHAIRMAN SIEGEL: Good. Thank you. Questions?  
9 Comments?

10           MEMBER BROWN: There was just a discussion that  
11 this guidance document was created or revised last in 1985.  
12 So we felt there was a need to update it. However, we  
13 recognize that it appears that the use of teletherapy is  
14 falling off in the United States. We talked about that a bit,  
15 but still felt that updating the guidance at this point in  
16 time was important.

17           MEMBER QUILLIN: I just have one final comment.  
18 Several comments were made about my grammar review. I do like  
19 subjects and verbs in sentences.

20           MEMBER STITT: You kept complaining about that.  
21 He kept finding all these sentences that had no verbs.

22           CHAIRMAN SIEGEL: Picky picky picky.

23           MEMBER BERMAN: I'd just like to comment on your  
24 part that on page 192, what you meant when you said that the  
25 physician and physicist should be physical during the GSR

1 treatment.

2 CHAIRMAN SIEGEL: We said that cardiologists had  
3 no sense of humor. Okay thank you. Thanks to everyone for  
4 their hard work on this.

5 Having done now a couple of these types of things  
6 with you, I am really convinced that when it comes time to  
7 roll up sleeves, look at a document, and think through a  
8 process in great careful detail, that a group of three or four  
9 people in a room gets a lot further than a group of 13 people,  
10 being afraid what they are saying in the microphone. So some  
11 of these working sessions really are quite effective, and I  
12 encourage you to keep having them as issues arise that need  
13 them.

14 The last item of the day is status report on the  
15 National Academy of Sciences study of the medical use program.  
16 Pat. She had to leave. I think we actually heard part of  
17 this from Dr. Paperiello.

18 Let's see. So I am going to give Pat's report.  
19 The National Academy of Science's report to the NAS peer  
20 review process apparently occurred on August 25, 1995. The  
21 document is not out yet. When approved by peer review, Carl  
22 Paperiello and Pat Rathbun will read it. Ten days later, they  
23 will get a confidential copy.

24 I need to get some clarification, because I  
25 actually had a conversation with Kate Gottfried a couple of

1 months ago and was led to believe that members of the advisory  
2 committee might actually be able to see copies sometime in  
3 November.

4           So that sounds like the January date is one date  
5 that you all are hearing. She seemed to think this document  
6 would be done and on its way to the printer in early November.

7           MEMBER BROWN: I have never heard that comment.

8           CHAIRMAN SIEGEL: If I get a copy, I'll give it  
9 to you.

10          MR. CAMPER: Would you please do that, because  
11 we'd like to have it.

12          CHAIRMAN SIEGEL: Not until I have analyzed it  
13 very carefully.

14          MEMBER BROWN: There has been some -- what has  
15 caused confusion in all of this, and that is, is that many of  
16 you probably are aware that the NAS has a process of how it  
17 goes about doing business. It is unusual I think that a copy  
18 of their reports are provided to the entity which requested  
19 that they develop them before they are actually and formally  
20 published and released.

21                 However, in this case, you might recall that  
22 there was a briefing by the NAS to the commission, during  
23 which then Chairman Selin expressed a great deal of interest  
24 in the commission receiving a copy of hte report once it had  
25 undergone peer review and was on route to being published.

1           As a follow up to that, there was apparently some  
2 telephone discussion between the Institute of Medicine at NIS  
3 and the chairman or the chairman's office. Ultimately, a  
4 letter was sent from the chairman to IOM, as sort of a follow-  
5 up to that conversation in essence thanking them for making a  
6 copy of that available to us.

7           Now we have had some ongoing discussions amongst  
8 ourselves and with the EDO's office, that we try to plan to  
9 receive this and process it. There has been some confusion as  
10 to just what was going to be.

11           In my understanding of it within the last day or  
12 two, in fact talking to Pat, is that once it is available or  
13 it is completed, it's undergone peer review. Carl Paperiello  
14 and Pat Rathbun will have the opportunity to read it. That  
15 within 10 days, we will receive a confidential copy of it.  
16 That is our current working understanding.

17           Contractually, they are obligated to provide us  
18 with a report on or about 5 January of 1996. So at this point  
19 in time, I think it is fair to say that we anticipate seeing a  
20 copy of it and we'll have a chance to look at it  
21 confidentially some time I would assume in November I would  
22 think.

23           CHAIRMAN SIEGEL: One concern I have is that if  
24 we are planning on meeting on February 21, 22, and now maybe  
25 an additional day even added on for training, experience, and

1 that meeting is going to include an analysis of the document  
2 and the commission briefing by this committee, that --

3 MR. CAMPER: We have a couple issues there.  
4 Let's think that through.

5 CHAIRMAN SIEGEL: That's going to be quite a  
6 challenge.

7 MR. CAMPER: Our plan is not to provide it to the  
8 committee until we have the document and it is available for  
9 public dissemination. We have not discussed or given any  
10 consideration to, nor I'm sure that we could frankly. If we  
11 are provided with a copy at all, and if it's confidential,  
12 that we could provide it to the committee.

13 CHAIRMAN SIEGEL: Right. I understand that.

14 MR. CAMPER: So our plan has been to get it to  
15 the committee as promptly as possible once it is published.  
16 The meeting on the 21st and 22nd was a meeting that was  
17 designed to discuss the NAS report and the staff's analysis as  
18 it exists at that time of the report. I don't think that we  
19 had actually considered, but we certainly could, whether or  
20 not that two-day session on the 21st and 22nd of February  
21 would also include a briefing of the commission by the  
22 committee. You may or may not be prepared to do that at that  
23 point.

24 CHAIRMAN SIEGEL: I am not certain we would be.  
25 Do you all not have a commission briefing scheduled for that

1 time frame?

2 MR. CAMPER: Well, what we intend -- I was going  
3 to go ahead. What our thoughts were was we have hte working  
4 session on 21, 22 February. Consistent with our earlier  
5 discussion today, possibly we would add a third day onto do  
6 the first work on the T&E stuff.

7 We are currently scheduled to brief the  
8 commission the last week of March. That would be our annual  
9 briefing to the commission on the medical use program. Of  
10 course obviously this year it is going to be all about the  
11 staff's reaction to and so forth and so on, to the NAS.

12 We had planned, Barry, as part of that to dial in  
13 the ACMUI to participate in that briefing in a fashion as we  
14 have previously. Now that could either be a situation where  
15 you would represent the committee or select members of the  
16 committee could represent, or even the committee as a whole  
17 for that matter. But that is something we have to talk about.

18 CHAIRMAN SIEGEL: Okay. I misunderstood. That's  
19 fine. We'll have plenty to do in February just to talk about  
20 hte document. If we take on this training stuff, we could  
21 meet for days on end.

22 MR. CAMPER: Yes. That's my guess. I doubt if  
23 the committee really would be prepared to brief. But we  
24 certainly have to make sure that that opportunity exists,  
25 whether it's with our annual briefing or even a stand-alone



1 briefing.

2           CHAIRMAN SIEGEL: All right. We have one  
3 remaining order of business for today, unless anybody else has  
4 business that I'm not aware of. That is, we have to figure  
5 out what time we are starting tomorrow.

6           The Federal Register notice says the meeting  
7 starts at 8:30. The agenda shows a closed session from 8:30  
8 to 9:30. The closed session was not noticed in the Federal  
9 Register, therefore we could start at 8:30 or if it's our  
10 pleasure, we could start at 9:30. I talked first with Larry  
11 and subsequently with Torre. I think the conclusion that  
12 Torre and I have reached is that we have wiggle room on that.  
13 We could go either way. So what is the committee's pleasure?  
14 An extra hour of sleep?

15           MEMBER QUILLIN: 8:30.

16           CHAIRMAN SIEGEL: Versus getting out of here  
17 sooner.

18           MEMBER WAGNER: 8:30.

19           MR. CAMPER: Well, the only concern I have about  
20 8:30, and I understand why --

21           CHAIRMAN SIEGEL: Is the presenters may not be  
22 here.

23           MR. CAMPER: Well not only that. Well that and  
24 if anyone was here today who intends to come tomorrow and they  
25 saw the agenda, they would not come at 8:30 because of the

1 closed session. They would show up at 9:30.

2 CHAIRMAN SIEGEL: So I'm actually inclined -- I  
3 don't think we are going to go over tomorrow's planned agenda.  
4 I know it's not going to go too late because I have to give a  
5 lecture at the Naval Hospital tomorrow afternoon and I plan to  
6 be there. So I think we probably need to opt for 9:30 as a  
7 start. Then we'll still plan to get out of here on time or  
8 ahead of schedule.

9 MEMBER QUILLIN: If we say 9:00, we may start at  
10 9:30.

11 CHAIRMAN SIEGEL: No. We started this morning  
12 only a couple minutes late. Do you have an earlier plane you  
13 would like to catch? That's okay if you do. I suppose we  
14 could start at 9:15 and deal with administrative matters.

15 MS. TAYLOR: Dr. Siegel, the other option, we  
16 could do the industrial issues -- (inaudible) --

17 MR. CAMPER: I suspect that members of the public  
18 would be here by 9:15. So we can go in that window, that 9:15  
19 to 9:30 window.

20 CHAIRMAN SIEGEL: All right. Let's plan on  
21 starting at 9:15 tomorrow. So everybody gets a slightly more  
22 relaxed breakfast tomorrow. Then we can start with the  
23 regular agenda in all likelihood. We are closed for today.

24 (Whereupon, at 4:38 p.m. the proceedings went off  
25 the record.)

1

2

3

4