

1 concerns, and there was a hint of efficacy in the dose  
2 that we chose. The dose of 8 milligrams a day came from  
3 our experience with using this medicine in inflammatory  
4 bowel disease. We took the same dose to graft-versus-  
5 host disease. It appeared effective, and we carried  
6 this dose forward through the two randomized trials.

7 Study 815 was completed with help from the  
8 FDA's Orphan Drug Products Division. The inclusion  
9 criteria in this protocol included allogeneic  
10 transplant, signs and symptoms of GVH, that is:  
11 anorexia, nausea, vomiting, or diarrhea. All patients  
12 had to have biopsy-proven GVH of the mucosa obtained at  
13 endoscopy.

14 They also had to have absence of infection at  
15 baseline, that is, stool cultures and endoscopic biopsy  
16 specimens had to be negative for an infectious process.

17 We excluded patients with severe skin or liver  
18 GVH or patients with high-volume diarrhea. The normal  
19 diarrheal volume in Western countries is about 200  
20 milliliters a day. One liter is a lot.

21 We excluded patients because these are  
22 indications of much more severe graft-versus-host

1 disease for which a strategy for minimizing prednisone  
2 seemed inappropriate. We also excluded people who had  
3 received steroids within 30 days.

4 Here is the schema that we followed. It is  
5 very similar in the two trials. There was a screening  
6 period, that is: endoscopy, symptom assessment, the  
7 histology report had to be back, and the 24-hour shell-  
8 vial report for CMV had to come back negative.

9 Patients were then randomized one to one to  
10 either an induction course of prednisone plus BDP or an  
11 induction course of prednisone plus placebo for 10 days.

12 Now, this strategy of an induction course of  
13 prednisone was stolen, if you will, from pulmonology.  
14 When you have an acute inflammatory asthma attack, you  
15 often take prednisone to gain control of the disease and  
16 then maintenance with a beclomathasone inhaler, the same  
17 process for gut GVH.

18 In patients who are doing well at 10 days,  
19 prednisone was very rapidly tapered in both groups, and  
20 so for an additional 20 days BDP or placebo was given,  
21 with a 10-day follow-on period to assess durability of  
22 response. All during this time, however, the GVH

1 prophylaxis that had been begun after transplant was  
2 continued.

3 Just to compare, these are the standard dosing  
4 schedules of prednisone used in the standard of care.  
5 This is the dosing exposure of prednisone in this  
6 protocol. In patients who responded to this and whose  
7 GVH was controlled by BDP, we are avoiding a very large  
8 dose of prednisone exposure.

9 The primary endpoint of this study was GVH  
10 treatment failure through study day 30. We had a hard  
11 endpoint in this study. We had research nutritionist  
12 working seven days a week who could calculate eating as  
13 a percentage of caloric needs.

14 We defined success and we defined failure as  
15 eating less than 70 percent of one's caloric needs, or  
16 if the attending physician thought that the patient  
17 required additional immunosuppressive drugs.

18 The secondary endpoint was GVHD treatment  
19 failure through study day 40. We recorded adverse  
20 events related to the study drug, and we were  
21 particularly interested in infectious complications.

22 One of our concerns was this is such a highly

1 potent topical steroid we would see more infections  
2 rather than less, and thus this was an important safety  
3 endpoint.

4           These are the results of the primary analysis:  
5 placebo arm, 59 percent failures; BDP arm, 29 percent  
6 failures.

7           We have recently done a time-to-event analysis  
8 as that was the specified analysis for the pivotal  
9 trial, and this is the result of that analysis with a P  
10 value of .03.

11           Note that in the briefing document there is an  
12 erroneous time-to-event analysis. These are the  
13 original data prepared by Dr. Ted Gooley who was the  
14 original statistician for Protocol 875.

15           Here is the secondary efficacy endpoint, the  
16 durability of treatment by study day 40, 10 days after  
17 discontinuation of study drug, 83 percent in placebo had  
18 failed compared to 48 percent in BDP arm. Here are the  
19 safety outcomes related to infection, roughly balanced  
20 between the two groups.

21           We concluded that oral BDP significantly  
22 lowered treatment failure rates at the end of the 30-

1 day treatment and 10-day followup. There was a greater  
2 proportion of patients able to eat 70 percent of their  
3 caloric requirements.

4 Now, this is clinically meaningful. This  
5 means that patients can get out of the hospital, can get  
6 off TPN, can go home and they can eat and maintain  
7 nutrition and can maintain oral intake and hydration.

8 There were no significant safety issues, that  
9 is, specifically no difference in the frequency of  
10 infection, and this provided I think a good platform  
11 from which to design the pivotal trial.

12 The pivotal trial had similar inclusion and  
13 exclusion criteria with regard to graft-versus-host  
14 disease. We wanted to capture the exact same kind of  
15 GVH that we had captured in the Phase II trial.

16 We discovered, however, that caloric intake  
17 was not a feasible endpoint in a multicenter trial. Many  
18 centers did not have research nutritionists who could  
19 calculate caloric needs.

20 The advantage of a very hard endpoint, we had  
21 to abandon, but I think we had a very good endpoint in  
22 that relied on very experienced transplant oncologists

1 to make a clinical decision as to whether their patients  
2 needed additional immunosuppressive therapy. GVHD  
3 treatment failure was the need for more therapy for  
4 graft-versus-host disease.

5 We thought that a longer treatment period  
6 might improve the efficacy, and we arbitrarily chose a  
7 50-day treatment period. Practical considerations ruled  
8 here.

9 GVH diagnosis was somewhere around day 30. If  
10 we added 50 days to that, we would get to transplant day  
11 80, and that's the usual landmark by which patients  
12 return home. We didn't want to delay patients in each  
13 of the centers for longer than necessary, and that's why  
14 day 50 was chosen.

15 In meetings with the FDA's Division of  
16 Gastrointestinal and Coagulation Drug Products, it was  
17 pointed out to us that we needed a hard, durable  
18 endpoint, that is, we were told that if the patient  
19 started vomiting and had diarrhea within days of  
20 stopping medicine, they didn't think that would be an  
21 approvable drug.

22 They wanted a robust, durable endpoint

1 demonstrating that the treatment effect could be  
2 maintained, and so we put into the study a 30-day  
3 durability endpoint, 30 days off of study drug. This  
4 protocol was reviewed with the FDA.

5           You have seen this schema. This is the same  
6 scheme as for 875 with two exceptions, screening period,  
7 randomization, prednisone induction, followed by a taper  
8 in patients who are doing well.

9           The treatment period after the prednisone  
10 taper started was 40 days for a total of 50 days  
11 treatment period. Again, the 30-day followup period off  
12 of study medicine, again, the GVH prophylaxis continued  
13 from before to through the transplant.

14           The primary endpoint was a timed GVH treatment  
15 failure through study day 50, that is, unresponsive or  
16 recurrent GVH requiring additional immunosuppressive  
17 drugs.

18           The immunosuppressive drugs chosen were almost  
19 always prednisone. This varied slightly from center to  
20 center. Each transplant oncologist in each center has  
21 their own favorite recipes, but predominantly and almost  
22 universally prednisone.

1 Secondary endpoints included GVHD treatment  
2 failure rates at the following days Karnofsky  
3 performance score, exposure to systemic corticosteroids.

4 The safety endpoints included survival at  
5 200 days post-transplant, again, reflecting our  
6 concern that the treatment may lead to more infections  
7 and a worse outcome, and so this was a safety endpoint  
8 as originally designed. We looked at treatment-  
9 emergent adverse events and all laboratory values  
10 during treatment. In the original design, the planned  
11 sample

12 size was 130 patients. Forty-nine GVH treatment  
13 failures were required to have an 80 percent power to  
14 detect a 55 percent reduction in the risk of GVHD  
15 treatment failure.

16 The randomization was stratified by study  
17 center, donor type, that is, HLA-matched sibling donors  
18 versus all others. Most of the all others were HLA-  
19 matched unrelated donors. In the use of topical  
20 corticosteroids, that is, skin creams containing  
21 corticosteroids at baseline, yes/no.

22 The statistical analysis plan was amended



1 prior to the database lock. The primary analysis would  
2 be stratified by donor type only. There were so few  
3 patients who were receiving topical corticosteroids,  
4 that this was not included.

5 The primary analysis for time-to-event  
6 endpoints was using the Kaplan-Meier method and a  
7 stratified log-rank test at the 5 percent significance  
8 level.

9 There are, as you well recognize from reading  
10 the briefing document, statistical issues with this  
11 trial. The overall false-positive error rate was spent  
12 on the primary endpoint whose P value was .118.

13 There was no adjustment to the significance  
14 levels. Retrospective adjustment of significant levels  
15 for an analysis of secondary endpoints is considered not  
16 meaningful once the results are known.

17 Given the clinical importance of the secondary  
18 endpoints in this study and the post-hoc survival  
19 analyses, we are reporting these results to aid your  
20 review of the data. These inferential results have not  
21 been adjusted for multiplicity.

22 Here are the patient characteristics of the

1 pivotal trial. Sixty-seven patients were randomized to  
2 placebo versus 62 to BDP. These are mainly young  
3 adults. There were some children in this trial as in  
4 the previous trial.

5 The diagnoses were fairly evenly matched.  
6 There were two imbalances between the two groups.  
7 Patients with hematologic malignancy who were at higher  
8 risk of relapse post-transplant comprised 65 percent of  
9 patients in the BDP versus 43 percent of patients in  
10 placebo.

11 Also non-myeloablative conditioning was given  
12 to 42 percent in BDP versus 22 percent in placebo. I  
13 should point out that non-myeloablative conditioning was  
14 at the time of these studies and even currently reserved  
15 for patients who were not eligible for myeloablative  
16 therapy by virtue of advanced age and comorbidities. In  
17 other words, these patients were sicker in general and  
18 older than our myeloablative patients.

19 Sixty-four and sixty-three percent were  
20 matched sibs. Bone marrow was the source of  
21 hematopoietic progenitor cells in a minority of  
22 patients. Peripheral blood stem cells had marched to

1 the fore by the time this study was done. Most patients  
2 were randomized from day 30 to 40.

3 My transplant oncology colleagues at the  
4 Hutchinson Center assigned on the basis of the  
5 literature the higher-risk versus the lower-risk  
6 underlying diseases by disease stage at the time of  
7 transplant.

8 The primary efficacy endpoint, the time to  
9 GVHD treatment failure through study day 50 is given  
10 here. There were 30 failures in the placebo arm versus  
11 18 failures in the BDP arm.

12 The Kaplan-Meier estimates of study day 50  
13 were 48 percent failures versus 31 percent failures.  
14 Giving a hazard ratio of 0.63, that is a 37 percent  
15 reduction in the risk of GVHD treatment failure. The  
16 stratified log-rank test was .118 and there was no  
17 interaction with the primary stratification variable,  
18 that is, the donor source.

19 This is the Kaplan-Meier plot of that exact  
20 same data. Placebo given here, BDP here. I'm going to  
21 come back to this because I want you to note that the P  
22 value and the hazard ratio is largely driven by early

1 treatment failures, that is, there were more treatment  
2 failures in the BDP arm while on prednisone-induction  
3 therapy than in the placebo arm. I will return to this  
4 issue because it is what drives the P value.

5 The secondary efficacy endpoint, this is the  
6 one that the FDA requested as being the durability of  
7 treatment effect. That is study day 80: 39 failures in  
8 placebo, 22 failures in BDP.

9 The Kaplan-Meier estimate, 65 percent failure  
10 rate for placebo; a 39 percent failure rate for BDP;  
11 hazard ratio, .54; 46 percent reduction in the hazard of  
12 treatment failure; significant at the .02 level. Also,  
13 an interaction test with the strata of donor source was  
14 not significant.

15 This is the Kaplan-Meier plot of the day 80  
16 time-to-treatment failure endpoint. I include the value  
17 for the day 50 endpoint to emphasize that these things  
18 are obviously linked. These are not independent  
19 variables. Again, this was the treatment period, this  
20 was the 30-day followup period.

21 We looked at cumulative corticosteroid doses  
22 in this graphic. On the vertical axis is the median

1 prednisone dose in milligram per kilogram by study day  
2 50, placebo versus BDP. This is not statistically  
3 significant.

4 Included in these data are the treatment  
5 failures and the treatment successes. Similarly, at  
6 study day 80 placebo versus BDP, this lumps success and  
7 failure.

8 The systemic corticosteroid dose by day 50  
9 outcomes, looking at cumulative dose here by treatment  
10 failure, this I think documents the obvious. People who  
11 were treatment failures have much more steroid exposures  
12 than people who were treatment successes. The average  
13 daily dose much higher in the failures than in the  
14 successes.

15 Coming back to the original expectations, I  
16 think we have demonstrated the BDP maintains GVHD in  
17 remission. That robust day 80 endpoint to me is very  
18 compelling evidence that we have done this.

19 In patients who are treatment successes, we  
20 clearly reduced prednisone exposure. The next question  
21 is, have we achieved any of the expected downstream  
22 effects of avoiding prednisone?

1           This is survival at transplant day 200.  
2       Seventy-six percent in the placebo arm, 92 percent in  
3       the BDP arm, significant at the .01 level. There was a  
4       significant interaction with the randomization strata of  
5       matched sibling versus others.

6           The causes of death: there were 16 deaths in  
7       the placebo arm, 5 deaths in the BDP arm. The proximate  
8       causes of death was relapse of the underlying  
9       malignancy, infection, and some patients with graft-  
10      versus-host disease.

11          Now, there is a problem here with the day 200  
12      endpoint. Some of the patients were randomized, and  
13      I'll show you this data, relatively close to the day 200  
14      time period, which is the hallmark for transplant  
15      morbidity related to the early events of transplant.  
16      That data is shown here.

17          This is the percentage of patients and the  
18      time from transplant to the day of randomization. I  
19      showed you earlier the median is day 35 to day 37, but a  
20      small number of patients have GVH that appeared later  
21      and were randomized later, close to the day 200.

22          In discussion with the Agency, we were asked

1 to provide a time from randomization analysis, and I  
2 will show you that next. Here is survival one year  
3 post-randomization, not post-transplant but post-  
4 randomization.

5 The BDP arm here, the placebo arm here, a 46  
6 percent reduction in the risk of mortality one year  
7 following randomization, significant, and a suggestive  
8 interaction with the strata.

9 Twenty-eight patients died in the placebo arm,  
10 18 died in the BDP arm. Just as at day 200, relapse and  
11 infection were the dominant causes of death.

12 This is overall survival to the current,  
13 recent time. Notice that we have very good survival  
14 data out to, roughly, 18 months and there are a lot of  
15 censored observations.

16 This plot was requested by the Agency, and  
17 therefore it is not event-driven; it is request-driven,  
18 if you will. There are relatively few events. We  
19 viewed this as a premature long-term survival analysis.

20 The causes of death: 32 died in the placebo  
21 arm, 27 in the BDP arm, relapse and infection the  
22 dominant causes of death. I would point out that these

1 late deaths, beyond one year following transplant, are  
2 largely unrelated to the problem of acute graft-versus-  
3 host disease.

4 Acute graft-versus-host disease, our desire  
5 was to keep more people alive one year after transplant.

6 We achieved that. These late effects reflect the  
7 biology of the underlying disease and they reflect  
8 chronic graft-versus-host disease and its  
9 immunosuppression.

10 Now, we have done additional supplemental  
11 analyses driven by the data and the interaction signals  
12 that we got from the analysis. We wanted to assess  
13 these early treatment failures, which profoundly affect  
14 the primary endpoint.

15 We wanted to look at the impact of baseline  
16 factors on the BDP effect, that is, survival at one  
17 year. We were pleasantly surprised to see these  
18 survival data. This was expected.

19 We thought that we would achieve better  
20 outcomes, but we wanted to be sure that confounding  
21 variables, that is, the other things that might have  
22 contributed to mortality weren't responsible for this



1 survival effect.

2 We did subgroup analyses looking at  
3 conditioning regimen and donor type driven by the signs  
4 of interaction of the BDP effect to conditioning and  
5 donor type.

6 I want to display to you the BDP effect on  
7 survival from the earlier randomized trial versus the  
8 pivotal trial as a qualitative comparison between the  
9 two. This is not a meta-analysis, this is showing  
10 consistency of survival effect.

11 First, the treatment failure. Here is the  
12 reason for treatment failure in the first 10 days in  
13 placebo versus BDP, 8 patients BDP, 4 patients placebo,  
14 most of them for either persistent or recurrent or worse  
15 GI symptoms. In the judgment of the transplant-  
16 attending physician at each of the sites, this judgment  
17 was made that additional prednisone was needed.

18 Here is an analysis of the impact of baseline  
19 factors on BDP on mortality at one year post-  
20 randomization. These are data that I have shown you  
21 previously, the effect of BDP on mortality with a hazard  
22 ratio of .54.

1           One by one we added covariates into the model  
2           to get these sorts of data. Here is BDP with a  
3           covariate higher risk of relapse, essentially not  
4           increasing and not affecting the hazard ratio.

5           Here is BDP with the covariate non-  
6           myeloablative conditioning therapy -- not affecting the  
7           hazard ratio, not increasing the hazard ratio, that is,  
8           the BDP effect was still apparent.

9           Age; gender; bone marrow as a cell source as  
10          opposed to peripheral blood stem cells; the center, many  
11          of the patients, 40-some, 46 percent were done at the  
12          Hutchinson Center and multiple other centers contributed  
13          the rest; and the Karnofsky score.

14          Now, there was a significant interaction with  
15          the treatment assignment for non-myeloablative  
16          conditioning therapy, so we drilled down into this to  
17          see what that data looked like.

18          This is an analysis of the interaction between  
19          treatment of conditioning regimen, conditioning  
20          regimen's here, non-myeloablative versus myeloablative;  
21          proportion alive, 73 percent survival in the BDP group;  
22          20 percent survival in the placebo group. I interpret

1 this as suggesting that in the placebo arm this sicker  
2 group of patients were profoundly affected by higher  
3 prednisone exposure.

4 In the myeloablative in terms of the survival  
5 endpoint, there was no difference overall stratified by  
6 conditioning regimen, 71 versus 58 percent.

7 This is the second interaction between donor  
8 type and treatment effect. You recall that the day 200  
9 analysis of survival showed a significant interaction,  
10 and this was close enough to warrant this deeper  
11 analysis.

12 Donor type: unrelated and HLA mismatched here,  
13 matched siblings here. Sixty-five percent survival in  
14 BDP, 38 percent survival. In the placebo, there was an  
15 effect in matched siblings, but it was smaller. The  
16 overall, 71 versus 58 stratified by donor type.

17 Here is the slide demonstrating the  
18 consistency between the two randomized trials. This is  
19 not a pooled analysis. Mortality at transplant day 200,  
20 the odds ratio in favor of BDP in the pivotal trial,  
21 .29; in the previous randomized trial, .34.

22 By one year post-randomization, .54; a .55

1 hazard ratio. That is, a 46 and 45 percent reduction in  
2 the risk of mortality one year after randomization;  
3 overall, .71 and .47. I call to your attention these  
4 long-term survivals. There is no power to detect a  
5 significant difference.

6 I am now going to turn to the Safety Database  
7 for the pivotal trial. The number of patients evaluable  
8 from a safety perspective is listed here. Here are the  
9 trials in patients with GVHD. Here are normal  
10 volunteers, 177 patients total.

11 The adverse events in the pivotal trial are  
12 listed here. I guess the take-home message is there is  
13 not much difference between BDP and placebo for  
14 treatment-related AEs, SAEs, treatment-related SAEs,  
15 discontinuation of study drug, or those who died on  
16 treatment or within 30 days of the last dose.

17 These are adverse events occurring in greater  
18 than 50 percent of patients in the BDP group with a  
19 frequency that was numerically higher than in the  
20 placebo group.

21 For evaluation of safety, 66 patients on  
22 placebo, 61 in BDP. One patient in each group never

1 received study drug, but they are included in the  
2 survival analysis on an intent-to-treat basis.

3 Of some note, fatigue, hypocalcemia,  
4 hypophosphatemia, and muscle cramps were marginally were  
5 common in the BDP group. But when we looked carefully  
6 at all of the laboratory abnormalities related to  
7 calcium phosphate and the electrolytes that could lead  
8 to muscle cramps, there was no difference between the  
9 two groups.

10 The serious adverse events reported at greater  
11 than 5 percent frequency in either group: there were 44  
12 of such in the placebo; 40 in the BDP. The most common  
13 were: underlying disease; fever; bacteremia; hypoxia,  
14 six in the placebo arm, none in the BDP arm; and nausea.

15 Adverse events possibly related to  
16 corticosteroid exposure or adrenal insufficiency,  
17 66 versus 61: fatigue, hypertension, muscle cramps,  
18 cushingoid habitus, peripheral edema were marginally  
19 more common in the BDP arm. Note that cushingoid  
20 habitus was a relatively rare finding in either arm. We

21 did HPA axis elevation. One safety  
22 concern about this drug, because it is an incomplete

1 glucocorticoid, it may suppress the adrenal axis.  
2 This is the biochemical evidence that indeed this  
3 topical steroid does have some adrenal axis  
4 suppression. A fairly large number of people had  
5 abnormal adrenal axis tests at baseline. At study day  
6 50, 58 percent of people on placebo and 86 percent on  
7 BDP had abnormal tests.

8           Using a more modern, up-to-date version of  
9 what constitutes adrenal hyporesponsiveness, those data  
10 are 57 and 77 percent. I point out that 23 percent of  
11 the treatment successes in the BDP arm had normal  
12 adrenal responsiveness to ACTH .

13           We may wish to go into this, but I think the  
14 point is the amount of systemic metabolite of BDP does  
15 have some effect on the adrenal axis, but there is  
16 really no clinical consequences that we were able to see  
17 from this biochemical suppression.

18           Here are the infections by treatment arm.  
19 Number of patients with infectious AEs, 40 in the  
20 placebo, 31 in the BDP group. Fungal infections were  
21 notably more common in the placebo arm including 4  
22 patients with deep fungal infections and none in the BDP

1 arm.

2           Viral infections, particularly cytomegalovirus  
3 and cytomegalovirus antigenemia were more common in the  
4 placebo arm, consistent with that slide that I showed  
5 you earlier about T-cell immune responses to antigens in  
6 people on prednisone.

7           Bacterial infections were, roughly, equal  
8 between the two groups. There was one case of nocardia  
9 in the placebo group and one case of MAI in the BDP  
10 group. We have also pulled out of the AE reporting what  
11 I call infection syndromes without specific organisms.

12           Respiratory infections were more common. Lung  
13 infiltrates presumably reflecting either bronchiolitis  
14 obliterans or an infectious pneumonia that occurred in  
15 seven patients on placebo and none in BDP.

16           Laboratory analysis revealed no meaningful  
17 differences in laboratory values between the treatment  
18 groups and no differences between groups in laboratory  
19 values associated with corticosteroid excess or  
20 deficiency, that is, electrolytes and glucose. The  
21 analyses showed virtually identical values between the  
22 two groups.

1           The summary of clinical trial results I will  
2     give you. In patients with GI graft-versus-host disease  
3     an induction course of prednisone plus oral BDP resulted  
4     in durable, clinically meaningful reductions in GVHD  
5     treatment failure.

6           In Study ENT 00-02, this was a 37 percent  
7     reduction in the risk treatment failure by study day 50  
8     and a 46 percent reduction by study day 80. The previous  
9     randomized, placebo-controlled trial, 71 percent  
10    reduction in the risk of treatment failure by the end of  
11    the treatment period, 80 percent reduction by the end of  
12    the followup period.

13           The survival data shows that patients with GI  
14    GVHD randomized to BDP had meaningful reductions in  
15    mortality. In the pivotal trial, a 46 percent reduction  
16    in mortality by one year post-transplant.

17           This is the landmark for the end of the  
18    immunologic hostilities and all of the immunosuppression  
19    associated with GVHD. We consider this a highly  
20    relevant, clinically meaningful endpoint to the  
21    treatment of acute graft-versus-host disease.

22           Importantly, the BDP effect was not diminished



1 by any of the covariates. None of the competing causes  
2 of mortality affected the BDP impact on mortality at one  
3 year post-randomization.

4 Study 875 had a 45 percent reduction in  
5 mortality by one year post-transplant, with complete  
6 followup. These are remarkably similar to one another,  
7 and I think demonstrate the original hypothesis that  
8 lower exposure to prednisone would improve outcomes.

9 Safety in patients with GI GVHD randomized to  
10 BDP, the frequency adverse events was not notably  
11 different between placebo and BDP. There was  
12 biochemical but not clinical evidence of HPA axis  
13 suppression.

14 Finally, an analysis of the benefit/risk  
15 ratio, this was my original premise 16 years ago. I  
16 propose to you that I think it's come true. I think our  
17 data supports that BDP maintains GVHD in remission.  
18 There is clearly decreased prednisone exposure in  
19 patients who were not treatment failures. There were  
20 decreased prednisone adverse effects.

21 We did not specifically look at immune  
22 function, but the outcome data I think strongly suggests

1 that we have avoided much of the prednisone adverse  
2 event exposure. I think we have demonstrated better  
3 outcomes in terms of survival at one year post-  
4 randomization.

5 Oral BDP addresses an unmet medical need. The  
6 control of GVHD without protracted exposure to high-  
7 dose prednisone. The clinical benefit from control of  
8 GVHD, avoidance of prednisone exposure and improved  
9 survival were not accompanied by meaningful safety  
10 concerns.

11 I want to come back to avoidance of prednisone  
12 exposure. You know, it's not just the survival  
13 endpoint, what patients remember most about prednisone  
14 is how awful they feel on prednisone.

15 If one can avoid that, I view that as a  
16 substantial patient benefit. I think thus the risk-to-  
17 benefit ratio is strongly in favor of benefit to this  
18 very ill population of patients.

19 To reiterate the proposed indication, OrBec is  
20 indicated for the treatment of graft-versus-host disease  
21 involving the gastrointestinal tract in conjunction with  
22 an induction course of high-dose prednisone or

1 prednisolone.

2 I want to conclude maybe with a little bit of  
3 experiential disclosure here. I've been taking care of  
4 transplant patients for 30 years. The real tragedy is  
5 when someone develops this sort of graft-versus-host  
6 disease after a potentially curative procedure with  
7 underlying hematologic malignancy, and then dies not of  
8 the graft-versus-host disease but dies of the therapy.  
9 That is an absolute tragedy.

10 I propose to you that oral BDP really  
11 addresses this issue head on. We get better results in  
12 the treatment of this kind of graft-versus-host disease  
13 than with placebo.

14 Thank you.

15 CHAIRPERSON HUSSAIN: Thank you, Dr. McDonald.  
16 Dr. Scher will start the FDA discussion.

17 FDA PRESENTATION

18 CLINICAL REVIEW (PowerPoint  
19 presentation is in progress.)

20 DR. SCHER: Good afternoon. I'm Dr. Nancy  
21 Scher. I shall present the FDA clinical review. I  
22 will discuss the two randomized trials, Study ENT 00-

1 02, which I will refer to as "02" and Study 875.

2 I shall mention the post-hoc efficacy  
3 endpoints and discuss clinical concerns about pooling  
4 efficacy data from the two trials. The FDA Statistical  
5 Reviewer, Dr. Sun-Mitchell, will then discuss the  
6 efficacy results and the statistical analysis. I shall  
7 return briefly to discuss the safety issue and  
8 summarize.

9 As you have heard OrBec is an oral  
10 corticosteroid, and we have heard the proposed  
11 indication. Study 02 was a multicenter, randomized,  
12 double-blind, placebo-controlled trial conducted from  
13 2001 to 2005.

14 The primary efficacy endpoint was time-to-  
15 treatment failure through day 50. Secondary endpoints  
16 include the cumulative proportion of treatment failures  
17 by certain study dates. Safety endpoints included  
18 adverse events, mortality at day 200 post-transplant and  
19 hypothalamic-pituitary-adrenal," or HPA," axis function.

20 For Study 02 the populations were generally  
21 balanced for baseline demographics. The entry  
22 criteria were defined so that the study population

1 would be similar in manifestations of GI GVHD, but  
2 there was no attempt to limit or stratify  
3 prospectively for underlying hematologic diagnosis for  
4 relapse risk. From this point of view, the population  
5 was heterogeneous. Nearly half of the patients were from  
6 a  
7 single center. There was an imbalance for  
8 conditioning regimen between the two study arms. As  
9 you have heard, 42 percent of BDP patients received a  
10 non-myeloablative regimen compared with 22 percent of  
11 placebo patients. Clinical Trial 875 was a single-site,  
12 randomized, double-blind, placebo-controlled trial  
13 with an oral caloric primary endpoint. It was  
14 completed in 1996. Again, the population was  
15 heterogenous as far as underlying hematologic  
16 diagnoses. Demographics were fairly well-balanced. The  
17 next series of slides shows similarities  
18 and differences of design in patient populations for  
19 Trial 02 and 875. Study 02 required that patients have  
20 Grade II GI GVHD. The classification based on papers  
21 published in the literature in 1995 and 1998.  
22 Involvement of skin or liver could not be greater than

1 Grade II.

2 For Study 875, GI eligibility were similar,  
3 but the differences were that patients could have no  
4 liver GVHD and no skin GVHD greater than 50 percent  
5 involvement.

6 The allograft source varied in the two trials.

7 Peripheral blood stem cells was the source in 90  
8 percent of patients in 02, but only 20 percent of the  
9 patients in Study 875, reflecting changes in practice  
10 over nearly a decade separating the trials.

11 Similarly, there were differences in the  
12 percent of patients with non-myeloablative conditioning  
13 regimens as you have heard. Study 02 randomization was  
14 stratified as to center, allograft source, and topical  
15 steroids or not; 875 was stratified by baseline oral  
16 caloric intake.

17 Study drug daily dosing regimens were similar;  
18 however, the duration of study drug therapy was 50 days  
19 for 02 and 30 days for 875. There were different  
20 formulations used in the studies, tablets in 02 and  
21 capsules in 875. The Applicant has not provided data  
22 regarding bioequivalence of these preparations.

1           On the slide, "IR" stands for "immediate  
2 release" and "EC" stands for "enteric-coated." In 875,  
3 the "C" following these designations stands for  
4 "capsule."

5           As you have heard, patients were started on  
6 high-dose prednisone, and if GVHD were controlled, rapid  
7 taper was started after 10 days to different maintenance  
8 doses in the two trials.

9           It should be noted that the first 16 patients  
10 in Study 02 were treated with prednisone, 2 milligram  
11 per kilogram and tapered to 0.125. This was changed by  
12 amendment of the study due to the high incidence of HPA-  
13 axis inhibition associated with the regimen.

14           For 02, since the primary endpoint was time-  
15 to-treatment failure by day 50, the plan duration of  
16 followup was 80 days, 30 days after completion of study  
17 therapy. For this trial, data for survival and cause of  
18 death were to be obtained by telephone contact day 200  
19 post-transplant as a safety endpoint.

20           For 875, since the primary endpoint was oral  
21 caloric intake by day 30, followup was specified through  
22 day 40.

1                   For both trials important data were obtained  
2 retrospectively after the trials were completed. For  
3 both trials post-hoc analyses were done of survival one  
4 year post-randomization and overall survival post-  
5 randomization.

6                   We have heard the presentation today as less  
7 pooling and more as comparison, but I will mention some  
8 of the issues with pooling efficacy data in this  
9 context.

10                   There are some clinical difficulties with this  
11 approach. Dr. Sun-Mitchell will have more to say in her  
12 presentation. In general, there are differences between  
13 the two trials in design, therapy regimen and enrolled  
14 populations.

15                   Among these are differences in treatment  
16 duration and prespecified followup. Significant  
17 advances in transplant procedures and supportive care  
18 during nearly a decade separating the trial, among other  
19 things this has resulted in differences in the  
20 proportion of patients receiving non-myeloablative  
21 conditioning regimens, none of course in the earlier  
22 trial, and also a greater proportion of patients



1 receiving peripheral blood stem cells as allograft  
2 source versus bone marrow in the later versus the  
3 earlier trial.

4 At this time, Dr. Shan Sun-Mitchell will  
5 present the efficacy results and statistical analysis  
6 for FDA.

7 STATISTICAL CONSIDERATIONS

8 (PowerPoint presentation is in progress.)

9 DR. SUN-MITCHELL: Thank you. Dr. Scher  
10 presented the clinical review and some of our concerns  
11 regarding the implications. I'm here to address some of  
12 the statistical issues.

13 As Dr. Scher has already mentioned earlier,  
14 the primary endpoint for Study ENT 00-02 was treatment  
15 failure through day 50. This table shows the Sponsor's  
16 analysis report of the primary endpoint. FDA agrees with  
17 Sponsor's result.

18 The primary treatment comparison for the  
19 primary endpoint was not statistically significant with  
20 P value of .118 by stratified log-rank test, that is BDP  
21 that was not statistically significant, better than  
22 placebo.

1           As presented by Dr. Scher, after failing the  
2 primary endpoint the Sponsor considered multiple  
3 prespecified and nonspecified endpoints and conducted  
4 multiple analysis for Study ENT 02, Study 875, as well  
5 as for both studies combined.

6           These multiple endpoint analyses are  
7 considered only as exploratory, since the study failed  
8 the primary endpoint, and, hence, any further analyses  
9 will only increase a false-positive error rate.

10           This slide shows three post-hoc endpoints  
11 presented by the Sponsor as described by Dr. Scher in  
12 her presentation. Survival at day 200 post-transplant  
13 as an endpoint which was collected as a safety endpoint  
14 is based on the timing of the transplant not on date of  
15 randomization or study drug administration.

16           The time of onset of GVHD may be influenced by  
17 the conditioning regimen and there is an imbalance  
18 between the treatment arms with more patients in the BDP  
19 receiving non-myeloablative conditioning; hence, this is  
20 not a valid endpoint for the purpose of treatment  
21 comparison.

22           The two other survival endpoints, that is,

1 survival at one year post-randomization and overall  
2 survival post-randomization were not prespecified for  
3 either trial.

4 The followup of all patients for survival was  
5 not planned uniformly. Any subsequent therapy or other  
6 conditions that may inference survival were not  
7 augmented.

8 Using survival at one year post-randomization  
9 as one of the survival endpoints, the Sponsor conducted  
10 a post-hoc exploratory analysis for Study ENT-002.  
11 Sponsor's P value cannot be compared with .05, since the  
12 study failed on primary endpoint. All the allocated  
13 Type 1 error rate has been completely spent.

14 After failing the primary endpoint, the  
15 Sponsor performed many post-hoc analyses. Some of the  
16 problems with post-hoc analyses are outlined here. Study  
17 ENT 02 failed to demonstrate efficacy of the BDP  
18 treatment based on the primary endpoint.

19 Survival at one year is an arbitrary cutoff  
20 endpoint. There was no uniform followup for patients  
21 post-study treatment. Any post-treatments or other  
22 conditions may influence survival were not captured.

1 Hence, there exists a potential for bias in the  
2 subsequent analysis based on one-year survival and  
3 overall survival post-randomization endpoints.

4 All post-hoc analyses are considered  
5 exploratory since there is no time for an error left for  
6 further testing, and any subsequent analysis can only  
7 inflate the Type I error rate.

8 The Sponsor also performed post-hoc analysis  
9 by combining the two dataset from Study ENT 00-02 and  
10 875 and submitted for review for a claim of efficacy.  
11 The Agency disagrees with the method of pooling two  
12 datasets, the reasons are as follows:

13 First, the two trials are not concurrent. As  
14 Dr. Scher pointed out in her presentation, the Study 875  
15 was completed almost 10 years before the completion of  
16 the study ENT 02. Supportive care has changed during  
17 the decade between the two trials.

18 Secondly, the study designs for the two trials  
19 were different. Study ENT 02 was a multicenter study  
20 while Study 875 was a single-center study.

21 Next, primary objectives and study endpoints  
22 were different in the two trials as discussed by Dr.

1 Scher.

2           Next, the stratification factors for two  
3 trials were different. The stratification factors for  
4 Study ENT 02 were: (a) study center; (b) donor type; and  
5 (c) topical corticosteroid use. The only stratification  
6 factor for Study 875 was degree of anorexia, caloric  
7 intake.

8           Next, the original treatment time for patients  
9 was different between the two trials. The treatment  
10 here for ENT 02 was 50 days while treatment period for  
11 Study 875 was 30 days. In addition, duration of  
12 followup was different in the two studies.

13           Next, the enrolled populations for the two  
14 trials were different. As Dr. Scher pointed out in her  
15 presentation, some of the major differences in  
16 population are sources of transplant, conditioning  
17 regimens, and dosing schedules.

18           Finally, the followup on all patients for the  
19 retrospectively collected survival endpoint were not  
20 planned. Any subsequent therapy or other conditions  
21 were not documented.

22           Here are the guidelines regarding pooling data

1 and meta-analysis. ICH E9 states that when used for the  
2 purpose of claiming efficacy the meta-analysis should  
3 have its own prospectively written protocol.

4 The EMEA points to consider also states that  
5 "Prerequisites for a retrospective meta-analysis to  
6 provide sufficient evidence for a claim include -- some  
7 studies clearly positive--."

8 "A retrospective meta-analysis of only two  
9 studies originally intended to stand on their own is not  
10 expected to add any useful information."

11 The Sponsor also performed post-hoc  
12 exploratory analyses for the overall survival post-  
13 randomization as one of the endpoints in Study ENT 02  
14 and Study 875. The results for both trials are listed  
15 in the table.

16 As we have discussed before, Study ENT 02  
17 failed on the primary endpoint. Here the post-hoc  
18 exploratory analysis on survival endpoint for both  
19 trials also failed to show the statistical significance  
20 of the BDP treatment arm over the placebo arm.

21 Therefore, the methods of pooling data from  
22 two failed studies are not acceptable as recommended in

1 the guidelines ICH E9 and EMEA 2001 regarding pooling,  
2 meta-analysis presented earlier.

3 I will now summarize my presentation. The  
4 Registration Study ENT 02 did not show a statistically  
5 significant difference between BDP and placebo with  
6 respect to the primary endpoint of this study.

7 Because the study failed on primary endpoint,  
8 the Type I error rate that is allocated for the study  
9 was completely spent. Any subsequent analysis of  
10 prespecified and non-prespecified endpoints can only  
11 increase the false-positive error rate.

12 The Sponsor had conducted multiple analysis of  
13 several endpoints without adjustment of Type I error  
14 rate. One of the survival endpoints, that is, survival  
15 at day 200 post-transplant, is not a valid endpoint for  
16 the purpose of efficacy comparison between the BDP that  
17 arm and placebo arm.

18 The time of the onset of GVHD may be  
19 influenced by the conditioning regimen, and there is an  
20 imbalance between the treatment arms with more patients  
21 in BDP arm receiving non-myeloablative conditioning  
22 regimen.

1 Pooling/meta-analyses of combining the  
2 datasets into two studies are not acceptable due to  
3 major differences between the two studies.

4 Thank you for your attention. Dr. Scher will  
5 now continue and conclude the FDA's presentation.

6 SAFETY ISSUES AND SUMMARY

7 DR. SCHER: Thank you. The Applicant states  
8 that OrBec is a topical corticosteroid and its use has  
9 the potential to decrease patient exposure to systemic  
10 corticosteroids.

11 As stated, high-dose systemic corticosteroids  
12 increase the hazard of infection and may interfere with  
13 the beneficial graft-versus-leukemia or graft-versus-  
14 disease effect.

15 An important safety endpoint for Study 02 was  
16 comparison of hypothalamic-pituitary-adrenal suppression  
17 or HPA-axis suppression in the treatment arms.

18 An ACTH-stimulation test was administered at  
19 baseline and at day 51 to patients with normal baseline  
20 and no treatment failure by day 50.

21 Two different analyses were done. Each showed  
22 a significant increase in the proportion of the valuable



1 patients with HPA-axis suppression in the BDP arm  
2 compared with control. You see the numbers up there.

3 One comment, depending upon which analysis you  
4 did, the number of baseline patients who had abnormal  
5 function was either 20 to 25 percent, as Dr. McDonald  
6 mentioned, or closer to 5 percent, either way there were  
7 a number of patients that were not evaluable.

8 It was stated that the data were incomplete  
9 since treatment failures did not have day 51 testing,  
10 and this is true, but in view of the known greater  
11 exposure to high-dose systemic steroids in a control  
12 group, there would still be a significant difference in  
13 the arms.

14 The registration study failed the primary  
15 efficacy endpoint. Abnormal HPA-axis function was  
16 identified as a clinically significant safety issue.  
17 Finally, we believe that additional studies are  
18 necessary to demonstrate efficacy and safety.

19 Thank you for your attention.

20 CHAIRPERSON HUSSAIN: Thank you, Dr. Scher.

21 I'm going to suggest that we deviate slightly  
22 from the agenda, and while the presentations are fresh

1 in our minds, to maybe to go to questions to the Sponsor  
2 or to the FDA for clarifications.

3 I would ask that those of you interested in  
4 asking questions catch either my eye or Joanna's eye,  
5 and then we will call on you. Identify yourself before  
6 you speak. For the responders, please make it brief and  
7 to the point, so we can accommodate as many questions as  
8 possible.

9 QUESTIONS FROM THE COMMITTEE

10 CHAIRPERSON HUSSAIN: May I begin perhaps with  
11 a question to Dr. McDonald. Dr. McDonald, did you or  
12 did you not -- or your study meet its primary endpoint?

13 DR. RODELL: May I just introduce myself. My  
14 name is Tim Rodell, and I was the medical monitor on the  
15 pivotal trial and I'm going to be sort of moderating the  
16 Q-and-A session.

17 (Facility sound difficulties.)

18 CHAIRPERSON HUSSAIN: Thank you, Dr. Rodell.

19 DR. McDONALD: My presentation validated the  
20 FDA's presentation. We did not meet the primary  
21 endpoint. We did, however, meet what I consider a  
22 clinically meaningful, quite robust endpoint of the day

1 80 endpoint for the GVHD treatment efficacy. It means  
2 that many patients in the BDP arm were spared large  
3 doses of prednisone compared to placebo.

4 CHAIRPERSON HUSSAIN: Thank you.

5 Dr. Mortimer.

6 (Facility sound difficulties.)

7 DR. MORTIMER: [In progress.] I think there  
8 is a compelling argument that there is a difference  
9 between those two arms. I'm just curious about the  
10 comparison between the BDP arm compared between the  
11 placebo.

12 You made a comment about corticosteroids were  
13 systemically uncomfortable, and yet the incidence of  
14 fatigue seemed to be higher in the BDP arm. I just  
15 wondered how fatigue was actually measured. Was there  
16 an objective way of quantitating that?

17 DR. RODELL: Can I take that question?

18 (No verbal response.)

19 DR. RODELL: Fatigue was not objective  
20 measured or followed prospectively. It was simply  
21 reported as an adverse event more frequently in the  
22 patients on BDP.

1 CHAIRPERSON HUSSAIN: Dr. Harrington.

2 DR. HARRINGTON: Thank you, Dr. Hussain.

3 First, I would like to just get us away a  
4 little bit from the jargon of spending the alpha  
5 function here, and that will help maybe put my question  
6 into context.

7 Either the protection of the .05 level for a  
8 global test is to prevent people from not finding an  
9 outcome that supports, say, concluding that a treatment  
10 works at a primary endpoint and then going on a hunt  
11 throughout the data for something that might yield  
12 something that looks positive.

13 It's rare I think in these instances, and it's  
14 not the case here, that we see the evidence of a sort  
15 capricious hunt for supporting data. I think your other  
16 analyses are the natural ones that one would look at.

17 For me I think what is important is that when  
18 one moves away from the primary endpoint to other  
19 supporting endpoints, the story has to be really  
20 consistent and very robust.

21 I think the thing that is most intriguing me  
22 is this interaction that you've noticed, especially in

1 the survival with respect to the treatment and the  
2 myeloablative and non-myeloablative regimens.

3           You have really a very, very striking slide,  
4 say, number 61 in your slides which show very, very  
5 different outcomes here in the post-one-year  
6 randomization survival, depending on whether or not  
7 someone got a non-myeloablative conditioning regimen or  
8 not.

9           I would like you to sort of walk me through  
10 that and tell me what you think is going on there and  
11 whether in fact for people who got myeloablative  
12 regimens, they either weren't being spared the  
13 corticosteroids, survival was essentially the same  
14 there, the post-randomization; or, the corticosteroids  
15 weren't having the bad effect that you told us about  
16 before.

17           DR. RODELL: Let me ask Dr. McDonald to answer  
18 initially, and then I would like to ask Mr. Cruickshank,  
19 the statistician to comment on that, please.

20           DR. McDONALD: I think you are entirely  
21 correct. I mean, the data is displayed as you see it  
22 here. What's driving the improvement in survival is

1 part of this subgroup, but the non-myeloablative  
2 patients are the sickest patients here. I think they  
3 have the greatest thing to benefit from a protection  
4 from steroids.

5 I would like to ask the study statistician,  
6 Mr. Scott Cruickshank, to kind of discuss subgroup  
7 analysis here because it is driven by the interaction  
8 term as you noted.

9 (PowerPoint presentation is in progress.)

10 MR. CRUICKSHANK: Scott Cruickshank,  
11 statistician with Dor Bio Pharma. Thank you, Dr.  
12 Harrington for the question.

13 In light of this result here, we did examine  
14 the effects of BDP treatment with the patients that  
15 received myeloablative conditioning versus non-  
16 myeloablative. What you see here is an examination of  
17 your baseline characteristics: age, risk of relapse,  
18 source of donor cells, matching status, and time for  
19 randomization as well as baseline performance status.

20 You can see here that in general the non-  
21 myeloablatives generally were older, had more frequent  
22 higher risk of relapse. We see comparable numbers of

1 bone marrow and HLA-matched status was similar. The  
2 time for randomization to transplant generally differed  
3 by about a week.

4 DR. HARRINGTON: Let me ask a followup  
5 question, then, and pose it a bit differently. Once one  
6 abandons the primary endpoint, although I agree that  
7 your day 80 data is compelling but moves to an endpoint  
8 by one year post-randomization survival or a two-year  
9 post-randomization survival as strong evidence for the  
10 agent, then it becomes important to understand exactly  
11 how that recommendation would be written.

12 In this instance, I agree that the people in  
13 the non-myeloablative group seem to be helped quite a  
14 lot, if we believe the data, the data looked compelling,  
15 but the corresponding story is that the people in the  
16 myeloablative side weren't helped at all, at least in  
17 terms of their post-one-year survival.

18 How would you recommend use of the regimen  
19 given that at decision to treat the status of the  
20 conditioning regimen would be known?

21 DR. RODELL: I would like to comment on that,  
22 which I think is important to point out, and that is

1 that the differences that we are seeing with respect to  
2 effects were in the mortality data, but in fact were not  
3 seen in the time-to-treatment failure data.

4 DR. HARRINGTON: I agree.

5 DR. RODELL: There at least is an argument  
6 that some of the other potential benefits of this are  
7 not restricted to the non-myeloablative group. I think  
8 it is also important to point out that this is a small  
9 dataset.

10 The non-myeloablatives started being enrolled  
11 later on. I think we probably shouldn't over interpret  
12 the degree of difference that was seen between the two  
13 groups.

14 DR. HARRINGTON: All right.

15 DR. RODELL: Mr. Cruickshank, do you want to  
16 add anything to that?

17 DR. HARRINGTON: I do have a question pending  
18 for Dr. McDonald.

19 DR. McDONALD: Well, to reiterate Dr. Rodell's  
20 point, I think it's good to survive to one year, but it  
21 is not the only benefit from avoiding high-dose  
22 prednisone.



1           As I say, what patients remember the most  
2 about hematopoietic cell transplant is there mucositis  
3 and their prednisone exposure. Many patients who have  
4 come to transplant already knowing about prednisone,  
5 they dread going on prednisone because it makes them  
6 feel awful.

7           I don't want to denigrate the avoidance of  
8 prednisone as a good thing. I think the survival  
9 benefit, to me I do it as a proof of principle that  
10 avoiding prednisone could have good things happen  
11 downstream. I think that's all that we're claiming  
12 here.

13           CHAIRPERSON HUSSAIN: Dr. Richardson.

14           DR. RICHARDSON: I have several questions and  
15 then a comment and then I guess another question after  
16 that. I guess it should be directed to Dr. McDonald as  
17 he raised this particular point, which I didn't see in  
18 the material that we were presented earlier.

19           This is regarding Study 875. You mentioned  
20 that some patients were no TPN, which is obviously a  
21 major risk factor for infections in this group of folks  
22 also.

1 I guess I'm curious whether there were  
2 criteria for starting these patients on TPN?  
3 Specifically, if the patient, for example, was anorectic  
4 on day 10, I assume he received TPN at that point, or  
5 did he tough it out for the next 21 days?

6 How many patients were on TPN and was that a  
7 stratification factor? I assume these folks were  
8 excluded, if they were already on this prior to  
9 randomization. If a person was on BDP and required TPN,  
10 was he considered a treatment failure?

11 DR. RODELL: Dr. McDonald.

12 DR. McDONALD: At our center, and this was a  
13 single-center study, everybody whose caloric intake  
14 drops below 30 percent of caloric requirements is put on  
15 TPN. On average, this happens around day -2 before the  
16 marrow infusion.

17 That practice is based on previous studies  
18 showing that everyone is in massive negative-nitrogen  
19 balance and so, to maintain the nitrogen-balance issue,  
20 literally every one of our patients after high-dose  
21 myeloablative therapy is on TPN. That TPN continues  
22 until patients demonstrate their ability to eat better.

1           Now, when patients then develop graft-versus-  
2 host disease, let's say, for example, the TPN was  
3 stopped at day 15 and the patients were discharged to  
4 the outpatient category and then at day 30 developed  
5 acute graft-versus-host disease, they wouldn't  
6 necessarily go back on TPN if they were struggling with  
7 enough caloric intake.

8           They would get hydration through their Hickman  
9 lines, because people who are anorectic and nauseated  
10 and vomiting can't maintain hydration. They may not  
11 have gotten TPN.

12           The criteria for TPN literally happened long  
13 before graft-versus-host disease started. TPN was not a  
14 stratification factor. If people were on TPN while on  
15 BDP, that was not a treatment failure.

16           We analyzed treatment failures at specific  
17 time points -- at day 10, day 20, day 30 -- in this 30-  
18 day study. Our nutritionist tracked nutritional intake  
19 compared to caloric requirements. This was a very hard  
20 endpoint at those three time periods.

21           The other cause for failure was the attending  
22 physician saying, "This patient is doing awful. I'm

1 going to bail out of the study and start them on  
2 steroids." Those are the two endpoints.

3 DR. RICHARDSON: You mentioned that there was  
4 decreased prednisone exposure in people who were not  
5 treatment failures, and yet this reminds me of something  
6 that we are always confronted with in medical oncology,  
7 and that is, the argument that responders do better than  
8 nonresponders. I mean, we've been toughing that one out  
9 for decades.

10 Yet, if you look at the total amount of  
11 steroids in both arms, it appears to be same. If you  
12 look at the signs attributable to steroid excess, there  
13 were more signs in the BDP arm.

14 There were more abnormalities in the response  
15 testing for adrenal function in the BDP arm, so it seems  
16 to me that we get back to this responders/nonresponders  
17 argument. How do you counter that?

18 (PowerPoint presentation is in progress.)

19 DR. RODELL: This slide shows the actual  
20 cumulative corticosteroid exposure between the treatment  
21 arms at study day 50 and study day 80, so the  
22 milligrams-per-kilogram cumulative dose median was 19.4

1 in the placebo arm at day 50 and 15 in the treated arm.

2           These are not statistically different, but the  
3 difference is, as you pointed out, in the patients who  
4 were treatment failures, more different. I agree that  
5 there is a risk of circularity in that type of analysis.

6           But I think that if you look at actually the  
7 quantities of steroids that those patients received,  
8 some of them were receiving somewhere in the  
9 neighborhood of 40 to 50 milligrams per kilogram over  
10 the course of this. The criterion for treatment failure

11           was

12 simply any increase in corticosteroid dose, and that  
13 was continued for an extended period of time, in some  
14 cases beyond study day 80; so, we don't actually know  
15 how much the total dose was in some of those cases. With

16           respect to the manifestations of  
17 corticosteroid exposure, I think the primary ones that  
18 we would focus on are infectious complications.

19 Again, the numbers are small, but they certainly favor  
20 the treated group of patients and, ultimately,  
21 mortality.

22           DR. RICHARDSON: One final question, and I

1 think this is something that a lot of us probably  
2 wondered about as we were reading this also, and that  
3 is, that beclomathasone in corn oil is currently being  
4 used in a lot of centers including, as I understand  
5 it, Seattle. I guess the question in my mind is, what  
6 is the advantage of this compound over the compounded  
7 product?

8 DR. RODELL: Can I ask Dr. Hockenbery from  
9 the Fred Hutchinson to address that?

10 DR. HOCKENBERY: David Hockenbery from  
11 Fred Hutchinson Cancer Research Center. That's quite  
12 true, a number of patients at our center I just  
13 finished attending on the wards that had a diagnosis  
14 of graft-versus-host disease are receiving compounded  
15 beclomethasone through the pharmacy. That is not driven  
16 by our recommendations.

17 That is driven by the transplant oncologists who have  
18 looked at our data and find them compelling and also  
19 by the patients who have learned of this data. We have  
20 no direct way of comparing the  
21 efficacy of that formulation versus our formulation,  
22 but I can tell you that the patients don't like to

1 swallow the corn oil. It's not something that they  
2 think is a very palatable form of administering this  
3 drug. We are asked quite frequently is there  
4 anything else that we be available. We don't have a  
5 way of compounding the extended-release formulation of  
6 beclomethasone, and so what clinicians have done at  
7 our center is use budesonide, which is another topical  
8 steroid that has been approved for inflammatory bowel  
9 disease, for example.

10 CHAIRPERSON HUSSAIN: Dr. Link.

11 DR. LINK: I would just like to get back to  
12 the question I think Dr. Harrington was asking, and  
13 that is that it looks like basically all of the  
14 benefit in terms of the one-year survival is in the  
15 non-myeloablative group. It's not exactly intuitive. I  
16 know they are  
17 sicker when they are going in, and that's why you do a  
18 non-myeloablative transplant, but they don't get as  
19 sick. That's the whole point.

20 I would have intuited actually the people  
21 who are going to get the sickest are those who have  
22 had the most intensive preparative regimen. They are

1 more likely to get bad graft-versus-host disease, and  
2 they would be the most likely to benefit. This is the  
3 opposite result. I guess what I really want to know, so  
4 take  
5 me through your rationale as to why this should be  
6 true. Why should it work for non-myeloablative or why  
7 should it improve the survival of non-myeloablative  
8 but not really have any benefit in terms of survival  
9 for the myeloablative group?

10 DR. RODELL: Can I ask Dr. Keith Sullivan  
11 from Duke who is here to address that question?

12 DR. SULLIVAN: Hi. I'm Keith Sullivan from  
13 Duke University, and I am a medical oncologist as well  
14 as a transplanter for the last 35 years. I think this is  
15 a really important  
16 discussion because we are seeing the field of  
17 transplantation move into the older individuals so  
18 that we can go ahead and capture patients who have  
19 refractory lymphomas or MDS or other diseases that  
20 occur in the older individual.

21 When I started transplant 35 years ago, our  
22 obligate age cutoff for an allograft was 50. Some have



1 said as the faculty age, the age for eligibility  
2 increases for our patients.

3 (General laughter.)

4 DR. SULLIVAN: Now we are considering  
5 transplant for individuals who are 60, 65, and 70 years  
6 of age for allografts.

7 Now, what Dr. Link has said and Dr. Harrington  
8 has reverberated also is extremely important. Because  
9 if anything, the deck was stacked against the active  
10 drug regimen. Because there were twice as many patients  
11 transplanted in relapse, and there is a substantial  
12 increase in patients who have transplanted with non-  
13 myeloablative regimens.

14 When non-myeloablative regimens or reduced-  
15 intensity regimens were rolled out about seven years  
16 ago, the great hope of this was that it would allow  
17 patients who had more organ dysfunction who couldn't  
18 qualify for a standard allograft or over older age, and  
19 so that's what has been concentrated in this group of  
20 individuals.

21 What we haven't seen, although we can do  
22 transplants, is a benefit as much as we had hoped for

1 because there has been a countervailing (sic) issue of  
2 graft-versus-host disease, which is more frequent in  
3 older individuals. We have one benefit from the  
4 preparative conditioning regimen and another for graft-  
5 versus-host disease. That's why this is such an  
6 important discussion.

7 I think Dr. Link's discussion or Dr.  
8 Harrington's discussion of why is this working? Well,  
9 unfortunately, there wasn't a large dataset here of  
10 immune function, but we do know that there is thymic  
11 activity even up to age 50 years of age.

12 We can see thymopoiesis after transplant in  
13 individuals who are in their thirties and forties. If  
14 you start transplanting individuals who are in their  
15 fifties, sixties, and seventies, that's a more fragile  
16 group of patients. That's why they get more graft-  
17 versus-host disease.

18 They don't have thymic tutelage to allow  
19 tolerance. I think what you're seeing is a benefit  
20 specifically and, importantly, in individuals who  
21 otherwise would be succumbing from their GVHD.

22 CHAIRPERSON HUSSAIN: Yes, Dr. Scher?

1 DR. SCHER: Just a point of information. Dr.  
2 McDonald has alluded to it. In the subset of patients  
3 in Study 02 who received the non-myeloablative regimen,  
4 there were only 15 patients in the placebo arm, so we  
5 really can't make far-reaching conclusions, I think.

6 I just wanted to give you the numbers, since  
7 it was said the dataset was small. There were 15 in the  
8 placebo and 26 in the BDP at one year consideration when  
9 the analysis was done.

10 CHAIRPERSON HUSSAIN: Any other final  
11 comments? Questions?

12 Yes?

13 DR. SPORTES: Yes. I would like to ask our  
14 statistician colleagues to comment more on the early  
15 failures in the first 10 days which really don't make  
16 much sense clinically in the fact that both groups were  
17 supposed to probably reap the same benefit.

18 From what we see from toxicity later on, it  
19 doesn't seem like the OrBec had any significant  
20 increasing toxicity, therefore, that could account for  
21 this difference. What kind of impact do those, I think,  
22 four or five early failures increase on the OrBec side

1 have?

2 (PowerPoint presentation is in progress.)

3 DR. RODELL: Can I have Slide 569, while I'm  
4 starting to address that.

5 (Staff complies.)

6 DR. RODELL: Thank you. The "Baseline  
7 Characteristics of the Early Treatment Failures."

8 (Staff complies.)

9 DR. RODELL: We were also curious about this  
10 and spent a fair amount of time trying to figure out  
11 whether there was any sort of pathophysiologic rationale  
12 for why OrBec could have had an adverse effect on early  
13 treatment failures. Frankly, we were not particularly  
14 successful at doing that.

15 I think one of the things that puzzled us was  
16 that if you postulated that there was some adverse  
17 effect of OrBec in the first 10 days, it was hard to  
18 then understand how that effect would reverse in the  
19 following 40 days.

20 In addition, I think it is important to point  
21 out that in Study 875 that was not seen. In fact, in  
22 Study 875, the difference was in the other direction, so

1 there were more treatment failures in the placebo group  
2 in the first 10 days in 875.

3 This is simply a slide showing the  
4 characteristics of the patients with early treatment  
5 failures. As you can see, there really is not any  
6 particularly meaningful difference between groups in  
7 terms of preexisting characteristics.

8 The only difference that we have highlighted  
9 here is a difference of 3 patients out of 12 or 25  
10 percent bone marrow source of donor cells versus 6  
11 percent out of 36 in the other subjects -- I'm sorry,  
12 and this is in all other treatment failures up to I  
13 believe study day 50.

14 I think the short answer is we can't see a  
15 good physiologic reason for it. We can't see any things  
16 in terms of imbalances and preexisting conditions that  
17 could explain it. I think what we are sort of left with  
18 is statistical noise and random chance, but obviously  
19 that's a diagnosis of exclusion.

20 Let me ask Mr. Cruickshank to comment on that,  
21 if he would.

22 (PowerPoint presentation is in progress.)

1 MR. CRUICKSHANK: Thank you, Dr. Rodell.

2 Whenever the notion of "statistical noise"  
3 comes up, they always seem to point to me.

4 (General laughter.)

5 MR. CRUICKSHANK: I don't want to get too  
6 technical here, but I think there is an important point  
7 to be made to follow up to your point, and that is, when  
8 we express the hazard ratio or something along those  
9 lines over time, we can see that when the line is above  
10 zero represents when placebo is doing more favorably  
11 relative to BDP.

12 Values below zero represent when BDP is doing  
13 better than placebo, a very simplistic representation.  
14 You can see here that in the first 10 days is primarily  
15 when we are losing the effect, but over the remaining 70  
16 days you can see that it is a fairly stable effect.

17 This phenomenon in here is really something to  
18 consider when one is looking at power. It says what is  
19 happening is we are getting some loss of power. This  
20 effect here is canceling out or negating to some extent  
21 the effect up there.

22 CHAIRPERSON HUSSAIN: If there are no other

1 questions, then I'm going to ask that we adjourn now and  
2 come back in about 15 minutes or so, so 3:05, and we  
3 will start with the public hearing.

4 Thank you.

5 MS. CLIFFORD: A quick announcement. If you  
6 have not registered or if you have not checked in for  
7 the open public hearing session, and you do intend to  
8 speak, please touch base with me as soon as you can.

9 Thanks.

10 (Recess.)

11 CHAIRPERSON HUSSAIN: Do we have everyone from  
12 the Sponsor back here? We have some questions that we  
13 have, and we're going to pose them to you before the  
14 public hearing.

15 Dr. Link.

16 DR. LINK: At the risk of being sort of a dog  
17 with a bone, I'm still trying to figure out the fact  
18 that it seems like all of the benefit in terms of  
19 survival came from patients who had non-myeloablative  
20 transplants. I like things to make sense in terms of  
21 why it worked.

22 Looking at Slide Number 50, where you show

1 extended followup like in the Kaplan-Meier analysis that  
2 there was a reduced need for steroids or fewer treatment  
3 failures in the BDP group from the point of view of GVH,  
4 who are those patients that benefitted? Now, you must  
5 have drilled down to see. Were they only non-  
6 myeloablative patients that benefitted?

7           What I would like you to do is try to make the  
8 case that it worked because we had reduced incidence of  
9 GVH in the non-myeloablative patients uniquely,  
10 therefore we gave them less steroids, and therefore,  
11 they survived at one year.

12           That would be nice. It would make sense to  
13 me. It would have some impact in terms of what group  
14 benefitted from BDP specifically. Did you look at that?

15           DR. RODELL: Let me ask Mr. Cruickshank to  
16 answer that.

17           (PowerPoint presentation is in progress.)

18           MR. CRUICKSHANK: The slide before you shows  
19 the subgroup analysis of time-to-treatment failure  
20 through study day 80 for the group of patients that  
21 received myeloablative conditioning and the group that  
22 received non-myeloablative conditioning. You can see



1 here that the treatment failure rates at day 80 were,  
2 roughly, comparable between the two subgroups.

3 The effect of treatment, a .5 hazard ratio for  
4 the myeloablative and .71 for the non-myeloablative  
5 suggests that the treatment effect of BDP for those two  
6 subgroups is generally consistent with the overall ITT.

7 Going back to your point about differential  
8 effects within the two subgroups for time-to-treatment  
9 failure, it doesn't suggest here that this effect is  
10 necessarily driving the one-year survival for the non-  
11 myeloablative subgroup.

12 DR. LINK: Well, *aux contraire*, so I would ask  
13 you why do you think that the myeloablative group didn't  
14 have a benefit in terms of one-year survival when they  
15 had just as much benefit in terms of reduction of GVH  
16 and steroid exposure?

17 MR. CRUICKSHANK: Tim, do you want to take  
18 that, please?

19 (PowerPoint presentation is in progress.)

20 DR. RODELL: I think, well, I'm starting to  
21 get a little speculative here, but I think we ought to  
22 show, Scott, the relative effect in patients who were

1 non-matched donor.

2 I think it may speak to the issue, that is,  
3 that when you look at the effects in patients who had  
4 less good matches, that is, patients who were not HLA-  
5 identical sibling donor sources.

6 You see a similar effect, that is, that it is  
7 stronger in the group that arguably is likely to have  
8 worse graft-versus-host disease and essentially be  
9 sicker. I think it's something that is seen essentially  
10 that it appears at least to have some relationship with  
11 what the risk is of the patients involved.

12 CHAIRPERSON HUSSAIN: Does that answer your  
13 question, Dr. Harrington, also?

14 DR. HARRINGTON: It does answer my question  
15 about the possible interaction. I'm not sure I heard an  
16 answer to Michael's last question, though, and maybe  
17 that is speculate, about why the benefit in terms of  
18 graft-versus-host failure did not translate into a  
19 benefit in survival in the myeloablative group?

20 DR. McDONALD: I can speculate. I think it  
21 may well be related to the underlying disease  
22 characteristics. The primary proximate cause of death

1 at the one-year endpoint was relapse of leukemia.

2 The study was not stratified for relapse risk.

3 We did analyze it in retrospect, but that's a variable  
4 that we couldn't control, the biologic behavior of the  
5 underlying malignancy. That is one potential  
6 explanation.

7 CHAIRPERSON HUSSAIN: I have a question to the  
8 FDA. Either of the presenters could take it.  
9 Understanding that the day 80 time-to-failure or number  
10 of failures was a secondary endpoint, but in reality it  
11 is just an extension of time, so why is it not good  
12 enough?

13 DR. SCHER: I guess the answer is statistical.

14 CHAIRPERSON HUSSAIN: I guess I understand  
15 it's statistical. I understand that party  
16 line of failing primary endpoint, therefore  
17 everything is exploratory otherwise. But in  
18 this case, this is not a different endpoint.  
19 It is really just a matter of extending from  
20 day 50 to day 80.

21 DR. SCHER: Well, the Sponsor has said that  
22 they failed the day 50 endpoint because they had bad

1 luck. You know, the patients in the BDP arm just  
2 happened to fail during the time they were on  
3 prednisone.

4 What is statistics but a consideration of odds  
5 in some sense also. Clinically, the day 80 is okay. I  
6 think you have to look at the totality of the package,  
7 and that's just one point.

8 CHAIRPERSON HUSSAIN: Dr. Farrell.

9 DR. FARRELL: I think one of the things that  
10 has bothered us is the BDP is given for a maximum of 50  
11 days, and you would expect the maximum effect of the  
12 treatment to be during that 50 days.

13 Why do you have one result at day 50 and one  
14 result at day 80? It's a little surprising to us, and  
15 we are not able to explain it. Because, you know, with  
16 most drug trials you're really getting the benefit with  
17 the treatment.

18 CHAIRPERSON HUSSAIN: I guess what I was  
19 trying to understand is it that if you were to design a  
20 study like this and you would have said, "I'm going to  
21 look at day 80 as opposed to day 50, would you have used  
22 a different sample size?"

1 DR. SCHER: If I had designed a study like  
2 this, I think the focus of the focus of the study, the  
3 primary endpoint was appropriate to what they were  
4 initially looking at.

5 They were looking at Stage II, GI graft-  
6 versus-host disease and looking at the impact of the  
7 therapy at a defined time not very far after the  
8 therapy.

9 Now, if we are looking at a trial with a  
10 survival endpoint -- well, really this is one of our  
11 questions to the Committee, but it seems to me that you  
12 would also focus on the baseline hematologic disorder.

13 In fact, not only was there no attempt to  
14 balance or stratify by the baseline hematologic  
15 disorder, but the state for acute leukemia, whether  
16 patients were transplanted and relapse or remission,  
17 first relapse, second remission; whether they were in  
18 chronic phase of CML or an accelerated phase of CML,  
19 that very critical data was not collected until the  
20 trial was over.

21 This was part of the important clinical data  
22 that was collected post hoc, and the balance was what it

1 was. But it seems to me that one might want to  
2 prospectively define "relapse risk," if one were  
3 devising a survival trial rather than collecting the  
4 very important disease-specific data post hoc and then  
5 aligning, trying to look at where the risk fell. To me  
6 that is a greater concern.

7 CHAIRPERSON HUSSAIN: Dr. Sun-Mitchell.

8 DR. SUN-MITCHELL: I think the answer to your  
9 question, 80 days was one of the secondary endpoints.  
10 In other words, there were a lot of multiple analysis.  
11 The point is, why 80 days? Why not 40 days? Why not 70  
12 days, et cetera?

13 The question comes, yes, this was prespecified  
14 as one of them, but you did see that they were also  
15 looking at other time endpoints also. When you are  
16 looking at so many multiple endpoints, it becomes  
17 difficult for us then to say, "Okay, 80 days is  
18 acceptable even though they failed on 50," that's number  
19 one.

20 Then, as you saw now with the analysis that  
21 was shown, even with the 80 days what we look for is  
22 consistency within the trial itself. In the

1 myeloablative group, if you think that there is some  
2 difference in the 80 days, why is it not showing up in  
3 the one-year survival and it's just going the opposite  
4 for the other group?

5           Also, the one-year survival seems to be  
6 totally driven by this very small subgroup. We have the  
7 internal consistency question, as well as our concern,  
8 as well as that multiple endpoints were tested. In that  
9 whole process, how do we look at just this one endpoint  
10 which seems reasonable?

11           CHAIRPERSON HUSSAIN: Thank you. We will  
12 start --

13           (Simultaneous discussion.)

14           CHAIRPERSON HUSSAIN: I'm sorry.

15           DR. SPORTES: Could I?

16           CHAIRPERSON HUSSAIN: Yes. Oh, sorry.

17           DR. SPORTES: Just a couple of comments.

18           CHAIRPERSON HUSSAIN: Yes, Dr. Sportes.

19           DR. SPORTES: As a transplanter, I really like  
20 to see a plateau as you see in Slide 50. I think it is  
21 in response to why would we expect to see a longer  
22 effect than the actual duration of treatment?

1 I think this is kind of the hallmark in bone  
2 marrow transplants. Why would we not see a difference  
3 at one year versus 200 days?

4 This is also, unfortunately, a hallmark of  
5 transplant. There is so much interaction with disease  
6 and the disease refractoriness that it is really hard to  
7 isolate the initial intervention to see the end result  
8 at a year.

9 Going back to this Slide 50, I think to me  
10 there is a lot of tantalizing hope in there, and seeing  
11 that there is a plateau with a BDP which physiologically  
12 and pathophysiologically to me makes a lot of sense in  
13 terms of transplant. That was one comment.

14 I want to, if I can switch gear a little bit,  
15 one thing that we haven't talk about is the possibility,  
16 although we've talked about it through the HPA  
17 inhibition, of drug absorption.

18 Budesonide was brought up, and there have been  
19 some fairly recent studies with new ancillary treatments  
20 that showed that there was a dramatic difference in  
21 systemic absorption of budesonide.

22 I haven't heard much at all about where that



1 would stand in OrBec, looking at some package inserts.  
2 Some allege that there are C3a/metabolism, some not. I  
3 think that is quite important in knowing whether or not  
4 we are truly dealing with a topical treatment, or will  
5 be dealing with that in two years.

6 MR. RODELL: Well, let me make one comment,  
7 and then ask Dr. McDonald to comment on the systemic  
8 absorption issue, and that has to do with the HPA axis  
9 data.

10 I think that we absolutely believe that there  
11 are small quantities of the active metabolite being  
12 absorbed, and it's certainly sufficient to have some  
13 effect on HPA axis, responsiveness in some patients.

14 In fact, it is interesting that a number of  
15 patients, about a quarter of the patients in the 02  
16 Study actually did have normal HPA axis function at the  
17 end of 50 days.

18 We do think that there is real absorption, but  
19 the levels that are being absorbed based on both the  
20 side-effect profile as well as what Dr. McDonald will  
21 talk about we think are probably more significant than  
22 the local topical effect.

1 George.

2 (PowerPoint presentation is in progress.)

3 DR. McDONALD: Here we have marshaled the  
4 primary evidence I think that this is indeed a topical  
5 therapy. The first is well known to  
6 gastroenterologists.

7 When we have patients with ulcerative colitis,  
8 for example, when we give BDP in an enema form and then  
9 put a colonoscope in after a couple of weeks, the place  
10 where the enema fluid has touched the mucosa is clearly  
11 better, but above where the enema fluid never reached  
12 looks just as bad as ever.

13 I know with certainty, because I've done this  
14 for a lot of years, that BDP applied to inflamed mucosa  
15 makes it better. There is not much of a systemic effect  
16 where it didn't touch.

17 Now, we know that BDP is metabolized in the  
18 gut lumen. Studies were done by my colleague, Doug  
19 Levine, 20 some years ago where he fed oral BDP to  
20 patients with stable ileostomies, a wonderful way of  
21 assessing how much active drug would reach the distal  
22 gut.

1           Esterases in luminal fluid and in the mucosa,  
2 not P450 but nonspecific esterases, cleave one propionic  
3 acid group off of the BDP. BDP never gets into the  
4 circulation, but 17-BMP does, and it's potent.

5           Here is the metabolism. Here is BDP here.  
6 Here is 17-BMP. Notice that the receptor-binding  
7 affinity of BDP is, roughly, what prednisone is, but the  
8 metabolite has an enormous binding affinity and very  
9 potent topical corticosteroid because of this binding  
10 affinity.

11           Now, here is a comparison of the prednisone  
12 equivalence of 17-BMP that get into the bloodstream.  
13 These are data from a 2-milligram dose of OrBec in  
14 comparison to a prednisone dose.

15           Notice that the AUC, that is, the systemic  
16 exposure of OrBec, is 400 times or a 100 times less than  
17 2.5 milligrams of prednisone. In other words, all the  
18 prednisone gets into the bloodstream systemically, a  
19 hundred percent of it.

20           Relatively little of 17-BMP gets into the  
21 bloodstream, but this is the key figure. This is a  
22 prednisone equivalent, but it's equivalent to the effect

1 of 2.5 milligrams of prednisone.

2 Yes, some active metabolite gets in, but a  
3 protein-binding difference, affinity with a receptor  
4 differs, and this is not a very potent systemic effect.

5 Of the other critical picture, this is Daly,  
6 Yates, et al., in "The British Journal of Pharmacology,"  
7 this is human volunteers getting 4 milligrams of oral  
8 BDP in one dose.

9 This is a charcoal absorption line. Ignore  
10 that. This is 17-BMP. What is particularly notable  
11 about this is look how long one dose lasts at very low  
12 levels in the bloodstream. The same group gave  
13 intravenous BDP, and it was rapidly cleared.

14 The only way to interpret this data, this is  
15 the gut, the gut mucosa, holding on to 17-BMP and  
16 feeding it slowly into the systemic circulation where  
17 it's cleared. This is the strongest evidence I know of  
18 a prolonged residency time of this topical therapy in  
19 the gut where we want it to be active.

20 CHAIRPERSON HUSSAIN: Okay. Thank you.

21 We are going to begin with the public hearing.

22 I would like to read a statement before the public

1 presenters, that "Both the FDA and the Drug  
2 Administration and the public believe in a transparent  
3 process for information-gathering and decision-making to  
4 ensure such transparency.

5 "At the open public hearing session of the  
6 Advisory Committee Meeting, the FDA believes that it is  
7 important to understand the context of an individual's  
8 presentation.

9 "For this reason, FDA encourages you, the open  
10 public hearing speaker, at the beginning of your written  
11 or oral statement to advise the Committee of any  
12 financial relationship that you may have with the  
13 Sponsor, its product; and, if known, it's direct  
14 competitors.

15 "For example, this financial information may  
16 include the Sponsor's payment of your travel, lodging,  
17 or other expenses in connection with your attendance at  
18 the meeting.

19 Likewise, the FDA encourages you at the  
20 beginning of your statement to advise the Committee if  
21 you do not have any such financial relations. If you  
22 choose not to address this issue of financial

1 relationships at the beginning of your statement, it  
2 will not preclude you from speaking."

3 Thank you.

4 OPENING PUBLIC HEARING

5 MS. CLIFFORD: Our first speaker this  
6 afternoon is Sue Stewart. She is with the Blood and  
7 Marrow Transplant Information Network.

8 MS. STEWART: Thank you for the opportunity to  
9 address you today. I am Susan Stewart. I'm the  
10 executive director of a patient-driven organization  
11 called Blood and Marrow Transplant Information Network,  
12 or "BMT InfoNet."

13 This is one of the largest groups that  
14 provides information that provides information and  
15 support services to people primarily in the United  
16 States but throughout the world who are facing going  
17 through and living with the sequellae of a bone marrow,  
18 stem cell, or cord blood transplant.

19 As the executive director, I have had the  
20 privilege of talking to thousand of patients who have  
21 been through transplant, all too many of whom have lived  
22 with the horror or GI GVHD.

1 I think today we will have two speakers, one  
2 an adult survivor and one the mother of a child  
3 survivor, who have experienced GI GVHD, to give you just  
4 a taste of what it is like for a patient and a family to  
5 go through this experience.

6 But if I can leave with you three things, one  
7 is this is a horrific experience. When we talk about  
8 nausea and vomiting, it is far beyond anything you could  
9 imagine with your experience with nausea and vomiting.  
10 It is truly a horror.

11 When you talk about the effects of prednisone  
12 on patients who are taking high-dose steroids, it is not  
13 a minimal dose of steroids. It is a very high dosage of  
14 steroids which leaves people in a very diminished  
15 capacity in many cases for years on.

16 Had we had the time, Chris Mullen would have  
17 come from Dorchester, Massachusetts, 30 years old to  
18 tell you about the two hip replacements and ankle  
19 replacement that he has had as a result of prolonged  
20 exposure to high-dose steroids. There are many more  
21 like him.

22 It is a life-threatening illness. If we had

1 the opportunity, Bob, who doesn't want his last name  
2 mentioned, would have come with me from Chicago today  
3 but can't because he is on his bedside fighting for his  
4 life.

5 I just want to stress the importance of this  
6 to patients. Any tool that you can put in the arsenal  
7 of the physicians who are treating this awful disease  
8 would be very, very welcome.

9 I will say as a point of disclosure we have  
10 received a grant that has covered our travel here to the  
11 hearing from Dor Bio Pharma, but they have not in any  
12 way had input into or seen previously the presentations  
13 that we are about to give.

14 Thank you.

15 MR. DUGAN: Thank you, Susan. My wife would  
16 argue whether or not I'm an adult.

17 (General laughter.)

18 MR. DUGAN: I've been diagnosed four different  
19 times with malignancies, beginning at the age of 23.  
20 The last in the spring of 2003 with non-Hodgkin's  
21 lymphoma. It was then I was told that a bone marrow  
22 transplant was my only option.



1                   During the intake process at the bone marrow  
2 unit, I was told of serious complications that could  
3 result from graft-versus-host disease. However, in my  
4 circumstances, I don't think I really paid too much  
5 attention. My head was spinning from so much troubling  
6 news that I really don't think it registered with me.

7                   On August 1, 2003, I had an allogeneic  
8 transplant from a matched donor at the Fox Chase-Temple  
9 BMT Clinic in Philadelphia. The donor was my brother  
10 Jerry.

11                   I remained there for 19 days. Then, on  
12 October 3 of that year, I was readmitted to the hospital  
13 due to complications stemming from GVHD, the disease  
14 that attacked my gastrointestinal system with ferocity,  
15 ravaging both my stomach and my bowels.

16                   I was relegated to a diet of ice chips and  
17 intravenous feeding, TPN, for nearly three weeks during  
18 which time my weight dropped from 154 to 127 pounds.  
19 During that time I lost not only my weight but my  
20 fighting spirit, my self-worth, and nearly my faith.

21                   The doctor's tried everything in their power  
22 to stem the tide, including a significant increase in my

1 dosage of steroids in the form of prednisone, the  
2 highest dosage reaching 100 milligrams a day.

3 It did nothing to relieve the diarrhea or to  
4 stop my continual weight loss. However, it was anything  
5 but a benign medication. The baggage that comes with  
6 prednisone, that I think Dr. McDonald alluded to  
7 earlier, hit me very hard.

8 The drug made me edgy, moody, something of a  
9 monster really. My hands trembled to the point where I  
10 couldn't write, and I struggled to get pills into my  
11 mouth. I was angry and flip with the nurses, my wife,  
12 and almost anyone else who came in contact with me.

13 The fact that the prednisone kept me from  
14 sleeping compounded the problem. My only relief from  
15 the nightmare that I was living at the time had been the  
16 escape that sleep brought me. When I was robbed of any  
17 prolonged rest, my edginess and surliness became  
18 elevated.

19 Recalling Jack Nicholson's dark, manic  
20 character in the movie "The Shining," just substitute  
21 the famous line "Here's Johnny" with "Here's Stevie" to  
22 get an idea of what I was like.

1           Through all of this it seemed the doctors were  
2 grasping at straws. The diarrhea, the weight loss, the  
3 sleeplessness, they could stop none of it. As one of  
4 them put it to me, "Dealing with GVHD is more art than  
5 science."

6           I was finally released from the hospital on  
7 November 5, 2003, after 33 days. Thirty-three days may  
8 not seem like a long time, but it was a lifetime to me.

9           During those 33 days, I did not see my two  
10 young daughters, who were 9 and 6 at the time, a very  
11 significant period in their lives as they changed and  
12 grew almost daily during that period. My wife and I  
13 made a conscious decision not to have them come see  
14 their skeletal-looking father, afraid that they would be  
15 traumatized.

16           Just as important, I missed the passing of my  
17 oldest brother Jay who died at age 57. I never got to  
18 say goodbye nor attend his funeral.

19           My release from the hospital was just the  
20 beginning. I remained basically bedridden for the next  
21 six months. My body lacked magnesium, and since I  
22 couldn't tolerate the pills. I was forced to have the

1 drug administered intravenously twice daily for two  
2 hours at a time. I lacked real appetite, and I had to  
3 basically force feed myself carbohydrates to try and  
4 regain some strength.

5 My children tried to comfort me and encourage  
6 me. But, frankly, I think they were scared of me and  
7 the way I looked. I missed Father's Day at their school  
8 and a First Communion for my youngest daughter. As you  
9 know, there are no reruns in life.

10 All of these problems and what I can only call  
11 "crimes" can be laid at the doorstep of GVHD and the  
12 havoc that it wreaked on my life and my GI system.

13 It was February 2005 before I was able to  
14 return to work on a part-time basis. I'm still hampered  
15 by the effects of GVHD and the steroids meant to control  
16 it. I'm constantly fatigued and prone to infections  
17 which linger for months. I'm 53 but I certainly feel  
18 like I know what it must be to be 73.

19 The high and prolonged dose of steroids has  
20 eaten away at my joints and my bones. Climbing stairs,  
21 getting in and out of cars, bending down, a whole host  
22 of everyday activities have been affected.

1           Being active athletically with my children is  
2 something they no longer expect of me. The most painful  
3 physical effect, though, is my inability to run even one  
4 lap of a track. Five months before my transplant, I ran  
5 the Philadelphia Marathon. The benefits and true joys  
6 of being a life-long runner are gone forever.

7           To me the transplant was something of a  
8 Faustian bargain. Yes, my life was prolonged, and  
9 that's surely a precious gift. However, GVHD has robbed  
10 me of much that I will never regain.

11           I will always have the memories of the  
12 friends, fellow warriors really, that I have made while  
13 I was in the hospital fighting GVHD, but the memories  
14 that are most striking are those who succumbed to GVHD  
15 while I was there.

16           Their passing is I'm sure now represented in  
17 databases that record such things, so the only reason  
18 some people might be aware of their having been alive is  
19 the fact that they are now dead. Their struggle is not  
20 noted and their spirit not represented.

21           Something should be done and quickly. No  
22 opportunity should be ignored. Were your child, parent,

1 or sibling a victim of severe GVHD of the stomach and  
2 gut, you would surely want to be able to offer more than  
3 prayers to help them.

4 Steroids are apparently a hit-or-miss  
5 solution, and that's not good enough. Is OrBec the  
6 answer? I don't know, but I think it's certainly worth  
7 finding out.

8 Thank you.

9 MS. PEARLMAN: I think I feel nausea and  
10 everything else up here right now. I think I know what  
11 that's like. Meet Matthew. This is my son (showing  
12 photograph).

13 That was last summer, pretransplant. It was  
14 taken at a going away party where at nine years old,  
15 blonde and beautiful he hugged friends and family  
16 bravely goodbye as he left for Minnesota Fairview  
17 Medical Center to receive a 5/6 unrelated matched  
18 transplant.

19 Now imagine the same innocent child just weeks  
20 later. He is so, so sick. He is unable to eat or drink  
21 due to GI GVHD and all the drugs thrown at the wall to  
22 fight it.

1           His only nutrition is TPN fed intravenously 24  
2 hours a day, and even that made him puke uncontrollably  
3 and projectile. He has severe diarrhea, and I mean  
4 severe. It's so bad his pull-up in his bed I changed  
5 between 40 and 50 times a day. He would just say,  
6 "Mommy, I can't help it."

7           His face and body are now distorted. His hair  
8 on his head is gone. He has an unwanted mustache,  
9 sideburns, and back hair -- again, due to the drugs he  
10 is taking to control GVHD. He did think the mustache  
11 was kind of cool, though.

12           Seriously, this is Matt (showing photograph)  
13 probably at his sickest. He is bedridden, mouth sores  
14 so badly he can't even talk. I'm sorry (crying). The  
15 cyclosporin he is taking to suppress his immune system  
16 and control the GI GVHD has caused severe toxicity and  
17 seizures that put him in ICU.

18           I watched them put the ventilator and the tube  
19 down his throat to breathe. This not only happened  
20 once, this happened twice.

21           He has dangerously high-blood pressure now  
22 from all the GVHD medications, so he is given more

1 medicine to control the side-effects.

2 He is nine years old. He went in weighing 54  
3 pounds. At this point he is about 42. He has all  
4 muscle loss, no muscle mass left. He did this for 180  
5 days straight in a hospital bed unable to move.

6 This is a 10-by-10 room, the picture I paint  
7 for you, with limited visitors and he is completely  
8 miserable saying "Mommy, I just want to die. Get me out  
9 of here."

10 Kids he has met, they have died and he tells  
11 me he is scared. He knows this is a place he may never  
12 walk back out of. Yet, as a parent, you have to push  
13 them through the door. You have to shove the meds down  
14 their throat more than one time a day because you have  
15 no choice.

16 The prednisone he took to control the GVHD  
17 caused angry rages to be nice. He would grab my face  
18 and pull it till I had marks, screaming, "Get me out of  
19 here." As I mother, I just wanted to die.

20 The mood swings were stressful, to say the  
21 least. It makes PMS look like nothing or menopause,  
22 which I'm getting lucky to go into now.



1           We finally armed Matt with Nerf machine gun  
2           and we let him shoot at the nurses and the doctors. We  
3           gave him a 60-cc syringe of ice water, just to get a  
4           little smile and relief.

5           This is a hard picture to look at (showing  
6           photograph). You've heard it explained as mucosis  
7           (sic). Let me tell you the story. One day I walked  
8           into the hospital room at 6:00 a.m. My husband Mark  
9           said, "Diane, go to the bathroom, look in Matt's pull-  
10          up, but don't say a word."

11          I walked in, I opened this mess, and I  
12          screamed at the top of my lungs, "What now?" Directly  
13          due to Level II GVHD of the gut, Matt had slopped a 39-  
14          inch piece of skin-like tubing.

15          The docs explained, "While this is a large  
16          piece, it's common for tissue to come out of the GI  
17          tract."

18          Can you even imagine healing from the inside  
19          out from that? This by far was one of the worst days of  
20          my life.

21          Not only have I been through this gut-  
22          wrenching, pardon the pun, experience once with Matt, my

1 daughter also had a bone marrow transplant six years  
2 ago.

3           They both have Fanconi anemia, a devastating,  
4 possibly fatal blood disorder with an average age of  
5 only 22 even post-transplant. We lost her five  
6 different times. She still has horrible nightmares and  
7 residual effects, from her transplant, six years later.

8           By the grace of God, they are both with me.  
9 All I want on Sunday, on Mother's Day, is a hug. I  
10 absolutely ache inside for those mothers who have to  
11 visit a graveside instead just because a better drug or  
12 a better protocol was not available to them.

13           Now, this was Matthew in January (showing  
14 photograph). As you can clearly see, he looks nothing  
15 like that blonde and beautiful boy celebrating his  
16 departure to Minnesota.

17           Every day is a gift, so we didn't care what he  
18 looked like. But let me tell you, he did. His cousins  
19 didn't recognize him and ran from the room. It broke his  
20 heart.

21           The drugs changed him drastically from the  
22 inside out, and he absolutely hates it. He looks in the

1 mirror and says, "Where did I go?"

2 He is just now, 10 months later, off TPN and  
3 starting to eat, barely able to process real food. He  
4 weighs about 46 pounds. He is still experiencing  
5 uncontrollable and embarrassing bowel issues, still  
6 wearing a pull-up today. Today is his 300-day, post-  
7 transplant milestone, 300 days of this.

8 This is Matt and his baseball team (showing  
9 photograph). He didn't hit a home run. All he did is  
10 he is loved by his team and they are thrilled that he is  
11 with them.

12 They all shaved their heads to be like him  
13 while all the while he is desperately fighting to be  
14 like them. He just wants to be an average 10-year-old.  
15 He can hardly run the bases now. He only has 50 percent  
16 lung capacity, and we're waiting for test results of  
17 GVHD of the lungs.

18 It's hard for him to have the physical  
19 strength to even swing a bat. He is constantly reminded  
20 when he strikes out that he will never be like himself  
21 or his teammates again. He hasn't had a hit this year.  
22 It breaks my heart.

1                   He had to run out of right field the other  
2 night during the game. I looked for the ball and he  
3 just kept running and I saw no ball and he had to go to  
4 the bathroom because the diarrhea was going down his  
5 leg.

6                   Everyone understands. Again, as a mother as a  
7 parent, I ache for him. He is fighting for his life.  
8 He is fighting to be normal and he is begging still this  
9 day not to be held hostage by GVHD.

10                  We have no idea what other long-term physical  
11 or mental effects he will endure from all the chemo,  
12 radiation drugs, and chronic GVHD.

13                  These brave children have no choice but to  
14 trust us adults with their lives. It is imperative and  
15 our duty to uncover better treatments, do relentless  
16 research, discover successful drugs, and alleviate any  
17 possible pain for the future.

18                  I ask you to please think of this decision if  
19 it was one you were facing for you or your children.  
20 Together we must fight half as hard as the kids are in  
21 those hospitals all over the world to save lives. We  
22 must protect all from the ravages of GVHD.

1 I thank you for your time.

2 MS. CLIFFORD: Thank you very much.

3 Our next speaker is Anna Kyrou.

4 MS. PKHRIKIAN-KYROU: Hello, everybody. It's a  
5 little bit hard. I would say my story is a little bit  
6 better because I had an option of getting beclomethasone  
7 back nine years ago. I had ALL pB cell. I had a  
8 transplant in 1997. Actually, it will be 10 years this  
9 month since my transplant.

10 Within about a month and a half after a  
11 transplant, things were going pretty well, but then the  
12 graft-versus-host started of the gut, and then it was  
13 followed by hospitalization after hospitalization, with  
14 months and months in the hospital.

15 I guess, if I estimate within that year, I  
16 probably spent out of 12 months, 9 in the hospital. I  
17 don't know. That's an estimate. The first admission  
18 for graft-versus-host was about three months. We tried  
19 everything. I've been on high-dose steroids, and I  
20 guess the side-effects you've heard a lot here from  
21 prednisone.

22 From some of them I'm still dealing with. I

1 have osteopenia. I've had some fractures in my spine.  
2 It's just something I always have to be -- as a 32-  
3 year-old woman, I always have to be aware about my bones  
4 and how fragile they may be.

5 While going through the treatment for graft-  
6 versus-host, I've also have been on various other drugs  
7 besides prednisone. I've tried ATG horse serum. We've  
8 tried -- I don't even remember what we tried. But there  
9 were many, many drugs that were tried. Well, not many,  
10 just a few I guess that were available.

11 Of course, the immunosuppression, I was on FK-  
12 506. Thank God I wasn't on cyclosporin for too long.  
13 As a result of the treatment, the graft-versus-host  
14 disease and the severe diarrhea and vomiting -- vomiting  
15 that goes on sometimes for hours and you pretty much  
16 throw up every two minutes, it's not pleasant --  
17 anyways, after that I lost a lot of weight.

18 I was down from about 55 kilograms to 36. I  
19 was put on TPN. As a result of being on TPN and lipids,  
20 I've developed acute pancreatitis, which I have many  
21 attacks.

22 Basically, I'm telling all this story because

1 by the time I was put on beclomethasone, somebody asked  
2 a question about corn oil versus pills, so I started on  
3 corn oil, and because of the intolerance, I've developed  
4 intolerance to lipids at the moment, so I started on  
5 corn oil. As a result, I developed another acute  
6 pancreatitis, which is quite painful.

7           Basically, then we switched on to the  
8 capsules. I think that the beclomethasone was a turning  
9 point for the treatment of graft-versus-host for me.  
10 Because following the course of the treatment on  
11 beclomethasone, I finally was able to eat more on my  
12 own. I had significantly reduced diarrhea. I had  
13 reduced nausea.

14           When I went on beclomethasone it was probably  
15 like the early summer of '98, sometime in June. By  
16 August or September of '98, I was able to eat sort of  
17 decent food besides crackers and oatmeal that usually  
18 would take me three hours to consume.

19           By October of '98, after a year and a half of  
20 spending at Roswell Park Cancer Center, where I had my  
21 bone marrow transplant, I was finally able to return to  
22 Syracuse and attend graduate school, which was on hold

1 for the time being.

2 Anyways, I just want to thank you for  
3 developing the drug and thank you for an opportunity to  
4 be on it. I hope that all these statistical issues that  
5 have been mentioned here are not going to be in the way  
6 of approving it. I urge you to approve it.

7 Thank you. Bye-bye.

8 MS. CLIFFORD: Thank you.

9 Our next speaker is Philip McCarthy.

10 (PowerPoint presentation is in progress.)

11 MR. MCCARTHY: Thank you. I have five slides.

12 (Pause.)

13 DR. MCCARTHY: I'm Philip McCarthy from the  
14 transplant program at Roswell Park. I participated in  
15 the DOR acute graft-versus-host disease study  
16 examining BDP with steroids versus placebo and  
17 steroids. I've been involved in the design of  
18 potentially a future, large chronic GVHD study. I was  
19 asked by BMC Communications if I would speak about the  
20 RPCI experience with BDP. I paid for my travel here  
21 from Buffalo.

22 We actually published on this. This is



1 Iyer, et al., a long-term use of oral beclomethasone  
2 dipropionate for treatment of GI GVHD, which was in  
3 the biology of blood and marrow transplantation in  
4 2005. The FDA got to know us very well because we  
5 would get a new IND every time we put a patient on  
6 study. In fact, we used to get a new IND every time  
7 we put a patient on another cycle, and each cycle is  
8 28 days. They got tired of us. They actually made a  
9 deal with us that we  
10 could do it for each patient. We were cross-  
11 referenced with the original Enteron IND, and we had a  
12 particular interest in chronic, long-term use of this  
13 drug because of patients such as Anna where one cycle  
14 would be reasonable, but we were very interested in  
15 trying to use this long-term as a steroid-sparing  
16 drug, which I talk about at the very bottom there. We  
17 initially used it in capsule form. It  
18 actually is compounded in corn oil, which isn't very  
19 well tolerated. You can't tell them to put it on  
20 salad, because they are not supposed to eat salad. Then,  
21 later on, we compound it ourselves in  
22 capsules with the powder, which is not the greatest

1 because of the fact that it is being compounded by  
2 different pharmacies. We used it for the treatment of  
3 acute and  
4 chronic GVHD. There was actually a refractory to  
5 front-line immunosuppression. These are patients who  
6 are usually on a calcineurin inhibitor and  
7 methylprednisolone or an equivalent for 1 to  
8 2 milligrams per kilo per day and were unable to wean  
9 or tolerate steroids without a GI flare. Again, as I  
10 mentioned, it's kind of  
11 paradoxical to say a steroid is a steroid-sparing agent,  
12 but that's how we used it. This is again data from  
13 the paper. It's all in the handouts which I left. We  
14 had nine responders, six nonresponders. We were  
15 focusing primarily on chronic, but at the time we had  
16 two patients with acute GVHD. As you can see, the BDP  
17 start time was 431  
18 days post-transplant; in the responders, 113; and in  
19 the nonresponders, the nearest range. The median  
20 steroid dose was 19 milligrams of methylpred or  
21 equivalent with a range of 88. It was higher in the  
22 nonresponders. We had patients who were in a median

1                    number

2    of cycles of 28 days, 3 in the responders and 2 in the

3    nonresponders. You can see there is one patient who

4    received 20, 28-day cycles. This slide is essentially

5                    meant to show, and

6    I don't have a pointer, that patients in the first

7    three who are CRs, that means "complete response,"

8    they were able to completely wean off steroids. Then, in

9                    the PRs, which are the next six,

10   you can see that two patients were able to have marked

11   reductions in their steroid dosing. Some were already

12   on very low doses and were maintained. Then, you could

13                    see at the bottom in the

14   non-responders there were some we just had to go way

15   up on their steroid dosing. This doesn't work for all

16   patients, but in the ones who do respond we were able

17   to use this to taper systemic steroids. Last slide,

18                    right now we are using this as a

19   pharmacy-compounded agent. It does have variability.

20   We prefer a standardized formulation for both upper-

21   and lower-tract exposure. We have used this now in over

22                    30 GI GVHD