

1 that function changes from one time to the
2 next.

3 So you can't really predict. And I
4 think that wrinkle on enrichment and the
5 representative nature of the cases, I think,
6 would make for quite a good study, but also a
7 good setup for people to be doing multiple
8 company products.

9 CHAIRMAN GLASSMAN: I apologize, I
10 have to step out for five minutes. Dr. Mittal
11 is going to take over for me and I'll be right
12 back.

13 DR. ROSENBERG: Can I add one other
14 point about the cases? We're focusing on
15 cancer cases, that is important. But also,
16 the cases that are not cancer, being
17 representative, would also be important.

18 DR. BERRY: You mean benign?

19 DR. ROSENBERG: Yes.

20 DR. ZISKIN: I would imagine that
21 the appropriate test series would be all the
22 abnormal and the relative proportions that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 exist in the population, just minus all the
2 normals.

3 DR. BERRY: Not all of them.

4 DR. ZISKIN: Okay.

5 DR. MITTAL: Well, there was a
6 comment from that side.

7 DR. SAHINER: Yes, I think the
8 question is also asking about stress test, and
9 I think it may be legitimate for some company
10 to do a stress test. But in this situation, I
11 think it should be clearly labeled that it is,
12 you know, tested, or it has been designed for
13 a certain kind of abnormality.

14 So if it's a stress test, I think
15 it should be clearly labeled that it has been
16 tested on a certain substrata of the dataset.

17 DR. D'ORSI: Yes, I think we're
18 focusing on stress versus no-stress. It would
19 be nice to mix it up. I think it should edge
20 more on the stress and not eliminating the
21 more obvious things or more blatant things
22 that we see in clinical practice. To me, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sort of like a boxer training for a fight.
2 They train with extra heavy gloves, so that
3 when they go into really fight, they could
4 move a lot faster.

5 And to me, it's kind of similar. I
6 think that we should have a, perhaps,
7 inordinate amount, not totally representing
8 what's seen in the screening situation of
9 stress tests, because when I or some of my
10 colleagues look at this, they really want to
11 know can I trust this thing when it says
12 nothing.

13 Because if I could trust this thing
14 with 99 percent probability, I'm home free.
15 And then if I'm averaging one or a half a mark
16 on the cases where I don't see anything, and I
17 know it's really true, I'm going to be very
18 relieved. That's not the case now. It's not
19 the case, because we don't know where it falls
20 down in this area, in these particular areas.

21 And the time to find out is with a
22 semi-stress type of thing. If we just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 duplicate the screening setting, I don't think
2 we're going to stress it enough. We're just
3 going to get data that is very similar to what
4 we are doing now.

5 DR. MITTAL: We have a comment on
6 this side.

7 DR. KIM: Yes, I would definitely
8 agree with you in terms of standalone
9 performance testing, that we should do kind of
10 an enriched dataset in terms of stress. But I
11 guess I would caution if we went to the reader
12 performance test, because taking it from my
13 experience in terms of colon screening, one of
14 the things we have noticed with looking at
15 different prevalence in terms of significant
16 cancers and advanced neoplasia is that when
17 the prevalence is high and you enrich the
18 dataset, the reader can do very well from --
19 with what is not probably the optimal way of
20 detecting cancers.

21 But if you use that same algorithm
22 in a low-prevalence setting, the performance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 markedly decreases. So, in a reader
2 performance where you have enriched and
3 stressed because of the abnormalities that are
4 there so frequent, the reader may be able to
5 do better and it may be more difficult to see
6 the effect of CAD in that instance.

7 CHAIRMAN GLASSMAN: Dr. Berry?

8 DR. BERRY: Surprise! I want to
9 comment on something that Dr. Sahiner said.
10 He said that if we do stress tests, that we
11 label them as such. And Dr. D'Orsi has
12 suggested that we enhance the stress.

13 There is a comment here or
14 question, "In addition, please, comment on
15 whether the expected effect size should be
16 adjusted if an enriched dataset is used. And
17 if so, how and why?"

18 It should be adjusted if we --
19 well, first of all, I don't think that we
20 should tell anybody what the test is. So I
21 disagree with Dr. Sahiner. I also disagree
22 with Dr. D'Orsi. I think that we should have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a representative set of cases enhanced or
2 enriched, but a representative, otherwise, we
3 have to answer "no" here.

4 If we have a representative set of
5 cases that you know have some stress cases in
6 them, but no more than in the general
7 population, we're -- we do have an unbiased
8 estimate of sensitivity and specificity,
9 despite the enrichment.

10 And so we wouldn't have to make
11 these adjustments. If we have the stress in
12 there, then it stresses statisticians, because
13 we've got to figure out when or what the
14 adjustment is.

15 CHAIRMAN GLASSMAN: Yes?

16 DR. SAHINER: I want to clarify. I
17 didn't say that we should tell the -- when we
18 are doing the testing what the stress has been
19 in the labeling. Let's say that you do your
20 pivotal test with cases that were missed by
21 radiologists, then I think in the labeling, it
22 should say that this was tested on a dataset

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where the cancers were missed by the
2 radiologist because that's the stress that --
3 that's the dataset that you collected.

4 But you don't tell the radiologist
5 when they are -- when you are doing the
6 observer study that they were missed cases.
7 So it's just the labeling that I'm talking
8 about. And I had one more point that I
9 forgot.

10 CHAIRMAN GLASSMAN: Well, we'll
11 come back to you when you think of it. Yes,
12 Dr. Dodd?

13 DR. DODD: I have two points that I
14 want to make. One, I wanted to come back to
15 this idea that Dr. Berry suggested about not
16 necessarily doing randomized sequencing of the
17 scans. I had a similar idea. Having some
18 control of the sequence of the -- sequencing
19 of the scans might also allow you to test
20 whether there is some effect of the knowledge
21 prevalence on actually whether they are likely
22 to call.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Say if you have a sequence of
2 positive exams followed by a negative, is the
3 reader more likely to call that one a
4 positive? So if you control the sequencing in
5 some creative way, you might be able to test
6 for that.

7 And then secondly, I just wanted to
8 throw on the table how much enrichment are we
9 talking about? Are we talking about going
10 from a prevalence of .4 percent to 50 percent?

11 Are we talking about -- should there be some
12 limit on the amount of enrichment that is
13 allowed?

14 CHAIRMAN GLASSMAN: Dr. Berry?

15 DR. BERRY: So we should add cases
16 so that we have enough power to assess the
17 sensitivity. And it's not the proportion of
18 normals in cases, but the numbers of cases and
19 the numbers of normal.

20 DR. DODD: Well, can I follow-up on
21 that?

22 CHAIRMAN GLASSMAN: Sure, Dr. Dodd.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DODD: Yes.

2 CHAIRMAN GLASSMAN: Then I want to
3 take over.

4 DR. DODD: I'm not sure that that's
5 the key. I think it is the ratio of the
6 normals and the cancers in the sense that the
7 radiologist begins to change their calls based
8 on -- so I wasn't -- I mean, obviously, you
9 need to power it, so you have enough cancers
10 to detect a certain change in sensitivity, but
11 how many normals do you throw in is the
12 question I'm asking.

13 CHAIRMAN GLASSMAN: Let me go
14 through the -- this question piece by piece, I
15 think, to make sure that we cover all of the
16 things. The first subpoint was breast
17 density, 40 to 50 percent, dense breasts. Is
18 that a good thing? It sort of mirrors what is
19 clinically available. And since we know that
20 cancers tend to be missed in dense breasts, is
21 that something that we would like to suggest
22 is included in the dataset? Any comments

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about apple pie, motherhood, dense breasts?
2 Dr. D'Orsi?

3 DR. D'ORSI: Yes, it depends on how
4 you define dense breasts. If you define it
5 the way ACRIN did, then I agree. ACRIN said
6 the categories of almost entirely fatty and
7 scattered would be non-dense. And the
8 categories of heterogeneously dense and
9 extremely dense would be dense.

10 And if you look at that breakout,
11 it is about 50/50 and would hit exactly what
12 your screening population is. So I think that
13 should be done.

14 CHAIRMAN GLASSMAN: Anyone -- oh,
15 Dr. Berry?

16 DR. BERRY: Yes, so just to comment
17 again on the expected effect size and whether
18 it is unbiased. If you enrich for cases, you
19 don't have to make this adjustment. If you
20 enrich for breast density, then it's not
21 impossible, but you do have to make an
22 adjustment in the effect size, based on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 enrichment for breast density.

2 CHAIRMAN GLASSMAN: Okay. But
3 there is -- is anyone opposed to a 50 percent
4 dense breast target as a good thing for
5 evaluation of breast CAD? Okay. Let's go on.

6 Proportion and types of masses and
7 microcalcifications, approximately evenly
8 distributed with sufficient number of
9 additional patients with architectural
10 distortion alone.

11 So, a sort of even mixture of the
12 most common causes of imaging-detected breast
13 cancer. Is that a good idea, bad idea, needs
14 to be modified? I'm sorry, Dr. D'Orsi. I
15 keep looking to my left. I apologize to you
16 people on the right.

17 DR. D'ORSI: I suppose you are a
18 lefty.

19 CHAIRMAN GLASSMAN: No.

20 DR. D'ORSI: No? I think this is a
21 very interesting statement. It gets back to
22 something that Dr. Berry said. I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should add additional patients with
2 architectural distortion and thinking about
3 Dr. Berry's answer, I kind of agree with the
4 calcifications and the amorphous.

5 But architectural distortion, if
6 you miss it, is lethal. It only means two
7 things. It means cancer or injury, period.
8 So to ensure that that is properly labeled and
9 to test at a relatively stress level for this,
10 I think is extremely important.

11 DR. TOURASSI: I have one question.

12 CHAIRMAN GLASSMAN: Dr. Tourassi?

13 DR. TOURASSI: Are we talking --
14 are we clarifying standalone performance or
15 reader-based performance? So all of these
16 recommendations go under what?

17 CHAIRMAN GLASSMAN: What would you
18 like them to go under?

19 DR. TOURASSI: No, because I echo
20 what Dr. Kim said before. We should have
21 different standards for the standalone
22 performance, where we have the luxury of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 getting cases, and different standards for the
2 reader performance because with any kind of
3 enrichment and any kind of stressing, all of
4 us know that when we bring the observers in to
5 do the studies, they are far more vigilant
6 because we expect that there is more there.

7 So we don't truly get what happens
8 in the real world where we anticipate 4
9 cancers per 1,000 screens.

10 CHAIRMAN GLASSMAN: Well, let me go
11 then to the end, and that is, should w,
12 therefore, expect a higher performance with an
13 enriched dataset? And if so, how much higher?

14 DR. BERRY: Sir?

15 CHAIRMAN GLASSMAN: Yes, please.

16 DR. BERRY: You are going to the
17 end? What do you mean the end?

18 CHAIRMAN GLASSMAN: Later on in the
19 question, there was something.

20 DR. BERRY: In addition, please,
21 comment on?

22 CHAIRMAN GLASSMAN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BERRY: So this is what I have
2 said a couple of times with respect to
3 specific cases. But all five of those Roman
4 numerals are problematic in terms of
5 introducing biases and have to be addressed.
6 And they can be addressed, but I point out
7 that they are counter to Dr. Rosenberg's first
8 comment that we should have a representative
9 set of cases.

10 So there is one approach which
11 would be to take cases, enrich according to
12 the cases, but you get equal proportions of
13 masses and micros, et cetera. And if you're
14 going to enrich on the basis of masses and
15 micros and these other things, breast density,
16 then you screw up the biasness, so that you
17 have to make adjustments to get back to what
18 the effect size is.

19 It's not impossible, but it changes
20 the -- my notion of a simple study, which you
21 know, I signed on to Dr. Rosenberg's statement
22 that we should be representative. But you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, I'm open about these other things. It's
2 just it makes life difficult.

3 CHAIRMAN GLASSMAN: Dr. Rosenberg?

4 DR. ROSENBERG: Yes, it seems we
5 are talking about reader performance which is
6 a difficult testing procedure to do. And so,
7 I think the concept of simplifying this part
8 of it, where if you are looking at standalone,
9 you could enrich easily with subsets because
10 it's really just collecting the cases and
11 having the computer do its algorithm.

12 But this involves a radiologist.
13 This is actually a difficult thing. And under
14 the concept of making it reasonable for the
15 vendors to prove efficacy, it seems we do want
16 to make it simpler. So not have all the
17 subcategories necessarily enriched.

18 DR. LIN: I think it's going to be
19 very difficult for the statisticians to adjust
20 adequately when we introduce all this stress
21 testing, especially when we are measuring
22 reader performance, you know, outcomes. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think it's problematic with this. So I would
2 probably be more in favor of case enrichment,
3 but still in the usual proportions that we see
4 in clinical practice. I think that's -- the
5 stress testing really just introduces
6 unnecessary complexity to the whole process.

7 CHAIRMAN GLASSMAN: Let me ask a
8 questio, because I am now totally confused.
9 I'm -- we have a disease with an extremely low
10 prevalence. So if we're not going to have,
11 you know, 40,000 patients in a reader study,
12 we need to do something to enrich the
13 population, the test set for the reader study.

14 I agree, for a standalone study, we
15 can do as many as we need. We can enrich it.
16 We can do whatever we want. But for the
17 reader study, for the readers not to simply
18 see all normal mammograms, we have to enrich
19 the dataset somehow. Enriching it in
20 proportion to the disease absent the normal
21 patients makes to me, some sense. And then
22 throw enough normals in to make it reasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to adjust the statistics in some way that you
2 statisticians do.

3 Is that not where we want to get
4 or, I'm not trying to drive the answer here,
5 but I'm totally confused.

6 DR. BERRY: So that is the simple
7 version that Dr. Rosenberg suggested, and then
8 we started talking about enrichment on the
9 basis of cases. We then launched into a
10 discussion of the important roles of breast
11 density and micros versus masses. That the
12 Roman numerals i through v, these are
13 different types of enrichment.

14 And so, if we enrich on the basis
15 of cases, but also enrich on the basis of
16 these characteristics, then we have problems.

17 They are not insurmountable problems, but
18 they create lots of difficulty.

19 So the question is should we be
20 enriching on the basis of characteristics
21 other than cancer? And at least some of us
22 think that it's better to -- I think you do,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as well, Dr. Glassman, that we enrich on the
2 basis of cases, and let these other things
3 fall the way they do normally.

4 CHAIRMAN GLASSMAN: Well, again, I
5 don't want to make this a two-way
6 conversation, but I'm going to one more time.

7 My comment: and that is what I'm envisioning
8 is that the enrichment is i through v. but in
9 a ratio that respects the normal incidence of
10 those things in a non-normal population.

11 If you took a thousand cancers, you
12 would have so many clustered calcifications,
13 so many masses, so many architectural
14 distortions; that's the ratio that I'm
15 envisioning. Is that a statistical nightmare
16 for the statisticians?

17 DR. BERRY: No, you are doing it in
18 a simple way, and these characteristics are in
19 the back seat. What is driving it is the
20 cancer. You are putting it in the enrichment
21 because it is cancer, and because it's cancer
22 it happens to be, you know, more likely to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 breast dense -- dense breast, et cetera.

2 So these come along for the ride in
3 your approach; whereas, you could think of
4 enriching more on the basis of these, both in
5 the normals and in the cases which is
6 problematic.

7 DR. ABBEY: Could I just ask
8 another question then? So if we are
9 enriching, we heard this morning that about 10
10 percent of all cancers are not visible
11 mammographically at all, how do you enrich
12 with those? You don't even know if the cancer
13 was there because you detected it a year or
14 two later. And you don't know if it was
15 present at that time.

16 So how do you enrich occult cancer
17 cases? I just see a structure -- I just see
18 the idea of an unbiased estimate of
19 sensitivity by any sort of enrichment as kind
20 of difficult to attain.

21 DR. ROSENBERG: Actually, it's a
22 good question. I would suggest enrichment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just be a detected cancer is -- detectable
2 cancers, but that becomes a difficult
3 question.

4 DR. ABBEY: So that's a
5 verification bias then, that's in the thing,
6 because they were detected cancers.

7 CHAIRMAN GLASSMAN: Carl? I guess,
8 Dr. Ziskin.

9 DR. ZISKIN: There was a comment
10 made about a concern that if a reader knows
11 that there has been a stress thing, it would
12 change the behavior. And of course it will,
13 however, my understanding is that the ROC
14 analysis will take that into account of this.

15 That that's the purpose, I feel of the ROC.

16 DR. TOURASSI: Not necessarily,
17 because if they have become more vigilant and
18 they detect more things because they go back
19 over and over again, all the benefit we get
20 from CAD in terms of the perceptual letter is
21 going to be lost under this scenario, so the
22 ROC difference.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ZISKIN: Maybe at the time.

2 CHAIRMAN GLASSMAN: Let me ask
3 another question then. There was another
4 part, I think, to this question that we didn't
5 project.

6 (b) If you believe that enrichment is
7 appropriate, please provide your reasons.
8 That we have done. And whether there would be
9 an alternative method. It should be
10 inappropriate, I think, there.

11 If you believe that enrichment is
12 inappropriate, please provide your reasons and
13 whether there would be an alternative method
14 of assessing these devices in light of the low
15 prevalence. Is there something we haven't
16 thought of? No? Okay.

17 I would like to, unless somebody
18 has a burning comment -- Dr. D'Orsi has a
19 question.

20 DR. D'ORSI: Just one thing, and
21 this just hit me. I agree now with what Dr.
22 Berry is saying. I can understand the problem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of enriching with a performer, but you don't
2 disagree with doing that on a standalone, the
3 stress? Okay.

4 The other thing; this is
5 interesting. When you look at a mammogram,
6 you are thinking of how much this would
7 indicate that it is malignant before you call
8 it back. But there are many things. In other
9 words, the call back, you may not necessarily
10 be thinking 100 percent malignancy. You may
11 be thinking this has a chance.

12 So there are a lot of benign
13 things, and that's a problem with mammo, that
14 simulate the malignant findings. How do we
15 capture that? Is it possible to capture that,
16 or is that just too complex to start mimicking
17 findings that are pathology-benign, or is that
18 just a nightmare?

19 CHAIRMAN GLASSMAN: Go ahead, Dr.
20 Berry, and then I'll summarize.

21 DR. BERRY: Yes, I would say it's a
22 nightmare. It's the -- you know, we hear

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these things all the time that HRT is
2 responsible for cancers that are worse
3 prognosi, because it's really difficult even
4 in the context with lots of data.

5 I do want to point out this comment
6 about the occult cancers. And Dr. Rosenberg
7 said that we should do detected only, but that
8 leads to bias. So with respect to this
9 additional comment, if it's possible, the
10 cases should be cancers. Cancers that are
11 identified at some future time, whether or not
12 the cancers were visible at the time is --
13 maybe there is a CAD that would find the thing
14 that we didn't think was visible.

15 It should be cancers. If it's not
16 cancers, I would just point out -- I mean, if
17 its detected cancers, I would point out that
18 that's a bias and has to be adjusted in some
19 fashion.

20 CHAIRMAN GLASSMAN: Let me try to
21 summarize where we are, and I hope my own
22 biases don't creep in too much. If they do,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 please let me know.

2 That for both standalone and reader
3 testing, the Committee recommends an enriched
4 dataset. For standalone testing, the
5 enrichment can be stressed and can be a large
6 set because we are not worried about reader
7 bias.

8 For the reader sets, however, the
9 stress should -- I mean, not the stress, but
10 the enrichment should mirror the normal
11 distribution of cancers in the imaging
12 population with, if possible, prior "negative
13 mammograms from patients who developed cancer
14 that was visible a year later, if that's
15 available."

16 All of the categories we agreed
17 with the different kinds of calcifications,
18 the densities, the small masses, and that we
19 did not believe that there was an alternative
20 to enrichment.

21 Ms. Brogdon, does that answer the
22 Agency's need?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. BROGDON: Yes, it does. Thank
2 you.

3 CHAIRMAN GLASSMAN: Great. Thank
4 you. Okay. The fifth question, we have 5, 6,
5 and 7, and then we have a coffee break to give
6 you something to look forward to.

7 M5. Mammograms obtained on Full
8 Field Digital Mammography devices have
9 characteristics that are strongly dependent on
10 engineering design and device hardware and
11 software. If a mammography CAD has been
12 approved to operate with screen film or a
13 specific full field device or devices, what
14 data should be used to assess its performance
15 with the different Full Field Digital
16 Mammography device?

17 Would one or the other suffice?
18 Are there other types of studies that should
19 be provided instead of or additionally to?

20 As we're going to full field
21 digital more and more in the United States, I
22 think this becomes a very important question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Just let me, for those of you who don't know
2 as much about full field digital, the way that
3 it works is that the image is taken and stored
4 electronically. It is then manipulated so
5 that the end result looks like a more
6 beautiful than beautiful film mammogram.

7 But there are steps in the middle
8 where there is data manipulation that are
9 machine-specific, company-specific, and
10 probably not necessarily generally available
11 as trade secrets.

12 So one of my concerns is that, if
13 we say that -- if the companies look at the
14 final imaging output and that's where they put
15 CAD, then they are, in effect, CADing an
16 electronic film mammogram. If, however, CAD
17 comes in at a much earlier stage, then it
18 really may be machine-specific in its
19 workings. That the manufacturer of CAD may
20 have to deal with the manufacturer of that
21 device.

22 And so there are several levels to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this question that I think we need to talk
2 about. With that, I will open it up for
3 comments. Dr. D'Orsi?

4 DR. D'ORSI: The only time I don't
5 raise my hand you call on me. It's a great
6 question. I was trying to think of it, but --
7 think about it. The -- I don't know enough of
8 what goes into process the raw images to know
9 if doing a CAD on the raw versus the processed
10 images will make a big difference between
11 manufacturers. I just don't have a feeling
12 for that.

13 I would imagine that, just
14 guessing, most of the manufacturers have a
15 pretty similar processing algorithm. So
16 intuitively, you would think it wouldn't make
17 a big difference, but I don't know how to
18 answer that.

19 CHAIRMAN GLASSMAN: Dr. Berry and
20 then Dr. Garra.

21 DR. BERRY: So it is conceivable
22 that the digital mammography has greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accuracy and that it works differently with
2 readers when you are using CAD. So it's
3 conceivable that the CAD efficacy is now less
4 or even gone in the context of digital films.

5 The -- I would suggest that you
6 would have to do a study that shows that in
7 the context of this new full field or full
8 field -- if you are approved for mammography,
9 for film and for full field, you would have to
10 do a new study. You ought to be able to
11 borrow, to some extent, your -- from the
12 historical study, from the historical data and
13 not have to do as big a trial or as big a
14 study as you had before.

15 But I think the onus is on you to
16 show that you are as good in the context of
17 full field as you were in the context of film.

18 CHAIRMAN GLASSMAN: Dr. Garra next
19 and then --

20 DR. GARRA: I do think that there
21 are going to be differences between the
22 systems and that a specific CAD system may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 tailored for the specific output of a full
2 field digital system or a CR system or
3 whatever. So I think there will have to be
4 testing, but it may be possible to get at
5 those results by doing a standalone test,
6 rather than an observer trial.

7 CHAIRMAN GLASSMAN: Dr. Spindell?

8 DR. SPINDELL: That was exactly my
9 question was whether Dr. Berry would feel a
10 standalone trial in that situation would be
11 sufficient?

12 DR. BERRY: No.

13 DR. SPINDELL: And what trials
14 would you suggest?

15 DR. BERRY: Well, the reader trial,
16 the adjunct trial, because it really -- what
17 matters is, you know, what the reader can see
18 in the full field as opposed to the film. And
19 that could be very different. That is, the
20 CAD may be performing exactly as it had in the
21 standalone, but now, you don't need it because
22 the reader can see in the digital things that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the reader couldn't see otherwise.

2 DR. SAHINER: Maybe if more
3 knowledgeable people about the ACRIN Study
4 might correct me, but ACRIN Study on a large
5 population of cases comparing screen film to
6 digital, for a large majority of them, there
7 was not a statistically significant difference
8 between screen film and FFDM. It was only for
9 younger patients, pre-menopausal women with
10 dense breasts.

11 So then the question is, for
12 example, would it be sufficient to look at
13 only that subpopulation where we see a
14 difference between FFDM and screen film?

15 And the other thing is the other
16 difference between screen film and FFDM is, of
17 course, that the FFDM has been processed. So
18 it's different from the issue of whether the
19 lesions are better visible on FFDM or not.
20 But different companies may have different
21 pre-processing algorithms. And if you are
22 looking at the end image, then depending on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how you do your processing, the results that
2 you get might differ, based on, you know,
3 which company's pre-processing you are working
4 on.

5 So I think for the second question,
6 you know, different companies pre-processing
7 algorithms and how your -- how a
8 manufacturer's CAD works on that, I think for
9 that one, a standalone study might be enough,
10 because it's not a, you know, perception or
11 it's not a user issue. It's how your CAD
12 works with that company's pre-processing
13 algorithms.

14 CHAIRMAN GLASSMAN: Any other? Dr.
15 D'Orsi again.

16 DR. D'ORSI: I should never do
17 this. No. What was I going to say? On the
18 processed images, I think I agree with Dr.
19 Berry that a performance reader assessment is
20 even more critical than it is with film
21 screen, because of the processing.

22 What I have anecdotally noticed on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these images from the manufacturers is they
2 look beautiful. They are gorgeous. You can
3 hang them in a museum, but I don't want to
4 hang them in a museum, I want to find cancer.

5 And what happens is that much of the anatomic
6 detail, the anatomic noise is also enhanced.

7 So now, your eye is bombarded by
8 anatomic structures that are enhanced almost
9 as much as what you are looking for and now
10 your head is trying to say oh, my God, I have
11 a million criss-crossing lines and densities.

12 My God, they are all standing out. And
13 that's a significant effect with the
14 processing.

15 And I think that the performance
16 testing, the reader testing are going to be
17 very critical on that to assess the level of
18 processing.

19 CHAIRMAN GLASSMAN: Dr. Garra?

20 DR. GARRA: I just wanted to say
21 that I agree that there could be differences
22 in human observers with full -- various types

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of processing in full field digital, but
2 that's an issue for the FDA to address in
3 performance of Full Field Digital Mammographic
4 systems, not CAD systems.

5 And what we want to establish here
6 is whether the CAD system is the same, and if
7 there is variations in full field digital,
8 that's a different question that the FDA may
9 need to address.

10 CHAIRMAN GLASSMAN: Dr. Watt?

11 DR. WATT: Only processed images of
12 the FFDM, the final appearances depend -- are
13 vendor-dependent. And so I think it's
14 essential that there is another reader study,
15 because the standalone would convert, yes,
16 whether the CAD does or does not operate
17 adequately, or to the same standards it did
18 with film screen.

19 But the differences on the final
20 processed image are such that, indeed as Carl
21 says, you have such anatomic detail and the
22 detail that you have from the cancers as well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think that you need again to see how the CAD
2 and each of them are operating, and that they
3 are operating equally well vendor to vendor.

4 CHAIRMAN GLASSMAN: A comment?
5 Yes, Dr. Bourland?

6 DR. BOURLAND: So if they -- the
7 two systems are a single system and coupled
8 such that the data from one funnel directly,
9 than clearly that's a system that needs to be
10 tested at both ground-level standalone, as
11 well as reader. If they are independent, I
12 agree with recent statements that they -- that
13 is no different than what we were already
14 talking about, about a standalone CAD system
15 that now has an image input from somewhere and
16 that needs to be tested at both standalone and
17 reader levels.

18 CHAIRMAN GLASSMAN: Are there any
19 other types of studies that should be provided
20 with full field digital either in addition to
21 or instead of standalone and reader testing?

22 DR. SAHINER: Can I go back one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 step and make a comment? Now, studies like
2 the ACIN Study, they are trying to look at
3 the observer performance alone, radiologist's
4 performance alone, FFDM versus screen film.
5 So if those two are about the same, and if you
6 have a CAD system that works about the same on
7 an FFDM versus screen film, then I think it
8 is, you know, splitting hairs a little bit too
9 much to then say okay. But when they are
10 combined, although they are in the same, when
11 they are alone, when they are combined, there
12 might be more errors, so let's do another
13 observer study.

14 And I would like to remind that
15 there are many different FFDM systems by
16 different companies with different pre-
17 processing. So that would make a lot of new
18 observer studies for each of them. It would
19 be, I think, very burdensome.

20 CHAIRMAN GLASSMAN: Does anyone
21 want to change their mind with a comment? It
22 seems that the sense of the committee with one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very cogent objection is that both reader and
2 standalone testing would be necessary. Is
3 there a --

4 DR. TOURASSI: I would like also to
5 echo Bourland's comments. I do agree with him
6 on that.

7 CHAIRMAN GLASSMAN: Okay.

8 DR. ROSENBERG: Yes, I think with
9 the multiple CAD or new digital systems out
10 there, and digital systems also will evolve.
11 In other words, what we are with now is a
12 first generation digital so if we suggest the
13 reader performance testing for the first
14 generation of digital, where are we when the
15 detectors change? And at what level do we
16 require a new observer performance for the
17 digital machine, not just the CAD?

18 So I think we are in -- that could
19 be a very difficult burden.

20 CHAIRMAN GLASSMAN: Yes, we are
21 here, though, dealing with CAD, rather than
22 with a new digital machine, except as an input

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 device into the CAD.

2 DR. CARRINO: I would also augment
3 that the CAD is accepting a digital image and
4 you, as a -- you know, either through MQSA or
5 whatever routine clinical practice you have,
6 you have defined what is a diagnostic clinical
7 image, and that's the input to the CAD. So
8 whether it is from one of these full field
9 digital machines or something else, I'm not
10 sure that that matters.

11 I think it is too burdensome to do
12 it for every single type of vendor who makes
13 those devices. So it should just be looked at
14 as a digital image coming in and the CAD is
15 testing it. Now, it would be -- it probably
16 would be prudent and behoove the vendors for
17 CAD to look at a range of these different
18 devices that exist out there.

19 But I think to mandate it would be
20 too burdensome. If there is a CAD product
21 that is inherently involved in the image
22 processing then, of course, if that's linked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to a specific product, then that's only good
2 for that one system that it is tied into.

3 CHAIRMAN GLASSMAN: Dr. Berry?

4 DR. BERRY: So I think you have a
5 split decision, Dr. Glassman. And just to be
6 clear, my understanding of what we're about
7 here is not is CAD the same from one to
8 another? The uncertainty in this is the
9 reader, and the reader can have a different
10 performance in one setting than another. Just
11 because the performance of full field is the
12 same in the ACRIN Study or, you know, within
13 statistical limits, doesn't mean that adding
14 CAD is going to be the same in both cases.

15 So it's not is CAD the same from
16 one system to the other but what does CAD add
17 from one to the other?

18 CHAIRMAN GLASSMAN: Okay.

19 DR. GARRA: I have to reiterate
20 that if the CAD is performing the same from
21 one system to another and the reader is
22 varying with the full field digital from one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to the other, that's a full field digital
2 issue, not a CAD issue. To place that burden
3 on the CAD developer or company, I think is
4 unfair. You can't do that. It has to be
5 addressed to the -- by the FDA as a variation
6 in full field digital.

7 CHAIRMAN GLASSMAN: I'm still
8 confused as to this -- well, I still hear a
9 split decision. Dr. D'Orsi?

10 DR. D'ORSI: I now, clearly now,
11 understand what Dr. Garra is saying. There
12 might be variation. There will be variation
13 in interpretation, but to dump it on CAD is
14 unfair. As a matter of fact, CAD may actually
15 help overcome that processing in a way. So I
16 clearly see what you are saying.

17 CHAIRMAN GLASSMAN: Dr. Dodd and
18 then I'm going to pose a question to the
19 group.

20 DR. DODD: Okay. I just want to
21 make a slightly different point. And that is,
22 we're all assuming that CAD is going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perform the same with the full field digital
2 as with the screen film. And based on what I
3 have heard, the way the features look and
4 appear, it's not clear that they will behave
5 the same.

6 So I think a question is if you
7 have say a similar sensitivity but a decrease
8 in specificity, in my opinion, that should
9 definitely trigger a reader study. Any time
10 you get an increase in false positives, you
11 need to understand how the reader is going to
12 interact with that.

13 CHAIRMAN GLASSMAN: I would love to
14 be able to summarize what we just said.

15 DR. GARRA: I just wanted to
16 comment on that.

17 CHAIRMAN GLASSMAN: Go ahead,
18 Brian, and then I'm going to have to go on.

19 DR. GARRA: The assumption was that
20 the CAD system performs the same on the
21 standalone study. If it doesn't, then all
22 bets are off. Then you are going to probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have to do both.

2 CHAIRMAN GLASSMAN: Ms. Brogdon,
3 the sense of the committee is, I think, that
4 we don't know enough about the vagaries of
5 image processing and the link between CAD and
6 full field digital. That if the link is such
7 that it looks like CAD is late in the process,
8 standalone testing might be enough. I may
9 take hits for this.

10 However if it's early in the
11 digital process, where we can't be comfortable
12 that it runs like film, that reader studies
13 may be needed. Before you answer whether that
14 is sufficient, is the Committee willing to go
15 with that statement or do I need to modify it?

16 DR. BERRY: I think you just
17 defined a unique position between the two
18 extremes. And you said the Committee doesn't
19 know. I think the Committee knows, it's just
20 they know two different things.

21 DR. SAHINER: May I make a comment?

22 CHAIRMAN GLASSMAN: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SAHINER: I think actually
2 earlier in the process before the
3 manufacturers' pre-processing algorithms are
4 applied, the images between different FFDM
5 manufacturers may be more similar. It's the
6 processing that they do that we don't know
7 about, and that's the variation.

8 CHAIRMAN GLASSMAN: You may be
9 right, although I would like to suggest that
10 your first part of your statement, at least to
11 me, is an assumption, rather than a fact. I
12 don't know that that's the case. Again, let
13 me ask the committee whether you are willing
14 to go with splitting Solomon's baby or not
15 here. And then we will pose it to the Agency
16 as to whether they are willing to accept that
17 as an answer. Ms. Brogdon?

18 MS. BROGDON: I think you probably
19 gave us more than we had hoped for. It was a
20 heroic effort. Thank you.

21 CHAIRMAN GLASSMAN: We're going to
22 move on then to M6. We have M6 and M7.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 M6. Mammograms obtained on full
2 field -- no, that's 5. Please, I don't want
3 to read that one again, Sunder.

4 Here we go. FDA does not specify
5 indications for use but reviews indications
6 for use that are requested by companies. What
7 are the Panel's views regarding second reader
8 versus concurrent reading using a CAD device?

9 Specifically,

10 (a) How are mammography CAD devices used
11 clinically?

12 (b) Are second reader and concurrent
13 reading modes both clinically relevant options
14 for use in practice? If not, which paradigms
15 are appropriate for mammography CAD devices?

16 (c) Do you believe users understand that
17 if a device is labeled as a second reader,
18 that is, the physician should always read the
19 radiological image completely before turning
20 to CAD?

21 Okay. That's the whole question.
22 I would like to start off this one if I can.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think, clinically, CADs are used in
2 mammography, both in the concurrent and
3 sequential mode. That's based on personal
4 observation of mammographers working in the
5 field.

6 I think we have been told, and I
7 think it's true, that film reading is faster
8 in the concurrent mode than in the sequential
9 mode. And that has become useful to a lot of
10 people with their workloads. So I think the
11 way it is used is both.

12 Are they both clinically relevant?

13 I believe that they are. The danger, of
14 course, with the concurrent is that the
15 concurrent reading becomes the first reading,
16 and I -- without a complete second reading.
17 And I think if a radiologist has the
18 discipline to use it as a concurrent method,
19 you don't lose any accuracy and you may gain
20 time.

21 If you don't have that discipline,
22 then with breasts you have to do it as the CAD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 second.

2 And third, I'm really going to show
3 my ignorance here, but it was my understanding
4 that the labeling did not preclude practicing
5 physicians from using the device as a
6 concurrent reader.

7 Any other comments, please, from
8 the Panel? Dr. D'Orsi?

9 DR. D'ORSI: Let me go through
10 these three also. I think you are right. I
11 think they are both used concurrently and as a
12 second reader, but I think there is enough
13 data to really, really raise a lot of red
14 flags about using it concurrently for many
15 reasons, several of which you mentioned. The
16 bias of having something labeled as you are
17 reading.

18 Studies have shown that true
19 lesions, not marked, are totally ignored. And
20 we are just introducing another layer of
21 uncertainty by reading concordantly. So I
22 think that's really something that is very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 frightening to me, and I don't believe that
2 everybody understands that it should be used
3 as a second reader.

4 As far as the time is concerned, if
5 they are using it correctly, in my opinion,
6 correctly being as a second reader, you are
7 going to take more time to read it, period.
8 And that's one of the things I was suggesting
9 to time these things.

10 If somebody reads faster with it, I
11 think you have to be a little bit worried
12 about what they are doing. So anyway, those
13 are my opinions.

14 CHAIRMAN GLASSMAN: Dr. Watt?

15 DR. WATT: I'm in agreement with
16 Dr. D'Orsi. Most of the time the clinicians
17 are not aware or do not read -- they should be
18 using it as a second reader. And my suspicion
19 is, anecdotally, that there are clinicians who
20 are using it simply as a primary read, are
21 flipping on the CAD and zipping through cases.

22 There is no way that you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decrease your time of reading with CAD at all
2 if you are using it correctly. You have to be
3 increasing your time.

4 CHAIRMAN GLASSMAN: In terms of
5 these specific answers, I take it you think
6 that the labeled use is the correct one?

7 DR. WATT: Yes.

8 CHAIRMAN GLASSMAN: Okay. Dr.
9 Leitch?

10 DR. LEITCH: I think this is going
11 to be the tension we're going to deal with in
12 talking about all these CAD devices is this
13 concept of the time element in a screen that
14 could be saved by the computer and then
15 focusing on the lesions at hand.

16 However, I think in breast, it is a
17 circumstance where the difference among the
18 individual lesions is probably sufficiently
19 complex that this is more -- and you don't --
20 and you have a smaller field to look at. You
21 don't have the whole colon or something like
22 that, that you are probably better served to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 use it as a second reader.

2 And, of course, if what you are
3 saying to patients, and this is, to me, again
4 honesty in practice, if you are saying to
5 patients that, by using CAD you are giving
6 them a second read, then that's how you should
7 practice it. You know, if you're saying
8 you're going to give them a better read
9 instead of another person, you're having a
10 computer give them a second read, then that's
11 how -- you should practice it in that fashion.

12 On the other hand, if what you are
13 saying is, you know, well, I got these
14 kabillion people I've got to screen, and I'm
15 going to have to start practicing some
16 efficiencies, then that -- this concurrent
17 might be something you would do, or somebody
18 that's a really great reader could work it
19 well.

20 But I think for most cases it
21 should be the second reader practice, that's
22 how it should be done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Dr. Rosenberg,
2 any comments?

3 DR. ROSENBERG: Yes, my experience
4 would be it's -- there are people using it
5 more in selective cases concurrent, I've been
6 told for like fatty replaced breasts. You can
7 pick out the calcs quickly with the CAD.

8 My personal experience is the way
9 it is labeled, and I think that's the
10 appropriate way to use the device.

11 CHAIRMAN GLASSMAN: Any other
12 comments from any members of the panel? Okay.
13 Ms. Brogdon? Oh.

14 DR. STEIER: No, I was just going
15 to say there is a kind of parallel universe.
16 I read EKGs and pulmonary function tests. And
17 with EKGs you get the automated interpretation
18 and highlighted areas of, perhaps disease, and
19 the same thing on pulmonary function tests
20 where the tests come back abnormal from the
21 computer with the areas that are abnormal
22 highlighted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And over time, what I have noticed
2 is people become very used to using the
3 automated responses and become less and less
4 diligent in reading things themselves. And in
5 radiology, I don't know if that's true for you
6 guys who read the mammograms and such, but I
7 know in other areas of medicine it happens.

8 CHAIRMAN GLASSMAN: Okay.

9 DR. STEIER: And I don't know what
10 the labeling says for either product.

11 CHAIRMAN GLASSMAN: Well, Ms.
12 Brogdon?

13 MS. BROGDON: That anticipates my
14 question to the Panel. What can FDA do to
15 enhance users' reading and comprehension of
16 labeling?

17 DR. ROSENBERG: Reading or
18 comprehending?

19 MS. BROGDON: Both.

20 DR. ROSENBERG: Right, or
21 utilizing.

22 CHAIRMAN GLASSMAN: Let me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 summarize, and maybe I'll expand a little bit
2 on that and see if the panel will go along.
3 How are they used clinically? The answer is
4 both concurrently and sequentially. Are they
5 both clinically relevant options? I think the
6 answer is that secondary reading is certainly
7 preferable based on the data and the labeling
8 that the FDA has.

9 However, under certain
10 circumstances, concurrent may be acceptable
11 but certainly not the best practice. In terms
12 of how this can be made more -- you know,
13 better to the physicians, I think the training
14 from the companies and we haven't mentioned
15 this, but if anybody disagrees, I'll give you
16 a chance to have a second go at it, but
17 certainly training from the companies as to
18 the best way.

19 And the company is making the users
20 aware of the data that shows that it is the
21 best way would probably be most effective.
22 Does anybody disagree with that or have an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 alternative? Is that an adequate answer?

2 MS. BROGDON: I think the question
3 is -- first of all, you need to understand FDA
4 does not regulate off-label use of devices.
5 And part of the question for you is whether
6 the devices are being used in line with the
7 labeling and the indications for use at all.

8 If you feel these devices are being
9 used frequently off-label, that is for
10 concurrent reads, do you believe that the
11 labeling should eventually conform to FDA's
12 approvals or clearances can conform to the
13 real use of the devices? And if so, what data
14 would be needed to get approval or clearance
15 for the concurrent reads?

16 CHAIRMAN GLASSMAN: Dr. D'Orsi?

17 DR. D'ORSI: I am going to go the
18 other way. You have more evidence to suggest
19 not to read concurrently than you -- there is
20 none that I know of to read concurrently. So
21 maybe you should be thinking this should not
22 be used and, you know, some stronger language.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There is stuff -- data, as I said, that
2 indicates it should not be used concurrently,
3 only because the satisfaction research and
4 missing lesions that aren't circled.

5 MS. BROGDON: I think we are
6 talking here about future studies. If a
7 company wanted to obtain approval or clearance
8 for indication as a concurrent reader, how
9 should those studies be done?

10 DR. TOURASSI: Another reader
11 study, based on that paradigm.

12 CHAIRMAN GLASSMAN: Right.

13 DR. GARRA: And that is the wave of
14 the future. It's going to have to go in that
15 direction because of time pressures in
16 everybody's lives. So the companies should be
17 warned that you are going to have to move in
18 that direction, and they are going to have to
19 move and improve their algorithms to go along
20 with it.

21 CHAIRMAN GLASSMAN: So a reader
22 study comparing secondary reading to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concurrent reading that would have sufficient
2 statistical power to prove or disprove the
3 premise would be the answer, I think, to your
4 question?

5 DR. TOURASSI: Why necessarily
6 concurrent reading versus second reading? The
7 whole idea is the new paradigm, whatever it
8 is, versus the standard way of no CAD.
9 Because there is no concurrent reading and
10 down the line there may be other paradigms.

11 CHAIRMAN GLASSMAN: I see nodding
12 of heads all around the room. So I correct my
13 statement. Concurrent versus standard. No
14 more nodding, that's good. Is that a
15 sufficient answer to M6 for you?

16 MS. BROGDON: Yes, thank you.

17 CHAIRMAN GLASSMAN: All right. M7,
18 the last mammography question. FDA has
19 provided you with a bibliography, that is us,
20 of published literature for mammography CAD.
21 Please, discuss whether these publications
22 provided us with any additional information as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to how such devices should be evaluated in the
2 future.

3 That was the CD that we all got.
4 Any comments after reviewing the papers? Mine
5 on reviewing was that I thought that it added
6 a lot of postmarket information, but wouldn't
7 -- didn't give me any insights into more
8 effective premarket look at anything.

9 Anybody else have a comment?

10 DR. GARRA: Agreed.

11 CHAIRMAN GLASSMAN: That was a
12 quick one. The answer was no. Are there any
13 other -- would you like -- Ms. Brogdon, the
14 answer is the data did not give us any
15 premarket hints as to more effective ways to
16 look at the equipment.

17 MS. BROGDON: Let me just ask the
18 staff if there is a follow-up question. We're
19 fine. Thank you.

20 DR. GARRA: Can I just make a
21 comment on that?

22 CHAIRMAN GLASSMAN: Of course,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Brian.

2 DR. GARRA: Because that went too
3 quickly, right, so we have to create some
4 controversy. My reading of a lot of the
5 postmarket studies did show the problems you
6 can run into by trying to get statistically
7 significant changes when clearly the changes--
8 the marketplace is showing the changes are
9 people find it useful, but a lot of the
10 studies aren't showing much in the way of
11 statistically significant changes,
12 particularly an area under the ROC curve.

13 And so it's a cautionary note that
14 you could set the bar too high by using some
15 of the criteria in those studies as a
16 premarket device to require them to meet
17 before they could get approved.

18 CHAIRMAN GLASSMAN: Okay. Any
19 other comments? If not --

20 DR. BOURLAND: A comment.

21 CHAIRMAN GLASSMAN: Oh, comment,
22 I'm sorry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BOURLAND: So I think some of
2 the issues might have been in one of the
3 presentations relative to dynamic studies 4D,
4 temporal changes, these types of things, other
5 modalities than x-ray.

6 CHAIRMAN GLASSMAN: Yes. We are
7 going to get to that tomorrow. That is, if we
8 ever finish today. If no one has any
9 additional comments, we will now conclude our
10 discussion of mammography CAD devices. We
11 will now take a 13 minute break and come back
12 at 3:35, please. Thank you.

13 (Whereupon, the above-entitled
14 matter went off the record at 3:23 p.m. and
15 resumed at 3:37 p.m.)

16 CHAIRMAN GLASSMAN: We will now
17 proceed with the FDA presentations
18 highlighting current issues related to colon
19 CADs. Our presenter will be Dr. Frank
20 Samuelson, from the Office of Science and
21 Engineering Laboratories.

22 DR. SAMUELSON: Thank you. I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to talk to you today about colon CAD
2 devices, and some of the issues related
3 thereto. First, here's my outline. First,
4 I'll discuss briefly about colorectal cancer,
5 the diagnosis and care thereof, including,
6 specifically, optical colonoscopy and computed
7 tomographic colonography.

8 And then I'll move into CTC
9 computer-aided detection and diagnosis, or
10 colon CAD, as I'll refer to it. And I'll talk
11 about the potential of some of the details of
12 the implementation, performance studies,
13 standalone and reader studies, and issues
14 particular to CTC CAD studies.

15 So colorectal cancer accounts for
16 about 52,000 deaths per year in the United
17 States, so that's why we're here this
18 afternoon specifically. Colon cancer is
19 believed to arise primarily from adenomatous
20 polyps. Most polyps, 90 percent of them or
21 so, are -- tend to be hyperplastic, not
22 adenomatous. And these are mostly benign.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Larger polyps are -- have a greater
2 -- the larger of polyp, the greater the
3 likelihood that it is -- might be an adenoma
4 or a cancer. About 10 percent of adenomatous
5 polyps larger than 1.5 centimeters may contain
6 invasive cancer. About 8 percent of the
7 screening population has a polyp larger than 1
8 centimeter.

9 Fortunately, these polyps are
10 relatively easily removed, and that's why
11 colorectal screening is recommended for people
12 over 50 years of age.

13 Methods for diagnosing colon cancer
14 and finding polyps include barium enemas, in
15 which case barium acts as a coating of the
16 colon, and as an x-ray contrast. There is
17 also fecal blood testing, which is
18 inexpensive, and very safe and easy, but tends
19 not to be very specific nor sensitive to colon
20 cancer.

21 There is also optical colonoscopy,
22 which is a current screening standard. And in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 an optical colonoscopy, there is a series of
2 bowel cleansing, there is insufflation of the
3 colon, and then an endoscope is used to view
4 the inside of the colon and visually search
5 for polyps.

6 Relatively newer, there is CT
7 colonography, which involves some of the same
8 steps as optical colonoscopy, such as bowel
9 cleansing, and insufflation, but instead it
10 implements two CT scans, one in the supine
11 position, and one with the patient in the
12 prone position.

13 Here, I'm going to compare optical
14 colonoscopy and CT colonography in a little
15 more detail. The optical colonoscopy tends to
16 be a bit more invasive than CTC, and thus
17 requires patient sedation. But on the other
18 hand, it requires no x-ray dose, unlike a CT
19 colonography. And as I pointed out before,
20 one uses a fiber-optic scope, and another uses
21 a pair of CT scans.

22 Another very important difference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 between the two is that optical colonoscopy
2 provides the ability to perform a resection,
3 or in this case, probably a polypectomy.
4 Whereas in CT colonography, any positive
5 finding, or a desire to do a resection,
6 requires an optical colonoscopy.

7 All right. So in a CT
8 colonography, the supine and prone scans are
9 rendered on a digital work station, and they
10 can be rendered as a 2D slice view, as you can
11 see here on the right side in this image from
12 a paper by Bogoni. There it is. It can also
13 be viewed -- the data can also be viewed as a
14 3D surface or a fly through, which is often
15 called virtual colonoscopy, and that's this
16 view right down there.

17 And after doing -- after examining
18 a CTC, a clinician will often give a report,
19 which will include polyp sizes, locations,
20 morphology, perhaps a summary diagnostic
21 assessment for the patient. One proposed way
22 of doing this reporting is the C-RADS system,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 by Zalis, 2005.

2 This C-RADS system also includes a
3 rating scale system, which has five different
4 levels, zero through four. The zero level, in
5 a method very similar to BI-RADS is --
6 essentially says, we need more information.
7 It's an inadequate study.

8 Level 1 indicates normal findings,
9 or benign lesions. And from there, the higher
10 levels indicate greater likelihoods of
11 malignancy where this malignancy is -- the
12 malignancy is often -- the possible malignancy
13 is often inferred from the size or number of
14 polyps.

15 And so, for example, C2 says, for
16 intermediate polyps, less than three in
17 number. And where C3 may be polyps with --
18 may be a polyp larger than a centimeter, or
19 more than three polyps of smaller size. And
20 C4 maybe -- is essentially saying it's very
21 likely to be malignant, and surgery may be
22 necessary.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The performance of CT colonography
2 has been studied in a number of papers. Kim
3 and Pickhardt papers indicate that neoplasia
4 detection rates are similar to optical for
5 polyps greater than 10 millimeters, and Cotton
6 has found -- but Cotton found a lower
7 detection rate for CTC than optical.

8 What is very true is that, in
9 almost all cases, detection rates are strongly
10 dependent on polyp size. And here -- and the
11 chart below shows some results from the
12 National CT Colonography Trial. And you can
13 see that behavior. The specificity remains
14 relatively constant across all polyp sizes,
15 but the sensitivity rises strongly with size.

16 Along with CT colonography, there
17 are now, of course, CAD devices. And these
18 CADs work -- function in ways similar to other
19 CADs. They mark suspected polyp locations on
20 a CT work station display, and then the -- it
21 is left to the doctor to determine whether
22 each mark is significant or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 These marks can be made on either a
2 2D rendering, or 3D rendering of images. And
3 here there is two examples, one from Halligan,
4 and one from Summers, on the right side, in
5 two and three-dimensional views, respectively.

6 The potential benefits of CT CAD.
7 One, of course, is improved polyp detection.
8 Two, the second one may be reduced reading
9 times, and three might be -- well, it goes on
10 hand in hand with No. 1 in that it may provide
11 recommendations or guidance for optical
12 colonoscopy.

13 And you will be -- and these refer
14 -- these points refer to discussion question
15 C1, which you can kind of see popping over
16 here on the side again. And so we will be
17 asking you, the Panel, to give us input on
18 whether these are valid potential benefits, or
19 if there are any where we happen to be
20 missing.

21 I'm going to quickly step through
22 the possible implementation of a CTC CAD in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very general way, rather quickly. You will
2 find that there are probably similarities with
3 other types of CADs, as you heard in the
4 general CAD section earlier this morning, and,
5 but do note that CAD devices will vary
6 significantly one from another.

7 So in general, the first step of a
8 CT CAD is to segment the image. And the image
9 -- so the voxels of a CT scan are grouped
10 corresponding to organs. And in the image you
11 see on the right side there from Pickhardt
12 shows the cross sectional -- a 2D cross
13 section of a CT scan. And as you can see,
14 there is the white bone corresponding to the
15 pelvis, and then there is also the large black
16 areas, which are the insufflated colon.

17 And in the colon, you can see some
18 remnants of oral contrast taken by the
19 patient. And that's why they are bright
20 white. The edges of these organs are
21 detected, and they are used to create surfaces
22 or meshes in the -- for example, the mesh at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the bottom, by Zhang, is actually a mesh
2 created from a Phantom.

3 So then, once the surface of the
4 colon is digitally created, the software goes
5 and calculates the sphericity and curvature at
6 every point on that mesh or surface. And in
7 general, these algorithms tend to be fully
8 three-dimensional.

9 Vertices with similar correlated
10 curvatures are grouped together to create
11 regions of interest. And multiple features
12 for each of these regions of interest are
13 calculated, and they're fed to pattern
14 recognition algorithms, such as the ones
15 listed there. And then the output of the CAD
16 algorithm is used to decide which regions of
17 interest should be marked for the user.

18 And in this example from the Taylor
19 paper, on the right side, we see a CAD
20 indicating a region of interest, or a polyp in
21 this case, in both a two-dimensional view and
22 a three-dimensional view.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So now, I'm going to speak about CT
2 colon CAD performance studies. And so, I'm
3 going to discuss two kinds of studies broadly.

4 One is standalone performance studies, how
5 well a CAD performs by itself, and the other
6 one is reader performance studies, how well do
7 readers perform when using these devices. And
8 these will relate to discussion questions
9 three and four.

10 And so in various -- these are very
11 similar to the questions that you've had from
12 the mammography section, as well.

13 So, for standalone performance
14 studies, we're wondering how well a CAD device
15 performs by itself. Most CAD devices will
16 mark multiple locations on the colon that are
17 not truly polyps, and thus, it is up to the
18 doctor to dismiss most of these. And in
19 general, we'll use -- and often times, in
20 literature, FROC curves are used to
21 demonstrate the performance of these devices.

22 And an example curve is shown up on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the chart here from Summers where he
2 demonstrates multiple FROC curves of marks of
3 a colon CAD's performance on different size
4 polyps. So just to remind you, the vertical
5 axis here is sensitivity, and the horizontal
6 axis is false marks per patient.

7 Some -- these CT CAD devices
8 generally have been -- what do I say,
9 generally have had their sensitivities set
10 down at around 90 percent. And these
11 measurements are given for polyps greater than
12 10 millimeters.

13 Issues with CT CAD standalone
14 performance studies are similar to other CAD
15 devices that we are looking at. Specifically,
16 I'm going to bring up the points of marking
17 and scoring. For example, if a CAD marks a
18 polyp in the supine scan but not in the prone
19 scan, is that considered a true positive, or
20 is it considered both a true positive and a
21 false negative?

22 What overlap criteria should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 required for CAD marks to count a polyp as a
2 true positive? Now, unlike mammography, the
3 overlap criteria here will need to be fully
4 three-dimensional. In which case, we have a
5 volume which is a polyp, and then we have the
6 -- and then we have a CAD mark which is
7 supposed to be indicating that volume.

8 So now, I'm going to speak a little
9 bit about retrospective reader studies. In
10 other words, we want to know, in this
11 particular -- in these studies, the idea is to
12 understand, how is radiology performance
13 affected by a CAD device? And these are
14 related to questions C4 and C6.

15 Multiple reader -- these studies
16 generally tend to be multiple reader, multiple
17 case studies. And typically again, these case
18 sets in these studies are enriched with
19 polyps. And that's actually question C6, in
20 which we will -- and we asked the Panel about
21 the appropriateness of doing so.

22 Here is a number of retrospective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CTC CAD reader studies. They've got four
2 listed here, along with the number of cases
3 involved in each and the number of
4 radiologists. All of these studies have
5 demonstrated increased reader sensitivity, and
6 they all demonstrate a decreased reader
7 specificity.

8 The Petrick and Taylor papers found
9 no significant change in the AUC of the
10 readers.

11 Now I'm going to address a couple
12 of issues specific to CTC CAD reader studies.

13 A number of these readings and these markings
14 can be done in either two-dimensions or three-
15 dimensions. In other words, the primary
16 reading, how the radiologist primary reads the
17 study, can be done in one of two different
18 ways.

19 And then, which opens the question
20 to, are there -- are CAD devices more
21 effective in one mode than the other?
22 Additionally, there's the question of reader

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 modes. And when I say reader mode, I'm
2 talking second reader versus concurrent
3 reader. And this is another question we will
4 repose to you as question C7.

5 And just to reiterate, the second
6 reading mode, the clinician reads without the
7 CAD, and then makes -- records their findings,
8 and then they read with the CAD, and then re-
9 record their findings. Whereas, in concurrent
10 reader mode, the clinician just switches the
11 CAD on, and gives a single assessment.

12 In the paper by Taylor, he showed
13 that the concurrent reading may save time over
14 the second reader. And that -- but however,
15 the concurrent reading is still more time --
16 is more time consuming than reading without
17 CAD. And the second reader paradigm may be
18 more sensitive than concurrent reading.

19 Currently, regarding prospective
20 clinical CTC CAD studies, currently we know of
21 no prospective clinical studies in the
22 literature investigating the effects of CTC

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CAD.

2 Now I'm going to touch on some
3 other issues specific to CTC CAD studies,
4 including data acquisition, ground truth, or
5 reference standards, and study endpoints.

6 As with all CADs, the CAD output is
7 going to be dependent upon what you feed into
8 it. And so, in this case, we have the
9 patient, we have the CT scanner, we have --
10 the data gets processed, and it gets fed into
11 the CAD, and then the CAD output comes out.

12 And all of these -- and each one of
13 these steps has a number of different
14 parameters associated with it, and each of
15 these parameters may strongly, or may not,
16 affect the CAD output. For example, patient
17 parameters will include the bowel preparation
18 method, insufflation, positioning of the
19 patient.

20 CT parameters, of course, include
21 slice, number of slices, slice thickness, the
22 dose, the exposure, what reconstruction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 algorithm was used for creating the image.

2 Then, there is also additional
3 image processing that may fall in, including
4 how stool was tagged and, as well as stool
5 cleansing, digital, that is. And these will -
6 - some of these points we refer to you, we're
7 going to ask you questions about in question
8 C3(a), regarding possible restrictions on
9 these parameters and such.

10 The ground truth or reference
11 standard. In other words, our -- is the CAD
12 or the radiologist really marking true disease
13 locations? How is this determined? What
14 polyps or disease locations may have been
15 missed during the study? There are a number
16 of -- a number of different studies have used
17 different ground truth or reference standards
18 for determining this.

19 One method is to use optical
20 colonoscopy. For example, the ACRIN Study,
21 which -- whose numbers I cited earlier, uses
22 optical colonoscopy as the gold standard. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 downside of this is that there have been
2 reports that optical colonoscopy misses 11
3 percent or more lesions, and some of these
4 lesions can be found by CTC CAD or, I'm sorry,
5 CTC colonography.

6 I'm getting ahead of myself. The -
7 - another possible method for ground truth is
8 optical colonoscopy, and then expert review of
9 the CT colonography data. Of course, the
10 drawback with this method is that there may be
11 variability among expert readers. And this
12 variability should probably be accounted for.

13 Additionally, an additional method
14 is optical colonoscopy while the optical --
15 while the colonoscopist has the report and
16 findings from the CTC. This is often referred
17 to as segmental unblinding, and can be found in
18 Pickhardt, et al, for example.

19 Another, of course, possibility, is
20 long-term follow-up. Whatever method is
21 chosen for ground truth -- whichever of these
22 methods is chosen for ground truth, matching

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 polyps between optical colonoscopy and any CTC
2 scan can be rather problematic and can lead to
3 biases and increases in variances in the
4 study.

5 There are different methods, there
6 are different matching methods and protocols
7 for doing this, but I'm not going to go into
8 these now.

9 Study endpoints, to wrap it up.
10 What summary statistic should be used in a CTC
11 CAD device study? Should we be concerned
12 about sensitivity and specificity, or should
13 we be primarily concerned with positive
14 predictive value and negative predictive
15 value?

16 Should we be requiring an ROC
17 analysis or an FROC analysis? In any sort of
18 ROC analysis, we would have to require that
19 polyp or patient ratings/rankings be given by
20 the clinician. However, this may require
21 clinician training. This has been done in a
22 couple of different papers, specifically,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Petrick and Taylor. Possibilities for doing
2 this would be to use the C-RADS scale for
3 patient ratings and/or using size or
4 morphology of polyps to get a polyp rating.

5 And lastly, what, are there -- what
6 should the relevant units of measure be?
7 Should we be requesting the sensitivity by
8 patient, or the sensitivity by polyp? Unlike
9 in mammography, in colonography oftentimes
10 patients will have several polyps or several
11 lesions.

12 And thus, a by patient and by polyp
13 analysis can be significantly different.
14 Which polyps are relevant? Should we be
15 wondering about the sensitivity of a CTC CAD
16 device to all polyps? Should we only be
17 worried about those which are more likely to
18 be cancer such as adenomatous polyps, or
19 should we be concerned only with polyps that
20 are greater than a certain size?

21 And these will -- and these
22 questions will be posed to you in questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 C3(a) and C4(a). Thank you.

2 CHAIRMAN GLASSMAN: Thank you very
3 much. Does the Panel have any questions for
4 Dr. Samuelson? No? Very -- no questions?
5 That's very good. Thank you. It's now time
6 for our second Open Public Hearing session.
7 You are reminded that the same process,
8 disclosure of any -- your affiliations, or
9 anyone who is paying your way would be
10 appreciated.

11 Again, it's five minutes, and our
12 first speaker is Dr. Ron Summers, from the
13 National Cancer Institute.

14 DR. SUMMERS: Thank you for the
15 opportunity to speak today. I am a
16 radiologist at the National Institute of
17 Health, and I have 10 years of CAD experience,
18 the majority of which is on the colon. My
19 financial disclosure is shown here relating to
20 iCAD and Viatronix.

21 The four important issues I would
22 like to bring to your attention are patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 selection, image acquisition, performance
2 benchmarks, and reading paradigm. And I'll
3 explain why I think that's important.

4 It is my belief and my experience
5 that most sensitivities of CAD systems
6 reported in the literature are not accurate
7 for estimating performance in the clinic. And
8 I'm familiar with nearly all of the published
9 literature for the colon in this area.

10 I believe this is due to several
11 problems: selection bias, inadequate usage of
12 common databases, and training and testing on
13 the same data. The solution, in my view, is
14 that the characteristics of the patient
15 dataset must be clearly defined with detailed
16 specifications.

17 And I want to highlight that I
18 think this should be a consecutive series
19 although a random selection from a consecutive
20 series may be acceptable. Screening and
21 diagnostic population should not be mixed, and
22 demographic and recruitment info of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients, I believe, is mandatory. Also, a
2 minimum dataset size needs to be determined.

3 I believe a separate test set and
4 external validation are useful. External
5 validation is where the tested CAD system is
6 applied to a new patient population.

7 Regarding image acquisition and
8 labeling, I would like to emphasize my opinion
9 that broad labeling should not be considered
10 acceptable, that the labeling should specify a
11 preferred bowel prep and scanning parameters.

12 The physician may decide, for a particular
13 patient, to adjust these, but he or she should
14 be aware that the CAD system may perform less
15 well under such circumstances.

16 I believe proven protocol, such as
17 the two I have listed, which have had high
18 sensitivity in good trials, should be used.
19 The prep could include, or should include, in
20 my belief, cathartic bowel cleansing, oral
21 contrast for fluid and stool tagging, and
22 excellent colonic distension. The tagging

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issue is a complex one, but I make that
2 recommendation based on the two protocols I
3 mentioned on the previous slide.

4 The imaging parameters, I think,
5 should focus on detecting polyps 6 millimeters
6 and larger. I've listed a number of things
7 here. The only one I'll mention in detail is
8 the third one because I have seen
9 recommendations from important professional
10 organizations that slice thicknesses as high
11 as five millimeters may be acceptable, and I
12 believe that not to be the case. So I think
13 this is an important issue that needs to be
14 considered.

15 Performance benchmarks should be
16 ladderred, based on the size of the polyp. I
17 have given some thresholds for your
18 consideration. The median false positive
19 rate, I believe, should be roughly about 8 per
20 patient. That should be expressed per
21 patient, not per scan as I've seen in many
22 publications.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Also, sensitivity should be
2 reported based on all polyps, and polyps
3 retrospectively visible on virtual
4 colonoscopy. This is because some polyps
5 found by the gold standard may not be visible
6 on virtual, and I don't think it's fair to
7 penalize the CAD for those.

8 Finally, reading paradigms, I have
9 listened tentatively to the discussion this
10 morning about reading paradigms. These also,
11 apply for the colon. The first read is fast
12 but has the lowest sensitivity in the limited
13 studies that have been reported. The second
14 read is slow but has the highest sensitivity.

15 The concurrent read may be intermediate
16 although there is very little published data
17 on this at the present time.

18 My recommendation is the second
19 read. As a physician, I think that's the
20 appropriate one. And both the implementation
21 and the labeling should discourage the first
22 read by requiring the clinician to record the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pre-CAD read for auditing and accreditation.
2 Otherwise, the physician may slip into a first
3 read setting.

4 In conclusion, standardization and
5 high benchmarks, I believe, will lead to CAD
6 systems that are effective in the long-term,
7 and help patients. Thank you very much.

8 CHAIRMAN GLASSMAN: Thank you.
9 Next is Dr. Maha Sallam, from iCAD Medical.

10 DR. SALLAM: Thank you to the FDA,
11 to the Panel, for the opportunity to speak. I
12 just wanted to share with you a potential
13 paradigm for approval of CAD for CTC devices.

14 CTC is emerging as a highly
15 effective modality for the detection of polyps
16 in the early identification of colorectal
17 cancer. Some studies are already starting to
18 show that it is possible to miss polyps on
19 these exams. Even though they are highly
20 sensitive, they are tedious to read, and it is
21 still possible to miss polyps even when
22 obvious.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 iCAD, it is possible to design CAD
2 systems that are able to do automated
3 detection of these polyps in the readers. The
4 CAD detection algorithm's technology consists
5 of sophisticated software that has to be
6 designed to distinguish between real polyps
7 versus normal structures in the stool that may
8 be in the colon.

9 Sensitivity and false marker rate
10 are the standard benchmark numbers that
11 characterize the standalone performance for a
12 system. CAD systems are typically very
13 sensitive but not specific enough. And so
14 they are usually indicated for use as tools to
15 assist readers and not as standalone readers.

16 And it is important to test the
17 impact of CAD on the reader. The standalone
18 performance is very relevant, but it's not
19 necessarily indicative of that performance.
20 For example, in a second reading scenario, a
21 CAD system may be less sensitive than an
22 independent radiologist, but in conjunction

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with the radiologist, it may actually increase
2 the detection rate by having an incremental
3 addition to the detections that normally would
4 be detected by the reader.

5 It is important to tie the testing
6 methodology to the paradigm that is intended
7 for the device or for the system. In a second
8 read scenario, as I indicated, we can test the
9 incremental findings that are found because of
10 CAD, specifically, and it's possible to
11 separate the individual radiologist reading
12 from the incremental findings that are found
13 by CAD.

14 In a first -- in a concurrent read,
15 that may be a little bit more difficult
16 because there is more interaction between the
17 reader and the CAD system. And, as well as
18 for first read.

19 Testing for a second read scenario
20 as I indicated, can be done in two ways. To
21 test the incremental findings because of CAD,
22 but also to design reader studies that are --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that have a sequential design. Effectively,
2 readers would read cases without CAD, followed
3 immediately by reading with CAD, and then
4 we're able to compare the performance of the
5 two readings.

6 Again, with concurrent read, the
7 interaction may be difficult. It may be
8 difficult to separate the two readings and,
9 hence, two separate reading sessions may be
10 required if a reader study design is to be
11 chosen as a method of evaluation.

12 For under a first read paradigm,
13 that requires probably the most extensive
14 testing in a standalone basis because we are
15 completely relying on the CAD outcome to
16 direct the radiologist's attention to areas
17 within the image. So the standalone testing
18 will have to be fairly extensive to be able to
19 accommodate the different situations and
20 conditions.

21 And again, it will not be enough to
22 do just the standalone testing. It would need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to also be associated with the reader
2 performance testing in addition to that.

3 So just in summary, I think in
4 considering the appropriate testing, design
5 testing methodologies, it is very important to
6 distinguish between the different reading
7 paradigms. It is very important to allow the
8 vendors and the industry to specify the
9 specific reading paradigm that they are
10 proposing and recommending for the device.

11 There has been several studies that
12 have been published already that reflect --
13 that are starting to reflect some findings
14 based on the different study designs with the
15 sequential -- with the separate reading
16 sessions with the concurrent and sequential
17 read and also with studies that already show
18 first read as a possibility.

19 There is a lot of parallels. In
20 the end, I would like to make a comment.
21 There are a lot of parallels between
22 mammography and CT colonography. The big

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difference is that CT colonography is a little
2 bit more wide open. And a CAD for mammography
3 had been well-established for the last 10
4 years or so, and there are well-established
5 methodologies for testing and approving those
6 devices, and also support for that testing and
7 approval in existing studies that are in the
8 field right now.

9 With colonography, we have a
10 different situation where the field is a
11 little bit more open. More devices than not
12 have not been -- have not gone through the
13 approval process, and we have an opportunity
14 to set things up based on the, you know, most
15 current knowledge that we have in this area.
16 And I thank you.

17 CHAIRMAN GLASSMAN: Thank you. Our
18 third speaker is Dr. Gareth Beddoe, from
19 Medicsight.

20 DR. BEDDOE: Hello there. I'm the
21 Operations Director at Medicsight PLC, and I'm
22 going to read this statement from the company.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Medicsight PLC appreciates this
2 opportunity to provide comments to the
3 Radiological Devices Panel for its
4 consideration in connection with computer-
5 aided detection and diagnosis devices.

6 Medicsight is a manufacturer of
7 colon CAD devices, and we have over seven
8 years of experience in this industry, and
9 distribute devices in Europe, Canada, and
10 Australia.

11 Medicsight welcomes clarification
12 from the Panel and the Food and Drug
13 Administration regarding the device types that
14 are within the classification of CAD devices
15 and clear guidance on the appropriate studies
16 and statistical analyses required to support
17 marketing clearance of these devices in the
18 United States.

19 The FDA's Federal Register notice
20 uses the term CAD to encompass both computer-
21 aided detection and computer-assisted
22 diagnosis, but a computer-aided detection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 device presents different potential risks than
2 a computer-assisted diagnosis device.

3 The stated indications for use for
4 colon CAD devices for the detection of polyps
5 required that radiologists review all images
6 in the CT examination, and not only the images
7 containing potential polyps identified by the
8 software.

9 The device is thus intended to
10 assist the physician interpreting the imaging
11 studies. Medicsight requested the Panel and
12 FDA recognize the distinction between the
13 different types of CAD system and reflect this
14 in the differential classification of
15 computer-aided diagnosis devices and lower
16 risk computer-aided detection devices.

17 The company also requests that the
18 FDA limit the requirements for clinical data
19 to those which satisfy the manufacturer's
20 labeled intended use for a particular device.

21 Manufacturers should not be required to
22 produce evidence of device performance for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 numerous off-label use scenarios because that
2 is contrary to the statutory requirement that
3 the FDA address potential off-label use
4 scenarios through cautionary labeling.

5 And the following has been prepared
6 by Professor Steve Halligan, from the
7 University College Hospital of London, on
8 behalf of Medicsight, PLC, and this is a
9 discussion of the guidelines for statistical
10 analysis for multi-reader, multi-case studies.

11 Medicsight asked that the FDA
12 consider alternative approaches to multi-
13 reader, multi-case receiver operator curve
14 analysis for studies of CAD applied to CT
15 colonography. CAD is employed in an ever
16 increasing number of diagnostic scenarios,
17 where CT colonography is relatively recent.

18 We agree that multiple readers and
19 multiple cases are desirable and believe that
20 studies of CAD for CT colonography should
21 adopt the MRMC design. However, we believe
22 that ROC analysis for such studies is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inappropriate and questionable. Such analysis
2 has been applied to assist the detection of
3 breast and lung lesions, but this stance can
4 only apply to CT colonography if the
5 diagnostic scenario is comparable.

6 In mammography, the clinical
7 problem is one of detection and then lesion
8 classification. A confidence score, upon
9 which ROC analysis is predicated, is easy to
10 assign, ranging from certainty the detected
11 lesion is benign to certainty that it is
12 malignant.

13 The situation for CT colonography
14 is significantly different. Readers of CT
15 colonography studies aim to detect polyps in
16 the large bowel. There is no classification
17 issue as the vast majority of polyps are
18 benign. The clinical problem is thus one of
19 detection and localization rather than
20 classification.

21 Consequently, confidence scores
22 regarding the likelihood of malignancy cannot

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be applied meaningfully to colon polyps.

2 Regarding confidence scores for the
3 presence of a lesion, a polyp first has to be
4 detected by the reader. Having detected a
5 polyp, the reader is then unlikely to assign a
6 low probability to its presence. The result
7 is that confidence scores for the presence of
8 a polyp are positively skewed and highly non-
9 normal which violates the fundamental
10 assumption of ROC analysis.

11 A prior study found existing
12 software could not fit close to 50 percent of
13 readers due to degenerate data. Extensions to
14 ROC methodology do not help since they are
15 also predicated on confidence scores. I
16 measured the number of false positive CAD
17 prompts for a single 2D image, which is
18 infeasible for CT colonographies, since the
19 examinations are 3D volume.

20 Previous studies have also shown
21 that readers identify few false positive
22 polyps in patients with no polyps, i.e.,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 specificity is high. The small number of
2 false positive patients in polyps identified
3 by readers means that the patients
4 contributing to the ROC shape, and hence the
5 area under the curve, are typically a small
6 proportion of the study group.

7 This has an impact on power and
8 confidence intervals, and the area under the
9 curve is dominated by a curve extrapolated
10 beyond the study data.

11 A prior study found no
12 relationships between change in partial area
13 under the curve and the more clinically
14 relevant measure, that is, the increase in
15 proportion of true positive and true negative
16 patients correctly classified by readers, when
17 CAD was used.

18 In ROC analysis, weighting of
19 sensitivity and specificity is determined by
20 software design. Indeed, weightings are
21 arbitrary, and change contingent on the part
22 of the ROC the data is obtained from, and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are not influenced by clinical relevance.

2 However, it is clear that patients
3 do not weigh sensitivity and specificity
4 equally. A recent survey found that 56
5 percent of patients quoted diagnosis as the
6 top priority, versus only 10 percent stating
7 specificity.

8 At the present time, colonoscopy
9 without prior imaging is the most commonly
10 used method for colorectal cancer screening.
11 Referrals for colonoscopy because of false
12 positive detections by CAD are inevitable, but
13 in reality these are patients who, in the
14 absence of CT, would progress straight to
15 colonoscopy in any event.

16 Numerous issues make ROC analysis
17 inappropriate for CT colonography studies.
18 These issues are conceptual. Confidence
19 scores cannot be meaningfully assigned.
20 Statistical data are highly non-normal.
21 Practical existing software cannot fit curves,
22 and ethical, patient's personal weigh-ins are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ignored.

2 We ask the Panel and FDA to
3 recognize that alternative to analysis plans
4 for MRMC studies are appropriate, and the FDA
5 can carefully consider alternatives to ROC
6 analysis when offered by statistical groups
7 collaborating with industry partners. Thank
8 you.

9 CHAIRMAN GLASSMAN: Thank you. At
10 this time, the Chair has decided to allow Dr.
11 Akira Hasegawa an additional five minutes to
12 complete his presentation from this morning
13 from Fujifilm Medical Systems.

14 DR. HASEGAWA: I have five minutes?

15 CHAIRMAN GLASSMAN: That's right,
16 five minutes only.

17 DR. HASEGAWA: Just, I wanted to
18 make sure. So I think I almost finished this
19 one. So I think I probably read the Type 3
20 CAD, it's for concurrent read. Risk is, it
21 may cause users' satisfaction of search, and
22 it may affect users searching negatively.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In the previous slides, we
2 explained how each type of CAD affects the
3 standard reading procedure. As a result, we
4 developed a decision tree for assessing CAD
5 risk.

6 First question is, human makes
7 final diagnosis, or no? If no, then it is not
8 CAD. And it is automated detection, or
9 diagnosis device.

10 And, if yes, the next question is,
11 all images are reviewed by human. If no, then
12 it is not CAD, either. And it is computerized
13 screening device. FDA refers to this as a
14 first reader mode on page 13.

15 Because both these device makes
16 some kind of diagnosis without radiologist,
17 the risk level is high. But because these are
18 not CAD, so, in the following presentation,
19 one focused on this device.

20 And go back to the question. If
21 yes, then the next question is the device is
22 used for diagnosis. If no, the next question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is, the device may affect humans searching
2 process. If no, this is Type 1 CAD for
3 optional second read.

4 The risk of this device -- the risk
5 of this type of CAD is low. If yes, it is
6 Type 3 CAD for concurrent read. Then,
7 compared to Type 1, this CAD may affect human
8 searching process, so its risk level is a
9 little bit higher than Type 1. So the risk of
10 this type of CAD is low in immediate risk.

11 Then go back to the level that this
12 -- the devices for diagnosis. If yes, the
13 next question is the device provides
14 classification, such as benign and malignant.

15 If yes, it is a Type 2 CAD for
16 interpretation, and the FDA refers to this as
17 computer-aided diagnosis.

18 This type of CAD suggests
19 classification to radiologists, so the risk
20 level is higher than Type 1 and Type 3. But
21 still, the radiologist makes the final
22 decision, or diagnosis. So compared to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 automated detection, or diagnosis device, the
2 risk is much lower. So the risk of this type
3 of CAD is moderate risk.

4 If no, such device do no more than
5 computerize measurement or segmentation that
6 can be reviewed by -- reviewed and edited by
7 readers. Obviously, computerized measurement
8 is kind of replacement of past ruler, and the
9 segmentation is an accumulation of
10 measurement. So the risk of such device is
11 much lower.

12 Summary: all CAD are not the same.
13 Different CAD has different indication for
14 use. Different CADs has different risk, or
15 risk factor. Based on this, we proposed an
16 example of this decision tree for assessing
17 risk of CAD.

18 As explained in this presentation,
19 three different CADs are totally different
20 device although they are all called CAD. They
21 have different eye view, and different risk
22 level. I read the FDA's document. I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's well-written. But I saw, in many places,
2 these three different of CAD are mixed
3 together and discussed together.

4 I think we have to re-distinguish
5 these three type of CAD. Thank you very much.

6 CHAIRMAN GLASSMAN: Thank you. Are
7 there any questions from the Panel of any of
8 our speakers for this afternoon? If not, we
9 will -- I'm sorry, Dr. Berry?

10 DR. BERRY: So I would like to ask
11 Dr. Samuelson just to clarify, in view of the
12 presentations we just heard, the -- when you
13 talk about sensitivity and specificity, you
14 ask a question about polyps and adenomatous
15 polyps. One of the speakers talked about
16 cancer. When you say sensitivity and
17 specificity, what are you talking about? Is
18 it just polyps, some category of polyps, or
19 are you talking about cancer?

20 DR. SAMUELSON: Well, that's one of
21 the questions we're posing to you is, should
22 it be cancer? Should it be polyps? In

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 general, when making a diagnosis on a CT
2 colonography or even an optical colonoscopy,
3 it's difficult, or almost impossible, for the
4 radiologist or the colonoscopist to tell the
5 difference by visual inspection as to whether
6 that polyp is actually malignan, or not.

7 And thus, the question then
8 becomes, well of course, cancer is what we're
9 shooting for, and it would be nice to say, we
10 only care about cancer. But at the level of
11 the data that we're receiving, optic which is
12 the CT colonography or the optical inspection
13 from ROC, one can't really tell the
14 difference, at that point. And so it's not
15 until it goes to biopsy.

16 DR. BERRY: So you could still talk
17 about sensitivity and specificity for picking
18 up polyps, and the Medicsight speaker said
19 ROCs aren't relevant. But I think it's your
20 view that they are relevant if you are
21 focusing on just polyps, for example. And
22 they could be relevant for cancer, as well, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701