

UNITED STATES OF AMERICA  
DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DEVICES AND RADIOLOGICAL HEALTH  
RADIOLOGICAL DEVICES PANEL

+ + + + +

OPEN SESSION

WEDNESDAY, MARCH 5, 2008

The Panel met at 8:30 a.m. in the Grand Ballroom of the Hilton Washington DC North, 620 Perry Parkway, Gaithersburg, MD, Leonard M. Glassman, MD, Acting Chairman, presiding.

PRESENT:

LEONARD M. GLASSMAN, MD	Acting Chairman
NANCY WERSTO	Executive Secretary
JOHN D. BOURLAND, PhD	Member
CARL J. D'ORSI, MD	Member
BHARAT B. MITTAL, MD	Member
MARVIN C. ZISKIN, MD	Member
CRAIG K. ABBEY, PhD	Temporary Voting Member
DONALD A. BERRY, PhD	Temporary Voting Member
JOHN A. CARRINO, MD	Temporary Voting Member
LORI E. DODD, PhD	Temporary Voting Member
BRIAN S. GARRA, MD	Temporary Voting Member
DAVID KIM, MD	Temporary Voting Member
MARILYN LEITCH, MD	Temporary Voting Member
OTTO LIN, MD	Temporary Voting Member
ROBERT ROSENBERG, MD	Temporary Voting Member
BERKMAN SAHINER, PhD	Temporary Voting Member
KENNETH J. STEIER, DO, MPH, MHA	Temporary Voting Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

PRESENT: (continued)

DANIEL SWERDLOW, MD	Temporary Voting Member
GEORGIA D. TOURASSI, MD	
	Temporary Voting Member
A. CHRISTINE WATT, MB, CHB	
	Temporary Voting Member
ROY K.H. WONG, MD	Temporary Voting Member
NANCY FINKEN, MFA	Consumer Representative
DAVID SPINDELL, MD	Industry Representative
NANCY BROGDON	FDA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## TABLE OF CONTENTS

<u>Agenda Item</u>	<u>Page</u>
Call to Order and Introductions	4
FDA Introductory Remarks Nancy Wersto, Executive Secretary	5
Panel Discussion of Colon CAD Devices (continuation)	21
FDA Presentation: Lung CAD Devices	81
Open Public Hearing Session	104
Panel Discussion of Lung CADs	123
Lunch	
Call to order Leonard M. Glassman, M.D.	216
FDA Presentation: Future Issues With CAD	216
Open Public Hearing Session:	260
Akira Hasegawa, PhD Fujifilm Medical Systems USA, Inc.	260
Roel Tryen, Phillips Medical Stephen Slavens, GE Healthcare	265
Pat Milbank Regulatory Consultant	276
Mary Ellen Giger, Ph.D. University of Chicago	281
Panel Discussion of General Issues	302
Final Remarks and Adjournment Leonard M. Glassman, MD	411

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## P R O C E E D I N G S

Time: 8:30 a.m.

CHAIRMAN GLASSMAN: I would like to call this meeting of the Radiological Devices Panel to order.

I am Dr. Leonard Glassman, Chairperson of the Panel. I am a diagnostic radiologist in private practice in the Washington, D.C. area. I am also the American College of Radiology Breast Imaging Scientist at the Armed Forces Institute of Pathology, and I am Clinical Professor of Radiology at George Washington and at Georgetown, and I am an expert in diagnostic ultrasound and in breast imaging.

If you haven't already done so, please sign the attendance sheets outside on the table by the doors.

The agenda for this meeting is also available outside the door.

If you are presenting in any Open Public Hearing session today and have not previously provided an available electronic copy of your presentation to the FDA, please arrange to do so with Sunder Rajan. Sunder, can you raise your hand so everybody knows where you are?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay.

2 If you could please silence all your  
3 cellphones, that would be appreciated.

4 I would like to announce the remaining  
5 tentatively scheduled meetings of this Panel for 2008:  
6 August 12 and November 4th. Please remember that these  
7 are tentative dates. You may monitor the Panel website  
8 for updated information.

9 I note for the record that the voting  
10 members present constitute a quorum, as required by 21  
11 CFR, Part 14.

12 Ms. Wersto, our Executive Secretary for the  
13 Radiological Devices Panel, will make some introductory  
14 remarks.

15 MS. WERSTO: Good morning, everyone. Before  
16 I turn the meeting over to Dr. Glassman, I am required to  
17 read the Conflict of Interest statement into the record.

18 FDA Conflict of Interest Disclosure  
19 Statement, Particular Matters of Applicability:  
20 Radiological Devices Panel of the Medical Devices  
21 Advisory Committee, March 4-5, 2008.

22 The Food and Drug Administration is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 convening today's meeting of the Radiological Devices  
2 Panel of the Medical Devices Advisory Committee under the  
3 authority of the Federal Advisory Committee Act of 1972.

4 With the exception of the Industry  
5 Representative, all members and consultants of the Panel  
6 are Special Government Employees or Federal employees  
7 from other agencies and are subject to Federal conflict  
8 of interest laws and regulations.

9 The following information on the status of  
10 this Panel's compliance with Federal ethics and conflict  
11 of interest laws covered by, but not limited to, those  
12 found at 18 USC Section 208 and Section 712 of the  
13 Federal Food, Drug and Cosmetic Act, are being provided  
14 to participants in today's meeting and to the public.

15 FDA has determined that members and  
16 consultants of this Panel are in compliance with Federal  
17 ethics and conflict of interest laws. Under 18 USC  
18 Section 208, Congress has authorized FDA to grant waivers  
19 to Special Government Employees who have financial  
20 conflicts when it is determined that the agency's need  
21 for a particular individual's services outweighs his or  
22 her potential financial conflict of interest.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Under Section 712 of the Food, Drug and  
2 Cosmetic Act, Congress has authorized FDA to grant  
3 waivers to Special Government Employees and regular  
4 government employees with potential financial conflicts  
5 when necessary to afford the Committee essential  
6 expertise.

7 Related to the discussions of today's  
8 meeting, members and consultants of this Panel who are  
9 Special Government Employees have been screened for  
10 potential financial conflicts of interest of their own as  
11 well as those imputed to them, including those of their  
12 spouses or minor children and, for purposes of 18 USC  
13 Section 208, their employers.

14 These interests may include investments,  
15 consulting, expert witness testimony, contracts, grants,  
16 CRADAs, teaching, speaking, writing, patents and  
17 royalties, and primary employment.

18 The agenda involves a general discussion of  
19 computer aided detection and diagnosis (CAD) devices for  
20 radiological images such as mammograms, chest X-rays and  
21 computed tomography of the lungs or colon.

22 The general discussion will focus on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general methodologies for CAD, including how CAD devices  
2 are used in clinical decision making, how the devices are  
3 tested, and the information needed to properly assess  
4 their safety and effectiveness.

5 The general discussion will be followed by  
6 specific discussions related to mammography CAD devices -  
7 - that was yesterday -- colon CAD devices yesterday also,  
8 continuing today, and lung CAD devices. These  
9 discussions will include how the different types of CAD  
10 devices are used and the literature published regarding  
11 these devices with focus on testing issues related to the  
12 different devices.

13 This is a particular matters meeting during  
14 which general issues will be discussed.

15 Based on the agenda and all financial  
16 interests reported by the Panel members and consultants,  
17 conflict of interest waivers have been issued in  
18 accordance with 18 USC Section 208(b)(3) and Section 712  
19 of the Food, Drug and Cosmetic Act to Dr. John Carrino.

20 Dr. Carrino's waivers address personal  
21 consulting arrangements with a firm at issue. He  
22 receives an annual fee of less than \$10,001 for these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 arrangements, which are unrelated to today's agenda. The  
2 waivers allow Dr. Carrino to participate fully in today's  
3 deliberations.

4 FDA's reasons for issuing the waivers are  
5 described in the waiver documents which are posted on  
6 FDA's website at [www.fda.gov/ohrms/dockets/default.htm](http://www.fda.gov/ohrms/dockets/default.htm).  
7 Copies of the waivers may also be obtained by submitting  
8 a written request to the agency's Freedom of Information  
9 Office, Room 6-30 of the Parklawn Building.

10 David Spindell, MD, is serving as the  
11 Industry Representative, acting on behalf of all related  
12 industry, and is employed by Abbott Laboratories, Medical  
13 Products Group. We would like to remind members  
14 and consultants that, if the discussions involve any  
15 other products or firms not already on the agenda for  
16 which an FDA participant has a personal or imputed  
17 financial interest, the participants need to exclude  
18 themselves from such involvement, and their exclusion  
19 will be noted for the record.

20 FDA encourages all other participants to  
21 advise the Panel of any financial relationships that they  
22 may have with any firms at issue. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Now for a few general announcements.  
2 Transcripts of today's meeting will be available for Neal  
3 Gross & Co. by calling area code 202-234-4433.

4                   Information on purchasing videos of today's  
5 meeting can be found on the table outside of the meeting  
6 room.

7                   Presenters to the Panel who have not already  
8 done so should provide FDA with a hard copy and an  
9 electronic copy of their remarks.

10                  I would like to remind everyone that members  
11 of the public and the press are not permitted around the  
12 Panel area beyond the speaker's podium. The press  
13 contact for today's meeting is Peper Long. I don't know  
14 if she is here.

15                  I request that reporters wait to speak to  
16 FDA officials until after the Panel meeting. Thank you.

17                  CHAIRMAN GLASSMAN: Thank you, Ms. Wersto.  
18 Good morning, everyone.

19                  At this meeting, the Panel will be making  
20 recommendations to the Food and Drug Administration on  
21 general issues pertaining to computer aided detection  
22 devices and on specific issues pertaining to mammography

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CADs, colon CADs, and lung CADs.

2 Let me just say briefly to those of you who  
3 came back today to complete the colon CAD discussion, I  
4 apologize that we didn't finish yesterday, but we wanted  
5 to have a full discussion, and so I hope you will bear  
6 with us with that inconvenience to you.

7 Before beginning this meeting, I would like  
8 to ask our distinguished Panel members who have  
9 generously given their time to help the FDA in this  
10 matter being discussed today, and other FDA staff seated  
11 at the table to introduce yourselves.

12 Please state your name, your area of  
13 expertise, your position, your institution, your status  
14 on the Panel as voting member, deputized voting member,  
15 Consumer Representative or Industry Representative. Why  
16 don't we start with you, Dr. Spindell, on my right, and  
17 we will just go all the way around the table to Ms.  
18 Brogdon.

19 DR. SPINDELL: My name is David Spindell. I  
20 am the Vice President of Medical Affairs for Abbott  
21 Laboratories.

22 DR. KIM: I am David Kim. I am an Assistant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Professor of Radiology at the University of Wisconsin.  
2 My area of research is CT colonography, and I am a  
3 Temporary Voting member.

4 DR. LEITCH: I am Marilyn Leitch. I am a  
5 surgical oncologist at UT Southwestern Medical Center in  
6 Dallas. My special interest is in breast disease and  
7 also in screening for cancer, and I am a Temporary Voting  
8 Member.

9 DR. SAHINER: My name is Berkman Sahiner. I  
10 am an Associate Professor of Radiology at the University  
11 of Michigan. I am a Temporary Voting Member, and my  
12 interests are medical imaging in general and CAD in  
13 particular.

14 DR. CARRINO: I am John Carrino. I am  
15 Associate Professor of Radiology and Orthopedic Surgery  
16 at the Johns Hopkins University. My expertise is in  
17 picture archive and communication systems, and I am a  
18 Temporary Voting Member.

19 DR. ROSENBERG: I am Robert Rosenberg. I am  
20 a Professor of Radiology at University of New Mexico  
21 Health Sciences Center. My expertise is in mammography.  
22 Research interests are in breast cancer screening and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 community outcomes, and I am a Temporary Voting Member.

2 DR. DODD: I am Lori Dodd. I am a  
3 mathematical statistician at the Biometric Research  
4 Branch at the National Cancer Institute. I have an  
5 interest in clinical trials in imaging, and I am a  
6 Temporary Voting Member.

7 DR. D'ORSI: I am Carl D'Orsi. I am  
8 Professor of Radiology and Hematology and Oncology at  
9 Emory University. My expertise is breast imaging, and I  
10 am a Voting Member.

11 DR. LIN: My name is Otto Lin. I am a  
12 gastroenterologist at Virginia Mason Medical Center in  
13 Seattle and also Clinical Associate Professor of Medicine  
14 at the University of Washington School of Medicine. My  
15 area of research interest is colon cancer screening. I  
16 am also a Temporary Voting Member.

17 DR. BOURLAND: I am Dan Bourland. I am a  
18 Radiation Physicist. I am at Wake Forest University,  
19 Department of Radiation Oncology and Biomedical  
20 Engineering. My interests are digital imaging and their  
21 uses in radiation treatment. I am a Voting Member of the  
22 committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. STEIER: I am Ken Steier. I am a  
2 Clinical Professor of Medicine, Division of Pulmonary and  
3 Critical Care at the Nassau University Medical Center in  
4 New York. My area of interest is interventional  
5 pulmonary medicine, and I am a Temporary Voting Member.

6 CHAIRMAN GLASSMAN: I have already  
7 introduced myself, Leonard Glassman. I have given you my  
8 affiliations, and I am a Voting Member.

9 DR. MITTAL: I am Bharat Mittal. I am  
10 Professor and Chairman of Radiation Oncology at  
11 Northwestern University. My area of expertise includes  
12 radiation oncology.

13 DR. ZISKIN: Marvin Ziskin. I am a  
14 Professor of Radiology and Medical Physics at Temple  
15 University in Philadelphia, and I am the Director of the  
16 Center for Biomedical Physics. My area of expertise is  
17 in safety and physics of ultrasound and electromagnetic  
18 fields, and I am a Voting Member of this Panel.

19 DR. WONG: My name is Dr. Roy Wong. I'm the  
20 Chief of Gastroenterology at Walter Reed Army Medical  
21 Center and Professor of Medicine, Uniformed Services  
22 University of the Health Sciences. My major interest is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the esophagus and colon, specifically colonoscopy and  
2 CTC, and I am a Temporary Voting Member.

3 DR. ABBEY: I'm Craig Abbey. I am a  
4 researcher at UC Santa Barbara. I am an Adjunct  
5 Professor at UC Davis. My area of research is modeling  
6 reader performance, and I am a Temporary Voting Member.

7 DR. GARRA: I am Brian Garra. I am  
8 professor of radiology at the University of Vermont. I  
9 am Vice Chairman of Research and Director of Ultrasound.  
10 I am a body imager, and I am a Temporary Member of this  
11 Panel.

12 DR. WATT: I'm Christine Watt. I am a  
13 breast imager and Director of two breast centers and work  
14 for three separate hospital systems in the Detroit area.  
15 My area of expertise is mammography and breast imaging,  
16 and I am a Temporary Voting member.

17 DR. SWERDLOW: I am Dan Swerdlow. I am an  
18 Assistant Professor of Radiology at Georgetown  
19 University, Division of Abdominal Imaging. I am a  
20 Temporary Voting Member. My interests are imaging and  
21 biopsy of GI malignancies, including CTC.

22 DR. BERRY: I am Donald Berry,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Biostatistician, Chair of Biostatistics at M.D. Anderson  
2 Cancer Center and Head of the Division of Quantitative  
3 Sciences, and I am a deputized Voting Member.

4 DR. TOURASSI: I am Georgia Tourassi,  
5 Associate Professor of Radiology and Medical Physics at  
6 Duke University Medical Center. I am a Temporary Voting  
7 Member of this panel, and my area of expertise is CAD.

8 MS. FINKEN: My name is Nancy Finken. I am  
9 the Consumer Advocate on this Panel, a nonvoting member,  
10 and a retired educator here in the Washington area.

11 MS. BROGDON: I am Nancy Brogdon. I am not  
12 a member of the Panel. I am the Director of FDA's  
13 Division of Reproductive, Abdominal and Radiological  
14 Devices.

15 CHAIRMAN GLASSMAN: Thank you, everyone. I  
16 hope everyone has an agenda. We were a little bit short  
17 yesterday. The crowd was a little bigger, I think, than  
18 we expected. Now that you all have an agenda, we are not  
19 going to follow it completely. So be flexible, please.

20 We didn't finish yesterday with colon CAD.  
21 It was a very good discussion, sort of free wheeling, and  
22 we have a few questions to deal with.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Also, as a break with what we usually do,  
2 when we get to Question C7, part A -- How are colon CADs  
3 used clinically? -- the Chair will recognize any speakers  
4 in the audience for five minutes who want to speak  
5 particularly on that topic.

6           I know you are not prepared. You, unlikely,  
7 have PowerPoint presentations, but if you have something  
8 to say, we would like to listen. So think about that  
9 between now and then for any of you.

10           Ms. Brogdon?

11           MS. BROGDON: Could you clarify whether you  
12 mean five minutes per person or five minutes for the  
13 total feedback?

14           CHAIRMAN GLASSMAN: Per person, five minutes  
15 per person. I may, however, limit the number of people  
16 if it gets excessive.

17           We are going to move to Question C5, Sunder,  
18 if you could project that. We are going to go back to  
19 colon CAD.

20           EXECUTIVE SECRETARY WERSTO: Dr. Glassman.

21           CHAIRMAN GLASSMAN: Oh, I forgot. We have  
22 another break. Dr. Nick Petrick is going to present some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 slides on some statistical analysis issues. That is what  
2 happens when I don't write things down.

3 DR. PETRICK: I am going to present just a  
4 couple of slides, a quick presentation. I just wanted to  
5 make a clarification about studies.

6 I had separated the sensitivity/specificity  
7 endpoints from the ROC endpoints. I just wanted to say  
8 that it may be important in certain clinical studies to  
9 look at the actual clinical decisions that are made, as  
10 well as trying to look at how the overall technology may  
11 impact clinical practice.

12 So it is actually possible to obtain both  
13 the ranking or rating as well as the action item within  
14 the same read or study. So I just wanted to make sure  
15 the Panel is aware of that.

16 So it is not necessary to make just a one or  
17 the other decision on sensitivity/specificity or ROC type  
18 of measures. You can just think of it -- you know, if it  
19 is clinical, it may be appropriate to determine whether  
20 the patient should have work-up or not as being the  
21 clinical decision and then have the patient rated on some  
22 suspicion level, or it could be that you make the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suspicion level decision on individual lesions and then  
2 determine the individual lesions that require work-up.

3 Go ahead to the next one.

4 Just an example from the literature; this is  
5 from Jiang. The author studied ROC curves, ROC areas and  
6 sensitivity/specificity operating points for the  
7 characterization of microcalcifications as malignant and  
8 benign. So this is just a curve you can get.

9 So you can actually get data on the whole  
10 ROC curve as well as individual operating points for a  
11 multi-reader, multi-case study design, and it could be  
12 either prospective or retrospective, the way you do these  
13 studies.

14 So I just wanted to clarify that for the  
15 Panel. Thank you.

16 CHAIRMAN GLASSMAN: Thank you, Dr. Petrick.

17 Does anyone have a question for Dr. Petrick about what  
18 he just presented? Dr. Bourland?

19 DR. BOURLAND: I have one question, and it  
20 relates to the data that you show here, which is one  
21 point per curve and then a tracing of the curve.

22 So I realize they are essentially well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 behaved curves, but I just want to make sure because we  
2 had a comment yesterday about how can you recognize an  
3 ROC curve that, for instance, is typical or not  
4 realistic.

5 So can you comment just briefly on the  
6 basically delineation of an ROC curve based on one data  
7 point?

8 DR. PETRICK: Based on one data point? This  
9 is an example of actually a case where you have increased  
10 sensitivity as well as increased specificity. So you are  
11 actually moving this direction on the ROC curve.

12 So in this case, just based on a single  
13 operating point, you can conclude that this is a better -  
14 - you have to have moved to a better ROC curve.

15 DR. BOURLAND: That, I understand. I'm  
16 talking about the shape of the ROC curve, going through  
17 that point and how well that can be done based on a  
18 single data point.

19 DR. PETRICK: Well, so the shape of the ROC  
20 curve is going to have to become -- it will have to be a  
21 convex curve like this. If it is chance, it is going to  
22 go along this particular axis. The curves typically will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 always increase in sensitivity for specificity so you  
2 won't have dips or concavity in the curve. That would be  
3 an atypical ROC curve.

4 We also have to keep in mind that there are  
5 parametric ways of assessing ROC and nonparametric ways.  
6 Parametric curves will be a fitted model to the curve,  
7 where a nonparametric curve is taking that raw data and  
8 plotting it out.

9 You can do a statistical assessment based on  
10 that. Both have their advantages and potential  
11 disadvantages that go with it.

12 CHAIRMAN GLASSMAN: Dr. Carrino, you had a  
13 question?

14 DR. CARRINO: Yes, just with ROC methodology  
15 in general, measuring the whole area versus partial areas  
16 because I think we are going to get into problems where  
17 you could have -- you know, not have significant  
18 differences in the ROC curve but really have two  
19 differences and even vice versa.

20 DR. PETRICK: Certainly, what the  
21 appropriate endpoint, again -- that's a question for the  
22 Panel to come up with. Partial area is appropriate in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some situations potentially. We can look at the whole  
2 area. If all the operators operate in one particular  
3 location, then partial area certainly is an option to use  
4 as an endpoint.

5 Again, what is the clinical implication of  
6 that? What is the right endpoint? That is something for  
7 the Panel to discuss and try to decide on.

8 CHAIRMAN GLASSMAN: Dr. Berry.

9 DR. BERRY: So just to clarify, this is an  
10 example, and the differences are huge, converting a non-  
11 test into something that is valuable. This is  
12 illustrative and not real. Is that correct?

13 DR. PETRICK: Well, this is a real study  
14 that was done. It was a retrospective one that's  
15 presented in the literature on a microcalcification,  
16 determining malignant from benign microcalcification. So  
17 this is a real example from the literature. This is a  
18 particular real test.

19 Certainly, every test may not show -- Every  
20 CAD -- certainly, a detection CAD may not show such a big  
21 change in ROC area or sensitivity/specificity endpoints.

22 DR. BERRY: Okay. I mean, this changes my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 view of CAD, if this were typical.

2 DR. PETRICK: Again, we are talking -- This  
3 is a diagnostic CAD from a research institution. So we  
4 are not talking about necessarily a commercial CAD. This  
5 is a diagnostic device as opposed to a detection device.

6 But this is from the literature, and this is a  
7 retrospective study.

8 DR. BERRY: It doesn't come with standard  
9 errors or something to -- sample size?

10 DR. PETRICK: There is, I think -- I have to  
11 go back and look at this particular example, but I'm  
12 fairly sure that this would have come with a statistical  
13 significant analysis that came with these curves in the  
14 particular manuscript.

15 CHAIRMAN GLASSMAN: Thank you, Dr. Berry.  
16 Dr. Abbey?

17 DR. ABBEY: Just one quick clarification.  
18 Those curves aren't fitted to the one observed  
19 sensitivity and specificity.

20 DR. PETRICK: No, right.

21 DR. ABBEY: I wanted to clarify that from  
22 earlier. Those are separately estimated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PETRICK: Right. This study was give a  
2 ranking, and then provide the clinical decision that you  
3 made, in this case biopsy/non-biopsy or malignant/benign,  
4 a binary decision.

5 So there are two endpoints. One is the  
6 binary decision. One is the rating. And it is possible  
7 those could be merged together in some studies, but  
8 that's a study design issue.

9 CHAIRMAN GLASSMAN: Thank you, Dr. Petrick.

10 Oh, Dr. Dodd?

11 DR. DODD: Well, while we are clarifying a  
12 few things, I want to clarify some things that I thought  
13 were implicit yesterday, just three points quickly. I  
14 thought it would be good to go on the record.

15 First of all, with regard to ROC analysis, I  
16 think everybody would agree that three points is not  
17 enough to fit an ROC curve. So if the C-Rad scale has  
18 three points, clearly you need to push toward something  
19 else for ROC analysis.

20 Also, we moved to a discussion of per lesion  
21 analysis and FROC, and I had assumed that people would  
22 consider correlation of the lesions in any analysis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Technically, when we do that, I think we should be  
2 talking about JFROC, which is jack-knife FROC rather than  
3 FROC, because I think the original paper of FROC didn't  
4 consider correlation.

5 So we need to consider correlation whenever  
6 we have multiple lesions per patient or multiple sites  
7 per patient. That is also true whenever we have multiple  
8 lesions when we are analyzing sensitivity and  
9 specificity.

10 Finally, with regard to ground truth, I know  
11 we moved toward allowing repeat CTC for the negative  
12 scans, and while this is less than perfect, I recognize  
13 the limitations of being able to do colonoscopy on all  
14 the negatives or some proportion of negatives. But I do  
15 think the labeling will need to clearly state how truth  
16 is defined and what sensitivity and specificity are  
17 defined with respect to.

18 CHAIRMAN GLASSMAN: Thank you, and thank  
19 you, Dr. Petrick.

20 Okay, let's move on now to question C5  
21 please, Sunder.

22 Please discuss whether there are other types

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of performance testing -- that is, other than stand-alone  
2 or reader -- that you believe should be considered in the  
3 clinical evaluation of colon CAD.

4 Dr. Abbey, why don't you start, if you have  
5 any ideas or none?

6 DR. ABBEY: Not much. I would just say one  
7 thing. When we look for a lot of these comparisons  
8 between standalone and reader studies, the assumption is  
9 that there is some interaction that happens when you go  
10 to the reader study that causes the standalone not to  
11 really be predictive of the reader study.

12 It seems to me there may be less burdensome,  
13 more efficient designs to actually just assess that  
14 interaction rather than doing a full study, and I don't  
15 know what they are, but my sense is when you limit your  
16 scope, you can do something easier and put it out to  
17 statisticians then.

18 CHAIRMAN GLASSMAN: Any other comments about  
19 this one or is the sense of the Panel that there are no  
20 other testing that we would recommend?

21 Ms. Brogdon, the sense of the Panel is that  
22 there are no other tests other than standalone and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reader.

2 MS. BROGDON: Let me just ask the staff  
3 whether they possibly had anything else in mind?

4 CHAIRMAN GLASSMAN: Thank you.

5 MS. BROGDON: No, that's it.

6 CHAIRMAN GLASSMAN: Thank you very much.  
7 C6, please.

8 Please provide comments on the practice of  
9 using an enriched dataset for the clinical evaluation  
10 testing discussed in 3 through 5; that is, standalone and  
11 reader and no others.

12 If you believe that the enriched dataset may  
13 be used for these evaluations, please discuss what you  
14 believe to be the appropriate clinical and radiographic  
15 characteristics (or range of characteristics) for that  
16 database. Please consider such items as proportion of  
17 patients having polyps; proportion of patients having  
18 multiple polyps; and polyp size. And if you believe that  
19 enrichment is inappropriate, please provide your reasons  
20 and whether there would be an alternative method of  
21 assessing these devices.

22 Now some of these things, I think, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussed yesterday, but we have to specifically restate  
2 what we believed yesterday for things like polyp size.  
3 So who would like to start with this one? Dr. Berry?  
4 Thank you.

5 DR. BERRY: I think the issues are the same  
6 -- almost the same as in mammography, and I think we  
7 should make the same conclusion as in mammography, that  
8 enrichment is a fine thing and the same issues apply  
9 there.

10 There is a bit of a difference that I think  
11 Dr. Lin pointed out; that whereas, in mammography there  
12 is less than one percent prevalence in any particular  
13 test, here there is something like five percent  
14 prevalence of polyps.

15 So an actual clinical practice setting would  
16 be quite feasible. That could be, I think, enhanced with  
17 enrichment and the same issues that we discussed  
18 yesterday apply, in my opinion.

19 CHAIRMAN GLASSMAN: Dr. Lin?

20 DR. LIN: I think I would agree with that.  
21 It is really a question of prevalence, and if you take  
22 100 average risk people being screened for colon cancer,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about five of them will have large polyps, and about 20-  
2 25 of them will have small polyps, smaller than one  
3 centimeter.

4 So it kind of depends on how large these  
5 studies are anticipated to be. If the studies are going  
6 to have less than 500 subjects, then enrichment might be  
7 necessary, but if the studies are much larger than that,  
8 then we might be able to have enough patients with polyps  
9 without using enrichment. But I anticipate that the  
10 studies are probably going to be less than 500 subjects.

11 CHAIRMAN GLASSMAN: What about multiple  
12 polyps?

13 DR. LIN: I'm not sure if multiple polyps is  
14 really going to be such an important factor in this. I'm  
15 not sure what other people feel.

16 CHAIRMAN GLASSMAN: Anyone else? Dr. Wong?

17 DR. WONG: I think when you are looking at  
18 standalone, you want to really enrich the population. I  
19 don't think you lose anything because basically you are  
20 running the CAD through a standalone process.

21 So I would think that you would want to  
22 enrich it with various size polyps, most likely greater

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 than 6 millimeters. Obviously, you want to get polyps  
2 over 10 millimeters because that is the key polyp size,  
3 but you want to see how sensitive your CAD is in terms of  
4 finding polyps between 6 and 10 millimeters.

5 I think that you want to have polyps  
6 throughout the colon, and you want to have it in the  
7 various sites we have talked about, in the flexures, in  
8 difficult places because you don't want to miss those  
9 types of polyps in people.

10 So I think, for the standalone, you would  
11 want to do that. For the second reader, you know as we  
12 said, if you enrich too much, then you are going to have  
13 a problem there in the sense that the person who is  
14 reading it is going to be sort of hyped up to expect  
15 polyps. So I think, in that sense, you may want to  
16 change it.

17 CHAIRMAN GLASSMAN: Dr. Rosenberg?

18 DR. ROSENBERG: One reason for enrichment is  
19 to make the testing more efficient. It will take less  
20 time. So a question I have for the Panel is: how many  
21 subjects are we talking about and how long does it take  
22 for each study to be read so there is a way of knowing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how long this process would take?

2 In other words, what kind of resources are  
3 involved?

4 DR. KIM: I think a reasonable estimate in  
5 terms of how long the study takes -- there is a big  
6 range. People say they can get down to 10 minutes. Some  
7 people say it's 45 minutes, but I think a reasonable  
8 estimate probably to get through a study is about 20  
9 minutes.

10 To do more than 15 in one day is a pretty  
11 long day. So number-wise, it is going to be kind of hard  
12 to get big numbers like 500 or something like that.

13 CHAIRMAN GLASSMAN: What about stress  
14 testing with difficult cases such as flat polyps? Does  
15 that have a place in this CAD evaluation?

16 DR. TOURASSI: I believe that when we are  
17 talking about stress testing, that should be part of the  
18 standalone performance, and I want to reiterate the point  
19 that was made before that enrichment is indeed very  
20 important for CAD evaluation. But how we do enrichment  
21 should be different for the standalone performance versus  
22 the reader in the loop.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           For the standalone, we can do anything we  
2 like. We can collect the same number of cases for a  
3 particular size or a particular type of abnormality and  
4 have the necessary statistics, and all that is critical  
5 information to be conveyed to the end user before they  
6 start using the system. But when we put the user in the  
7 loop to do the reader observer studies, we should do  
8 enrichment, but we need to make sure that the different  
9 substrata in the abnormal cases reflect the overall  
10 prevalence in the actual population.

11           DR. ROSENBERG: I would agree with that 100  
12 percent.

13           CHAIRMAN GLASSMAN: Any other comments? Dr.  
14 Berry?

15           DR. BERRY: Just a point about Dr. Kim's 15  
16 in one day is a lot. A typical study here, I would  
17 think, if it is a registration trial, would have multi-  
18 centers and if it is standard clinical practice, I could  
19 imagine that there could be more than 1,000 -- you know,  
20 in the thousands, and it wouldn't be a terribly expensive  
21 trial, I would imagine.

22           CHAIRMAN GLASSMAN: Dr. Abbey?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ABBEY: I was going to go the other way.  
2 At 20 minutes per image and five percent prevalence, I  
3 don't see how you can do it without enrichment without  
4 putting a huge burden on whoever has to do that study.

5 CHAIRMAN GLASSMAN: Dr. Berry?

6 DR. BERRY: No, but the point is that there  
7 will be potentially 50 centers that are accruing  
8 patients.

9 CHAIRMAN GLASSMAN: Under the least  
10 burdensome doctrine -- let's split this into two clearly  
11 defined pieces -- the standalone where the burden to the  
12 companies is really relatively minor once cases are  
13 identified, and the reader study where the burden to the  
14 company is much greater.

15 I think we have said that for the  
16 standalone, that any -- we could do significant  
17 enrichment and stress, but for the reader study, do we  
18 think that thousands of patients would be necessary to  
19 evaluate a CAD system?

20 DR. SAHINER: I think when we are doing a  
21 reader study, we also want to sample the readers. So  
22 these cases will be read at -- you said there will be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 multiple centers, but every case would have to be read in  
2 multiple centers, too.

3 So I think it would be very difficult to  
4 conduct this study with 1,000 cases or thousands of  
5 cases.

6 CHAIRMAN GLASSMAN: Does anyone want to  
7 hazard -- Oh, Dr. Bourland first.

8 DR. BOURLAND: You should continue. I had a  
9 slightly different topic.

10 CHAIRMAN GLASSMAN: So did I. So go ahead.

11 DR. BOURLAND: So I wanted to just point out  
12 that, relative to detection of items with CAD colon, it  
13 is sphericity that is detected. So these are all  
14 designed -- the current approved ones are basically  
15 designed for polyp detection. So the flat lesion is a  
16 non-detectable because there is no curvature to detect  
17 mathematically within the image set.

18 So the question is what about enrichment of  
19 something like that? There are not at this point systems  
20 really designed for that purpose. So that is a very  
21 difficult case, and the question is do you enrich for  
22 something like that or not when perhaps the systems

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 aren't actually designed to be able to detect the gently  
2 rolling hill as opposed to the complete 180/360 degree  
3 curvature change.

4 CHAIRMAN GLASSMAN: I'd like to respond to  
5 that, and I think the answer is that as part of the  
6 stress, we should look for that. The reason is that it  
7 would be important in the labeling for the end users to  
8 know that that is an area where this test is insensitive  
9 if in fact, it is insensitive.

10 DR. BERRY: Can I add?

11 CHAIRMAN GLASSMAN: Yes, Dr. Berry.

12 DR. BERRY: I would say that there is a  
13 distinction between enrichment and ordinary practice. In  
14 ordinary practice, that would come up and one could add  
15 something in the label, but the overall performance of  
16 the device should be calculated on the basis of how it  
17 does overall in a representative sample; and if those are  
18 common, then the device is not going to be as good as if  
19 they are quite rare.

20 CHAIRMAN GLASSMAN: Thank you.

21 DR. SPINDELL: Could I add one comment?

22 CHAIRMAN GLASSMAN: Of course.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SPINDELL: Your comment is exactly  
2 right. How does it act in real life? Just so everybody  
3 understands if you are enriching the reader sample,  
4 that's not real life.

5 CHAIRMAN GLASSMAN: However, I think  
6 statistically we could make -- or someone could make a  
7 judgment based on a real world population, taking out the  
8 unrealistic cases once we know that it doesn't work for  
9 those, and simply label to say that flat polyps are  
10 dangerous, this system isn't going to find them. So you  
11 have to be aware of that, and then a reasonable  
12 sensitivity and specificity based on usual clinical  
13 practice could be obtained.

14 Yes, Brian? Dr. Garra?

15 DR. GARRA: I just have one comment about  
16 that. I think you are right about possibly needing a  
17 sufficient sample of sessile polyps and flat polyps, but  
18 only if their proposed labeling suggests that they think  
19 they can detect them. If the proposed labeling for that  
20 device is that we can't detect those, then I don't think  
21 that we should put that burden on them.

22 CHAIRMAN GLASSMAN: I think that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasonable because it would then -- the label would then  
2 tell the end user what we, in fact, want them to know.

3 Dr. Berry?

4 DR. BERRY: But they should be included in  
5 any calculations of sensitivity and specificity, despite  
6 what the label claims. That is, if you can't find it,  
7 that's not a good thing.

8 DR. GARRA: I think that's fine to do that,  
9 but I don't think we should enrich or force them to have  
10 a certain number of flat polyps if they are not having  
11 that in their label.

12 DR. BERRY: I agree.

13 CHAIRMAN GLASSMAN: Dr. Dodd?

14 DR. DODD: I just want to agree with Dr.  
15 Berry, and I do -- again, we are making a distinction  
16 between the standalone enrichment and the type of  
17 enrichment that is done with the reader performance  
18 study, right?

19 So I would also hope that you get some  
20 sampling of them for a reader performance study, but that  
21 you don't necessarily have to enrich on that specific  
22 criteria. But I would be very hesitant to throw those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out because those should be part of your estimates of  
2 sensitivity and specificity.

3 CHAIRMAN GLASSMAN: Dr. Rosenberg?

4 DR. ROSENBERG: If we are going to talk  
5 about that, then we are going to have a problem with  
6 verification of negatives, of people only done by CT.

7 CHAIRMAN GLASSMAN: Thank you.

8 DR. ROSENBERG; I asked about that. I am  
9 not clear on if it's a flat polyp, whether a colonoscopy  
10 is going to find it?

11 CHAIRMAN GLASSMAN: It should. Dr. Lin?

12 DR. LIN: Flat polyps are traditionally more  
13 difficult to diagnose than pedunculated polyps, but I  
14 think in general colonoscopy has a better chance of  
15 finding flat polyps because we can actually directly view  
16 the mucosa.

17 So yes, they are difficult to find on  
18 colonoscopy but probably less so than with virtual  
19 colonoscopy, and CAD I think, it would be very difficult  
20 because of the reasons that you just mentioned.

21 CHAIRMAN GLASSMAN: Let me just switch gears  
22 a little bit here for one second just to make sure we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cover this, and go to b. Is there anyone on the Panel  
2 who thinks that enrichment is inappropriate? Okay, we've  
3 answered that.

4 Let's go back then to a. and let me try to  
5 summarize where we are and then open it back up for  
6 discussion.

7 We have separated the standalone study from  
8 the reader study. For the standalone study, the  
9 committee -- we believe that enrichment and stress is  
10 appropriate. For the reader study, we also believe that  
11 it is appropriate but at a lesser level to better reflect  
12 clinical practice.

13 The actual proportion of polyps we haven't  
14 specified, but I don't know that we can. Multiple  
15 polyps, we said, would not be particularly important;  
16 however, polyps in all areas of the colon needed to be  
17 included including the problem areas of the flexures, and  
18 that polyps between 6 and 10 millimeters and greater than  
19 10 millimeters needed to be included in an adequate test.

20 Let me open it up again for comments if  
21 there is anything anyone wants to modify of that or  
22 further enhance. If not, Ms. Brogdon, I hope somebody

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 took notes on that.

2 MS. BROGDON: Yes, thank you.

3 CHAIRMAN GLASSMAN: That is adequate for  
4 what you need?

5 MS. BROGDON: Yes.

6 CHAIRMAN GLASSMAN: Thank you. We are doing  
7 well this morning. Let's move on to C7.

8 FDA does not specify indications for use but  
9 reviews indications for use that are requested by  
10 companies. What are the Panel's views regarding second  
11 reader versus concurrent reading using a CAD device?

12 Specifically, how are colon CADs used  
13 clinically? Are second reader and concurrent reading  
14 modes both clinically relevant options for use in  
15 practice? If not, which paradigms are appropriate for  
16 colon CAD devices?

17 Do you believe users understand that if a  
18 device is labeled as a second reader, they the physician,  
19 should always read the radiological image completely  
20 before turning to the CAD?

21 At this point, as I mentioned earlier, I  
22 would like to ask if there is anyone in the audience who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would like to speak, particularly to Section a., but if  
2 you have something to say about b. or c. within your five  
3 minutes, that would be fine.

4 Is there anyone who wants to make a  
5 statement? Please raise your hand and come forward. No?

6 Okay, then we will continue on with the Panel's  
7 discussion. Thank you.

8 How are colon CADs -- Oh, there is someone?

9 Oh, please come forward. I'm sorry. I had my reading  
10 glasses on. I can't see past the podium.

11 Please state your name and any affiliations  
12 that you have, please.

13 MR. TRUYEN: Good morning. My name is Roel  
14 Truyen. I am at Philips Healthcare.

15 Now just as a remark on the clinical use, I  
16 am not a doctor, by the way. So I don't use these CAD  
17 systems in real practice.

18 With respect to the question whether or not  
19 this is being used in a concurrent read or a second read  
20 paradigm, I think both options should be available if the  
21 device manufacturer decides to put it in their labeling  
22 and their claims.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So if you will have a device that is  
2 intended to be used as a second read, that is  
3 appropriate; and then it should be tested in the reader  
4 paradigm that it is intended for. You can also have a  
5 reader paradigm that is a concurrent read so we don't  
6 exclude these devices. In that case, they should be  
7 tested in that way.

8           What we would not like to propose is to have  
9 labeling for one reader paradigm and then having to test  
10 that for other reader paradigms that it was not intended  
11 for.

12           CHAIRMAN GLASSMAN: Thank you very much.  
13 Any -- Yes, sir? Come forward.

14           DR. JAFFE: Carl Jaffe, NCI Cancer Imaging  
15 Program. We were the sponsors of the ACRIN trial which  
16 has come to completion just for some benchmarks on these  
17 things so that they can be cited for later literature.

18           That trial has 2600 cases in it. It cost \$6  
19 million. It was completed in less than two years. It  
20 has not yet been published, but it is in the process of  
21 doing so. Some of the secondary endpoints include the  
22 issue of primary first read versus second read situation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I think the Panel should be aware that  
2 within the literature, within the next 18 months to two  
3 years, there will be the publication of information.

4           This was a 15-site, multi-center, multi-  
5 institutional and multi-device, and visualization  
6 software. It was not a CAD driven project. This should  
7 allow people to have a little bit of understanding. It  
8 is very well, scientifically controlled,  
9 biostatistically.

10          So this will, I think, provide some  
11 background when this is looked at later. Thank you.

12          CHAIRMAN GLASSMAN: Thank you, Dr. Jaffe.  
13 Yes, ma'am?

14          DR. SALLAM: I am Maha Sallam from iCAD. I  
15 just wanted to ask the Panel as you considered the issues  
16 of concurrent read versus second read and the potential  
17 scenarios, try and also make recommendations on the  
18 testing and whether that differs or should differ,  
19 whether the intended use of the device is a second read  
20 device or a concurrent read.

21          It is overly burdensome, I think, for  
22 industry to try and prove or disprove all different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 methodologies that a particular device can be used for. I  
2 think it is appropriate for us to propose a recommended  
3 methodology for the use of our device, based on our  
4 knowledge of what it does and does not do, and then allow  
5 us a design testing that is proportionate or suitable for  
6 the type of utility or utilization that we are expecting  
7 our device will be used for. Thanks.

8 CHAIRMAN GLASSMAN: Thank you. Any other?  
9 We have no one else who wishes to speak. We will move on  
10 to --

11 DR. BERRY: May I ask a question?

12 CHAIRMAN GLASSMAN: Oh, I'm sorry. Yes, Dr.  
13 Berry?

14 DR. BERRY: So I would like to ask Dr. Jaffe  
15 with respect to Dr. Sallam's question just now, how did  
16 you do the ACRIN trial? If you are going to compare  
17 concurrent versus second read, how did you do that  
18 because that is a different design than we have been  
19 talking about?

20 DR. JAFFE: These are secondary analyses,  
21 and again we are just simply the sponsor. ACRIN's  
22 protocol for all of this is actually up on the web on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their site. The issues that you are addressing are  
2 actually the -- are not primary aims. They are secondary  
3 aims, and they will be -- presumably, they will be  
4 finished -- that analysis is a reanalysis of the dataset  
5 that comes from that.

6 Portions of that dataset will become  
7 publicly available so they could be used as a test.

8 DR. BERRY: So just crossly, did you have  
9 different readers, one reader doing things concurrently,  
10 and then on the same case another reader doing it  
11 sequentially?

12 DR. JAFFE: I think that will have to be  
13 answered by the principal investigator, which is Dan  
14 Johnson at Mayo Clinic, but my understanding is that it  
15 is not really so much concurrent as primary first 2D,  
16 secondary 3D and then the reverse after a forgetting  
17 period.

18 So you will get some information of that,  
19 not precisely what you are asking about concurrent, but  
20 there will be a very rich amount of data here that can be  
21 used as a potential benchmark, although I would say it is  
22 not for CAD. It is visualization issues, 3D. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Thanks, Dr. Jaffe. Yes?

2 DR. SPINDELL: Dr. Jaffe, sorry. The CAD  
3 product that was used, multiple CAD products -- were they  
4 --

5 DR. JAFFE: Oh, I'm sorry. It was not CAD.  
6 Visualization products -- they were helpful.

7 DR. SPINDELL: And were they used on their  
8 indicated use? Were those devices also indicated for  
9 primary read or just indicated for secondary read?

10 DR. JAFFE: I'm afraid I would have to look  
11 that up, but it was, as I say, 15 institutions  
12 nationwide, 2600 cases with multiple scanners and  
13 multiple visualization devices, the 3D visual.

14 DR. SPINDELL: The reason I'm asking is I  
15 just want to make sure that, when somebody interprets the  
16 study that the data is relative to the indicated use for  
17 the device and not for non-indicated use for the device.

18 DR. JAFFE: Again, it is a visualization  
19 issue, and it was -- my understanding is that it is  
20 licensed devices. I just thought it was also useful to  
21 have this cost benchmark which was that for 2600 cases.  
22 It cost \$6 million of NCI funds. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Thank you very much.

2 Okay. Let's move on to the Panel's  
3 discussion of C7. How are colon CADs used clinically?

4 I can tell you from my own observation that  
5 they are used both concurrently and sequentially. People  
6 I have spoken to prefer actually the sequential. The  
7 comments I have gotten are the marks get in the way when  
8 you are flying through the colon on a 3D fly-through, and  
9 then they go through again looking for the marks, but I  
10 don't know what the rest of the Panel's knowledge is.

11 So why don't we open it up for discussion of  
12 the three points. Who would like to go first? Dr. Kim?  
13 I'm sorry, Dr. Leitch.

14 DR. LEITCH: Well, I don't know how people  
15 use this, but I think this exam where it takes a lot of  
16 time to do it, you know, just to view the exam, this will  
17 be something that in practical use there may be the  
18 inclination to either have the CAD system do the primary  
19 read or to do concurrent reads.

20 So I think, while the company can say well,  
21 we are not going to promote it that way, we are not going  
22 to say that is the indication, I think the issues are in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 practice if that's what will happen, that needs to be  
2 addressed in some way.

3 CHAIRMAN GLASSMAN: Let me make a comment  
4 about that. That is, if I understand this correctly --  
5 and FDA people, please correct me if I'm wrong, but the  
6 FDA evaluates the stated uses that come from the company.

7 So if the company comes to the FDA with a second use  
8 product, that that is what the company has to prove.

9 If the company comes with a concurrent use  
10 product, they would have to prove that; and if they come  
11 for both, they would have to prove both. But the FDA  
12 responds to the submission rather than the other way  
13 around. Is that correct?

14 MS. BROGDON: That is generally correct. If  
15 you think of other devices where there are obvious  
16 potential off-label uses that we believe could be  
17 hazardous, we can reflect that in the type of clearance  
18 and warnings that we give with those approvals.

19 I don't believe we are talking about that  
20 here so what you said is correct.

21 CHAIRMAN GLASSMAN: Thank you.

22 DR. LEITCH: I understand that principle,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but these are concerns that I have about this. Is that  
2 because it is a time consuming procedure -- and again  
3 this is the issue? You know, if it is going to be  
4 applied in screening, how will it actually, practically,  
5 be use?

6 This is one of the questions asked: How are  
7 they used? Maybe people who have more experience in the  
8 area can say what do they think people are likely to do  
9 or what would they rely on? Would they tend to rush  
10 through their first read, knowing they've got that back-  
11 up read? How would it happen, actually, in real life?

12 CHAIRMAN GLASSMAN: Dr. Swerdlow, any  
13 comment on that?

14 DR. SWERDLOW: I don't have a CAD available.  
15 So I can't say how I use it at the moment; and as I have  
16 indicated, I think I would certainly love to see  
17 something that would work as a concurrent read because of  
18 the potential for large numbers of cases that people are  
19 going to have to read.

20 I think that is going to prove a strong  
21 market drive, that anybody who can -- that any  
22 manufacturer that can make one of these and show that it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually works as a concurrent read will have a big leg  
2 up.

3 That is going to drive somebody actually  
4 seeking to get to approval of that, and that may in  
5 itself be sufficient to spur industry in that direction.

6 CHAIRMAN GLASSMAN: But for the FDA  
7 purposes, I think the answer is it is being used  
8 clinically both ways right now, based on?

9 DR. SWERDLOW: That is my impression, but I  
10 have a fairly limited orientation with what others are  
11 doing.

12 CHAIRMAN GLASSMAN: Anybody else want to  
13 speak to a? Let's move on then to b., which is somewhat  
14 related, which is -- are second reader and concurrent  
15 reads both clinically relevant options; and if not, which  
16 one is?

17 Is there anyone on the Panel who thinks that  
18 both of them are not potentially clinically relevant?  
19 Dr. Garra?

20 DR. GARRA: I did want to raise the issue of  
21 -- I think they both are clinically relevant, but the  
22 more important question is: If a device purports to want

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do both concurrent read and second read, do we need to  
2 make them test both? Can we just make them test  
3 concurrent read because everything I have heard from this  
4 Panel so far is that concurrent read has a potential for  
5 lesser performance? So that would be the higher bar.

6 If they could pass the concurrent read, then  
7 the presumption would be that the second read performance  
8 would be higher. I am just curious about that, what the  
9 Panel feels.

10 CHAIRMAN GLASSMAN: That is a very important  
11 question.

12 DR. GARRA: It reduces the burden for them  
13 considerably.

14 CHAIRMAN GLASSMAN: Exactly. Does anyone  
15 want to speak to the fact that if a device is approved  
16 for concurrent read, this Panel would recommend that it,  
17 by definition, be also approved for sequential read for  
18 the reasons that Dr. Garra stated?

19 DR. LIN: I think theoretically it does make  
20 sense, but I don't think there is any actual proof that  
21 that is a higher bar, though. Intuitively, it would seem  
22 to make sense that, if a CAD could perform well for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concurrent reading, that for second reading it should do  
2 even better. But is there any actual events?

3 CHAIRMAN GLASSMAN: Dr. Tourassi?

4 DR. TOURASSI: Actually, I agree with that.  
5 In principle, it's right, but we also need to consider  
6 any future paradigms, reading paradigms that we cannot  
7 envision right now.

8 So the real question is: If the sponsor  
9 comes in with a proposal of another reading paradigm, do  
10 we require a new reader observer study comparing the new  
11 reader paradigm to the previous paradigm? This is a real  
12 question, and under the least burdensome approach very  
13 difficult to give an answer.

14 CHAIRMAN GLASSMAN: Dr. Bourland?

15 DR. BOURLAND: We heard briefly about the  
16 use of visualization for this, which essentially is  
17 taking the CT and perhaps doing a fly-through. So the  
18 question is where does CAD start? Where does  
19 visualization end?

20 I don't know that I know the answer to that.  
21 You can imagine a situation where the visualization uses,  
22 for instance, the heated object spectrum, and that is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 first view that is taken of this dataset in a represented  
2 view of some sort.

3 The heated object spectrum would show, for  
4 instance curvature, and your eye would go right to that,  
5 and that tells you almost right away that is a potential  
6 polyp, for instance.

7 So I am a little unclear, unsure, of how a  
8 vendor implementation might link visualization to CAD.  
9 To me, they are probably integrated right now such that -  
10 - and what I am suggesting is that the first view you  
11 ever see is sort of -- it's visualization, but at the  
12 same time it is a concurrent observation.

13 CHAIRMAN GLASSMAN: Dr. Berry?

14 DR. BERRY: So following onto Dr. Tourassi's  
15 comment and Dr. Garra's, I thought I heard Dr. Garra say  
16 that if a device is approved indicated for second read,  
17 that it might be used in the concurrent setting; and  
18 therefore, it is incumbent on the company to evaluate it  
19 in the concurrent setting.

20 CHAIRMAN GLASSMAN: No, he actually said the  
21 reverse, I think.

22 DR. BERRY: Okay. Well, let's ask him.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Okay.

2 DR. GARRA: The issue here was -- I think if  
3 a company goes in and says our device we want only to be  
4 used for second read, then that's what they would be  
5 testing. But if a company says, we would like our device  
6 to be approved for both second read and concurrent read,  
7 would the FDA require them to test them both?

8 Since all the discussion I have heard here  
9 indicates that there is greater potential for problems  
10 with performance with concurrent read versus second read,  
11 it might be an easier burden for them to just do the  
12 concurrent read, and then be approved for both if they  
13 have that indication.

14 DR. BERRY: So let me raise the question  
15 then. If it is approved only -- or cleared for second  
16 read, is it incumbent on the company to show -- to  
17 evaluate what its performance is in the concurrent  
18 setting?

19 DR. SPINDELL: I think we already discussed  
20 with the FDA that the FDA -- that is not the burden of  
21 proof for the company. The company has to prove their  
22 device is safe and effective unless that other read is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 extremely dangerous.

2 I also think you have to understand when you  
3 do testing for clinical off-label and as part of your  
4 submission, as you know, it's now on the clinical  
5 database and everybody gets information that could  
6 actually promote off-label use rather than deter it. So  
7 I think you have to take that into consideration as well.

8 CHAIRMAN GLASSMAN: Okay. Yes, Dr. Abbey?

9 DR. ABBEY: I just have a question. How do  
10 you know it is extremely -- if it is extremely dangerous  
11 or not, unless you test for it?

12 DR. SPINDELL: I think what you have to  
13 understand is what is the possible -- let's take CAD in  
14 particular. Right? What is the danger in the CAD? What  
15 is the danger you are trying to prevent here?

16 DR. ABBEY: In off-label use, wouldn't that  
17 be that it is being used inappropriately; and therefore,  
18 sensitivity goes down and a certain number of people end  
19 up --

20 DR. SPINDELL: But if you could put that in  
21 your package labeling is what I'm saying, is if you have  
22 a second read device, you say in your package labeling it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is a second read device, not to be used concurrently,  
2 sensitivity and specificity is not --

3 CHAIRMAN GLASSMAN: We are into a risk  
4 management issue here which is not part of our purview.  
5 The general way that this works, as we all know, is that  
6 the company comes with an intended use and they have  
7 tested for that use. And the label says that that is the  
8 use that it should be, and anything else is not there.  
9 Then people use it as they will.

10 Let me see if I can -- the third part --  
11 do you believe that users understand that they should  
12 only use it the way the label reads? Dr. Wong is shaking  
13 his head no.

14 DR. WONG: No, I don't think so. I think  
15 people are going to use it the way they want to use it.  
16 So, actually, it comes back to this other question, and  
17 that is, maybe we should ask the companies to look at  
18 concurrent use because more than likely, because this is  
19 such a time consuming read, that they are going to use  
20 the CAD to try and save time. I think that's the most  
21 common likely thing that is going to happen.

22 I may be reading it wrong because I'm a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 gastroenterologist, but I would think, if anything, they  
2 are going to try and save time and be able to read more  
3 cases using the CAD.

4 So maybe the best thing to do is to see  
5 whether the device companies can show that concurrent use  
6 is as good as a person reading it, and make that as a --

7 CHAIRMAN GLASSMAN: I think -- Well, I think  
8 there are certain assumptions we are making here, and  
9 with colon CAD it may not be true that concurrent CAD is  
10 faster than sequential CAD.

11 I have been told by one user that sequential  
12 is actually faster because as you fly through the colon,  
13 if you -- It's like a street with stop signs versus -- I  
14 was going to say 270, but some days that is like a stop  
15 sign, too. But 270 at two in the morning, and that when  
16 you go through and you have to stop and check and stop  
17 and check and start again, it is actually slower.

18 So I don't think that in this instance we  
19 can assume that concurrent would be preferable to  
20 sequential.

21 DR. KIM: I think, in terms of time, there  
22 is one study. I'm not sure if it was Steve Halligan or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Stuart Taylor that led the study, but they looked at both  
2 concurrent read and sequential read, and the reading  
3 times for concurrent read were much quicker than the  
4 sequential.

5 DR. SAHINER: I have one comment about the  
6 question of whether the users understand what the  
7 labeling says. I think they understand, but they may  
8 forget.

9 So one easy strategy to discourage the off-  
10 label use for these software devices is just to ask the  
11 radiologist before you turn on the CAD whether the user  
12 has read the image completely or not.

13 So this would be not that big a burden on  
14 the radiologist. They would just click a button, and it  
15 would remind them each time not to do the off-label use.

16 CHAIRMAN GLASSMAN: I don't necessarily  
17 think that that is the function of the FDA. Any other  
18 comments before I try --

19 DR. TOURASSI: And also human nature. Most  
20 probably they will push the button anyway.

21 CHAIRMAN GLASSMAN: Yes. Dr. D'Orsi?

22 DR. D'ORSI: Is there a richness of data to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compare CAD and non-CAD paradigms, as there is in mammo,  
2 to make a decision on this? Do we have enough info that  
3 we can say one is preferable to the other?

4 Maybe we should just kind of leave it open  
5 to fit what data we have now. I don't know, but is it  
6 available? I mean, we have tons of data with mammo. I  
7 don't know if there is similar data with the CAD for  
8 colon.

9 CHAIRMAN GLASSMAN: I don't think that there  
10 is the richness -- or there isn't the richness of data.  
11 It is a much less researched area, and I think that is  
12 part of why we are taking so long with this piece is that  
13 we don't have the data to really back up.

14 One more comment. Then I am going to try to  
15 summarize.

16 DR. CARRINO: So I think the fundamental  
17 issue is not the time, but is concurrent use similar  
18 enough to second reader use that they can be tested  
19 equivalently?

20 So we have the three paradigms that were put  
21 forth yesterday which was the completely standalone  
22 device, concurrent, or second reader paradigm where the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device is applied after the radiologist has gone through  
2 the image.

3 So from a concurrent use standpoint, a  
4 number of radiologists will go through a case. To me,  
5 that seems that that could be like a second reader  
6 scenario, because you are going through the case anyway,  
7 and these marks are there. Whether you apply them before  
8 you started looking at the case or after you have looked  
9 at the case, it's roughly similar.

10 What is not clear to me is that a second  
11 reader paradigm is the same as the concurrent use  
12 paradigm because then you have gone through the whole  
13 case, made your opinion, and then you apply this device.

14 So I think that if we are going to try to  
15 make it least burdensome, that we can decide that  
16 concurrent use is similar enough to second read, then  
17 that's the way that you have the testing done.

18 CHAIRMAN GLASSMAN: Any other comments about  
19 that? My one -- Oh, go ahead.

20 DR. STEIER: Just one comment. We have  
21 heard a lot of the speakers yesterday talk about the  
22 importance of second reader use, and the FDA staff and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 others all made it very clear that this methodology  
2 should only be used for second reader use. So it  
3 is interesting to hear so many people advocating for  
4 concurrent use.

5 CHAIRMAN GLASSMAN: I would like to suggest  
6 to the Panel that, in the absence of comparative data,  
7 that we should not make any recommendation about the  
8 equivalence of second read and concurrent read, that the  
9 industry can apply for what they want with the data. The  
10 FDA will evaluate it, and it may be in the future that,  
11 when scientific data shows different facts, this can be  
12 changed.

13 Would that be acceptable to everybody?

14 Okay.

15 Let me try to summarize C7, and then we will  
16 open it back up for discussion, and then we will come to  
17 a final.

18 How are colon CADs used? They are used  
19 both sequentially and concurrently at this time.

20 Are second reader and concurrent reading  
21 modes both clinically relevant options? I think we agree  
22 that they are clinically relevant, but there is no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific or not enough scientific data to show that  
2 they are either equivalent or one is better than the  
3 other.

4 Do users understand that if a device is  
5 labeled for second reader and they should always read  
6 before turning on CAD? If they understand, they  
7 certainly don't always obey which is typical for the  
8 medical profession in general.

9 I think it is very similar to the issue with  
10 breast. Labeling could be made stronger. Teaching --  
11 using the paradigm that we used earlier yesterday, that  
12 teaching of use could stress the proper labeling, but in  
13 the end people will do what they think works in the  
14 absence of scientific evidence to the reverse.

15 Let me open that up to the Panel. Would  
16 anyone want to modify that or add to it? If not -- oh,  
17 Dr. Tourassi?

18 DR. TOURASSI: I don't want to modify. I  
19 want to throw in a hypothetical scenario.

20 So let's say a colon CAD device has been  
21 approved as a second reader, and a future version of the  
22 device has been developed where sensitivity and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 specificity have improved, and the sponsor feels that now  
2 the device is ready for concurrent read paradigm.

3           What do they need to do? They need to come  
4 back with another reader observer study to demonstrate  
5 what? That it is better or equivalent to the second  
6 reader as before? Furthermore, should this reader  
7 observer study be at the same scale, sizewise, number of  
8 cases, readers, as the previous one?

9           CHAIRMAN GLASSMAN: If I can respond to  
10 that, I think that the answer may be yes and may be no,  
11 depending on the state of scientific evidence independent  
12 of that trial. If there is massive literature support  
13 that the two were equivalent, it may be that the reader  
14 study that would be acceptable to the FDA would be of a  
15 different size than if this were, in effect, a new  
16 paradigm to be tested.

17           DR. STEIER: I would like to respond to the  
18 statement that you made. I agree with most of it, just  
19 the last part about people will do whatever they think is  
20 right based on however they feel that day or what works  
21 for them.

22           I don't know that that really adds a lot. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think, if it is approved as a second reader, like all  
2 things, it should be used for what it is approved for,  
3 and I guess it goes unsaid most of the time that  
4 physicians will comply to the extent that they feel that  
5 they need to or it is indicated. But I don't know that  
6 giving an out in terms of including human nature in our  
7 statement is that necessary.

8 CHAIRMAN GLASSMAN: We can drop that from  
9 the last part. Ms. Brogdon?

10 MS. BROGDON: I guess one of the things we  
11 are asking is if you believe that there is commonly done  
12 off-label use, what does that say about the labeling?

13 Do the people who are using these devices  
14 off-label need further labeling, either to prevent, give  
15 adverse information about what they are doing, or  
16 positive information about the results that they can  
17 expect if they are using it off-label?

18 CHAIRMAN GLASSMAN: Anyone want to comment?

19 DR. STEIER: Well, again I mentioned  
20 yesterday from the cardiology and pulmonary viewpoint  
21 where in cardiology EKGs are read by machines, and you  
22 can either read them yourself or go with what the machine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 says and then kind of concurrently read it or for  
2 pulmonary function testing you can do that. It is  
3 considered poor practice to use the machine  
4 interpretations as opposed to the physician  
5 interpretation.

6 CHAIRMAN GLASSMAN: I think also, to answer  
7 your question, that labeling and training could be made  
8 stronger but, quite frankly, in the absence of scientific  
9 evidence showing that second read is worse than  
10 concurrent read in CT colonography with CAD, it would be  
11 hard, I think, to make a real significant impact.

12 Yes, Dr. Abbey?

13 DR. ABBEY: So I guess there is a lot of  
14 off-label use of the drug Valium, but I think it says  
15 more about Valium than the label. Right? I think CAD  
16 has a similar issue in the sense that it is so easy  
17 potentially to use it off-label.

18 So that is the potential risk there. But  
19 the one mechanism we haven't really discussed is post-  
20 market approval kind of studies. Should that perhaps --  
21 if people are going to extensively off-label use a  
22 product and that has a negative consequence, wouldn't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that show up in a post-market?

2 CHAIRMAN GLASSMAN: Ms. Brogdon, do you want  
3 to discuss the agency's post-market processes and uses?

4 MS. BROGDON: We are unable to require a  
5 post-approval study for an off-label use.

6 DR. STEIER: You mentioned Valium, for  
7 instance, although Valium is on our hospital formulary.  
8 We were unable to approve it or even discuss at our  
9 Pharmacy and Therapeutics Committee meetings off-label  
10 uses. So I think there is a difference between off-label  
11 use as physicians determine is necessary versus official  
12 sanctioning of off-label use.

13 CHAIRMAN GLASSMAN: Okay. I think the  
14 analogy was good, but we are not here to kill Valium or  
15 anything else here.

16 Okay. I think -- Oh, Dr. Garra?

17 DR. GARRA: I think that the FDA is going to  
18 need to require the device to give electric shocks to the  
19 person if they use it off-label.

20 CHAIRMAN GLASSMAN: I'm glad I don't do CT  
21 colonography CAD. Dr. Berry, and then we are going to  
22 close this out.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BERRY: I just want to point out that in  
2 today's issue of JAMA there is a paper that claims that  
3 flat growths are both much more common than previously  
4 thought, and that flat growths give rise to colorectal  
5 cancer much more than non-flat, to your point.

6 CHAIRMAN GLASSMAN: Yes. Dr. Brogdon, do  
7 you need me to try to summarize this again or is what we  
8 have said enough for the agency?

9 DR. BROGDON: I think we have enough.

10 CHAIRMAN GLASSMAN: Thank you. Let's move  
11 to today's agenda.

12 We now have the 8:40 FDA presentation,  
13 highlighting current issues related to lung CADs. Dr.  
14 Sophie -- I hope I do this right -- Paquerault is going  
15 to present.

16 DR. PAQUERAULT: Good morning. That's all  
17 right.

18 So we will be discussing this morning lung  
19 CAD devices and in these presentations I will go through  
20 some clinical background, clinical interpretation of  
21 chest studies. I will give an overview of CAD devices  
22 for chest X-ray and chest CT, and finally give an outline

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of issues specific to chest X-ray and CT lung CAD.

2 The clinical background: the question is  
3 how chest X-ray and chest CT CAD devices are used. To  
4 respond to this question, it is important to define when  
5 and why patients are referred for a chest examination.

6 X-ray and CT are the most common chest  
7 imaging examinations. They are used for hospitalized and  
8 non-hospitalized patients of all ages and for various  
9 conditions.

10 Chest X-ray and CT can reveal disease and  
11 abnormalities of the heart, lungs and airways and many  
12 other chest diseases and patient conditions.

13 Chest X-ray is the most commonly performed  
14 radiographic exam, accounting for nearly 50 percent of  
15 all imaging tests. Over 250 million chest X-rays are  
16 done yearly in the U.S.

17 They are routinely performed for -- to  
18 determine the cause of chest pain, shortness of breath,  
19 fever, and trauma, and also for screening for pulmonary  
20 metastases or monitoring cancer therapy.

21 Chest X-rays consist of two views, a PA view  
22 and a lateral view as shown here, and these two views

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 typically can help determine whether other tests are  
2 needed to confirm or make a diagnosis.

3 Most common additional imaging test to  
4 clarify chest X-ray findings or to search for  
5 abnormalities not visible on the chest X-ray is CT  
6 examination.

7 About 65 million of CT exams of all types  
8 are performed every year in the U.S. About 10 million  
9 are of the chest.

10 CT can detect much smaller and more subtle  
11 findings than can be seen in the chest X-ray and is more  
12 effective in finding earlier lung cancer.

13 CT also allows precise imaging guidance for  
14 biopsy of suspicious findings.

15 As shown here, CT produces hundreds of  
16 cross-sectional images of the chest that contain complex  
17 and detailed anatomic and pathologic information.

18 Among the diseases that can be detected on  
19 chest X-ray and CT, lung cancer is one of these diseases,  
20 and lung cancer accounts for more deaths than breast  
21 cancer, prostate cancer, and colon cancer combined.

22 The major causes of lung cancer are due to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 smoking for 90 percent and due to occupational exposure  
2 for 10 percent. Eighty percent of lung cancer patients  
3 die from this disease.

4 It is hoped that early detection and new  
5 interventions may result in a more favorable prognosis,  
6 and for this a screening program in high risk patients is  
7 a topic of discussion in the medical field.

8 The question remains whether early  
9 interventions in high risk patients is sufficiently  
10 effective to justify screening large asymptomatic  
11 populations.

12 To respond to this question, there are  
13 ongoing clinical trials: the International Early Lung  
14 Cancer Action Project and the National Lung Screening  
15 Trial.

16 There is various multiple published studies  
17 involving high risk patients, and they show a great  
18 variation in lung cancer detection for chest CT.  
19 Sensitivity varies from 67 to 100 percent. Specificity  
20 varies from 50 percent to 95 percent.

21 Twenty-five percent to 50 percent of  
22 individuals undergoing chest CT will have a lung

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 abnormality, either malignant or benign. A positive  
2 finding results in additional radiation dose, invasive  
3 testing, and may lead to complications and certainly  
4 increased patient anxiety.

5 What is the appearance of lung cancer or  
6 pulmonary nodule? Early lung cancer typically appears as  
7 a nodule or mass-like opacity on both chest X-ray and CT.

8  
9 On chest X-ray, a nodule is defined as a  
10 relatively spherical opacity 3 centimeters or less in  
11 diameter surrounded by lung parenchyma.

12 On chest CT, a nodule is defined as a round  
13 opacity, at least moderately well marginated and no  
14 greater than 3 centimeters in maximum diameter. A nodule  
15 is typically described as completely solid, partially  
16 solid, or non-solid.

17 What is the frequency of pulmonary nodules?  
18 Chest X-rays diagnose about 150,000 new cases of solitary  
19 pulmonary nodules each year in the U.S. This estimate  
20 does not account for all of the smaller nodules detected  
21 with CT, and the fact that many patients will have -- may  
22 have multiple nodules on the chest CT scans.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Therefore, the inclusion of the smaller  
2 nodules detected on CT would dramatically increase  
3 follow-up.

4                   What is the assessment for pulmonary nodule?  
5 It is difficult to distinguish benign from malignant  
6 pulmonary nodules on imaging. And furthermore, a  
7 solitary pulmonary nodule may be secondary to one of a  
8 long list of differential diagnoses including neoplastic,  
9 inflammatory, congenital, and many others.

10                   Pulmonary nodule management is directed by  
11 criteria on nodule size and characteristics. In a chest  
12 X-ray, patients with all non-calcified pulmonary nodules  
13 are referred to CT. In chest CT, nodules that are less  
14 than equal than 4 millimeters in size are generally  
15 disregarded for low risk patients.

16                   For all patients, follow-up CT of nodules  
17 greater than 4 millimeters is recommended. Biopsy is  
18 recommended for polyps that increase in size over time or  
19 are greater than 8 millimeters.

20                   The clinical interpretation of chest studies  
21 -- unlike mammography and CTC which are performed to  
22 identify a single disease, both chest X-ray and CT are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used to diagnose various chest diseases.

2 When interpreting chest X-ray or CT images,  
3 radiologists rely heavily on the reported signs and  
4 symptoms, other history, as well as comparisons to prior  
5 studies.

6 In all patients, chest X-ray and CT  
7 interpretation include evaluation of many structures in  
8 addition to the lung parenchyma.

9 Searching for lung nodules in a chest X-ray  
10 is a complex task similar to searching for cancer on a  
11 mammogram. Searching for lung nodules on a CT is  
12 relatively simple task but overly burdensome because of  
13 the large number of individual images.

14 Furthermore, patients with a solitary  
15 pulmonary nodule rarely have symptoms related to the  
16 nodule. So the detection is usually unexpected and made  
17 in the context of searching for many other findings.

18 Detection accuracy greatly varies with  
19 physician experience, nodule size and location, and on  
20 the X-ray acquisition technique or CT protocol. For  
21 example, radiologists fail to detect lung nodules in  
22 chest X-rays in up to 50 percent of cases in which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nodules are visible in retrospect.

2 This is a study by Rusinek that showed that  
3 sensitivity and specificity of pulmonary nodule detection  
4 using low dose CT is somewhat comparable to conventional  
5 CT but varies by nodule size, location, and vessel  
6 proximity.

7 This table shows that there is potential for  
8 detection improvement in all factors but more  
9 specifically, from small nodules, nodules located in the  
10 hilar or central lung, nodules adjacent to blood vessels.

11 Let me give you another view of CAD devices  
12 for chest X-ray and chest CT. Unlike CAD devices for  
13 mammography and CTC which are intended to detect the only  
14 disease revealed, CAD devices for chest X-ray and chest  
15 CT are intended to detect only one of the various  
16 diseases and conditions that may be revealed.

17 One of the abnormalities that chest X-ray  
18 and chest CT CAD devices can be designed to detect is  
19 solid pulmonary nodules. Like other CAD devices, it  
20 prompts to areas of the lung for physician consideration.

21 Various types of marks are indicators that  
22 can be used to prompt to the computer-identified regions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Here is an example of a chest X-ray CAD prompt, and here  
2 an example for CT lung CAD prompt. As shown, it can be  
3 displayed on the slide, on the 2D slide. As well, the  
4 location can be also represented in a lung map, and 3D  
5 representations can be displayed also.

6 Because various normal structures look like  
7 nodules in chest images, a major challenge in chest CAD  
8 devices is to achieve a low number of false-positive  
9 results, and this is true for all other CAD devices.

10 Chest CAD devices have been reported with up  
11 to five false positives per view on a chest X-ray, up to  
12 10 false positives per patient on a chest CT.

13 Because chest X-ray -- so here I would like  
14 to talk about the effect of CAD on physician  
15 interpretation. Because chest X-ray and CT exams are  
16 performed and interpreted for many reasons and findings  
17 unrelated to pulmonary nodules, it is important that the  
18 radiologist is not distracted from other important  
19 findings.

20 Even when performed for high risk patients,  
21 chest X-rays and chest CT exams should always be fully  
22 evaluated for findings unrelated to lung cancer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           A study by Berbaum showed the effect of CAD  
2 on satisfaction of search in chest X-ray using 57 cases  
3 and 16 readers and found that CAD prompts, even those  
4 that always point to their target lesions without false  
5 positive error, fail to counteract satisfaction of search  
6 in a chest X-ray.

7           Also, CAD prompts may induce less visual  
8 search for abnormalities unrelated to the findings CAD is  
9 designed to detect. Similar results were reported by  
10 Krupinski.

11           Here I am going to give issues specific to  
12 chest X-ray and CT CAD. Ground truth definition is  
13 crucial for standalone and reader performance testing.

14           Ground truth identifies whether or not the patient  
15 has a chest abnormality, including lung and other organs  
16 or structures, whether or not the patient has pulmonary  
17 nodules, whether or not the patient has one or more  
18 cancerous pulmonary nodules. Ground truth identifies  
19 also precise location and extent of each nodule, as well  
20 as nodule description.

21           Pathology is necessary to determine the  
22 nodule type. However, not all pulmonary nodules undergo

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a biopsy procedure. Alternatives for determination of  
2 the nodule type may include use of a panel of experts,  
3 PET/CT examination, and follow-up CT.

4 Ground truth for the nodule location and  
5 extent is determined usually by a panel of experts, and  
6 as shown here, there is great variations when outlining  
7 the border of the nodule how to take into account this  
8 variability in a ground truth and in locale assessment.

9 Here are the standalone performance testing.

10 Just to remind you that the standalone performance  
11 measures are derived by comparing the location of a CAD  
12 mark to the location determined by the expert panel and  
13 by determining if the CAD mark sufficiently overlaps the  
14 ground truth. Note that standalone performance testing  
15 can be done using a larger database than used for reader  
16 performance testing.

17 The results of standalone performance  
18 testing for lung or chest CAD are highly dependent on  
19 nodule size, nodule location, CT protocols or type of X-  
20 ray acquisition technique, as well as co-morbidities  
21 affecting chest imaging.

22 The overall standalone performance measures

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 include sensitivity and number of false positives per  
2 scan on a lesion based and patient based analysis. It  
3 can be supplemented by a plot of the full FROC curve, if  
4 applicable.

5 Stratified standalone performance measures  
6 are also critical and a crucial competence, as it  
7 provides an understanding of the benefits and the  
8 drawbacks of CAD systems. Stratified measures may  
9 include nodule type, nodule characteristics, X-ray  
10 acquisition technique or CT protocols, co-morbidities  
11 affecting chest imaging.

12 We are going to talk about the reader  
13 performance testing issues. Remember that the majority  
14 of chest examinations are indicated for patients with  
15 symptoms unrelated to lung nodules.

16 Therefore, if a device is intended for use  
17 on a general population, the testing would need to  
18 encompass a full interpretation of all imaging findings.

19 That is, the reader would then provide an assessment for  
20 pulmonary nodule detection as well as other diseases that  
21 are present.

22 If accounting for all uses of chest

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 examination and all possible chest diseases, such testing  
2 will simulate a so called "field test" where a field test  
3 is a real time, real life clinical assessment of a  
4 system.

5           Following the least burdensome approach, a  
6 retrospective reader study may replace field testing with  
7 the advantages of having multiple readers review all  
8 cases. However, such testing may not reflect the real  
9 time, real life clinical assessment of the CAD device,  
10 and bias may thus be introduced in such testing. How to  
11 limit or control such bias?

12           One of the things that is crucial also to  
13 look at for testing CAD is the reading paradigms. Chest  
14 CAD may fit in radiology work flow if implemented either  
15 as a second reader or as a concurrent reader.

16           An advantage of concurrent reader CAD over  
17 second reader CAD is that it may lead to a reduction in  
18 the reading time, especially for interpretation of the CT  
19 scan.

20           In fact, Kobayashi showed in one of his  
21 studies that the reader detection accuracy increases for  
22 both second reader and concurrent reader paradigms when

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 using a chest X-ray CAD.

2           Therefore, it may be necessary that the  
3 testing encompass all reading paradigms because one of  
4 the reading paradigms may be more practical and  
5 acceptable to the user and thus better respond to the  
6 user needs. Also, chest CAD indicated for use -- only  
7 for use as a second reader may not be adequately  
8 controlled at the software level.

9           Another competence that is crucial to talk  
10 about is the testing database, and here, for a general  
11 population indications for use for a chest CAD an  
12 enriched testing database may contain a majority of cases  
13 with no nodules and other chest diseases, enriched with  
14 cases having nodules varying in number, size, and  
15 morphology.

16           For a specific intended use of a chest CAD,  
17 an enriched testing database would account for few cases  
18 with no nodules, enriched with cases having pulmonary  
19 metastases and cases with low number of nodules of  
20 various size and morphology.

21           A stress testing database may include a  
22 majority of very difficult cases including smaller

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nodules, nodules located in the hilum and central lungs,  
2 nodules located adjacent to blood vessels, nodules that  
3 are partially solid or have non-solid.

4 The study endpoints -- there are various  
5 possible endpoints depending on the general versus  
6 specific indications for use of CAD device and can be  
7 performed on a lesion based and patient based analysis.  
8 The corresponding assessment was presented in the  
9 "General CAD Methods" and "Statistical Issues" sections.

10 When identifying the study endpoints for  
11 either general versus specific indications for use, how  
12 critical is it to account for reader accuracy in other  
13 chest disease, reader location accuracy, number of  
14 detections made by the reader, whether or not all true  
15 nodules are detected by the reader, whether or not at  
16 least one of the true nodules is detected by the reader?

17 Also when looking for -- When identifying  
18 the study endpoints, it is important to remember that the  
19 clinical actions following both chest X-ray and chest CT  
20 may relate to many chest diseases. Therefore, how  
21 critical is it to capture the effect of a CAD designed to  
22 detect only nodules on the detection and diagnosis of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other disease?

2 Also, the clinical actions following both  
3 chest X-ray and chest CT is location specific. How  
4 critical is it to account for location accuracy?

5 I am finished with my talk. Thank you.

6 CHAIRMAN GLASSMAN: Thank you. Are there  
7 any questions for Dr. Paquerault? Dr. Berry, did you  
8 have a question? Yes.

9 DR. BERRY: In your slide 66 -- 36, you talk  
10 about false positives and, as I think you intimated, the  
11 issue of X-ray and CT in lung cancer screening is  
12 controversial, to say the least. I mean, it's the  
13 Hatfields and the McCoys.

14 My reading is that the evidence is not there  
15 that lung cancer screening prolongs survival. So I think  
16 it is paramount -- you may have heard me say that I  
17 didn't think that in colon cancer screening that the  
18 false positive issue was as important as in mammographic  
19 screening.

20 In lung cancer, in view of the  
21 circumstances, I think it is critical. So are you  
22 suggesting on that slide that CAD, in fact, increases the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 false positive rate or are you suggesting that it is  
2 possible with CAD to, in fact, lower the false positive  
3 rate, which would be an enormous service?

4 DR. PAQUERAULT: Depending on the reader --  
5 reading paradigm. If you use it as a second reader, you  
6 may highly increase your false positive.

7 DR. BERRY: Is it possible to arrange it so  
8 that you don't increase the false positive rate or, in  
9 fact, that you decrease --

10 DR. PAQUERAULT: Might be true.

11 DR. BERRY: I mean it would be a great  
12 service to increase the specificity of CT.

13 DR. PAQUERAULT: Yes, might be possible  
14 depending on your testing.

15 DR. BERRY: Sorry?

16 DR. PAQUERAULT: Depending on your testing  
17 and the standalone of the device as well. It needs to be  
18 tested by the reader. It might be possible.

19 DR. BERRY: Okay, thanks.

20 CHAIRMAN GLASSMAN: Any other questions?  
21 Yes, Dr. Tourassi?

22 DR. TOURASSI: I have a question about the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reading paradigms. In that slide, you state chest CAD  
2 indicate -- 52 -- Chest CAD indicated for use as a second  
3 reader may not be adequately controlled at the software  
4 level. What do you mean by that?

5 DR. PAQUERAULT: Well, you don't control the  
6 user, and there is no control on the software. So it is  
7 difficult. The software doesn't have controls to ensure  
8 that the device is going to be used as a second reader.  
9 If it is, the indications for use -- all right?

10 CHAIRMAN GLASSMAN: One more question.

11 DR. STEIER: Again, back to the false  
12 positive question which is very significant. In terms --  
13 on the slides, you said the radiologists miss 50 percent,  
14 presumably some of which or many of which can be picked  
15 up by CAD.

16 Would CAD be picking up large, significant  
17 nodules, more than 8 millimeters, or are we talking about  
18 all sizes, including less than four millimeters, which  
19 would not be significant?

20 DR. PAQUERAULT: We were talking about chest  
21 X-ray in that slide, if I remember.

22 DR. STEIER: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. PAQUERAULT: Yes, and you don't see very  
2 little -- CAD may pick up very small nodules, but it is  
3 up to you to also say, yes, it's a nodule when you look  
4 at it.

5 DR. STEIER: Okay. So that 50 percent --  
6 that includes nodules which may not be significant.

7 CHAIRMAN GLASSMAN: Yes.

8 DR. SAHINER: One question on your slide  
9 number 48, you said that if the device is intended for  
10 use in a general population for reader study design, the  
11 testing would need to encompass a full interpretation of  
12 all image findings.

13 So does that imply that, when the ground  
14 truth is also established, you would need to establish it  
15 for all kinds of lung abnormalities?

16 DR. PAQUERAULT: That's correct.

17 CHAIRMAN GLASSMAN: I just have one comment.  
18 I want to thank you very much for the presentation.

19 There was mention of PET/CT later on in the  
20 presentation, but not in the original management slide. I  
21 don't remember the number where nodules over 8  
22 millimeters go to surgery and in usual clinical practice

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in many places, a negative PET in a nodule over 8  
2 millimeters would preclude surgery.

3 So that surgery is not the only management  
4 option at that point which I think may be important for  
5 us when we talk about the false positive issue because a  
6 lung biopsy is not a breast biopsy or a colonoscopy.  
7 That, of course, is one of the issues here.

8 Thank you.

9 DR. PAQUERAULT: Thank you.

10 CHAIRMAN GLASSMAN: We are now going to take  
11 a slightly earlier, or late, depending on whether you are  
12 looking at the agenda or your watch, 15 minute coffee and  
13 bathroom break. Thank you. Come back at 10:30, please.

14 (Whereupon, the foregoing matter went off  
15 the record at 10:15 a.m. and went back on the record at  
16 10:31 a.m.)

17 CHAIRMAN GLASSMAN: We are now going to  
18 proceed with the first of two Open Public Hearing  
19 sessions for today's meeting. The second Open Public  
20 Hearing session will follow the FDA presentation on  
21 future issues with CAD this afternoon.

22 Ms. Wersto will now read a statement

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 prepared for the Open Public Hearings.

2 MS. WERSTO: Both the Food and Drug  
3 Administration and the public believe in a transparent  
4 process for information gathering and decision making.  
5 To ensure such transparency at the Open Public Hearing  
6 session of the Advisory Committee meeting, FDA believes  
7 that it is important to understand the context of an  
8 individual's presentation.

9 For this reason, FDA encourages you, the  
10 Open Public Hearing speaker, at the beginning of your  
11 written or oral statement to advise the Committee of any  
12 financial relationship that you may have with a sponsor,  
13 their products and, if known, any of their direct  
14 competitors.

15 For example, this financial information may  
16 include a sponsor's payment of your travel, lodging, or  
17 other expenses in connection with your attendance at the  
18 meeting.

19 Likewise, FDA encourages you at the  
20 beginning of your statement to advise the Committee if  
21 you do not have any financial relationships. If you  
22 choose not to address this issue of financial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relationships at the beginning of your statement, it will  
2 not preclude you from speaking. Thank you.

3 CHAIRMAN GLASSMAN: I would like to remind  
4 public observers at this meeting that, while this portion  
5 of the meeting is open to public observation, public  
6 attendees may not participate except at the specific  
7 request of the Chair.

8 I would ask at this time that persons  
9 addressing the Panel come forward to the microphone, and  
10 speak clearly as the transcriptionist is dependent on  
11 this means for providing an accurate transcription of the  
12 proceedings.

13 Please provide an electronic copy of your  
14 talk to the Executive Secretary for use by the  
15 transcriptionist to help to provide an accurate record of  
16 the proceedings.

17 Prior to the meeting, we received formal  
18 requests to speak during today's Open Public Hearing  
19 sessions. We are going to have two speakers who have  
20 asked in advance to speak.

21 I would also encourage anyone who would like  
22 to speak to the issue of current clinical uses for lung

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CAD to have an opportunity at this time after these two  
2 speakers to come to the podium if you have any comments  
3 to make.

4 The first speaker is Dr. Eric Silfen from  
5 Philips Research of North America. Oh, and each speaker  
6 will have five minutes.

7 DR. SILFEN: I would like to thank the  
8 Advisory Panel for allowing me to make a few remarks. My  
9 name is Eric Silfen. I am the Senior Director for  
10 Biomedical Informatics Research for Philips Research  
11 North America.

12 My comments relate to my perceptions and  
13 notes that I have taken from this morning and yesterday's  
14 meeting.

15 So specifically, I just wanted to put out  
16 there what CAD systems are, and I want to reiterate that  
17 what we are developing are tools for clinical decision  
18 making. This is very, very important to understand.

19 It is tools that help physicians, and like  
20 tools they have certain indications for use, and they  
21 have indications for which they should not be used, and  
22 we have to be very, very clear as we develop these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 decision-making tools what those indications are and what  
2 those indications should not be.

3 I want to discuss what I perceive as two  
4 concepts and one challenge in doing all of this. The key  
5 behind using computer assisted imaging is for  
6 radiologists, physicians who need to use the large  
7 amounts of image data that are generated on a daily  
8 basis, to be able to improve patient well-being.

9 We cannot afford to make decisions in the  
10 fashion shown here. We cannot deliberate. We cannot  
11 have secret collaborations. We cannot afford to do this  
12 in a very slow time frame. We cannot afford two years.

13 The information overload that is occurring  
14 in biomedicine right now is going to create significant  
15 pressures on us, and we have to be able to respond.

16 So the first concept: There was a lot of  
17 discussion about standalone evaluations and reader  
18 performance evaluations. The key issue for me is that  
19 the standalone evaluations are to create expert systems.

20 How can we have a computer assisted system  
21 that meets the performance criteria of an expert? For me  
22 as a practicing physician, and practicing emergency

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 medicine, I need something that could at least be as  
2 smart as I was and, hopefully, as smart as the consultant  
3 I would need to call upon to help me take care of a  
4 patient.

5 So that is the key behind developing the  
6 standalone evaluation protocol. The reader performance  
7 evaluation, to my mind, talks about how you are going to  
8 take this expert system and use it in clinical contexts.

9 These are very, very different uses; very,  
10 very different ways of developing the research protocols  
11 to establish whether or not a computer aided imaging  
12 system is valuable.

13 The second concept relates directly to this  
14 context of use. The systems can either help the expert  
15 be more expert under certain conditions, and the  
16 performance characteristics of the computer aided imaging  
17 system need to reflect that; or the system can be used  
18 for screening or diagnosis which are different.

19 The performance characteristics, the  
20 negative predictive values of these systems as we create  
21 them vary, depending upon their field of use. It is very  
22 important to understand this difference.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The third is the challenge, and the  
2 challenge is very, very significant. I will start off  
3 just by posing this question. It's a simple question.

4           When I was in medical school at Georgetown,  
5 I took a course taught by David Regalman called  
6 "Studying a Study and Testing a Test," to teach  
7 physicians how to use statistics. So this question comes  
8 up all the time. What is sensitivity of a test?

9           Is it this or is it this? Not a trivial  
10 question to ask. The answer is the lower right. But if  
11 you were to go out and talk with physicians in practice  
12 on a daily basis who are not immersed in what we are  
13 doing and what we are talking about today and what we  
14 talked about yesterday, I feel that you would not get as  
15 clear a distinction, and that this understanding would  
16 not be present.

17           It is very important to understand these  
18 concepts going forward if physicians are to correctly use  
19 any type of computer assisted application in medical  
20 decision making.

21           CHAIRMAN GLASSMAN: Dr. Silfen, I am sorry,  
22 your five minutes is up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SILFEN: That's fine. The summary slide  
2 tells it all.

3 CHAIRMAN GLASSMAN: I'm sorry; your five  
4 minutes are up.

5 DR. SILFEN: That's fine. Thank you very  
6 much.

7 CHAIRMAN GLASSMAN: Thank you. Our next  
8 speaker is Mr. Joe Gardill from Healthcare  
9 Reimbursement RX, Inc.

10 MR. GARDILL: Thank you. I would like to  
11 make several points.

12 First, there is a study that exists that  
13 compares CTC, CAD, second read, the concurrent reader in  
14 the Biomedical Imaging and Intervention Journal 2007,  
15 Volume 3, titled "CTC: Investigation of the Optimal  
16 Reader Paradigm Using CAD," Taylor, et al.

17 Secondly, mammography CAD is appropriate as  
18 a second reader paradigm given that the gold standard for  
19 mammography is double reading. However, the FDA, is not  
20 considering cost and economics, only looking at safety  
21 and efficacy.

22 These are still important issues in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adoption of these technologies in the clinical practices  
2 and communities, and I believe it is important for the  
3 Panel to give consideration of the impact of CAD use  
4 protocols on the adoption of these technologies.

5 As a second reader device, mammography CAD  
6 was valued by the AMA and CMS to reflect the incremental  
7 value and expense involved, and this resulted in a rapid  
8 adoption of the technology as an assistive device.  
9 However, CAD as an assistive device for CT and/or MRI is  
10 less appealing to practitioners as a second reader  
11 primarily due to the time element involved. Four views  
12 in a typical mammogram, hundreds of slices in a typical  
13 CT. However, reimbursement does play a role.

14 The valuation necessary to drive adoption as  
15 a second reader device would be seen as an economic  
16 burden to the health system payers and, similarly, the  
17 recent developments with 3D virtualization being "valued  
18 and/or bundled" into the underlying procedures would  
19 probably follow in this fashion as well.

20 Without a review by RUC, this actually  
21 devalues the procedure and would lead to widespread off-  
22 label usage as a concurrent reader without necessarily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 evaluating the technology for this usage through the FDA  
2 process.

3 Should these devices be labeled as  
4 concurrent readers, the use would match the regulatory  
5 approval. Physicians would increase both efficiency and  
6 analysis over single reads, and a reasonable incremental  
7 valuation could be provided by the coding authorities  
8 which would further spur adoption. All of these results  
9 would qualify as least burdensome.

10 The challenge for the FDA is then to develop  
11 the appropriate scientific and statistical analyses to  
12 measure the incremental benefits provided by CAD during a  
13 concurrent review. I am confident that the vendors and  
14 the FDA can collectively achieve these goals and be least  
15 burdensome. Thank you.

16 CHAIRMAN GLASSMAN: Thank you very much. Is  
17 there anyone else here in the room who would like to  
18 speak to lung CAD at this time? Yes, sir? Please come  
19 forward and identify yourself.

20 DR. GUPTA: Hi. I am Alok Gupta from  
21 Siemens Healthcare. I wanted to provide a clarification  
22 on Dr. Paquerault's slide number 36 to which the Panel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had also brought attention on the number of false  
2 positives.

3 I just wanted to comment that the lung CT  
4 CAD devices are today mature enough that, although on  
5 some of the patients' exams false positives may go up to  
6 10 or so, the vast majority of patient exams have very  
7 few false positives and, in fact, the PMA studies that we  
8 conducted from Siemens showed average of around two false  
9 positives and median about the same number.

10 So it is an important clarification, since  
11 that was a question from the Panel. Thank you.

12 CHAIRMAN GLASSMAN: Thank you very much. Do  
13 any of the Panel members have any questions for our three  
14 speakers -- Oh, one second. Anyone else want to speak?  
15 I'm sorry. Yes, sir.

16 DR. CLARK: Larry Clark from NCI. I work  
17 with Dr. Carl Jaffe. I oversee the branch of Technology  
18 Development for the Cancer Imaging Program.

19 I just want to make a comment and a request  
20 for the Panel to perhaps take another look at a slightly  
21 different area that might be advantageous for the issue  
22 of standalone evaluation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   For the last five years, we have been  
2 involved in the development of a database to evaluate  
3 lung cancer screening methodologies. The point I want to  
4 make is it took five years to develop a consensus on  
5 those methods, and also there is various technology  
6 limitations that took a longer time for that to evolve.

7                   The question I am posing to this panel is  
8 if there was a consensus formed for a database as a  
9 reference database where the scientists that NCI would  
10 engage and engage the FDA and our colleagues at NIST --  
11 if there was a consensus on this design, on the case  
12 enrichment, on the method of annotation, which is  
13 actually quite complex, is there a sense on this Panel  
14 that a reference database of that kind could be  
15 recognized as maybe a first process of an approval of CAD  
16 tools and, more importantly, CAD tools which goes through  
17 various upgrades in terms of performance that would be a  
18 cost effective way of performing the relative valuation  
19 of those tools?

20                   CHAIRMAN GLASSMAN: Thank you very much.  
21 Yes, in the back, please.

22                   DR. NAIDICH: Good afternoon. My name is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 David Naidich. I am a radiologist, a professor of  
2 radiology at NYU. My field of interest is chest. I was  
3 the PI for the Siemens multi-center clinical trial that  
4 was presented to the FDA for PMA approval for their CAD  
5 product, and I am a paid consultant for them.

6 Just one observation: I think one  
7 distinction that should be made between CAD for  
8 mammography and CAD for colon and CAD for lung, from a  
9 purely logical and conventional sense for how CT  
10 particulars are read - - Most cases don't require CAD.

11 So that the notion that you would use this  
12 technology for every case is something I think you need  
13 to take into consideration.

14 For example, if you have someone who has 25  
15 nodules in their lung from metastatic disease, I don't  
16 need CAD to find the 26th; or similarly, if you have a  
17 23-year-old who is being evaluated on CT because of  
18 potential bronchiectasis or a whole host of infectious  
19 problems, having a CAD would, frankly, be of very little  
20 value to you.

21 So that I think, when you take into  
22 consideration how you would use this in the paradigm for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how you would interact with it, as a sequential read or  
2 as a concurrent read, you really don't want a situation  
3 where every single time the CT comes up, you are forced  
4 to, in fact, look at marks that may have virtually no  
5 relevance to the clinical scenario in which you are  
6 operating and which could, in fact, delay or, in fact,  
7 obscure your spending time looking at other pertinent  
8 findings.

9 CHAIRMAN GLASSMAN: Thank you. Does anyone  
10 else want to come to the microphone? If not, do any of  
11 the Panel members have any questions for any of the  
12 speakers?

13 DR. CARRINO: I have a question for Dr.  
14 Naidich. So to summarize your feeling then, it would be  
15 that for pulmonary CAD, all you would need is a second  
16 reader paradigm and not a concurrent use?

17 DR. NAIDICH: I think, if by concurrent  
18 every single time you were to, in fact, look at a CT  
19 scan, you have marks that were not relevant to what you  
20 were trying to do, and there are a substantial number of  
21 cases that would, in fact, come under that heading, then  
22 why would you want to have an automatic force to read

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 things that you don't need to look at.

2 DR. CARRINO: Right, and I would also say  
3 that you are -- you would say this should be tested on a  
4 population where there is a higher prevalence for the  
5 disease of interest, looking for pulmonary nodules, lung  
6 cancer?

7 DR. NAIDICH: Well, I think it is going to  
8 be individualized radiologists, radiologist clinical  
9 scenario to clinical scenario. But clearly, to detect  
10 nodules, I would think from a clinical standpoint would  
11 be optimally directed toward either those cases for which  
12 you suspect it might be a nodule and you don't see one or  
13 for which finding additional nodules may, in fact, be of  
14 value. But weigh that against the kind of case where,  
15 for example, someone comes with unresectable lung cancer  
16 with direct invasion of the mediastinum and adrenal  
17 disease, finding a 3 millimeter nodule in the  
18 contralateral lung doesn't really have much significance.

19 Really, the cases -- the majority of cases  
20 that we actually look at clinically frequently fall into  
21 that category.

22 DR. CARRINO: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701