

1 interaction of silicone hydrogel lenses with
2 lens care product and ocular physiology are
3 not completely understood yet. The current
4 toxicological test methods do not evaluate the
5 effects of interactions between lenses and
6 care solutions.

7 We would like to share with you
8 some of the preclinical testing approaches to
9 address lens and lens care solution
10 interactions which are a result of our
11 experience or investigations of contact lens
12 solution in last several years.

13 Most contact lens wearer use
14 multipurpose solutions for cleaning, rewetting
15 and disinfecting their hydrogel contact
16 lenses. Recently there was a widespread
17 outbreak of *Fusarium* keratitis in daily
18 contact lens wearers using one specific
19 multipurpose solution. The exact cause of
20 such outbreak is not known yet, but it could
21 be multifactorial. It is possible that
22 microbial keratitis was caused by the loss of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antimicrobial activity of multipurpose
2 solution during lens storage. It is possible
3 that the chemical ingredients in multipurpose
4 solution could have compromised corneal
5 epithelial integrity and barrier function
6 resulting in an increased risk of microbial
7 infection. Or, the microbial keratitis could
8 be due to synergistic effects of two factors I
9 just mentioned. Also, patient behavior, like
10 topping off, would be a contributing factor.

11 Development of an ideal
12 multipurpose solution could be quite
13 challenging. It might be easy to formulate a
14 solution that will kill microbes effectively,
15 but the same ingredients might cause corneal
16 toxicity resulting in unacceptable clinical
17 use of that product. It is about striking a
18 balance between antimicrobial efficacy and
19 ocular toxicity. The multipurpose solution
20 can cause toxicity by direct or indirect
21 contact. The chemicals in a lens care
22 solution can cause cytotoxic effects by direct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contact with ocular tissues. The lens care
2 solution must be biocompatible since some of
3 the solution will be on the lens when the lens
4 is inserted and thus will come in contact with
5 the eye.

6 Another way the lens care solution
7 may cause toxicity is by indirect contact to
8 contact lenses. Absorption of preservative or
9 other solution ingredients by the lens during
10 soaking in multipurpose solution and release
11 of these chemicals in ocular environment may
12 compromise ocular biocompatibility.

13 As discussed in the presentation,
14 the chemical compositions, water content and
15 ionic nature of contact lenses dictate the
16 optic and release of various exogenous
17 chemicals. Beside preservatives, other
18 chemical ingredients may also cause corneal
19 toxicity. The breakdown of corneal epithelial
20 barrier can lead to corneal staining. The
21 corneal staining could be overt or mild,
22 transient and asymptomatic. There is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 increased risk of associated microbial
2 infections if epithelial barrier function is
3 compromised.

4 The recent *Fusarium* keratitis
5 outbreaks prompted the ISO Technical Committee
6 responsible for contact lens and care products
7 to form a working group to explore alternative
8 preclinical test methods to assist potential
9 lens solution interactions. The other
10 representation from FDA in the ISO working
11 groups. A draft proposal has been prepared by
12 FDA on cytotoxicity testing of a multipurpose
13 solution to evaluate the potential toxic
14 effects of the solution as well as any
15 cellulo-toxicity that may arise due to the
16 interaction between lens and the multipurpose
17 solution. This proposal was discussed at the
18 ANC Z80 SC7 meeting in March of this year and
19 will be discussed at the ISO meeting in July.

20 I would like to share the proposal
21 with you a little later and would like to give
22 panel's recommendations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The potential interactions between
2 a lens care product and various contact lens
3 material should be taken into account in
4 designing the test to fully evaluate ocular
5 toxicity potential of a new lens care product.

6 FDA's 1997 guidance document provides
7 recommendation on toxicity testing of lens
8 care solution alone. FDA's current
9 cytotoxicity proposal focuses on testing the
10 solution alone and in combination with various
11 lenses.

12 For the in vitro cytotoxicity
13 assay, a new lens care product like a
14 multipurpose solution should be tested with
15 the following groups of lenses. Within the
16 conventional hydrogel lenses Group 1 and Group
17 4 lenses would be tested. Group 1 consists of
18 low-water, non-ionic polymers and Group 4
19 consists of high-water ionic polymers.
20 Representative silicone hydrogel lenses with
21 different surface treatments would also be
22 tested.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now a question may arise regarding
2 the use of silicone hydrogel lenses in the
3 study design if the new multipurpose solution
4 is not indicated for use with silicone
5 hydrogel lenses. The fact is that most of the
6 consumers are not aware of the type of lenses
7 they are wearing and since the multipurpose
8 solutions are over the counter products, they
9 might end up using the product even though the
10 multipurpose solution is not indicated for the
11 type of lenses they are wearing.

12 I would like to mention some of
13 Agency's thoughts behind the draft proposal.
14 The Agency believes that at this time both in
15 vitro and animal studies raw necessary for
16 evaluation of a new multipurpose solution.
17 Despite severe criticism over the years
18 regarding its poor reproducibility, scientific
19 validity and ethical expectability, the rabbit
20 eye test still remains and acceptable test
21 method by the regulatory agencies around the
22 world.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 To date, there is no suitable
2 validated alternative in vitro method
3 available that can completely replace the
4 rabbit eye test. The other in vitro tests
5 available that use eye specific salines or
6 isolated ocular tissues. Some of these assays
7 are currently being used for testing of
8 contact lenses and lens care products.

9 Although some of these assays are
10 promising, we would like to emphasize the fact
11 that no single predictive in vitro assay has
12 been formally validated for testing of contact
13 lenses and care solutions yet. So for our
14 proposal for cytotoxicity testing, the L-929
15 mouse fibroblast cell culture model is chosen.

16 This is a well-factorized cell line and this
17 cell line is recommended in the USD and ISO
18 standards for cytotoxicity testing.

19 Now I would like to present
20 cytotoxicity test proposal for panel's
21 consideration. Here are some of the salient
22 features of the proposal. The test methods

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proposed are standard well-established ISO USP
2 test methods and use L-929 cell model. This
3 is the cell model specified in the standards.

4 Tests are designed to evaluate potential
5 cytotoxic effects due to direct exposure to
6 multipurpose solution. Also, there is a test
7 to evaluate cytotoxicity due to indirect
8 exposure to potential toxic chemicals in
9 multipurpose solution through contact lenses.

10 This could happen due to the optic of the
11 potentially toxic chemical from the solution
12 by the lens during lens storage and subsequent
13 release of that chemical in the eye during
14 lens wear. Both conventional hydrogel and
15 silicone hydrogel lenses will be tested in
16 this assay.

17 This slide shows the assay methods
18 for evaluation of cytotoxic potential of a
19 multipurpose solution by itself. The top
20 diagram is for the agar diffusion assay. This
21 assay is currently used for testing of
22 multipurpose solutions. In this assay, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 test solution is applied to a filter disk and
2 the filter disk is placed on top of an agar
3 surface directly overlying a mono layer of
4 cells. This is not a very sensitive assay.
5 Only advantage is that the test solution could
6 be tested neat that is full strength in this
7 assay.

8 We would like to add another assay
9 which is more sensitive than the agar
10 diffusion assay. As I mentioned before, a
11 multipurpose solution can cause cytotoxic
12 effects by direct contact with ocular tissue.

13 This exposure could be mimicked by exposing
14 the cells directly to the multipurpose
15 solution by this modified elution assay. This
16 assay is based on the elution assay specified
17 in the ISO USP standards for cytotoxicity
18 testing. The only caveat of this assay is
19 that the multipurpose solution cannot be
20 tested full strength like the agar diffusion
21 assay. Here the multipurpose solution is
22 first diluted with the cell culture media and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then placed directly on the cell mono layer.
2 The result from both assays will be evaluated
3 for assessing cytotoxic potential of a
4 multipurpose solution.

5 This slide presents the testing
6 approach to evaluate the cytotoxicity of a
7 multipurpose solution by indirect contact
8 through contact lenses. The lens is first
9 soaked in the multipurpose solution. Then the
10 lens is placed directly in the center on the
11 mono layer of cells in cell culture media.
12 The cell set that's directly exposed to any
13 chemical that is written on the lens from
14 soaking in the multipurpose solution. This is
15 called direct contract assay since the lens is
16 in direct contact with the cells. Both
17 conventional hydrogel and silicone hydrogel
18 lenses would be tested with the multipurpose
19 solution by this test method.

20 Here is a question for the panel.
21 The current cytotoxicity test involves testing
22 on the multipurpose solution by itself and not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in conjunction with various groups of lenses.

2 Please discuss our proposal to include both
3 conventional and silicone hydrogel contact
4 lenses soaked in the multipurpose solution for
5 direct contact cytotoxicity testing to
6 evaluate the multipurpose solution.

7 Thank you for your attention.

8 Now I would like to introduce our
9 next speaker, Dr. Marc Robboy. Dr. Robboy is
10 an optometrist in the Division of Ophthalmic
11 and ENT Devices at FDA.

12 DR. ROBBY: Good afternoon. My
13 name is Marc Robboy. I'm an optometrist and a
14 clinical reviewer in the Division of
15 Ophthalmic and ENT Devices. And today I'll be
16 speaking to you about the impact of silicone
17 hydrogel contact lenses on clinical study
18 methodology.

19 I'll begin by revisiting the
20 clinical testing section of our 510(k)
21 Guidance for Contact Lens Care Products.
22 Next, we'll review certain care product

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interactions that have been reported with
2 silicone hydrogel contact lenses. This has
3 led to proposed revisions to the clinical
4 testing section of our 510(k) Guidance.
5 Lastly, I'll discuss patient labeling issues
6 that have arisen from the *Fusarium* and
7 *Acanthamoeba* keratitis outbreaks, which impact
8 both the conventional hydrogels as well as the
9 silicone hydrogel lenses.

10 For the purpose of facilitating
11 clinical trials to obtain 510(k) clearance for
12 new contact lens care products, our current
13 FDA guidance recommends that the new care
14 product is to be testing clinically with
15 contact lenses from FDA Groups 1 and 4, as
16 they represent the extremes of the four groups
17 with respect to both water content and
18 ionicity. So for a new contact lens care
19 product intended for use with conventional
20 hydrogels, we recommend a total of 60 subjects
21 subdivided as shown here by lens group.

22 This testing matrix has worked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasonably well over time with the
2 conventional hydrogel lens materials.
3 However, as has been discussed by the other
4 presenters, silicone hydrogel lenses, because
5 of their great complexity, do not interact in
6 the same way as conventional hydrogels with
7 respect to on-eye performance including their
8 interactions with contact lens care products.

9 As silicone hydrogel lenses have
10 become an increasingly greater percentage of
11 the daily wear market, as we have heard
12 earlier, this has been accompanied by reports
13 of solution-related complications,
14 specifically generalized mild punctate corneal
15 epithelial staining which has been
16 characterized as typically both asymptomatic
17 and transient.

18 In the Jones publication, 37
19 percent of subjects demonstrated this type of
20 corneal staining with a specific silicone
21 hydrogel lens that was used with a PHMB-based
22 lens care system. The authors report it as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being consistent with a classical solution-
2 based toxicity reaction. As has been
3 discussed, this standing has been attributed
4 to the lens care preservative being taken up
5 by the lens and subsequently released onto the
6 eye.

7 This staining phenomena has
8 subsequently led to a lively discussion on the
9 Internet, in the trade press and in the peer
10 review literature regarding the clinical
11 significance of the superficial staining that
12 has been associated with certain contact lens
13 care products. For example, there's a
14 website, staininggrid.com, that displays a
15 corneal staining grid which highlights the
16 severity of staining with various combinations
17 of lenses and multipurpose solutions. Then
18 there's another website,
19 truthaboutstaininggrid.com, that calls into
20 question the clinical relevance of the first
21 website. Similarly, there are reports in the
22 literature that take either side on this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue. These two references take one side of
2 the argument. In the current publication, the
3 authors retrospectively analyze 609 subjects,
4 and, as we have heard earlier, found that
5 corneal infiltrative events were three times
6 more likely to occur in eyes exhibiting
7 solution toxicity compared to unaffected eyes.

8 And in the Hall pilot study the
9 authors assessed the effects of lens care
10 systems with different preservatives on
11 corneal epithelial barrier function and
12 measured a significant difference in
13 epithelial permeability between the care
14 systems.

15 And these two cited references take
16 the opposing view in this debate. Dr. Ward
17 conducted a survey of the peer-reviewed
18 scientific literature regarding superficial
19 punctate corneal staining and concluded that
20 the literature reflects that this staining
21 does not reflect corneal injury or toxicity.
22 Dr. Levy reported in his review that there has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been no increase in corneal infection in the
2 presence of this low-grade corneal staining.
3 Additionally, he argued that if the solution-
4 related staining represents compromised
5 epithelial tissue, it would be highly unlikely
6 that it could disappear in such a short
7 period. He stated that the apparent misuse of
8 the term "solution cytotoxicity" warrants
9 reevaluation in determining correlation to
10 increased risk.

11 Because this solution-related
12 staining occurs at maximum severity at
13 approximately two hours after lens insertion,
14 some researchers are recommending that an
15 additional follow-up visit occur at that time.

16 In the Garofalo study, the authors reported
17 that with some combinations of lenses and lens
18 care products, maximum staining occurred
19 between two and four hours following lens
20 insertion. And in the current publication,
21 the authors state that daily wear soft lens
22 wearers should be routinely examined two after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lenses are inserted.

2 Regarding the assessment of corneal
3 staining and follow-up visits, our current
4 guidance recommends that the visits occur at
5 specific time intervals. For example, at one
6 week, two weeks and four weeks post-
7 dispensing, but does not indicate the specific
8 time of day at which a visit should occur.
9 However, follow-up visits typically occur
10 later in the day; that is, well beyond the two
11 to four-hour window.

12 Therefore, later the panel will be
13 asked, please discuss your recommendation for
14 and additional follow-up visit at two hours in
15 order to assess for solution-related corneal
16 staining. And please discuss whether this
17 should be included in lens care products
18 and/or lens guidance.

19 Although Dr. Hutter has indicated
20 the need for Group 5 silicone hydrogel
21 subcategories to better predict lens solution
22 incompatibilities, this will probably not occur

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any time soon. Therefore, in the absence of a
2 validated grouping system, we are proposing
3 the interim approach shown here to be used for
4 clinical investigations of new contact lens
5 care products. As you can see, the silicone
6 hydrogel lenses have been subdivided by
7 surface treated and not surface treated, and
8 further subdivided by type of surface
9 treatment. In the case where a manufacturer
10 offers more than one silicone hydrogel lens
11 and similar chemistry, we're proposing testing
12 to one with a higher water content.

13 As you may recall, our current
14 guidance recommends that a total of 60
15 subjects be clinically evaluated for a new
16 contact lens care product for intended use
17 with conventional hydrogel lenses. In
18 comparison, this is our proposed approach
19 based upon the convention outlined in the
20 previous slide. Realize, however, that this
21 approach may change with the clearance of new
22 silicone hydrogel lenses with unique

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 chemistries. Thus, the table may continue to
2 expand or other logical grouping methods may
3 evolve.

4 Therefore, the panel will later be
5 asked to discuss, to please provide your
6 recommendations on the inclusion of silicone
7 hydrogel lenses and the clinical
8 investigations of contact lens care products.

9 Turning our attention to labeling
10 concerns, we have heard earlier that FDA has
11 previously cleared both rub and rinse as well
12 as no-rub multipurpose contact lens care
13 products. We've also heard the specific
14 benefits of the addition of the rub step
15 during the microbiology presentation, as well
16 as some of the other presentations.

17 As you recall, these references
18 show the removal of additional microorganisms,
19 as well as reduce deposition with the addition
20 of the rub step. Additionally, in response to
21 the *Fusarium* and *Acanthamoeba* keratitis
22 outbreaks, as we have heard earlier, various

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 professional organizations have made
2 recommendations in this regard. For example,
3 the American Academy of Ophthalmology says to
4 consider performing a rub and rinse lens
5 cleaning method rather than a no-rub method
6 regardless of the type of cleaning
7 disinfection solution that you use in order to
8 minimize the number of germs on the lens.

9 In the paper cited here, although
10 Dr. Butcko and her coauthors acknowledge the
11 conflict of opinion in the literature
12 regarding the need for the mechanical rub
13 step, they cite growing evidence which
14 supports reestablishing the digital rub
15 component to multipurpose solution lens care
16 systems.

17 Later, the panel will be asked:
18 Currently rub and no-rub care products have
19 been cleared by FDA for marketing in the
20 United States. In light of all the data
21 currently available, please discuss your
22 recommendations continuing to have no-rub

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 directions on the product labeling.

2 In summary, I've reviewed the
3 sample size recommendations in our current
4 guidance which are based upon the previously-
5 established lens groupings for conventional
6 hydrogels. We've seen that corneal staining
7 has resulted from certain combinations of
8 silicone hydrogel lenses and lens care
9 products and has garnered significant
10 attention in the literature, as well as having
11 led us to proposed revisions to our guidance.

12 And finally, we've seen that the
13 recent outbreaks have caused us to rethink
14 some our labeling instructions and to propose
15 additional changes to improve the safe use of
16 these devices.

17 Thank you.

18 CHAIRMAN BRESSLER: Thank you very
19 much.

20 I'd like to thank the FDA and the
21 CDC speakers for their very enlightening and
22 informative presentations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'd like to have the panel now ask
2 the FDA and CDC speakers any specific
3 questions they have. We're not going to
4 discuss the questions for the panel. We'll
5 come back and have another opportunity as we
6 discuss the questions for the panel to get
7 additional information from the FDA and CDC
8 speakers. But I just want to be able to
9 answer specific questions that patient may
10 have right now. Then we will take a short
11 break. Then we will come back after that
12 break to begin to address the six questions
13 with some discussion as necessary with the
14 panel.

15 So I'll start with Dr. Matoba.

16 DR. MATOBA: May I ask two
17 questions of the same speaker? Okay.

18 Dr. Visvesvara, I wanted to ask you
19 two questions. The first is, when you
20 evaluated those multipurpose solutions and you
21 concluded that they had no efficacy at 24
22 hours, you kept the plates for two weeks,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correct? And how confident are you that at
2 that point those cysts are not viable?
3 Because in the environment they can remain
4 like that for months, or years even.

5 DR. VISVESVARA: That's a very good
6 question. You know, in some of those cases,
7 we have taken those cysts off of the plates,
8 washed them again and put them back on agar
9 plate with bacteria. And if they are viable,
10 they should be able to excyst and then eat the
11 bacteria. We did not see that.

12 DR. MATOBA: Okay.

13 DR. VISVESVARA: We didn't do in
14 all the case, but in some cases. And that
15 gave us the indication that most probably,
16 most likely all these cysts are non-viable.

17 But if you do not expose them to
18 any of the solution, if you let them sit in
19 the laboratory cupboard where all the agar is
20 completely direct, we have been able to
21 recover the *Acanthamoeba* from those cysts
22 which have been sitting on the parchment like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 agar plate for 20 years. We have been able to
2 get them to excyst and we are going to write a
3 -- a publication is coming out now. So that
4 is there.

5 But when they are exposed to, for
6 example, hydrogen peroxide, or PHMB, one of
7 those things, they -- and also, you know, we
8 take a 100 cysts, probably most of them are
9 killed. There are just a subset of
10 populations who are resistant to all these
11 things and they come out.

12 DR. MATOBA: Okay. My second
13 question is, when you were doing those
14 studies, you were looking at cysts alone, but
15 in clinically probably when you have cysts in
16 the contact lens case they probably also have
17 bacteria because the co-contamination is going
18 to be very common.

19 DR. VISVESVARA: Right.

20 DR. MATOBA: So in that setting do
21 you think that the multipurpose solution would
22 be less effective because some of it is being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used to kill the bacteria, or more effective
2 because the presence of the bacteria might
3 induce the cysts to excyst.

4 DR. VISVESVARA: See, what we do in
5 this case is that we wash out the bacteria as
6 much as possible. So, when we look at the
7 preparation there will be very, very few
8 bacteria. And I think in a few cases we had
9 used as control, just bacteria only. And we
10 did not see any sort of, you know, enhancing
11 the viability of the *Acanthamoeba* cyst because
12 of the presence of bacteria. So, I think what
13 we are seeing is truly the inactivation of
14 cysts by some of these solutions.

15 DR. MATOBA: But do you think that
16 in evaluating a multipurpose solution for
17 efficacy against *Acanthamoeba*, that which is
18 being proposed, so that there should be some
19 component where testing is done with a mixture
20 or amoeba plus bacteria?

21 DR. VISVESVARA: I would think so.
22 When you test them, you try to wash up as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much bacteria as possible. When you do a low-
2 grade certification, the amoeba, because of
3 the density, they settle down right at the
4 bottom. And then the suberate will have a lot
5 of bacteria. And then you wash it two or
6 three times. You're getting up most of the
7 bacteria.

8 And the remaining few bacteria, I
9 don't think is going to interfere with your
10 testing at all.

11 CHAIRMAN BRESSLER: Thank you.

12 Dr. Mathers?

13 DR. MATHERS: Yes, I also had a
14 question for Dr. Visvesvara.

15 It seems that you tested two
16 peroxide solutions. One of them was effective
17 and one of them was not. Do you have an
18 explanation for that?

19 DR. VISVESVARA: I do not. The
20 only things I can think of is that there are
21 some other ingredients or some other
22 substances in the lens solution which are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interrupting with the activity of the hydrogen
2 peroxide. That's a possibility. And also we
3 have seen that in many of these cases,
4 especially when we look at the contact lenses,
5 cases and solutions inside them, we see a lot
6 of precipitate which indicates that some of
7 the components are probably precipitating out
8 and they're not really available for the
9 amoeba to act on the amoeba. That's a
10 possibility.

11 And the third possibility is maybe
12 they did not have the necessary concentration
13 of hydrogen peroxide.

14 DR. MATHERS: Because you were not
15 looking at a one-step, two-step thing. I
16 mean, you didn't have it in a contact lens
17 case or whatever. You just had the solution?

18 DR. VISVESVARA: Yes.

19 DR. MATHERS: Okay. Thank you.

20 DR. VISVESVARA: The solution,
21 right.

22 CHAIRMAN BRESSLER: Thank you. Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Szczotka?

2 DR. SZCZOTKA-FLYNN: My question is
3 for the same speaker.

4 CHAIRMAN BRESSLER: Your
5 *Acanthamoeba* expertise is needed.

6 DR. SZCZOTKA-FLYNN: Along the same
7 lines as Dr. Mathers with the peroxide
8 solutions you tested. So can you clarify
9 again, that was 100 percent. But three
10 percent peroxide during the entire soak time,
11 that wasn't how -- was that how a consumer may
12 use it with the neutralization process?

13 DR. VISVESVARA: Well, I can not
14 give you a definite answer for that because we
15 did not look at the concentration of peroxide
16 there. We just took the solution from the
17 bottle and it said --

18 DR. SZCZOTKA-FLYNN: So it was not
19 used the way a consumer would use the product?

20 It was used with a four-hour perhaps soak
21 time of simply what was in the bottle?

22 DR. VISVESVARA: Yes, we took one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ml from the bottle --

2 CHAIRMAN BRESSLER: Wait one
3 minute. Before you answer your question, we
4 just want to -- the mike went out.

5 I want to make sure the
6 transcription is -- get it forever, so --

7 DR. VISVESVARA: Well if I remember
8 what you asked, that we took up one ml from
9 the bottle. Okay? And then just like we took
10 out one ml from all the other bottles, you
11 know, with different companies. And then we
12 inoculated the cysts into those one ml
13 solution. Because we thought when we measured
14 the contact lens cases, each case had probably
15 -- you know, approximately they could hold one
16 ml. That's why we picked one ml as the
17 standard. And we used only 10 microliters, so
18 there was not enough dilution factor. If
19 there's a dilution factor, it could be common
20 to all the solutions to be tested.

21 DR. SZCZOTKA-FLYNN: So are you
22 aware that the peroxide systems must be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutralized before they go in the eye and that
2 is not consistent at all with how consumers
3 use those products?

4 DR. VISVESVARA: No, we followed
5 exactly what the bottle says. If there is a
6 neutralization, we used exactly the same
7 method that the bottle had recommended. So
8 what I'm saying is that we followed exactly
9 what a consumer would do.

10 DR. SZCZOTKA-FLYNN: Okay. Well,
11 I'm still very confused then because Clear
12 Care requires a platinum coated disk and their
13 case to neutralize the product and the percent
14 of peroxide rapidly deteriorates within the
15 first few minutes and UltraCare uses a time
16 release coated tablet.

17 DR. VISVESVARA: Yes.

18 DR. SZCZOTKA-FLYNN: So, if you're
19 only using one ml of solution taken directly
20 out of the solution without any neutralization
21 steps, then I don't think it's a very
22 representative way to represent these results

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because it's not the way that a consumer would
2 have used the product if you did not use any
3 neutralization step.

4 DR. VISVESVARA: I'm still not very
5 clear. Because see, if there is a
6 neutralization step, we use exactly what the
7 bottle recommended. So I don't think there
8 was any difference from what the consumer
9 would do. Because some of the people who work
10 with me, they are contact lens users. And,
11 you know, we were very careful about doing
12 exactly what the bottle recommended.

13 CHAIRMAN BRESSLER: I think your
14 points can be taken into consideration when we
15 do the discussion of that.

16 Okay. Other questions for the
17 group?

18 Mr. Bunner?

19 MR. BUNNER: I just have one for
20 Dr. Lepri?

21 DR. LEPRI: I get my name murdered
22 all the time so I'm probably murdering yours

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 too; I'll apologize for that.

2 MR. BUNNER: It's been pronounced
3 worse than that.

4 I just was very interested in the
5 studies on medical non-compliance.

6 DR. LEPRI: Yes.

7 MR. BUNNER: And in the general
8 medical population there's a non-compliance
9 rate or 24.8 percent.

10 DR. LEPRI: Yes.

11 MR. BUNNER: And it was stated in
12 the slide that retention depends on
13 doctor/patient relationship and repetition to
14 improve that.

15 DR. LEPRI: Yes.

16 MR. BUNNER: So what is the theory
17 on the breakdown in the eye care community
18 where we have non-compliance rates ranging
19 from 50 to 79 percent? Do we think there's
20 less of a doctor/patient relationship or less
21 repetition instruction, or is there any
22 explanation for the difference in that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LEPRI: What you're saying is
2 that the non-compliance rate drops, is lower,
3 and proved when the doctor reinforces
4 instruction with each follow-up visit.

5 MR. BUNNER: So is there anything
6 we can assume in the eye care community that
7 shows such a high non-compliance rate? Well,
8 much what we're trying to do, I guess, is to
9 look at patient labeling and patient
10 education.

11 DR. LEPRI: Yes. Because on one of
12 these studies that I cited it was for eye
13 care, for contact lens care. And they also
14 improved the rate when there was a better
15 doctor/patient relationship and reinforcement.
16 But I don't have the exact rate.

17 Okay. This was in the study by
18 Collins that reinforcement follow-up visits
19 improved this behavior, but they did not give
20 the rates. It was just a general statement
21 that everything -- the misunderstanding about
22 chemical disinfection, the not washing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hands. All of those rates dropped to lower
2 levels once it was reinforced the importance
3 of them and the consequences in their follow-
4 up contact lens visits.

5 Am I answering your question?

6 MR. BUNNER: I think so. I guess
7 what I was getting at was if we wanted to see
8 an improvement in compliance, it's going to be
9 more than just -- product labeling is going to
10 have a lot to do with the relationship between
11 the eye care provider and the patient.

12 DR. LEPRI: Yes, that message needs
13 to get out to the clinical community that it's
14 not just to be entrusted to any technician in
15 the office for follow-up visits, but that when
16 you put the patient behind the lamp, these
17 types of warnings may be something to put in
18 the labeling, you need to reinforce. Ask
19 these questions. Are you doing it this way,
20 step-by-step? Are you following these
21 procedures. And if you hopefully get an
22 honest answer from your patient, then you can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reinstruct them.

2 CHAIRMAN BRESSLER: Very good.

3 Dr. Mathers?

4 DR. MATHERS: My speaker isn't
5 working, but I can --

6 CHAIRMAN BRESSLER: Does it work
7 next -- or the whole side is out? Okay.

8 DR. MATHERS: I call on Myra Smith.

9 You were testing *Fusarium* --

10 MS. SMITH: Right.

11 DR. MATHERS: -- and as model
12 organism, and I don't see any indication
13 anywhere that some of the organisms that are
14 considered as also suitable to test. Is there
15 a more stringent test besides the *Fusarium* in
16 your opinion, or --

17 MS. SMITH: Which test are you
18 referring to?

19 DR. MATHERS: The test for fungal
20 species.

21 MS. SMITH: Yes.

22 DR. MATHERS: For viability.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Obviously some species and some organisms are
2 going to be more difficult to kill.

3 MS. SMITH: Right.

4 DR. MATHERS: And testing those
5 would be a more stringent test. Is *Fusarium*
6 in the middle? Is it relatively easy to kill?
7 How does it fit in and is there an organism
8 that might be useful that would be more
9 stringent?

10 MS. SMITH: I don't have a real
11 good answer to that, because there's so much
12 variability in the different strains.
13 Originally in the contact lens original --
14 back in the '80s, I believe it was, they were
15 doing *Aspergillus niger*. And even with the
16 performance criteria for all these organisms
17 within our tests, there's a very -- either you
18 require either removal or just like a very low
19 number of organisms being killed. So the
20 theory was that most of these multipurpose
21 solutions primary were intended to be
22 bactericidal, not that the fungal organisms in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the yeast would be more -- it's more relied on
2 by a physical removal.

3 There are some products that have a
4 higher level of efficacy, but within the
5 performance criteria, as they stand now, it's
6 really a very low level. We are really
7 depending primary on -- to get clear -- more
8 of a physical removal. And part of the reason
9 for that was that it was thought that they
10 were less prevalent than the bacterial
11 infections.

12 DR. MATHERS: Do you think that's
13 also true for the *Acanthamoeba* species? For
14 instance, *lenticulata* is, I understand, more
15 difficult to kill than *castellanii* and I'm
16 sure there is a wide variation as well.

17 MS. SMITH: There definitely is,
18 even within the same strain. How you prepare
19 it. There are so many variables. The idea is
20 to try to have standardized methods. A
21 manufacturer can always do more testing.
22 Before, more strains were tested at one point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Maybe that's something we need to look at.

2 DR. MATHERS: And this is more than
3 strain-dependent. It is dependent upon the
4 circumstances that the organism is set up.

5 MS. SMITH: That is correct.

6 DR. MATHERS: Correct as well?

7 DMS. SMITH: Yes.

8 CHAIRMAN BRESSLER: Thank you.
9 Yes?

10 DR. AHEARN: In the case of the
11 *Fusarium*, none of the original containers that
12 the patients used were positive for the
13 organism. What about with *Acanthamoeba*? I
14 didn't --

15 MS. SMITH: I think you'd have to
16 ask one of the CDC investigators. I don't
17 recall that.

18 CHAIRMAN BRESSLER: Thank you, Dr.
19 Smith.

20 Did you want to ask Dr. Verani?

21 DR. AHEARN: That would be fine,
22 yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BRESSLER: We will repeat
2 the question.

3 DR. AHEARN: In the case of the
4 *Acanthamoeba*, were any of the original
5 containers found to be contaminated with the
6 organism, or was this limited to the cases, et
7 cetera?

8 CHAIRMAN BRESSLER: Just the mike
9 over to your left. All the way over.

10 DR. VERANI: To my left.

11 CHAIRMAN BRESSLER: Thank you.

12 DR. VERANI: No, I was looking for
13 my presentation, because I do have a back-up
14 slide.

15 CHAIRMAN BRESSLER: Sorry.

16 DR. VERANI: But I don't know if we
17 have connectivity to the lap top anymore.

18 CHAIRMAN BRESSLER: I thought you
19 had mentioned testing the cases, that you
20 didn't find that.

21 DR. VERANI: We did. No, it was
22 present in some -- I don't know -- remember

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the proportion off the top of my head, but we
2 did test -- I believe it was about 80
3 environmental specimens that included bottles
4 of contact lens solution that had been opened
5 and used by the patient, contact lenses and
6 contact lens cases. And some proportion of
7 all three of those were --

8 DR. AHEARN: Was that the tips of
9 the cases such as with the nozzles, or was
10 this the internal ingredients of the contact
11 lens solutions?

12 DR. VERANI: Now I'm actually going
13 to defer to Vis because they did the testing
14 in his lab.

15 Did they test the tips of the
16 cases, or the bottles?

17 DR. VISVESVARA: What was that?

18 DR. AHEARN: Internal contents of
19 the original containers, did they contain the
20 *Acanthamoeba*, or was it all cases tips
21 outside?

22 DR. VISVESVARA: We looked at all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the solutions and many of them we had
2 *Acanthamoeba* grow back -- I think that -- FDA
3 to ensure that they are --

4 CHAIRMAN BRESSLER: Dr. Verani,
5 could you repeat his answer just so we get it
6 into the microphone? Or, do you want to come
7 back just to give the answer, please? I
8 apologize.

9 DR. VERANI: Were you speaking
10 about the unopened bottles of solution, or the
11 solutions that --

12 DR. AHEARN: Used solutions that
13 were in the hands of the patients. Were the
14 internal contents of the original containers
15 containing the organisms or were they confined
16 to the outside surfaces?

17 DR. VERANI: My understanding is
18 actually the solution inside that was tested.
19 But we did not -- no, just that outside.
20 Okay. It was done in Vis's lab, so --

21 CHAIRMAN BRESSLER: Did you get
22 your answer, Dr. Ahearn? No?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. AHEARN: I'm not sure.

2 CHAIRMAN BRESSLER: Okay.

3 DR. VISVESVARA: Let me tell you
4 what we did. Okay? We did not find -- we
5 took the unopened bottle from the market, that
6 we purchased from the market. We looked at
7 all of the 11 solutions that we looked at.
8 None of them had any bacteria. None of them
9 had any fungal organism. None of them had any
10 *Acanthamoeba*. We did not swab the surface and
11 look at them.

12 CHAIRMAN BRESSLER: Right. So the
13 unopened ones have no infection.

14 DR. VISVESVARA: Unopened bottles.

15 CHAIRMAN BRESSLER: But I think
16 your question was --

17 DR. VISVESVARA: Was open bottles.

18 CHAIRMAN BRESSLER: -- in the cases
19 that were opened, what did you test to look
20 for --

21 DR. AHEARN: No, I'm interested in
22 the initial bottle. Did the contamination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occur back into the solutions within the
2 original containers?

3 DR. VISVESVARA: Well, we did not
4 look at the opened bottles.

5 CHAIRMAN BRESSLER: Okay.

6 DR. VISVESVARA: Okay?

7 DR. AHEARN: Okay.

8 DR. VISVESVARA: We did not look at
9 the open bottles.

10 CHAIRMAN BRESSLER: And you can
11 check. We can come back to the question after
12 the break as well.

13 DR. HILMANTEL: Just as far as the
14 *Fusarium* goes, there was one of 17 opened
15 bottles of the MoistureLoc; *Fusarium* was found
16 under the cap. And one of five bottles of
17 MoisturePlus, *Fusarium* was found under the
18 cap, but there was no *Fusarium* found inside.

19 CHAIRMAN BRESSLER: Okay. Dr.
20 Burns, last question?

21 DR. BURNS: I had a quick question
22 for Dr. Verani.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Yes, I just wanted to check, you
2 ended up in the *Acanthamoeba* study with I
3 think 75 or 74 people in the case control
4 study?

5 DR. VERANI: The cases, yes.

6 DR. BURNS: The cases?

7 DR. VERANI: Yes.

8 DR. BURNS: Did you just do some
9 simple descriptive statistics of that group
10 relative to the total population of cases that
11 you started with?

12 DR. VERANI: I don't have that data
13 with me, but I do remember looking and that
14 they were more or less comparable to the 105.

15 DR. BURNS: Okay.

16 DR. VERANI: Yes.

17 CHAIRMAN BRESSLER: Yes? Go ahead,
18 Dr. Raasch.

19 DR. RAASCH: You showed us the
20 results from that follow-along survey of the
21 ophthalmology clinics around the country for
22 2007 and noted that the drop off in the last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 seven months after the suspect solution was
2 off the market was pretty radical --

3 DR. VERANI: Yes, it's --

4 DR. RAASCH: Are there plans to --
5 about now for another follow-up survey to see
6 if in the next six months --

7 DR. VERANI: Yes, about to rise
8 when we're planning to --

9 CHAIRMAN BRESSLER: Can you just
10 repeat the question, just so that they'll have
11 it in --

12 DR. VERANI: So the question is
13 about the 2007 data. When you look by month,
14 there's no clear decline in cases in the seven
15 months of 2007 following the recall of AMO
16 Complete MoisturePlus. And we do plan to
17 collect that data. For the reasons that I
18 stated when I did the presentation, you know,
19 there's difficulty interpreting that data from
20 2007 because of the persistence of the product
21 in people's homes. So we are planning in July
22 to contact those same centers to ask for cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 diagnosed during the first six months of 2008.

2 CHAIRMAN BRESSLER: And again,
3 we'll have an opportunity to come back as we
4 discuss the questions, because we may have
5 comments. I apologize for the microphone
6 glitch, but it's better to walk over than have
7 somebody ask you a month from now exactly what
8 somebody said, so we prefer the recording.

9 All right. We are going to take a
10 break now, only for 15 minutes, because we
11 want to start the panel questions. So we're
12 going to start exactly at ten after 3:00.

13 So, thank you and we'll work on the
14 microphones in the interim.

15 (Whereupon, the above-entitled
16 matter went off the record at 2:55 p.m. and
17 resumed at 3:07 p.m.)

18 CHAIRMAN BRESSLER: Okay. We are
19 going to start and although we only have six
20 questions, some of them are multifaceted.
21 Some may be straightforward, some may require
22 some additional discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The way I would like to do this is
2 to have Dr. Saviola introduce the question and
3 then I will turn to the Panel and if someone
4 wants to comment on it, please do. It's not
5 required for everyone to comment on it. And
6 if there's a general discussion that you think
7 is relevant to the question, we will do that.

8 I will try and make a summary of what I
9 believe the Panel is representing.

10 And I would ask, Dr. Matoba and Dr.
11 Mathers, maybe you could keep little notes on
12 the side and if I'm concentrating on
13 something, I may miss part of our summary and
14 I'll turn to you to make sure we've covered
15 everything, and then I'll confirm with Dr.
16 Eydelman that she has the information that we
17 need and what our concerns are.

18 So we will do our best and I'll
19 turn it over to you.

20 DR. SAVIOLA: Thank you, Dr.
21 Bressler.

22 All right. The first question to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be discussed is: Please discuss whether our
2 proposed directions for use and warnings below
3 are warranted. If yes, please identify any
4 other messages that should be conveyed in the
5 proposed warnings. Then there are five sub-
6 parts regarding reuse and topping off, is the
7 first one, (b) is rub and rinsing times, (c)
8 is lens case care, (d) is water activities,
9 and (e) is specifying a lens care product
10 discard date. And also please provide any
11 other additional recommendations for product
12 labeling that you may have.

13 CHAIRMAN BRESSLER: So these are
14 proposed directions and we're just going to
15 take one at a time to see if there are
16 comments on them and I'll try and summarize
17 those from the group.

18 So any comment on the proposed
19 direction for reuse and topping off?

20 DR. MATOBA: Well, I think there's
21 no question that we've clearly -- that we
22 should have some warning against reuse and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 topping off and it should be in a language
2 that I think that's very clear, because not
3 everyone knows -- not all patients would know
4 what we mean by avoiding reuse or avoiding
5 topping off. I think we should start out by
6 saying something like, "Always discard the
7 contact lens solution before you put the lens
8 in."

9 CHAIRMAN BRESSLER: Any additional
10 comment or contrary comment to what Dr. Matoba
11 said from the group?

12 Okay. So Dr. Eydelman, it seems
13 that at least for that first part, everyone
14 was in agreement with avoiding topping off and
15 reuse, but avoid jargon. Like reuse may mean
16 something to one person and something else to
17 another.

18 Go ahead, Dr. Ahearn.

19 DR. AHEARN: One comment. The
20 topping off also should relate to the case,
21 because the case is one of the areas that gets
22 a heavy residue from the various solutions and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's a build up. And when you have
2 evaporation on the case, then you have dried
3 films. And when you have dried films, well
4 you've got bacteria and fungi and so forth
5 that develop. Because the integrity of the
6 solution is lost with the evaporation and I
7 think most of the patients that we looked at
8 had dried films on the cases.

9 CHAIRMAN BRESSLER: And we'll come
10 back to that with (c) as well for lens case
11 care.

12 So Dr. Mathers, additions to what
13 we've summarized so far?

14 DR. MATHERS: Yes. In terms of
15 warning, several words were proposed up there
16 what might be used. And I might say that I
17 think this should be strong warning. And
18 saying that you could get an eye infection is
19 not a strong warning. Saying you could go
20 blind is a strong warning. And we need to
21 make it strong, otherwise we know you don't
22 get compliance. So we need to make it a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strong warning.

2 CHAIRMAN BRESSLER: Okay.

3 DR. MATHERS: A corollary to reuse
4 might be for part-time wearers. Some
5 instructions for part-time wear if you're
6 wearing them once a week or twice a week, to
7 maybe re-disinfect within 24 hours of wearing
8 the lens. It's sort of reuse of solution to
9 some degree.

10 CHAIRMAN BRESSLER: So again, I
11 think our summary is, we're all for the
12 topping off. Be careful with, you know, the
13 jargonous use so it's clear and your labeling
14 experts will be able to help in that. And it
15 needs to be a strong warning. There's a
16 concern about this because of the rare
17 infection of causing blindness.

18 Dr. Eydelman, do you have enough
19 for that first one?

20 DR. EYDELMAN: Thank you. That's
21 sufficient.

22 CHAIRMAN BRESSLER: Okay. So rub

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and rinsing, including a time.

2 Dr. McMahon?

3 DR. McMAHON: Most certainly,
4 there's a growing body of data that suggests
5 the combination of the two maximizes the
6 efficacy of these kind of solutions,
7 particularly with fungal organisms and I think
8 that some minimum times need to put in there
9 that are realistic. At the same time,
10 sponsors need to be providing, you know, sort
11 of low volume rinse times in their
12 evaluations, since that's what the patients
13 are going to do.

14 CHAIRMAN BRESSLER: Are there
15 additional comments?

16 Dr. Mathers? No?

17 DR. MATHERS: Yes. I think that if
18 rubbing gives you one log unit, it's worth it.

19 And it doesn't mean that you can't have an
20 effective solution that gives you more log
21 units, but an additional log unit of efficacy
22 is worth it for a rub.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BRESSLER: And Dr.
2 Edrington.

3 DR. EDRINGTON: One of the things,
4 and again, maybe this isn't the place for it,
5 but the reason for the procedure, so that they
6 understand why rubbing is indicated.

7 CHAIRMAN BRESSLER: So there's a
8 general -- sounds like a consensus that
9 rubbing and rinsing should also be in there as
10 a do item, and to again indicate why, but the
11 why may be again to reduce the risk of
12 blindness.

13 And again, this rinsing, I think
14 the only additional thing I might add is
15 you're talking about rinsing with the
16 solution. And this will also be important
17 because rinse to some people may mean rinse in
18 water and I think we've heard a lot of expert
19 information today say don't rinse with water;
20 rinse with the solution. So you're talking
21 about rubbing the lens and rinsing with the
22 solution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Another comment? Dr. Smith?

2 DR. SMITH: There was one speaker
3 this morning that referred to rubbing and
4 rinsing after removing the lens and before
5 inserting the lens, Carol Clayton on the rub
6 and rinse time, FDA slide that says current
7 instructions for use. Are there any
8 instructions that say that? Rubbing and
9 rinsing after removal as well as prior to
10 insertion?

11 DR. SAVIOLA: I'll answer that, if
12 you don't mind.

13 DR. SMITH: That's all right.

14 DR. SAVIOLA: Generally there's no
15 rubbing after it's soaked. There's a rinse
16 after it's soaked, but not a rub after a soak.

17 DR. SMITH: Okay. So I would agree
18 with --

19 DR. SAVIOLA: Are you looking at
20 page 21 of the hand out?

21 DR. SMITH: Yes.

22 DR. SAVIOLA: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SMITH: And I would agree with
2 Dr. Bressler that this language, and I guess
3 we'll get to it to another section that needs
4 some -- could improve, could be improved.

5 CHAIRMAN BRESSLER: Are there
6 additional comments or disagreements of what
7 we said?

8 Dr. Matoba?

9 DR. MATOBA: The question also
10 refers to rinsing time. And I think a speaker
11 this morning said that 20 seconds is -- one of
12 the solutions has 20 seconds as the rubbing
13 and rinsing time, but that was not realistic
14 because most patients don't spend 20 seconds
15 rubbing and rinsing.

16 I don't think we've been given
17 enough data to give a recommendation as to the
18 ideal time. And then whatever that time is
19 though that gives you the maximum efficacy,
20 even if it doesn't seem realistic, if it's
21 less than half a minute, I think that is the
22 number that should be put on the label.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BRESSLER: Given what
2 we've heard about compliance, then maybe an
3 additional factor to take into consideration
4 is we all heard good data to support rubbing
5 and rinsing with the solution. We don't
6 necessarily have the data yet to give you a
7 time. And I would presume even if people --
8 everyone just starting rubbing and rinsing
9 without a time, that might be a step in the
10 right direction. Maybe additional studies may
11 come forward to help say what a cut off is, so
12 I think we're okay there.

13 Dr. Eydelman?

14 All right. Lens case care. So
15 comments on proposed warnings with the lens
16 case care. This relates to some of the things
17 that we heard earlier.

18 Any comments? I think this was in
19 terms of discarding the lens case after a
20 certain amount of time.

21 DR. SAVIOLA: It's on page 22 of
22 the hand out, the proposed warning. "Do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 store your lenses or rinse your lens case with
2 tap water, bottled water or non-sterile
3 solution, etcetera."

4 CHAIRMAN BRESSLER: Thank you.

5 DR. MATHERS: What is the current
6 acceptable time to keep a lens case? How long
7 can you keep it now?

8 DR. SAVIOLA: There's no specific
9 time at the moment. But the question for
10 discussion is do you endorse this proposed
11 warning regarding exposing the case to non-
12 sterile products? If you think that you
13 should include a recommended replacement time,
14 then we'd certainly be listening to that
15 recommendation.

16 And the advice to patients that was
17 cited in the presentation regarding the
18 outcome of the Fusarium and acanthamoeba, I
19 believe three months was the recommended time
20 for replacement of the case. But that's been
21 somewhat variable across different
22 professional organizations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MATHERS: I would like to
2 recommend a time replacement. I think it adds
3 to the efficacy of this approach.

4 CHAIRMAN BRESSLER: So there's a
5 suggestion right now to expand the warning
6 about not only using just the information you
7 have in the warning here, but potentially have
8 some statement about lens case duration.

9 Comments on that? Dr. McMahon?

10 DR. McMAHON: Yes, one of the
11 things that we discussed early on is to make
12 our recommendations based upon science. And
13 where it sounds really good to replace lenses,
14 or cases on a regular basis, and certainly all
15 of us have seen the grungy cases that come out
16 of pockets and purses, I haven't seen any data
17 yet that says what kind of time frame we
18 should be talking about. The notion I think
19 is a good one, but I wouldn't know what time
20 frame to use. I agree with --

21 CHAIRMAN BRESSLER: Okay. Dr.
22 Szczotka-Flynn?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SZCZOTKA-FLYNN: My question
2 refers to the air drying recommendation here.

3 I know most groups say to rinse with solution
4 and air dry. There is no data showing this,
5 but I know when I let my case air dry, there's
6 still a film in it. And I'm wondering if
7 that's enough film to support continued
8 microbe growth. I did read somewhere that
9 some groups actually recommend swabbing it
10 with like an alcohol swab, or turning it over
11 so that the case at least can drain even that
12 excess solution.

13 So I think there needs to be a
14 little bit more recommendation in terms of
15 just letting your case air dry, or more data
16 to support other ways to let it dry.

17 CHAIRMAN BRESSLER: Go ahead, Dr.
18 Burns.

19 DR. BURNS: I just want to make a
20 comment on the wording of these kind of
21 instructions; and that is, it's ambiguous.
22 For instance, in sample one you say never use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tap water. You said solution, so don't say
2 never use water. Don't leave it open that
3 they say, okay, it's not tap water and use it.

4 Say never use water. I think there are
5 several cases like that.

6 CHAIRMAN BRESSLER: Dr. Matoba.

7 DR. MATOBA: Because bottled water
8 has bacteria in it, too. So I think your
9 point is well-taken.

10 DR. SMITH: But the proposed change
11 has bottled water and any non-sterile solution
12 in the warning part. But the current language
13 says never use tap water.

14 And my question about the current
15 language is about the top for those tops that
16 are screw tops. Do people interpret rinsing
17 your lens case with including rinsing the top
18 of your lens case, not the ones that snap
19 down, but the screw-top ones, case and top for
20 any -- because that's all exposed to that
21 area.

22 CHAIRMAN BRESSLER: So you may need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some clarification then on what is a lens
2 case. But again, we're trying to just give
3 some advice to help based on the science. And
4 so I will come back to the lens case care,
5 because we haven't resolved the drawing bit
6 yet. I mean, the replacement bit yet.

7 DR. MATHERS: Yes, I was going to
8 suggest that I think my esteemed colleagues
9 are completely correct. We do not have data
10 on this. Perhaps we could ask the FDA in
11 conjunction with industry to do relatively
12 simple things to determine how long it takes
13 an average case to build up a certain amount
14 of debris that can't be cleaned and what a
15 suitable replacement time would be. I think
16 it seems easy.

17 CHAIRMAN BRESSLER: So to try and
18 summarize, but correct if I'm wrong, it sounds
19 like there's a general favor for the warning
20 that you have, but to clarify some of the
21 language in terms of avoiding water, that
22 there is insufficient information at this time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to comment on how often a lens case should be
2 replaced or how it should be dried or air
3 dried or the concerns that are mentioned. And
4 that comes up every day. So additional
5 information may be helpful. That only allows
6 you to put the warning in that you have, but
7 we don't have enough data to advise about
8 replacing the lens case.

9 Dr. Eydelman, okay?

10 All right. Dr. Smith, another
11 comment on that and then we'll go to the next.

12 DR. SMITH: The last comment is the
13 last statement there says use of non-sterile
14 solution can lead to serious eye infection.
15 It might be helpful to add "and loss of
16 vision" with that. I mean, that's several
17 places eye infection and most people don't
18 know that -- they'll think that's pink eye.
19 They won't --

20 CHAIRMAN BRESSLER: Dr. McMahon,
21 comment on that?

22 DR. McMAHON: Can I touch again on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the drying for a second?

2 CHAIRMAN BRESSLER: Yes.

3 DR. McMAHON: I'd love to hear what
4 Dr. Ahearn has to think about the idea of air
5 drying cases, since his thin film stuff is
6 very much akin to that.

7 CHAIRMAN BRESSLER: So air drying?

8 DR. AHEARN: I don't recommend air
9 drying with solutions that have been -- cases
10 that have been stored for prolonged periods of
11 time. And what those prolonged periods are,
12 I'm not exactly sure of. But I do know that
13 most of the solutions can dry down in a
14 relatively short period of time, so
15 evaporation can have an effect and you can
16 have growth on the outside of the case, which
17 then can seed the inside of the case later,
18 and very time you handle it,

19 CHAIRMAN BRESSLER: So it still
20 sounds like we have insufficient data to
21 comment on what the care should be so far in
22 terms of, you know, drying the case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Okay. So water activities? And
2 just refer us to the page again? It's on page
3 23, I believe?

4 Do not wear your lenses during any
5 water activity.

6 Okay. Comments on this? Let's
7 start with Dr. Edrington.

8 DR. EDRINGTON: The showering,
9 since we have people wearing 30-day lenses,
10 are we not recommending they shower? I don't
11 know.

12 DR. SAVIOLA: That's part of the
13 difficulty of the total water activity,
14 because then you bring up the most difficult
15 point to address.

16 CHAIRMAN BRESSLER: We didn't get
17 an answer yet, but don't worry. We're going
18 to continue to discuss this so we can come up
19 with some recommendations.

20 Dr. Szczotka-Flynn?

21 DR. SZCZOTKA-FLYNN: Well, my
22 question was exactly the same. I think if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 give the message that you don't want them to
2 shower with the lenses, it just adds confusion
3 to those patients we've already told they
4 could sleep in their lenses. So at the
5 current time I would probably take showering
6 out of this warning, unless you want to revise
7 your extended-wear guidelines, too.

8 CHAIRMAN BRESSLER: Not yet, but
9 let me get -- okay. Dr. Mathers?

10 DR. MATHERS: There is a real
11 problem with removing your lenses for water
12 activities. People are not going to do that.

13 So the reason they have their contacts is so
14 they can go skiing and water skiing and this
15 sort of thing. Otherwise, they could wear
16 glasses in many cases.

17 So although I think that water
18 exposure is one of the strongest causes of
19 acanthamoeba keratitis, if we are to say
20 something useful, we might consider saying
21 that if we can strongly recommend that they
22 don't do it. But if they do, then immediately

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after the water activity they remove and
2 discard those lenses. It's a compromise, but
3 it -- or --

4 CHAIRMAN BRESSLER: Dr. Matoba
5 commented that perhaps you could re-sterilize.

6 DR. MATHERS: -- re-sterilize.

7 CHAIRMAN BRESSLER: So I want to
8 just expand this for a second, you know, I
9 want to review sort of the epidemiology that
10 we heard. In at least the logistic regression
11 analyses, we didn't hear that there was
12 additional risk of the water exposure. That
13 doesn't mean that it isn't a risk. But I
14 agree with your first comment that we're
15 weighing here what we're recommending. And
16 for example, let's just take swimming in a
17 pool. If we told everyone in the world and
18 they were all compliant with taking their
19 lenses out, and now they can't see that well,
20 what other risks, you know, occur from that?
21 You know, do they not see where their, you
22 know, child is, or do they bump into someone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 new that they didn't know they were going to
2 meet? But it is quite a lot to say we want
3 you to remove this because there's this one in
4 10,000 or three in 10,000, you know, risk of
5 an infection compared with -- you know, I
6 don't know what the other risks are by
7 removing it and taking away the person's
8 vision. So that has to be balanced.

9 Dr. McMahon and then Dr. Szczotka-
10 Flynn.

11 DR. McMAHON: I mean, it's clearly
12 a conundrum. I mean, the water environment is
13 the environment for acanthamoeba and Fusarium
14 in particular. So you have sort of an implied
15 risk. It just hasn't been spread with a
16 statistical, you know, significance at this
17 point.

18 And then we have the issue that Dr.
19 Szczotka brought up, that you know, we have
20 this group of patients that are going to be
21 sleeping with lenses. I would actually
22 suggest that we not specifically say disinfect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them, though that would be effective for
2 bacteria, but for things that are on the
3 market now it's not going to be effective for
4 acanthamoeba, which is the primary, you know,
5 concern at that point. So I'm unclear as to
6 what to recommend with this whole topic.

7 CHAIRMAN BRESSLER: Dr. Szczotka-
8 Flynn.

9 DR. SZCZOTKA-FLYNN: If you want to
10 go back to the science or evidence-based, I
11 think the CDC evaluation showed, at least on
12 univaried analysis, that lakes and streams
13 were more risky. And I know other groups in
14 Australia have shown that as well in those
15 kind of bodies of water. I'm not familiar
16 with anything yet that is showing statistical
17 significance in univaried or multi-varied
18 analyses on showering, or even pool water, for
19 that matter.

20 But just to qualify what I said
21 earlier, perhaps you can, in the daily wear
22 patient, recommend, you know, attempted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 removal during showering and of course all
2 those other water activities. But I would
3 clarify in the daily wear patient, if you
4 wanted to go that route.

5 CHAIRMAN BRESSLER: Dr. Mathers and
6 then Dr. Edrington.

7 DR. MATHERS: The strongest
8 indictment of water is the experience in
9 Britain. They have a ten-fold increase over
10 the United States and it's mostly considered
11 to be the water supply. And in my clinical
12 experience, I think that water exposure
13 matters a great deal, even though it is
14 perhaps a little difficult to document.

15 I think we should err on the side
16 of safety in assuming that exposure to dirty
17 water is a risk and if we can help patients
18 deal with this, it would be a good thing.

19 CHAIRMAN BRESSLER: Dr. Edrington
20 and then two over here, and I'm going to try
21 and give you a proposed summary of what we've
22 said. So additional comments?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. EDRINGTON: Perhaps something
2 about, "Please discuss this with your eye care
3 practitioner." Because you have a lot of
4 people that are, you know, going scuba diving
5 on their trip to Hawaii and you'd sort of like
6 to help them, although it does put maybe you
7 and the patient at a little bit of risk. But
8 they should discuss it with their eye care
9 practitioner.

10 CHAIRMAN BRESSLER: Dr. Smith?

11 DR. SMITH: I think you could be
12 consistent with the case. You're telling them
13 not to use tap water. You could say something
14 like, "You should avoid water contact with
15 your contact lenses." I mean, it's a
16 consistent message. We know that people are
17 going to do these things, but that cannot
18 control our recommendations. As clinicians,
19 all of us know people do things. They do all
20 kinds of things with their medications. You
21 don't tell them it's okay to do that. You say
22 well, I'm recommending that you take the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dosage that I gave you. If you take that
2 dosage, these things might happen to you. And
3 you know, I understand we're trying -- you
4 know, we're trying to do real case scenario,
5 but I also think we have a responsibility to
6 say what we recommend. If a person doesn't
7 follow it --

8 CHAIRMAN BRESSLER: Okay. And Dr.
9 Burns?

10 DR. BURNS: Yes, sort of swinging
11 the other way and supporting the idea of
12 discussing it with the eye care is what are
13 people going to do with their lenses if
14 they're at the beach or at the pool when they
15 take them out. I think there's a real risk
16 there that may be larger.

17 CHAIRMAN BRESSLER: Yes, we don't
18 want them to put them in their mouth.

19 Dr. Matoba.

20 DR. MATOBA: I wanted to agree with
21 Dr. Mathers about this issue. Because
22 compared to the 1980s epidemic, when the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 epidemic was clearly linked to non-preserved
2 saline and once they stopped doing that, then
3 the epidemic went away. This time it's
4 associated statistically with one contact lens
5 solution. You take that off the market, but
6 it's not clear that the epidemic is over. And
7 the Chicago people have suggested that there
8 may be a change in our water supply in the
9 United States due to the changes made by the
10 EPA in terms of decreasing the stringency of
11 the system and they felt that that would allow
12 bacteria to overgrow, this allowing
13 acanthamoeba to feed on the bacteria and then
14 increase the biofilm within the water supply.

15 And that hasn't been clearly
16 studied or eliminated as a possibility. So I
17 think we do have to be concerned about the
18 possibility that, like England, that in the
19 U.S. the water supply may be contributing to
20 the current epidemic, which may not yet be
21 over.

22 CHAIRMAN BRESSLER: So it sounds

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 like there's general consensus of there being
2 a risk of water for the eye infection.
3 There's a concern about telling people not to
4 do something in the warning, like don't shower
5 or don't swim or something and that that may
6 be why there's this judgment to say, you know,
7 let that be an interaction with the eye care
8 provider to tell them why there's this risk.

9 And so, Dr. Eydelman?

10 DR. EYDELMAN: There is a bit of a
11 concern on my part with that, in that we
12 usually refer to specific interaction between
13 patient and physician if the patient's case is
14 unique. I think what I'm hearing is that for
15 the lack of our ability to reach a consensus,
16 we're deferring it to individual discussion.
17 In other words, would one person's risks of
18 water activities be necessarily different than
19 another patient with an identical situation.
20 But we do hear your concerns and we'll try to
21 come up with some kind of language to take all
22 of that into --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BRESSLER: Okay. Dr.
2 Mathers, last comment on water.

3 DR. MATHERS: We haven't discussed
4 this at all yet, but I believe it would be the
5 opinion of a lot of practitioners who deal
6 with this that single use lenses under these
7 circumstances have advantages. And while we
8 are not in the business, you know, to promote
9 a particular product perhaps, that isn't
10 actually a particular product, perhaps there
11 is some way to get this message that a truly
12 disposable single-use lens has an advantage if
13 an environment is going to be contaminated.

14 CHAIRMAN BRESSLER: Very good.
15 Yes?

16 DR. SAVIOLA: So for clarification,
17 fundamentally do you propose a warning
18 regarding water exposure? Yes. Okay. Thank
19 you.

20 CHAIRMAN BRESSLER: And the last
21 was specifying a lens care product discard
22 date. Comments on this from an engineering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point of view. Dr. Smith?

2 DR. SMITH: When that was
3 suggested, I was thinking about how other
4 situations and other types of bottles of
5 things that clinicians do use in evaluating
6 patients. We often open and put a date on it
7 and they are often discarded way prior to the
8 expiration date. So it's a practice that we
9 do for other things already. It seems like a
10 good idea to me.

11 CHAIRMAN BRESSLER: Okay. Other
12 additional comments on that?

13 Dr. McMahon.

14 DR. McMAHON: I mean, I like the
15 idea and I think it's potentially beneficial.
16 Again, the evidence issue of what does this
17 mean and the fact that it's very common
18 practice for, you know, patients to go to a
19 big box store and buy five bottles of stuff
20 and then it doesn't get opened for six months.
21 And so how do you establish that discard
22 date?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If there's some evidence to suggest
2 that after opening, the relative efficacy of
3 the solution fails over a certain period of
4 time, that can be put in the particular
5 labeling and maybe a space on the bottle
6 saying, you know, write that discard date.

7 CHAIRMAN BRESSLER: So a
8 consideration of when it's opened.

9 Dr. Mathers?

10 DR. MATHERS: Is there a current
11 limit on the volume that can be put in a
12 single bottle?

13 CHAIRMAN BRESSLER: Is there a
14 limit on how large that solution can be?

15 DR. SAVIOLA: No, there's not a
16 limit. In Europe where it's mandated they
17 have a discard date upon opening, they follow
18 and established ISO standard to establish that
19 date. So if it's a certain size to be
20 considered, like if, you know, use it for like
21 a month for a smaller size bottle versus like
22 three months for a larger size bottle.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MATHERS: Because another way
2 to achieve this is to have relatively small
3 bottles, not a large bottle that would be an
4 economy pack. But if you make the bottle
5 smaller and you have a discard date, it does
6 encourage compliance and might be helpful.

7 CHAIRMAN BRESSLER: Although they
8 may open that one that they had for the travel
9 case and forgot when they opened it.

10 Dr. McMahon?

11 DR. McMAHON: Well, the downside to
12 Dr. Mathers' suggestion is is if they have a
13 smaller bottle, they can rinse with a lower
14 volume.

15 CHAIRMAN BRESSLER: One question I
16 have about the logistics, because Dr. Smith
17 pointed out that we often may label, for
18 example, ophthalmic drops in the clinic before
19 instilling them and we know when to discard,
20 so we use a pen. It might get smeared. I
21 don't know many people have a pen in their
22 bathrooms. And if they don't have it, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may not do it. And this is the whole
2 compliance issue as well. So there may be
3 other ways of indicating this like, you know,
4 scratching off while you're there on the
5 bottle that it was, you know, January and it
6 was, you know, '08, or I don't know. But I
7 would certainly take that into consideration
8 as well, that it's easy to say you recommend a
9 discard date, but how you get it there in a
10 compliant fashion in the bathroom is
11 important.

12 Other comments? I think we
13 finished question No. 1.

14 Dr. Eydelman?

15 DR. EYDELMAN: Except for any
16 additional recommendations for product
17 labeling that we might have.

18 CHAIRMAN BRESSLER: Okay. So I'll
19 open that up to the Panel. Any additional
20 recommendations, warnings? Yes, Dr. Szczotka-
21 Flynn?

22 DR. SZCZOTKA-FLYNN: Well, I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know if this is the correct place to bring
2 this up, but I think you could put these
3 warnings a little bit better on your website
4 as well, because kind of right now they're
5 hidden. So on your risks page, there's two or
6 three statements where they're hidden. And
7 then again on another page, they're hidden.
8 If you kind of had a stand-alone page of your
9 recommended activities in regard to these
10 products, it would be helpful.

11 DR. EYDELMAN: This actually hasn't
12 come up in our previous presentations, but our
13 intent is to incorporate all of Panel's
14 recommendations and modifications and update
15 of our contact lens website.

16 CHAIRMAN BRESSLER: Good
17 suggestion.

18 Okay. Why don't we go on then to
19 No. 2?

20 DR. SAVIOLA: Now that we did the
21 easy one, question No. 2: Currently rub and
22 no-rub care products have been cleared by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA for marketing in the United States. In
2 light of all the data currently available,
3 please discuss your recommendations for
4 continuing to have no-rub directions in the
5 product labeling.

6 CHAIRMAN BRESSLER: Comments on
7 having no-rub directions from the
8 presentations today.

9 Dr. McMahon, you can give a summary
10 and then we'll see if anyone disagrees or
11 wants to add something, please.

12 DR. McMAHON: The quick summary is,
13 is that rinsing works somewhat; rubbing works
14 even better. The combination of the two is
15 best of all and not doing either is worst of
16 all. And so the issue is, is there a gold
17 standard for the amount of log reduction to
18 bugs on the surface of a lens?

19 And my view would be is, you
20 establish that benchmark fairly high based
21 upon rinse and rub and if a solution can meet
22 that benchmark with no rub, then fine. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't think they're going to get there.

2 CHAIRMAN BRESSLER: Dr. Mathers?

3 DR. MATHERS: I would second that.

4 I like the idea that the industry competes
5 for safety. If they can do it, that's fine.

6 CHAIRMAN BRESSLER: Dr. Smith.

7 DR. SMITH: The other thing that I
8 would add, if there are other mechanisms of
9 removing material that don't involve rubbing
10 like other things we know that people do work
11 on, that would eliminate the need to go back
12 and say, well, you now have a product that
13 says you can shake it 10 times upside down or
14 irradiate or whatever. So I really like the
15 idea of establishing, you know, a really nice
16 objective benchmark for that because that
17 makes it easier, I think, for the FDA in the
18 future to evaluate additional products.

19 DR. MATHERS: Ultrasound.

20 CHAIRMAN BRESSLER: Dr. Mathers?

21 DR. MATHERS: Ultrasound, heat, can
22 be revisited. I think that would be an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opportunity to look at this again.

2 CHAIRMAN BRESSLER: And Dr.
3 Szczotka-Flynn, yes?

4 DR. SZCZOTKA-FLYNN: I haven't seen
5 anyone present any data on whether rubbing
6 removes biofilms, and most of the bacteria are
7 simply adhered for a few minutes. So I think
8 that there needs to be more work in that area
9 to show that rubbing actually removes biofilm
10 which has been shown to be implicated in
11 keratitis.

12 CHAIRMAN BRESSLER: We are going to
13 come back to that, yes.

14 Dr. Matoba?

15 DR. MATOBA: Okay. I'd like to ask
16 a question though, because I agree with Dr.
17 McMahon's comments, but for those products
18 that are already approved for no-rub, can you
19 do anything about that, or is this just going
20 forward, in which case you're going to have
21 some no-rub products or rub that are more
22 stringently tested than the ones that are on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the market now?

2 DR. EYDELMAN: You actually touched
3 on a very interesting subject because if the
4 Panel's recommendation is to come up with a
5 higher bar for the micro-efficacy, then that
6 inadvertently precludes us from taking
7 immediate action. Because obviously it's
8 going to take us some time to decide on where
9 that bar is and then take appropriate action,
10 as opposed to having a general recommendation
11 of rub versus no-rub. We could take action at
12 this time.

13 CHAIRMAN BRESSLER: So it sounds,
14 so far, that the Panel generally was in favor
15 based on data that had been presented to say
16 there's a certain level of improvement
17 obtained so far with rub and rinse compared
18 with the current rinse alone with solution. I
19 think that's what was presented.

20 And so, the bar is not being set by
21 the Panel except in general advice to say
22 allow things other than rub and rinse if they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meet whatever that standard is that is come up
2 with that's rub and rinse.

3 Is that a fair summary, Dr.
4 McMahon?

5 DR. McMAHON: Well along those
6 lines, I mean, maybe I'm saying the same thing
7 as on the short term that we can encourage rub
8 and rinse for products right now and that the
9 notion of no-rub go away. And that in the
10 long term as benchmarks are established that
11 that particular approach can reemerge.

12 CHAIRMAN BRESSLER: We agree.

13 Okay. No. 3.

14 DR. SAVIOLA: No. 3 has three
15 parts. First part regarding clinical issues.

16 Please discuss your recommendations for an
17 additional follow-up visit at two hours in
18 order to assess for solution-related corneal
19 staining. Second part, please discuss whether
20 this additional follow-up should be included
21 in lens care products and/or lens guidance.
22 And the final, part three, please provide your

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendations on the inclusion of silicone
2 hydrogel lenses in the clinical investigations
3 of contact lens care products.

4 CHAIRMAN BRESSLER: Okay. Do we
5 have someone to make a comment starting with
6 the assessment of solution-related corneal
7 staining?

8 Dr. Edrington?

9 DR. EDRINGTON: This is a
10 recommendation to practitioners?

11 DR. SAVIOLA: This would be
12 implemented in the clinical study design for
13 manufacturers.

14 DR. EDRINGTON: Okay.

15 CHAIRMAN BRESSLER: Comments on
16 this?

17 Dr. Mathers, I'm not a cornea
18 expert. My take on it was there wasn't a lot
19 of correlation with corneal staining and
20 subsequent understanding of these problems
21 from contact lenses.

22 DR. MATHERS: I think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 correct, that the issue of staining, toxicity
2 and risk of keratitis seem, at first glance,
3 to be a linear progression, but are not
4 necessarily so. And there may even be factors
5 like the release of materials that may even in
6 theory be beneficial to an impeding keratitis
7 even though they produce a small amount of
8 staining.

9 So I think the links haven't been
10 established correctly, or solidly, and
11 therefore making a recommendation for two
12 hours is not valid at this point.

13 CHAIRMAN BRESSLER: Dr. Eydelman
14 first and then I'll come back to the Panel.

15 DR. EYDELMAN: If I can just
16 clarify the question. We're not asking the
17 Panel to set the bar; i.e., what amount of
18 corneal staining at two hours would warrant a
19 decision (a) or (b), but rather in light of
20 the confusing evidence at the time, is it
21 worth adding a two-hour visit for the
22 evaluation as part of the pre-market

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evaluation.

2 CHAIRMAN BRESSLER: Okay. Dr.
3 Szczotka-Flynn?

4 DR. SZCZOTKA-FLYNN: I think if
5 you're going to add a two-hour visit, you have
6 to add even more than that. Because as we saw
7 data, some preservatives might be released at
8 different time points. So you might add even
9 more time points, perhaps a half-hour, perhaps
10 four hours in addition to two hours. But what
11 you do with that data, I'm not sure. So I
12 don't think you should use it as a condition
13 for approval.

14 The other information that no one
15 has brought up today was that what we might be
16 seeing is not actually staining of the cornea.

17 There was an ARVO poster that showed that
18 PHMB binds to mucin and then fluorescein
19 combined to that complex. So what we might be
20 seeing is basically the preservative somehow
21 binding to this mucin and that's what's
22 staining and that's why it goes away so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quickly.

2 So we don't really even know what
3 that staining is; there's controversy about
4 that. So another reason to reinforce why we
5 shouldn't try to make correlations between the
6 staining and a product's performance.

7 CHAIRMAN BRESSLER: And Dr.
8 McMahon?

9 DR. McMAHON: Two comments. One, I
10 don't think there's enough evidence to support
11 adding this particular item. And number two,
12 Dr. Szczotka-Flynn to my left actually has the
13 best supportive information that staining has
14 some predictive value with infiltrative
15 keratitis, but that's in the extended wear and
16 cumulative and I don't think it actually
17 really has anything to do with this here.

18 CHAIRMAN BRESSLER: Seeing no other
19 comments -- oh, sorry.

20 MS. NIKSCH: Barbara Nicksch. I
21 would just like to agree with Dr. McMahon and
22 also just say that in the rationale for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two-year period doesn't seem like it's well-
2 justified based on literature or basically
3 what Dr. Mathers had indicated. Also I think
4 it would be overly burdensome for sponsors to
5 ensure compliance to that within a protocol.

6 CHAIRMAN BRESSLER: So the Panel
7 generally does not endorse adding this visit
8 for the reasons that were discussed.

9 Okay. Whether this should be
10 included in lens care products or lens
11 guidance, the same. Okay. And inclusion of
12 silicone hydrogel, it's the same. Oh, I'm
13 sorry. Not for staining.

14 Okay. So let's go to (c). So
15 recommendations on inclusion of silicone
16 hydrogel lenses in the clinical
17 investigations.

18 Dr. McMahon, you want to start us
19 on --

20 DR. McMAHON: Absolutely, and I
21 liked the grid that was presented by FDA as a
22 model.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. EDRINGTON: The five.

2 CHAIRMAN BRESSLER: Other comments?

3 Dr. Szczotka-Flynn?

4 DR. SZCZOTKA-FLYNN: Of course I
5 think they need to be included; they're very
6 different animals. I actually liked the CLI's
7 breakdown with the -- I think they had four
8 categories. And the difference with that was
9 that the supposed third generations, the
10 enfilcon and confilcon A, which are non-TRIS
11 based and used siloxy macromers, I think are
12 quite different animals and may behave
13 differently. So I'm in support of the four
14 subdivisions.

15 CHAIRMAN BRESSLER: But there's a
16 recommendation to continue to evolve, right,
17 as there's other classes that could be
18 developed by manufacturers.

19 DR. SAVIOLA: Yes, actually, for
20 clarification, we had the four categories in
21 our chemistry presentation as well, so we
22 didn't have an update in the clinical part.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN BRESSLER: So there's
2 consensus here to now break out the silicone
3 hydrogel lenses?

4 Dr. McMahon?

5 DR. McMAHON: Again, I think for
6 now right now whether it's three, four or
7 five, whatever is most efficacious, I'm fine
8 with. But I think if companies can provide by
9 equivalency for a particular product then, you
10 know, I think that they can individually
11 negotiate a lower number of classes to be
12 looked at.

13 CHAIRMAN BRESSLER: Okay. Dr.
14 Mathers, you're in agreement?

15 DR. MATHERS: Yes, I would agree
16 with that strongly because it's going to get
17 very complicated and it may be irrelevant; we
18 don't know, but we will be able to find out.

19 CHAIRMAN BRESSLER: Thank you.

20 Dr. Eydelman, you're okay on No. 3.

21 No. 4, microbiology issues. And I
22 think we'll again take one at a time after you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 go through them.

2 DR. SAVIOLA: Okay. Microbiology.

3 Please discuss your proposal to revise the
4 current Regimen Test in order to improve
5 predictability of "Real World" performance and
6 include the following topics in your
7 discussion: First point, testing marketed
8 silicone hydrogel lenses. Second point,
9 defining worst case rub and rinse times; for
10 example, five-second rub and five-second
11 rinse, total time.

12 B, in microbiology, please discuss
13 your recommendations for adding acanthamoeba
14 as a challenge organism in disinfection
15 efficacy testing.

16 C, please discuss our proposal for
17 developing standardized test methods to
18 evaluate the effects of preservative uptake by
19 contact lenses on disinfection efficacy.
20 Additionally, please comment on use of these
21 tests to determine post-disinfection storage
22 times in an unopened lens case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And finally under micro, please
2 discuss our proposal for modifying
3 disinfection and preservative efficacy testing
4 by two points testing at the lower end of the
5 active ingredient specifications to simulate
6 worst case conditions. And second point,
7 including more resistant clinical isolates in
8 these tests.

9 CHAIRMAN BRESSLER: Okay. So we'll
10 start with the part A, which was getting to
11 the fact that not everyone follows the
12 recommendations, so should there be real world
13 performance tests.

14 DR. MATOBA: This is where we would
15 include Dr. Szczotka-Flynn's comments about
16 the biofilm needing -- so that -- because I
17 think currently the contact lenses are being
18 exposed to organisms for 10 minutes, but it
19 really takes hours or 24 hours for some
20 biofilm to build up. And that greatly
21 increases the resistance of the organism to
22 sterilizing solutions. You might want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 elaborate.

2 DR. SZCZOTKA-FLYNN: Well, I
3 perfectly agree and there's multiple places
4 where the biofilm data comes in, both just
5 looking at stand-alone efficacy of the
6 solution, as well as the rubbing and the
7 rinsing. So if we're just talking about
8 rubbing and rinsing here and looking at the
9 Regimen Test, I would propose that you do rub
10 and rinse on formed biofilms.

11 CHAIRMAN BRESSLER: Other comments
12 or recommendations to add about having a real
13 world test? Dr. Mathers?

14 DR. MATHERS: Are we assuming then
15 that the real world test will actually be with
16 contact lenses in an environment where they
17 are dirty, where they have soil, where they
18 have protein and the protein has allowed to
19 deposit? I mean, none of this is done now.
20 So I think that what we're proposing is a
21 radically different approach to this.

22 CHAIRMAN BRESSLER: So do you think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that's of value here to evaluate the solution?

2 DR. SZCZOTKA-FLYNN: But I thought
3 FDA proposed that? I thought your --

4 DR. SAVIOLA: The real world test
5 was a describe test where the lens is sitting
6 in the case with the solution. It's not just
7 challenge directly as it is in the stand-alone
8 right now. So that's what we're working
9 toward that, that ring test that mentioned
10 earlier. Now what I hear is some additions is
11 some additions beyond that regarding biofilm
12 formation.

13 CHAIRMAN BRESSLER: So there's,
14 again, consensus to do that specifically with
15 no-rub, no-rinse and having a biofilm to test
16 the effects of the solutions.

17 Dr. Matoba?

18 DR. MATOBA: And in regard to the
19 acanthamoeba testing, as Dr. Visvesvara said,
20 I think you might want to consider testing
21 acanthamoeba along with other bacteria.
22 Because in the real life that's what happens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is you've got bacteria contamination that's at
2 a very high rate, and then amoeba on top of
3 it. And they may behave differently in that
4 setting.

5 CHAIRMAN BRESSLER: So we'll had
6 that comment into part B, which at first was
7 acanthamoeba alone, and recommendations for
8 adding this as a challenge organism in
9 disinfection.

10 Would somebody like to start that?

11 Dr. McMahon.

12 DR. McMAHON: Yes, I would
13 wholeheartedly support using the acanthamoeba
14 as a challenge organism. In addition, I would
15 like to encourage both corneal isolates from
16 infected patients as well as environmental
17 organisms that have specifically been taken
18 from corneas.

19 CHAIRMAN BRESSLER: Dr. Mathers?

20 DR. MATHERS: Yes, I would like to
21 strongly endorse including acanthamoeba. I
22 think what we're seeing here is just the tip

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of iceberg actually with amoeba infections.
2 And currently it may be reported in a few
3 cases, but most cases of acanthamoeba are not
4 reported and the incidence is much higher than
5 as generally quoted and has been quoted today.

6 There are places in the literature where this
7 is inferred or directly stated, but I would
8 imagine that the real rate is more like one in
9 20,000, 30,000 or 40,000 contact lens wearers.

10 And that there's probably in addition to this
11 a broader spectrum of less virulent organisms
12 that are really only seen by PCR or
13 confocal microscopy that are there and are
14 below our radar, but they are participating in
15 disease. So they definitely should be
16 included. I definitely think that we ought to
17 test for this, not just with culture and not
18 just with the current approaches, but with PCR
19 and perhaps encourage confocal, although I
20 don't think that's going to be effective. And
21 that we should use more virulent organisms,
22 organisms that are more difficult to kill.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This is not that difficult to establish. And
2 that as Dr. Kilvington has indicated, the
3 preparation of the cysts and their resistance
4 is strongly variable by circumstances and this
5 ought to be taken seriously.

6 CHAIRMAN BRESSLER: Dr. Matoba?

7 DR. MATOBA: So currently -- well
8 the ATCC strains are used for
9 reproducibilities and because they're readily
10 available to all people who want to do
11 testing. So I guess the FDA would have to
12 undertake to isolate or identify test
13 organisms every few years and then provide it
14 to all people who want to -- how would that
15 work?

16 DR. SAVIOLA: The devil would be in
17 the detail. At this point I don't think we
18 have to burden ourselves with considering the
19 logistics, just taking the recommendations.
20 So if you think you want to include clinical
21 isolates or virulent strains, then that would
22 be the recommendation.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MATOBA: I just didn't want to
2 recommend something that was not very
3 practical for the FDA.

4 CHAIRMAN BRESSLER: So we're
5 recognizing that, Dr. Saviola, we don't want
6 to be, you know, burdensome in just
7 recommending something, but we have a strong
8 recommendation that acanthamoeba needs to be
9 tested, other virulent organisms related to
10 that as well. And there was also a comment to
11 even consider combining that when there's
12 bacterial and acanthamoeba. I think that's
13 the general advice so far.

14 Dr. Burns?

15 DR. BURNS: just to clarify, I
16 think everyone's talking about the cystic form
17 when they're saying acanthamoeba.

18 DR. MATHERS: You need to test both
19 the troph and the cyst, but almost always the
20 trophs are easier to kill, so it's the cyst
21 that is the problem, but not just any cyst.
22 How the organism encysts is also relevant and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it varies widely.

2 CHAIRMAN BRESSLER: And Dr.
3 Szczotka-Flynn.

4 DR. SZCZOTKA-FLYNN: I again
5 support acanthamoeba as the rest of the Panel.

6 Just a comment about the resistant
7 strains. I think some of the ATCC isolates
8 are irrelevant and I think the point is using
9 a relevant strain. It doesn't necessarily
10 have to be an isolate from, you know, most
11 recent outbreak, but a very relevant strain.
12 An example is the Fusarium strain was from
13 1970 in Nigeria from a corneal ulcer before
14 contact lenses were even around. So just a
15 relevant strain, I think, and ATCC may be able
16 to provide you that.

17 CHAIRMAN BRESSLER: Okay. Any
18 other comments? Yes, Barbara.

19 MS. NIKSCH: Barbara Nicksch. Just
20 a comment on practicality that obviously
21 before testing is actually required for pre-
22 approval, that the test method obviously be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standardized and accepted and recognized.
2 Obviously there's a lot of input here today,
3 but to actually implement that so that
4 sponsors can use that. We want to make sure
5 too that sponsors all are using the same
6 methodology. Otherwise, it doesn't mean
7 anything.

8 CHAIRMAN BRESSLER: Exactly, I
9 think what we were adding to the comment that
10 it wasn't just a easy thing to say, oh yes,
11 why don't you test for it? It's a very
12 important problem. It can be tested, we
13 think, and we're not going through the fine
14 tuning of it, but it obviously needs to be
15 something that industry can know what they're
16 supposed to test.

17 DR. MATHERS: I would also like to
18 recommend that the log reduction units here be
19 meaningful. In the past, they've talked about
20 a Fusarium reduction of one log unit. I'm
21 surprised that they would even admit that that
22 was done that way. But if you're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a meaningful reduction, it must be in the
2 order of three to four or so log units so that
3 you get a real standard that's going to have
4 some effect.

5 CHAIRMAN BRESSLER: Very good.

6 Okay. Dr. Saviola, let's go to C,
7 which is the proposal for standardizing the
8 test methods for evaluating the effects of
9 preservative uptake on disinfection efficacy.

10 Comments?

11 Maybe, Dr. Eydelman, you could
12 expand on the question. We're not getting an
13 instant response to provide advice.

14 DR. EYDELMAN: I think the best is
15 if you can go back to Myra Smith's
16 presentation. She had for Panel consideration
17 and I'm going to try to flip to that slide to
18 read it for you.

19 DR. SAVIOLA: It's on page 47, 46-
20 47.

21 DR. EYDELMAN: So essentially, as
22 she summarized, this infection and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 preservative efficacy testing at low end of
2 active ingredients specification and testing
3 more resistant clinical isolates. And as a
4 result, hence this question. I don't know if
5 that clarified it for you.

6 CHAIRMAN BRESSLER: Not yet. I
7 want to confirm with Karen that we have the
8 question discussion or -- Dr. Smith?

9 MS. SMITH: One of the key things
10 about this is talking about incorporating
11 lenses into the testing when we're looking at
12 efficacy. And you know, that was a major part
13 of the discussion. And that's for the first
14 part, because right now we don't feel that the
15 lenses are adequately incorporated when we're
16 looking at actual efficacy instead of just in
17 physical removal in the Regimen Test. And
18 we're saying that when you soak a lens over a
19 period of time, you have less preservative
20 available and this is one of the key concerns
21 we have, because when we're looking at the
22 current tests, in the stand-alone test there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 no lens. So a product could have great levels
2 of kill to begin with, but the minute you put
3 it into a lens case and soak your lens, the
4 preservative may be uptake -- you know, the
5 preservative uptake may cause two problems.
6 One, you're inserting more preservative into
7 your eye; and two, there's less in your case
8 that would be available for disinfection.

9 CHAIRMAN BRESSLER: Okay. Thank
10 you for clarifying. Now I think we've got it.

11 So comments? Dr. Matoba.

12 DR. MATOBA: Well, my question is,
13 when you are testing the contact lens in a
14 solution with bacteria, are you really
15 concerned about how many bacteria are left in
16 the solution, or how many are on that lens
17 when you take it out and rinse it and try to
18 put it in your eye? And have you looked at
19 that? And if the microbicidal component, or
20 the preservative that's taken up by the
21 contact lens, maybe if you don't have too much
22 toxicity it's really not that undesirable if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the contact lens has more antimicrobial
2 material on it.

3 MS. SMITH: There are concerns
4 because it's never really been adequately
5 tested to know what type of a problem that
6 uptake would cause. And it may be different
7 with different lens materials. As far as the
8 soak solution, because the reuse of the lens
9 case there would be more of a chance of
10 biofilm formation. I think one of the most
11 important things is that we can only have a
12 certain amount of expectations for these
13 solutions unless we -- they're not high-level
14 disinfectants. So we can say we want to test
15 all the most resistant organisms in the world,
16 but in reality either you have to have a
17 system where you have to assure that it's
18 completely removed or you need to have some
19 sort of balance where you are getting a
20 reasonable amount of kill and trying to
21 predict what is going on.

22 CHAIRMAN BRESSLER: Okay. Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mathers?

2 DR. MATHERS: Well I think you're
3 correct that we are asking industry to come up
4 with something that works and the gold
5 standard would be sterility. And --

6 MS. SMITH: I think with regard to
7 that, this is not a -- I don't think we're
8 looking for sterility because we're dealing in
9 an everyday situation. I think we're looking
10 for a hygienic situation.

11 CHAIRMAN BRESSLER: Right.

12 DR. MATHERS: I understand.

13 CHAIRMAN BRESSLER: Well, he was
14 getting there, right.

15 DR. MATHERS: But if the gold
16 standard is sterility, but that -- and maybe
17 that can be achieved with peroxide or heat, or
18 something like that. Maybe that is possible.

19 But if it isn't possible, then there has to
20 be at least a limit on the duration that that
21 lens can sit in that case and you still
22 consider it to be a useful time frame and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is something that you could determine.
2 Because really what you're asking is how long
3 can you let the lens sit there before you've
4 got to go through the thing again. And that
5 should be a relatively short period of time.
6 None of this 30-day stuff where it sits there.

7 MS. SMITH: That's why there's the
8 second part of that question, because right
9 now when we're -- we've established that 30-
10 day disinfection, no lens is included in that
11 testing. And I think that's something that we
12 could easily correct.

13 CHAIRMAN BRESSLER: Dr. McMahon?

14 DR. McMAHON: Recently I'd learned
15 something I had never even thought about, that
16 actually applies to this particular question,
17 and that is some of the preservatives that are
18 used in these solutions, the molecular weight
19 at any given point in time can vary quite a
20 bit. So there can be selective absorption of
21 relatively more effective variance of a
22 molecule that goes into the lens that then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 makes the area around it potentially less
2 biocidal. Now, I'm not a chemist; I can't
3 speak to the validity of that statement, but
4 if that is true, then this type of mechanism
5 where you introduce a lens to the process
6 makes a lot of sense. It's sort of a back
7 door way of getting around that issue.

8 CHAIRMAN BRESSLER: So the general
9 advice from the Panel is to include these as
10 you're suggesting and to come up with perhaps
11 some general guidelines of times, as Dr.
12 Mathers suggested.

13 Okay. And then part D? This I
14 think is again asking for trying to get into
15 worst case conditions or more real world
16 conditions. Is that right?

17 MS. SMITH: That's similar to for
18 high-level disinfection. You usually do try
19 to establish -- or reprocessing of other
20 medical devices, you try to look at for
21 microbiology the worst case would be the
22 lowest concentration. It's the highest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concentration that could possibly be there for
2 toxicity, but it's the lowest concentration
3 for microbiology. And sometimes you would
4 have a range or over, you know, depletion of
5 time and you know, all manufacturers have
6 specifications. And if you happen to be at
7 the higher end and it's supposed to be set, if
8 you have it maybe a little bit higher, I mean,
9 then you need to -- may assess whether -- how
10 you're setting your specification to justify
11 that whatever within the realm of this
12 predictability of this test you can do.

13 CHAIRMAN BRESSLER: Okay. So are
14 there comments then on this proposal from the
15 FDA in favor of it? Dr. Szczotka-Flynn?

16 DR. SZCZOTKA-FLYNN: I wanted to
17 bring up again, it goes along -- this topic is
18 the peroxide issue and you know, that might
19 fall in this lower end of the spectrum because
20 I think there's not consistency between how
21 you test peroxide systems and how you test
22 these multipurpose systems because of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neutralization process that's occurring. So
2 if you're looking at a peroxide system, I
3 think you have to incorporate somehow
4 proposals for standardizing the neutralization
5 effect of that solution and how quickly the
6 peroxide changes from three percent to
7 something lower. So in terms of the lower end
8 of the efficacy of that, somehow incorporating
9 this issue of the neutralization steps that
10 need to occur to show consistency between the
11 solutions and also on the lower end of the
12 efficacy scale.

13 MS. SMITH: That would be addressed
14 in looking at the kill curve in terms of the
15 neutralization process for a peroxide. We
16 know that if you have a disk or you add in a
17 neutralizing tablet, we look at release rate.

18 You can't ask to look for, you know, what the
19 release rate is of the catalyst and how it
20 compares to the level of kill. So that would
21 be incorporated into the testing right now.
22 But there would be no lens involved.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SZCZOTKA-FLYNN: Along the same
2 lines, if we're looking at, like Dr. Mathers
3 brought up, how long you can keep a lens
4 stored in the solution because of the uptake
5 of the preservative, we also have to contrast
6 that with the peroxide systems where they have
7 no preservative after their disinfection
8 cycle. And there's just a little bit of
9 comparing apples to oranges.

10 CHAIRMAN BRESSLER: Dr. Mathers?

11 DR. MATHERS: Yes, I agree with you
12 and in addition, this is going to come up if
13 you're talking about something like an
14 ultrasound solution or some ultrasound system,
15 or even heat. I know no one ever talks about
16 this, but it wasn't that long ago that we
17 thought this worked. Even though contact
18 lenses may not last as long, people don't wear
19 contact lenses as long. And the industry may
20 decide this is a reasonable approach. But it
21 would require a different kind of standard
22 because you don't have anything afterwards.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But I think the FDA could certainly address
2 that and come up with a reasonable approach.

3 CHAIRMAN BRESSLER: So the Panel
4 generally agrees with going to the lower end
5 of these testings. And the exact
6 considerations I think are, you know, broad,
7 including the hydrogen peroxide statements
8 that Dr. Szczotka-Flynn made.

9 DR. EYDELMAN: Thank you.

10 CHAIRMAN BRESSLER: Okay. So No.
11 5.

12 DR. SAVIOLA: Question 5. Please
13 discuss whether you agree with ISO's current
14 consideration of having silicone hydrogel
15 lenses as a separate group and FDA's plan to
16 further stratify the silicone hydrogel lens
17 group into subcategories.

18 CHAIRMAN BRESSLER: So earlier the
19 Panel was in favor of, you know, separating
20 out the silicone hydrogel lenses. Is there
21 something different about this recommendation?

22 DR. McMAHON: Yes, CLI's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 classification was four separate silicone
2 hydrogel groups, whereas FDA's was three
3 silicone hydrogel groups and a Class 4 HEMA
4 group. And I guess, do you want direction as
5 to which of those two this Panel prefers, or
6 --

7 DR. EYDELMAN: Well, the question
8 doesn't specifically ask you to address that,
9 rather than just to comment on our work, on
10 our plan to stratify it further. However, if
11 you wish to give a comment, we're certainly
12 willing to listen.

13 DR. SAVIOLA: If I may, Tim, you're
14 thinking back to the one slide that Marc
15 showed. That was the clinical categorization
16 for the test, agreed? Of the three CLI into
17 one?

18 Yes, what we're talking about here
19 really isn't pertaining specifically to the
20 clinical study, per se. It goes back more
21 toward Dr. Hutter's slide where he had the
22 four groups in the effort to break the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 silicone hydrogel out.

2 DR. McMAHON: As I said before, I
3 conceptionally support the notion of breaking
4 down the groups, the details --

5 CHAIRMAN BRESSLER: Other comments?

6 DR. BURNS: Just support. Yes, I
7 think it makes sense to try to stratify these.

8 DR. EYDELMAN: Okay.

9 CHAIRMAN BRESSLER: All right.
10 Straightforward.

11 Last but not least.

12 DR. SAVIOLA: Question 6. The
13 current cytotoxicity test involves testing on
14 the multipurpose solution by itself and not in
15 conjunction with various groups of lenses.
16 Please discuss our proposal to include both
17 conventional and silicone hydrogel contact
18 lens soaked in a multipurpose solution for
19 direct contact cytotoxicity testing to
20 evaluate multipurpose solutions, or any care
21 product for that matter.

22 CHAIRMAN BRESSLER: So comments?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Because we've had some tangential comments on
2 this with the previous discussions.

3 I think there was general agreement
4 that the Panel did think that that should be
5 incorporated in conjunction with the lenses.

6 Okay. I don't see other further
7 comments.

8 Dr. Eydelman, Dr. Saviola, do you
9 have other questions that you want to address
10 with the Panel for now?

11 DR. EYDELMAN: I would just like to
12 take this opportunity to thank the Panel for
13 your deliberations and for your prompt -- it
14 was quite an extensive agenda and I'm very
15 impressed that you have been able to conclude
16 answering and deliberation on all of these
17 questions.

18 CHAIRMAN BRESSLER: We certainly
19 didn't want to rush it. And I want to thank
20 the Panel as well for all their time in
21 listening and then giving advice, and to the
22 CDC and FDA and all the public speakers who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 came and gave us input to put this together.

2 So thank you very much.

3 So I will say that this meeting of
4 the Ophthalmic Devices Panel is now adjourned.

5 Thank you.

6 (Whereupon, the above-entitled
7 matter was concluded at 4:10 p.m.)

8

9

10

11

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701