

1 for children receiving celecoxib off-label.

2 Now, to consider the safety that  
3 would be expected for celecoxib's use in children,  
4 it's important to also look at the risks that are  
5 known to be associated with celecoxib and with the  
6 non-steroidal class in general.

7 First considering the known risks,  
8 the adverse events that are associated with the  
9 NSAID class include cardiovascular toxicity, GI  
10 toxicity, fluid retention, edema, renal toxicity,  
11 hepatic enzyme elevation, and bronchospasm in  
12 patients with aspirin-sensitive asthma.

13 In addition, serious skin reactions  
14 have been seen with celecoxib, including Stevens  
15 Johnson Syndrome.

16 The pediatric experience in Study 195  
17 showed one case of liver enzyme elevations and one  
18 case of severe asthma. Overall, these adverse  
19 events were not seen at a rate clearly higher than  
20 that which was seen with naproxen.

21 Turning next to the risk of GI  
22 bleeding, I'm sure you're all aware that the COX-2

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 selective class of NSAIDs was originally developed  
2 with the hope that it would reduce the life-  
3 threatening GI bleeds that were seen with non-  
4 selective NSAIDs.

5 Well, celecoxib has been shown to  
6 reduce GI ulcers endoscopically. The incidence of  
7 clinical GI bleeds has not been shown to be  
8 reduced.

9 In children, GI bleeding is an  
10 uncommon adverse event with non-steroidals and no  
11 GI bleeds were seen in Pediatric Study 195 with  
12 celecoxib.

13 Turning to cardiovascular risks, I'm  
14 sure you're all aware of the attention that this  
15 has received in recent years. Data indicate an  
16 increased risk of cardiovascular thromboembolic  
17 events, in particular myocardial infarction, in  
18 adults treated long term with COX selective  
19 NSAIDs, including celecoxib.

20 However, the risk of cardiovascular  
21 events with non-selective NSAIDs has not been  
22 clearly shown to be less than with COX-2 selective

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 NSAIDs.

2           Given that it is primarily adults who  
3 are at risk for cardiovascular thromboembolic  
4 events, such events were not expected in the  
5 celecoxib trial and indeed none were observed.  
6 However, the long-term risk for children treated  
7 with celecoxib is unknown.

8           Cardiovascular risk is a potential  
9 concern in children with JRA in view of the risk  
10 of accelerated atherosclerosis associated with  
11 inflammatory rheumatic disease in adults, such as  
12 is seen with lupus and rheumatoid arthritis, and  
13 in addition, there's a recognition that increasing  
14 numbers of children have other risk factors for  
15 cardiovascular disease, such as obesity,  
16 hypertension, hyperlipidemia, and Type 2 diabetes.

17           So, in summary, the safety that was  
18 available at the time of the initial approval,  
19 overall the risk of adverse events was similar in  
20 children receiving celecoxib as those receiving  
21 naproxen, and overall the safety profile in Study  
22 195 was similar to that which was known to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 associated with the NSAID class of drugs.

2 So, considerations in assessing the  
3 overall risk-benefit for celecoxib in children.  
4 It's important to consider the observed safety  
5 profile of celecoxib in JRA based on the limited  
6 information from the randomized trial, to also  
7 consider the known risks of NSAIDs in this patient  
8 population, and to consider the potential long-  
9 term risks based on the knowledge gained from  
10 studies in adults.

11 In the end, it was decided that the  
12 risk-benefit profile for celecoxib in treating  
13 children with JRA was favorable. However, it was  
14 felt that there was not complete information about  
15 safety and the company agreed to the postmarketing  
16 commitments shown on this slide.

17 They agreed to do a postmarketing  
18 safety study in children with JRA which would  
19 include assessment of GI events. They agreed to  
20 conduct a prospective observational registry, and  
21 they agreed to do pharmacovigilance activities  
22 focusing on the adverse events of interest.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Thank you.

2 DR. RAPPLEY: Thank you, Dr. Siegel.

3 I think for organizational reasons,  
4 we'll break for lunch now and then come back with  
5 Dr. Sachs and the sponsor, and it is after Dr.  
6 Sachs's presentation that specific questions will  
7 be put to the committee.

8 So, if we convene back here at 1  
9 o'clock and we will apologize for the delay in the  
10 public hearing for those of you who may be here  
11 for that purpose, but we will be having our public  
12 hearing following the discussion of celecoxib.

13 So, back at 1. Thank you.

14 (Whereupon, the meeting recessed at  
15 11:56 a.m. and reconvened at 1:03 p.m.)

16

17

18

19

20

21

22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

AFTERNOON SESSION

1:03 p.m.

DR. RAPPLEY: I would like to at this point in time ask for intent to speak at the public hearing. So once again, I'll just repeat that, if there's anyone who intends to speak to the committee at the open public hearing portion, yes? Okay. We're not going to start that yet but just to note that you do intend to speak. Okay. So, we have one speaker and will you need the speaker's name?

So, we're not going to start that until after our celecoxib discussion, but we just wanted to know how to schedule that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. MURPHY: Let's go ahead. Let's  
2 go ahead. Marsha, I think we should because we're  
3 far enough behind schedule, I think we better.

4 DR. RAPPLEY: Fine. That's fine.  
5 Thank you.

6 Open Public Hearing

7 DR. SCHWEIKERT: Thank you very much.  
8 I'm Alfred Schweikert. I'm Director  
9 of Global Regulatory Affairs for Baxter  
10 Healthcare.

11 DR. RAPPLEY: Okay. We need to have  
12 a pause just for a moment, and we have to read a  
13 statement for the record.

14 DR. SCHWEIKERT: I'm sorry, I  
15 couldn't hear you.

16 DR. RAPPLEY: And then we will turn  
17 the mic over to you.

18 DR. SCHWEIKERT: Okay.

19 DR. RAPPLEY: The statement is both  
20 the Food and Drug Administration and the public  
21 believe in a transparent process for information  
22 gathering and decision-making. To ensure such

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transparency at the open public hearing session of  
2 the advisory committee meeting, FDA believes that  
3 it is important to understand the context of an  
4 individual's presentation.

5 For this reason, FDA encourages you,  
6 the open public hearing speaker, at the beginning  
7 of your written or oral statement to advise the  
8 committee of any financial relationship that you  
9 may have with the sponsors, their products, and,  
10 if known, their direct competitors.

11 For example, this financial  
12 information may include the sponsor's payment of  
13 your travel, lodging, or other expenses in  
14 connection with your attendance at the meeting.

15 Likewise, FDA encourages you at the  
16 beginning of your statement to advise the  
17 committee if you do not have any such financial  
18 relationships.

19 If you choose not to address this  
20 issue of financial relationships at the beginning  
21 of your statement, it will not preclude you from  
22 speaking.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 Thank you.

2 DR. SCHWEIKERT: Thank you. And  
3 again, my name is Alfred Schweikert, and I'm  
4 Director of Global Regulatory Affairs for Baxter  
5 Healthcare. Two of our products were discussed  
6 this morning, Brevibloc and Suprane.

7 I just want to tell the committee  
8 that along with the committee and our esteemed  
9 members here, safety for patients and our  
10 customers is our primary concern at all times.

11 I have two clarifications that I  
12 would like to ask of the committee. One is that  
13 when the discussion for Suprane was going back and  
14 forth, it appeared to me in the audience that the  
15 committee recommended to continue routine  
16 monitoring but the term "cardiac arrest" never  
17 came up again.

18 So, from where I was sitting, it  
19 appears that we were going to continue routine  
20 monitoring of Suprane and that there would be  
21 further discussions regarding any label changes  
22 but that an imminent label change for cardiac

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 arrest would occur some years down the road when  
2 more data was available, is that correct?

3 DR. RAPPLEY: Is there a second thing  
4 you want us to clarify?

5 DR. SCHWEIKERT: Okay. And the  
6 second thing I wanted to clarify is that Baxter  
7 Healthcare has many professionals in the  
8 anesthesiology business, including our own medical  
9 director which is a member of the FDA  
10 Anesthesiology Board and Committee.

11 I would like to know what would be  
12 the process that Baxter would enter into these  
13 discussions regarding the label of its product and  
14 the recommendations before anything is determined  
15 by the committee and what is the process for us to  
16 be part of that?

17 Thank you.

18 DR. RAPPLEY: Okay. So, two  
19 questions you have for the committee directly  
20 relevant to your product is a question about  
21 whether we have recommended a label change  
22 immediately around the cardiac arrest, including

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 cardiac arrest as an adverse event or if we  
2 recommend considering label change after further  
3 monitoring, at which time the agency will present  
4 to us any additional data and make recommendation.

5 So, given that label change is not an  
6 easy thing to accomplish, I would propose that we  
7 make consideration of the label change at the time  
8 that we have more information, the information  
9 that we've requested.

10 Any discussion or sentiment otherwise  
11 on the committee?

12 DR. MURPHY: I was going to say, so  
13 far what I've written down was that the first  
14 question, before we got into the extensive  
15 discussion where we changed the monitoring, was  
16 did the revision of the label to include cardiac  
17 arrest, and at that time, I'd written down that  
18 there was unanimous opinion to include cardiac  
19 arrest.

20 DR. RAPPLEY: We did vote that  
21 unanimously.

22 DR. MURPHY: Then we got into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion of the monitoring and so the question  
2 is does the committee now wish to delay that label  
3 change or do you want the label change anyway and  
4 then come back with the monitoring?

5 So, thank you for the clarification  
6 because otherwise I had taken it that you did want  
7 the label change for the cardiac arrest, but you  
8 wanted us to monitor as far as the other issues  
9 that we discussed.

10 So, if you -- we probably need to ask  
11 that question again, the first question again.

12 DR. RAPPLEY: Okay. So, then let's  
13 try to format the question. How many people on  
14 the committee would support an immediate label  
15 change to include cardiac arrest as an adverse  
16 event, in addition to other recommendations we  
17 also made?

18 You need to ask a clarifying  
19 question, Dr. Newman?

20 DR. NEWMAN: So, just to clarify,  
21 these other things would be changing the  
22 indications? Is that what you're saying, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that would happen sooner?

2 DR. RAPPLEY: Well, we will have to  
3 talk about those after. I mean, the question --

4 DR. NEWMAN: I think everyone had  
5 already agreed to add the labeling for cardiac  
6 arrest.

7 DR. RAPPLEY: I'm sorry?

8 DR. NEWMAN: I think everyone had  
9 already agreed to add the labeling about cardiac  
10 arrest immediately.

11 DR. RAPPLEY: Right. We voted on  
12 that and we're unanimous.

13 The question is do we do that now and  
14 so we ask them to go out now and make a label  
15 change and then perhaps again a year from now go  
16 out and make another label change or do we delay  
17 label change until we have more information?

18 DR. NEWMAN: So, --

19 DR. RAPPLEY: So, the question before  
20 us is do we ask them to now make a label change?

21 DR. NEWMAN: But for cardiac arrest,  
22 you mean?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: Yes.

2 DR. NEWMAN: Okay. I thought we'd  
3 make the cardiac -- is it just making cardiac  
4 arrest label change and then make another label  
5 change about indications after it's been  
6 discussed?

7 DR. MURPHY: I think, Tom, the  
8 question really is did the subsequent conversation  
9 in some way negate the first vote. That's all  
10 we're trying to clarify, and then we'll get to the  
11 second question which I will answer right now.

12 The agency doesn't do labeling  
13 changes, as you know, on its own, and we would  
14 obviously be in conversations with the sponsor  
15 about that addition to adding cardiac arrest. I  
16 mean, it is a big deal for them because now they  
17 have to produce new labels, but if the, again,  
18 committee thinks it's important to have it in now,  
19 then we'll go into negotiations to have it in now,  
20 but we're trying to clarify and make sure that  
21 that's what you meant since we did the first vote  
22 and then we had the extensive discussion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: Dr. Daum?

2 DR. DAUM: So, I'm one of the people  
3 obviously that was unanimously in favor of adding  
4 cardiac arrest, and I'm also one of the three  
5 minority people who favored routine monitoring.

6 So, to explain myself, I think that  
7 in the interests of compiling a laundry list of  
8 these things happened without causality implied  
9 necessarily, I was in favor of adding it as  
10 everyone else here was.

11 I also did not think that we would  
12 benefit from really close additional monitoring  
13 because we knew a lot of stuff about this drug.  
14 So, I think routine monitoring would have been  
15 fine.

16 So, I think that we thought  
17 collectively and unanimously that it should be  
18 added. Whether it's an urgent addition or could  
19 await additional information is another question  
20 and I don't think we considered that.

21 DR. MURPHY: So, I thought you just  
22 took another vote and everybody raised their hand

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again. So, they still agree. So, could we just  
2 verify that because Carlos needs to verify who  
3 voted yes again. That's what we need to do at  
4 this point.

5 DR. RAPPLEY: In fact, Dr. Daum, that  
6 was the exact question that I tried to articulate  
7 and that is, does the committee -- will the  
8 committee please indicate who is in favor of  
9 asking the sponsor to make a label change at this  
10 point in time to include cardiac arrest as an  
11 adverse event? Who is in favor of that?

12 (Show of hands.)

13 DR. RAPPLEY: Who is opposed?

14 (No response.)

15 DR. RAPPLEY: Abstention?

16 (Show of hands.)

17 DR. RAPPLEY: Okay. Thank you. So  
18 that is -- Carlos will give us the exact number  
19 with one abstention.

20 DR. PENA: Thirteen in favor and one  
21 abstention.

22 DR. RAPPLEY: So, thank you for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 asking us to clarify that, and are you clear about  
2 the response to your second request for  
3 clarification?

4 DR. SCHWEIKERT: If I can have it  
5 stated again?

6 DR. MURPHY: The agency, of course,  
7 will be in contact with the sponsor to talk about  
8 the recommendations from the committee for the  
9 immediate change.

10 DR. SCHWEIKERT: Is there a typical  
11 time frame for that? Sixty days?

12 DR. MURPHY: I would never try to  
13 predict the division's work. We will go back and  
14 give them the recommendation and they will have to  
15 put together, you know, a letter to you all.

16 DR. SCHWEIKERT: Okay.

17 DR. MURPHY: So, they will probably  
18 call you, but I would not want to prescribe their  
19 work for them. They would not like that.

20 DR. SCHWEIKERT: Okay.

21 DR. MURPHY: But it's, as you know,  
22 typical that they would do this immediately

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 afterwards. Immediately in government-speak, it's  
2 going to be at least a couple weeks.

3 DR. SCHWEIKERT: Okay. Thank you for  
4 your time.

5 DR. RAPPLEY: Thank you. And that  
6 concludes our open public hearing and we'd like to  
7 proceed now with the discussion of the celecoxib -  
8 - oh, I'm sorry. No, I'm right. I'm sorry. We  
9 have awards to give. We have awards, yes.

10 DR. MURPHY: You guys have been so  
11 vigorously talkative today that we wanted to make  
12 sure that we got the awards in in case we ran  
13 late. So, I do want to do that.

14 Okay. We have -- we're losing, as I  
15 said, almost the left-hand side of the table here  
16 and we really do recognize how much work  
17 individual members put into coming here and  
18 reading all this material and providing their  
19 opinions and so Dr. Bier, we'd appreciate it very  
20 much if you would come up and please accept this  
21 plaque from the Food and Drug Administration for  
22 your work and contributions to the FDA. We really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appreciate it. Thank you very much.

2 (Applause.)

3 DR. MURPHY: And Dr. Daum, we would  
4 also appreciate it if you would come up and accept  
5 this plaque from the Food and Drug Administration  
6 and we already asked you to continue your work in  
7 some way. So, just because we give you a plaque  
8 doesn't mean that we're going to leave you alone.

9 I have to make that quite clear.

10 DR. DAUM: Thank you.

11 DR. MURPHY: Thank you very much.

12 (Applause.)

13 DR. MURPHY: Dr. Garofalo, it just  
14 seems like you and Sam have been with us for so  
15 long, this is really sad to see you go, and I want  
16 to thank you so much for your work and the extra  
17 pediatric neurology expertise, too, that you  
18 provided, besides being the industry  
19 representative. Thank you very much.

20 (Applause.)

21 DR. MURPHY: And Dr. Newman, it won't  
22 be the same without you. So, please accept this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plaque from us and thank you very much for your  
2 participation on the committee.

3 DR. NEWMAN: Thank you.

4 (Applause.)

5 DR. MURPHY: Okay. And Dr. Fant  
6 doesn't have a second plaque because we already  
7 gave it to him and as I said, we sometimes just  
8 won't let go, but now we totally have to, Dr.  
9 Fant, but we very much appreciate your continuing  
10 work with us, despite the fact that we gave you a  
11 plaque and told you it was all over with before.  
12 You know never to really believe everything we  
13 tell you. So, thank you very much, everybody.

14 (Applause.)

15 DR. RAPPLEY: Dr. Newman has asked me  
16 to clarify the second recommendation around  
17 Suprane and that is, does the agency understand  
18 the recommendation from the committee regarding  
19 the question of how we judge when we have enough  
20 information to make another change about  
21 recommendation and indication for Suprane?

22 Could the agency restate how you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understood that recommendation from the committee?

2 DR. MURPHY: What we understood is  
3 that we are going to continue to monitor the  
4 situation as far as adverse event reports and we  
5 will be back to the committee within one to two  
6 years, depending on the level, and I say that  
7 because we seldom go much beyond two years before  
8 we come back to the committee, depending on the  
9 level of adverse events that we see.

10 So that if we saw something that was  
11 indicative of an increasing rate of reporting or  
12 some particular cases we thought were more  
13 concerning, we would come back sooner than later  
14 is all I'm trying to say.

15 At that meeting, when we come back,  
16 which is the continued monitoring, not the routine  
17 monitoring that we will have, we will also discuss  
18 with the committee whether the division has any  
19 additional thoughts on the labeling and we were  
20 going to discuss and provide input to the  
21 committee on the risk-benefits at that time of the  
22 indications that were in the label.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: Okay. So now you're at  
2 a decision point. You either accept that the  
3 agency takes this recommendation as so stated from  
4 this committee or I call another vote about  
5 whether or not we should take this -- accept this  
6 recommendation.

7 I don't -- I have to say that I don't  
8 believe it's our charge to revisit whether or not  
9 this medication is indicated for the use as  
10 described in the label. It is our charge to let  
11 the agency know if we think that needs to be  
12 reconsidered, but we are not the experts nor do we  
13 have the information at hand that we could  
14 diligently say we've digested this and give you a  
15 thoughtful recommendation about contraindication,  
16 not approved or not recommended.

17 Yes, Dr. Newman?

18 DR. NEWMAN: Sorry. I just wanted to  
19 clarify because what Dr. Murphy said was that  
20 there was a minority who felt that maybe the  
21 labeling should be changed and the indications,  
22 and the vote, you know, where there were three

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       opposed, that was a vote about whether to do  
2       continued monitoring or not, and I guess it wasn't  
3       -- I just -- maybe that is a minority vote.

4               I think it wasn't clear when people  
5       were voting on that that they were voting that  
6       there wasn't enough information now to indicate in  
7       the indications that this should be a second line  
8       drug. That's all.

9               I just -- I don't think that that was  
10       -- maybe people who voted for continued monitoring  
11       might also have already felt that we know enough  
12       now from that randomized trial to say that, yes,  
13       this drug is less safe and the indications should  
14       be limited now, and I just -- I don't think that  
15       was clear when we took that vote.

16               So, I'm just hoping to get that to be  
17       clear.

18               DR. RAPPLEY:       So, would you put  
19       another recommendation out that we should vote on?

20               DR. NEWMAN:       The vote would be do we  
21       feel like the indication -- we have enough  
22       information now to ask them to relook at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 indications to indicate that this should be a  
2 second line drug because its safety profile is  
3 worse in children.

4 DR. RAPPLEY: So that is what you  
5 described to us and we will now take that as a  
6 further clarity of the recommendations that we've  
7 made, that we -- this committee makes a  
8 recommendation to the agency that they examine the  
9 risk-benefit ratio of this medication after they  
10 get information --

11 DR. MURPHY: After we get  
12 information. Okay.

13 DR. RAPPLEY: -- through the further  
14 monitoring.

15 Is this committee -- no? That's  
16 wrong?

17 DR. NEWMAN: No. Again, I don't  
18 think the further monitoring is going to help that  
19 much. I think we know enough from the randomized  
20 trial to know the drug is less safe, the further  
21 monitoring is not going to tell us much more, and  
22 I'm going to see whether I'm a minority or whether

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 other people feel the same way.

2 DR. RAPPLEY: Okay. I didn't -- I  
3 misunderstood then. That's a different question.

4 So, the question then before the  
5 committee is do we think that the agency -- do we  
6 recommend that the agency consider this question  
7 about risk-benefit after further monitoring or do  
8 we ask them to consider this question at this  
9 point in time?

10 So, how many people on this committee  
11 recommend to the agency that they consider this  
12 question after further monitoring?

13 (Show of hands.)

14 DR. RAPPLEY: Put your hands up  
15 higher so we can note how many. Did you have your  
16 hand up, Dr. Cnaan? Yes, you did.

17 And so those who are opposed to  
18 asking them to reconsider after further  
19 monitoring?

20 DR. NEWMAN: Or in favor of them  
21 changing it now. We have enough information. We  
22 want them to change it now.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: I didn't formulate the  
2 question well.

3 So, who is in support of asking them  
4 to take the question, consider the question now?

5 (Show of hands.)

6 DR. PENA: I have a count of eight.  
7 So, there's still three.

8 DR. RAPPLEY: Are there abstentions?

9 DR. MURPHY: What was the first vote?  
10 It was eight for the first -- eight for the  
11 second. What was the first?

12 DR. PENA: Three.

13 DR. RAPPLEY: Dr. Fant?

14 DR. FANT: Yes, I guess the question,  
15 you know, that I have is, is it within our purview  
16 to, for the labeling, to dictate practice in the  
17 sense of saying this should be a second line drug?

18 I mean, the way I tend to think of  
19 things like that is that's more within the realm  
20 of the anesthesiologists that are practicing to  
21 determine. You know, the labeling basically tells  
22 you what the drug does and doesn't do and then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's up to the people who use the drug, you know,  
2 to determine the best practice in a specific  
3 situation.

4 So, you know, if the end game of our  
5 discussion now is to change labeling so that it  
6 reflects a designation of first line, second line,  
7 third line, I'm not sure that that's an  
8 appropriate conversation we should be having,  
9 unless there's something I don't understand.

10 DR. RAPPLEY: Well, I think the  
11 motion that we've voted on or the recommendation  
12 we voted on was to ask the agency to consider that  
13 and to do that with the process that they usually  
14 engage the true content experts around that.

15 DR. FANT: To consider making a  
16 recommendation regarding?

17 DR. MURPHY: And I can tell you that  
18 you've asked us to consider it and we would, but  
19 it would be usual that we would not go in and make  
20 that indication without bringing it to a committee  
21 and having a full discussion.

22 So, you know, I just need to make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       sure the committee's aware of that because to be  
2       able to weigh the risk-benefits, you need to have  
3       a full discussion and so you're making a labeling  
4       change recommendation without having that full  
5       discussion because you think you have enough  
6       information, which is your right to express a  
7       concern, but I'm just saying it's fine to express  
8       your concern, but you're right to have that  
9       labeling change, we would have to -- we would  
10      usually, unless it was so apparent and what you  
11      heard from the division, they didn't think it was,  
12      they think there's another side to this discussion  
13      that wasn't heard today, that they would have a  
14      further whole discussion of the risks and benefits  
15      before they would change the label.

16                So, but it's fine to take the  
17      recommendation back to them, if that's what the  
18      committee wants to send the message back to -- if  
19      you want to send the message back to them.

20                DR. RAPPLEY:     I believe that I  
21      formulated the recommendation as asking you to  
22      consider it in whatever your usual process would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be. Do you all agree? We are not recommending  
2 specific language to be included in there.

3 DR. MURPHY: Right. Exactly. You  
4 aren't and so I'm just making it clear. It could  
5 be that they would take the consideration and say,  
6 oh, we agree and we have wording we want. It  
7 could be that they're going to say, gee, we think  
8 there's enough other opinion that we, you know,  
9 come out in this the way we have already. We need  
10 to bring in the other opinion to look at it. They  
11 could do that, and they could -- or they could  
12 have another advisory committee before they change  
13 the label again.

14 So, I'm just trying to lay out the  
15 possibilities that would happen.

16 DR. RAPPLEY: So, to revisit that  
17 vote, we had three people who were -- can you  
18 repeat the vote again, Carlos?

19 DR. PENA: We had three individuals  
20 voting for continuing monitoring. There was nine  
21 not in favor. I do need clarification from Drs.  
22 Notterman and Sable on their position.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NOTTERMAN: As the recommendation  
2 was reformulated by Dianne, then I vote in favor.

3 DR. SABLE: I would second that. I  
4 think we were -- some of the questions we were  
5 answering before lunch is a little different than  
6 we're answering now. I think that we're in favor  
7 of whatever process it would take to consider  
8 changing the labeling.

9 DR. MURPHY: Okay. So, Carlos, what  
10 I have now is you have three members who would  
11 wish to continue to wait to have additional  
12 information and wait till we come back to consider  
13 the changing of the labeling with that discussion  
14 that would be there, and then eight or nine or how  
15 many?

16 DR. PENA: No, it's five and nine.  
17 It's five in favor, nine not in favor.

18 DR. MURPHY: Okay.

19 DR. RAPPLEY: No, I don't think so,  
20 Carlos. So, I think we just really have become  
21 confused.

22 DR. MURPHY: I think we've got the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 message.

2 DR. RAPPLEY: We need to continue to  
3 formulate the question.

4 DR. MURPHY: It's not unanimous.

5 DR. RAPPLEY: That's the problem.

6 DR. MURPHY: And there is an opinion  
7 by the committee, by some people, that we need to  
8 consider now potentially changing the label to  
9 indicate that this product should be used and we  
10 don't put first and second line on the label.

11 So that's just another thing to let  
12 you know. So we have to have the wording for  
13 that, but it would discourage more the use of  
14 this product fundamentally. There's a  
15 recommendation for that now by a majority of the  
16 committee and that there are others who feel that  
17 it's adequate to wait until we come back with  
18 additional monitoring.

19 DR. RAPPLEY: Is the committee  
20 agreeable to what Dianne has just described and  
21 ready to move on? Okay. Thank you.

22 So now we would like to proceed with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Sachs.

2 Celebrex (celecoxib)

3 Standard Review of Adverse Events

4 DR. SACHS: Okay. Thank you very  
5 much.

6 We're going to continue discussing  
7 some risk-benefit and Celebrex. Dr. Siegel set up  
8 some of the information that was presented at the  
9 advisory committee and I just do want to emphasize  
10 there was actually a lot of discussion of risk-  
11 benefit then, but what I'm going to do, if I can  
12 figure out how to move these slides forward, --  
13 it's locked, Carlos. There we go.

14 Is present some of the details of the  
15 clinical trial and the adverse events and then  
16 pretty much conclude with the plans for further  
17 study which is what was decided at the advisory  
18 committee.

19 Now Celebrex is marketed by Searle  
20 and it's non-steroidal anti-inflammatory that was  
21 originally approved in December of 1998.  
22 Pediatric exclusivity was granted in August of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 2006 and the pediatric JRA indication was awarded  
2 in December of that year.

3 In adults, celecoxib is indicated for  
4 the treatment of various types of arthritis, acute  
5 pain, dysmenorrhea, and as adjunctive treatment to  
6 reduce the number of adenomatous polyps in  
7 patients with familial adenomatous polyposis or  
8 FAP. JRA is the only pediatric indication.

9 The dosage depends on the indication  
10 with dosing ranging from a 100 milligrams to 400  
11 milligrams twice daily in adults and in JRA, the  
12 doses are dependent on weight. Patients between  
13 10 and 25 kilos are to receive 50 milligrams twice  
14 a day and patients that weigh over 25 kilos can  
15 receive a 100 milligrams twice a day.

16 Now to put the drug use in  
17 perspective, celecoxib was used -- the use of  
18 celecoxib was compared to leflunomide which is a  
19 pyrimidine synthesis inhibitor, an immune  
20 modulator, as well as the large variety of non-  
21 steroidal you see here on the slide.

22 Not surprisingly, celecoxib is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 primarily used in the outpatient setting with the  
2 majority of the sales by retail channels and the  
3 bulk of use is in adults and when compared to the  
4 other agents, celecoxib use ranks about third in  
5 terms of prescription volume.

6 Overall in adults, there was a slight  
7 trend to decrease use since the drug was approved  
8 in the period that we're discussing, although  
9 there was a slight trend, about a 2 percent  
10 increase, in the postexclusivity time.

11 Now in pediatric patients, the use  
12 represents less than 1 percent and celecoxib is  
13 much less commonly used, about eighth in the rank  
14 of all these agents, but the trends in  
15 prescription volume are very similar to that in  
16 adults. It's about a 28 percent decrease from the  
17 baseline period and a modest bump once exclusivity  
18 was granted.

19 This is just a graphic depiction of  
20 that bump. This slide does exclude ibuprofen and  
21 naproxen because otherwise we'd have to make  
22 things too tiny for us older eyes to see.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Now a significant amount of use is  
2 actually off-label, particularly for sprains and  
3 strains, and less than about 4 percent of use is  
4 related to the approved indication in children and  
5 duration of use has appeared to shift somewhat  
6 with at first in the baseline period, there was  
7 this kind of bimodal use, very short term, 8 to 15  
8 days, and very chronic, greater than 91 days, but  
9 you can see during the postexclusivity period,  
10 about 67 percent of use is really in a 16-to-30-  
11 day frame.

12                   All right. Now let's look at the  
13 pediatric exclusivity studies and the labeling  
14 changes.

15                   There were several studies performed.  
16                   The ones I'm going to focus on are two relative  
17 bioavailability studies which looked at the  
18 capsule and suspension which is what was used in  
19 the trial in adults and then relative  
20 bioavailability of the intact capsule and the  
21 capsule sprinkled over applesauce also in adults,  
22 and as you have heard, there is a clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 efficacy study that was done, the non-inferiority  
2 study with the two doses of celecoxib versus  
3 naproxen.

4 Note the bioavailability appears to  
5 be slightly different between the capsule and the  
6 suspension which is what's marketed and that did  
7 impact that dose selection you see in the  
8 labeling.

9 Some other factors that impacted the  
10 dose selection is that clearance was lower in  
11 adults -- I mean in young children compared to  
12 adults, although the clearance in adolescence is  
13 very similar, and this difference really does seem  
14 to be related to weight.

15 In addition, dose selection was  
16 impacted by the following considerations. During  
17 the trial, the exposure response analysis  
18 suggested that a higher dose was needed to achieve  
19 an early response. We wanted to obviously  
20 identify a dose that was within the efficacy  
21 margin and did not exceed the safety margin that  
22 was identified by the trial as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Consequently, the pharmacokinetic  
2 findings are described in the PK Section of the  
3 labeling under Special Populations and the  
4 labeling has been changed to reflect the dose  
5 selected.

6                   Now the efficacy trial findings were  
7 presented in detail at the advisory committee, as  
8 Jeff alluded to, but just in case you weren't  
9 there, the efficacy trial was an active control  
10 trial comparing two doses of celecoxib with  
11 naproxen and that established non-inferiority of  
12 both doses used and notably there was significant  
13 improvements in all measures of the JRA DOI-30  
14 which is a clinical scale that uses laboratory as  
15 well as patient and physician reports related to  
16 the degree of arthritis, limitations of motion,  
17 and the joint involvement.

18                   The findings are described in the  
19 Clinical Section of the labeling, including that  
20 long-term safety, particularly cardiovascular  
21 toxicity, has not been evaluated and the new  
22 indication for JRA has been added to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Indications and Uses Section and the information  
2 is reiterated in the Precautions, Pediatric Use  
3 Section, and as well there's a description of the  
4 risk of abnormal coagulation tests in patients  
5 with systemic onset JRA, a finding that was not  
6 actually in the trials but came out of other  
7 information in the literature and practice.

8 Now Dr. Siegel has also described the  
9 safety findings of the trial in detail, but I just  
10 want to emphasize there were no deaths in either  
11 the 12-week placebo-controlled portion or the  
12 open-label extension, and the most common adverse  
13 events included gastrointestinal infections or  
14 infestations and CNS system disorders. Serious  
15 adverse events were noted a little more frequently  
16 in the low-dose arm compared to naproxen but there  
17 wasn't a clear dose response seen, and the  
18 observed adverse events did not actually differ  
19 from what we expect from other non-steroidals.

20 So, the labeling does reflect this.  
21 The most common adverse events are listed as you  
22 see and include some symptoms that I would say are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 related to infections, such as headache, fever,  
2 abdominal pain, cough, nasopharyngitis, GI upset,  
3 diarrhea, vomiting, and arthralgia.

4 There was not a deleterious effect on  
5 growth observed nor was exacerbation of disease  
6 noted as evidenced by uveitis or flares in  
7 systemic JRA, and there's a table, of course, of  
8 the adverse events.

9 Now as you've heard, the  
10 postmarketing commitments are designed to explore  
11 further the effects, particularly on blood  
12 pressure and as a secondary effect GI bleeding, as  
13 well as some enhanced pharmacovigilance, and the  
14 sponsor will describe that, I think, a little more  
15 in detail.

16 And again, I just want to highlight  
17 some of the labeling that, you know, kind of  
18 explains some of the adverse events and relates to  
19 the adverse events that we saw.

20 As you know, all non-steroidals now  
21 carry a box warning regarding increased  
22 cardiovascular risk and GI bleeding, and for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 celecoxib, in particular, there's  
2 contraindications related to allergic reactions to  
3 patients that have allergy to sulfonimides because  
4 of cross-reactivity, and as well as, in general,  
5 patients that are sensitized to aspirin or other  
6 non-steroidal products.

7 In addition to expansions of the  
8 contraindications and the box warnings, the  
9 warnings include some additional factors, such as  
10 hypertension and the need to monitor blood  
11 pressure, the risk of congestive heart failure and  
12 edema, the kidney effects, serious skin reactions,  
13 et. cetera, and I guess of interest to me as a  
14 pediatrician and you all and to avoid in late  
15 pregnancy because of premature closure of the  
16 ductus and there is, of course, a bolded warning  
17 that treatment in FAP does not necessarily  
18 preclude the need for surgery.

19 Precautions also include a general  
20 admonition that celecoxib is not to be a  
21 substitute for steroids and if steroids are to be  
22 discontinued, they shouldn't be discontinued

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)



1 abruptly but tapered, and that it should be  
2 avoided with other non-aspirin or non-steroidal  
3 products and that it may impair the ability to  
4 detect clinical signs of infection, such as fever,  
5 swelling.

6 Both hepatic and hematologic adverse  
7 events can be seen. There can be mild elevations  
8 of liver function in up to 15 percent of patients  
9 and significant elevations in 1 percent and  
10 although anemia is fairly common, changes in  
11 platelet counts are unusual, except occasionally  
12 in the patients with systemic JRA who appear to be  
13 at more risk for DIC.

14 Periodic monitoring, of course, is  
15 recommended.

16 I just want to mention for this part  
17 of the slide, that it is excreted in breast milk  
18 as there was one event in a breast-feeding baby.

19 The current labeling reflects  
20 numerous reviews by the Office of Safety that you  
21 can see as part of our postmarketing activities  
22 and here are the raw counts of the adverse events

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which prompted those reviews you just saw and  
2 since market approval, there's been thousands,  
3 28,000 reports in patients of all ages of which  
4 most have been serious, 18,000, and several have  
5 been related to deaths, about 22,380.

6 But adverse events in pediatrics, if  
7 we focus on them, roughly do parallel the use with  
8 94 raw counts, that's less than 0.3 percent of the  
9 total, most of these are again serious, and 13 are  
10 related to fatalities, although as you'll see,  
11 most of them are related to duplicates or  
12 inappropriately attributed to children.

13 So, just to walk through those 13  
14 fatalities, since approval before the exclusivity  
15 period, there were actually eight events but when  
16 we did a hands-on review of those, there's only  
17 three pediatric fatal cases.

18 During the one-year postexclusivity,  
19 there's five reports, but when you do a hands-on  
20 review, there's really only two, and again we  
21 excluded events that were duplicated, that really  
22 occurred in adults or events that were clearly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unrelated to celecoxib.

2 In the time prior to granting  
3 exclusivity, there were three fatal events and you  
4 can see the details of them on this slide and as  
5 you may notice, the first of the two are very  
6 highly confounded by the underlying cancers that  
7 children had as well as multiple medications and  
8 their underlying illness which may very well have  
9 been related to their cancer.

10 The last fatal report is a report of  
11 an adolescent male who completed suicide shortly  
12 after starting celecoxib, and as you know,  
13 cardiovascular risks are well known as is the risk  
14 of fatal hemorrhage, but suicide and depression  
15 are listed under adverse events.

16 That brings us to the one-year  
17 postexclusivity period. I did kind of skip over  
18 that preperiod. As Dr. Siegel mentioned, the  
19 adverse events were reviewed in detail for that  
20 advisory committee and has actually been publicly  
21 discussed.

22 As you can see from these raw events,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 most events are in adults and pediatric patients  
2 represented only a small percent of the 6,000 or  
3 more adverse events. There were 19 serious  
4 adverse events attributed to children and five of  
5 these, which there's only two that were  
6 unduplicated, are related to the fatalities.

7 This is just kind of an overview of  
8 the cases. There were 10 unduplicated cases since  
9 exclusivity was granted. Two of them were  
10 fatalities. They occurred during a pilot study in  
11 patients with Ewing's sarcoma that were receiving  
12 celecoxib as part of chemotherapy regimens, and  
13 I'll discuss them in detail in a moment.

14 The eight non-fatal cases involved  
15 two reports of dyspnea, palpitations, and  
16 pulmonary embolism as well as single reports of  
17 bolus eruptions, that was the one with the breast-  
18 feeding baby, intracranial hemorrhage, GI bleed,  
19 chest pain and blood clots, and most of these  
20 events, although labeled, really were actually  
21 highly confounded by either the patient's  
22 underlying illness, multiple medications, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 several of the patients had cancer as well. So,  
2 their association with celecoxib in particular is  
3 really unclear.

4 Details of the two fatalities are  
5 provided on this slide and this kind of  
6 description is somewhat what we saw in the other  
7 events. Both of the patients were receiving  
8 celecoxib, as I mentioned, as part of a protocol  
9 for Ewing's sarcoma.

10 The first died after progressive  
11 sepsis, GI bleeding, and multiple organ failure  
12 and the second died after radiation pneumonitis,  
13 pancytopenia and pericardial effusion associated  
14 with cardiac arrest, and these events seemed to be  
15 confounded by the underlying carcinoma,  
16 chemotherapy or radiation therapy, but do  
17 highlight, as did the event, the fatal events  
18 before exclusivity, of the off-label use of  
19 celecoxib that occurs, too.

20 So, in summary, the labeling has been  
21 updated with the new pediatric indication, the JRA  
22 indication, the dose and limitations of the study,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the long-term complications are reflected in  
2 the labeling. The adverse events incorporated do  
3 include a risk of DIC in patients with systemic  
4 onset JRA, and the common adverse events.

5 There really were no new unexpected  
6 pediatric adverse events identified during our  
7 one-year postexclusivity review, but the data from  
8 the studies that are going to be happening  
9 focusing on safety assessments are still pending  
10 and, you know, we really think that's what should  
11 be looked at.

12 The plan for the studies is going to  
13 be presented by the sponsor and a follow-up report  
14 will hopefully be presented to you all once these  
15 postmarketing commitments come in, if you guys  
16 concur with the plan.

17 And before I call up the sponsor,  
18 again I just want to acknowledge the contributions  
19 of quite a few folks to this review.

20 Clarification Questions

21 DR. RAPPLEY: Can you clarify for us  
22 the plan that you would like us to concur with?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SACHS: I guess that the  
2 clarification is that you're okay to wait till we  
3 present the PMCs and I guess that we just return  
4 to routine monitoring which does not mean no  
5 monitoring.

6 As you can see, there's quite a bit  
7 of monitoring that goes on, even in the routine  
8 situation, to generate all those reviews.

9 DR. RAPPLEY: So, the label changes  
10 that you described have already been made?

11 DR. SACHS: This is correct.

12 DR. RAPPLEY: And what you are asking  
13 us then is to agree with your plan to gather more  
14 information in the postmarketing commitment?

15 DR. SACHS: Correct. Because we  
16 think that's where we're going to see.

17 DR. RAPPLEY: And then we consider  
18 whether or not further changes need to be made?

19 DR. SACHS: Right.

20 DR. RAPPLEY: Okay. Are there  
21 questions for Dr. Sachs? Perhaps at this point we  
22 could take clarifying questions and move to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sponsor presentation and then move into  
2 discussion.

3 Dr. Notterman?

4 DR. NOTTERMAN: Can you please  
5 restate the proportion of use that's off-label for  
6 this drug in the pediatric population?

7 DR. SACHS: Sure. I'll go back to  
8 that slide. And again this was in the children.  
9 Sorry that I'm not moving so fast and numbers are  
10 not my forte, so I'm going to look at the slide.

11 Thirty-three percent was in sprains  
12 and strains and 16 percent was in  
13 osteochondropathy which is fairly non-specific,  
14 and only 4 percent was related to the JRA  
15 indication.

16 DR. NOTTERMAN: Thank you.

17 DR. RAPPLEY: Other questions?

18 (No response.)

19 DR. RAPPLEY: Okay. Thank you, Dr.  
20 Sachs.

21 DR. SACHS: Thank you, guys.

22 DR. RAPPLEY: Move to sponsor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 presentation.

2 Pfizer Comments

3 DR. CAWKWELL: Well, hello. My name  
4 is Gail Cawkwell. I'm a pediatric rheumatologist  
5 and an employee of Pfizer. I'm also the Global  
6 Medical Team Leader for Celebrex and I'm joined  
7 here today by a number of colleagues to help  
8 answer questions as needed.

9 My focus today, as was discussed  
10 earlier, is really on the postapproval  
11 commitments, both things that have begun or have  
12 begun to obtain results and those that we  
13 finalized details on and are looking forward to  
14 beginning soon.

15 The first thing I'd like to note,  
16 though, very quickly, as we've already heard from  
17 both Dr. Sachs and Dr. Siegel, is that the basis  
18 of the approval of Celebrex for JRA was a 12-week  
19 pivotal randomized double-blind active-controlled  
20 study comparing two doses of celecoxib to one dose  
21 of naproxen suspension, naproxen being the most  
22 commonly-used NSAID for JRA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   This was followed by a 12-week open-  
2 label extension at the higher dose of celecoxib  
3 and this resulted in an approval, as we again saw  
4 earlier, for relief of signs and symptoms of  
5 juvenile rheumatoid arthritis in children ages 2  
6 and older.

7                   The dosing is as indicated and as  
8 discussed earlier with two different dose range.  
9 For smaller children, 50 milligrams BID, and for  
10 larger children, 25 kilos and above, at a 100  
11 milligrams BID.

12                   Notably, the capsules open easily for  
13 children who can't swallow, can be put on a  
14 teaspoon of applesauce. It's flavorless, and the  
15 50 milligram capsule was not previously marketed  
16 but very quickly after approval, that 50 milligram  
17 capsule became commercially available and is  
18 currently marketed in the United States.

19                   In addition to routine  
20 pharmacovigilance, we entered into four  
21 postapproval commitments that I'll go over in a  
22 bit of detail. The independent pediatric expert

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 panel, an active surveillance program, a  
2 prospective observational registry, and a clinical  
3 blood pressure and safety program.

4 Let's start with the expert panel as  
5 this is rather an umbrella over all of our safety  
6 activities.

7 The objective of this panel is to  
8 really review pediatric safety data on Celebrex,  
9 regardless of the source, with a focus of  
10 providing advice and guidance as relevant to  
11 patients with JRA. This consists of five  
12 pediatric experts, a general pediatrician, as well  
13 as four pediatric specialists, a pediatric  
14 rheumatologist, nephrologist, gastroenterologist,  
15 and a hematologist with specific thromboembolic  
16 expertise.

17 The panel's already met twice. This  
18 is consistent with our commitment. They met in  
19 June of last year and December of last year. At  
20 each of these reviews, they reviewed a number of  
21 serious and non-serious cases, at that point  
22 reported through pharmacovigilance, and came to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the conclusion that there was no change in the  
2 risk profile of Celebrex for children with JRA, a  
3 conclusion that I believe was reflected earlier by  
4 the FDA reviewers.

5 The next meeting of this group is  
6 scheduled for this June.

7 The active surveillance program is  
8 something that Pfizer is undertaking that's really  
9 in addition again to our routine  
10 pharmacovigilance. This involves approaching  
11 pediatric rheumatologists and querying for serious  
12 adverse events and the way this looks is we're  
13 partnering with an organization called the  
14 Childhood Arthritis Rheumatology Research Alliance  
15 or CARRA.

16 They're a non-profit consortium of  
17 North American pediatric rheumatologists, and they  
18 will be doing a survey every month querying for  
19 serious adverse events amongst pediatric  
20 rheumatologists, and this will be about all  
21 NSAIDs, clearly including Celebrex but all NSAIDs,  
22 in children with JRA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Twice a year, they will be a bit more  
2 extensive to obtain some sort of denominator type  
3 of information about how many children the clinic  
4 sees with JRA or treats with JRA.

5           I can give a little update on the  
6 information on the bottom of the slide where I  
7 note that a 149 pediatric rheumatologists have  
8 been invited to participate and at 39 sites. We  
9 currently have a 105. We currently have about 61  
10 sites have expressed interest in participating and  
11 certainly from our point of view at Pfizer, any  
12 sites in the U.S. that are interested in  
13 participating, we'd welcome.

14           IRB approvals are ongoing and we hope  
15 to have the first survey cycle going out very  
16 soon.

17           The prospective observational  
18 registry is a way also to gather a bit more long-  
19 term information. Clearly, the standard for  
20 approval for a drug for a chronic condition like  
21 JRA we met in terms of a 12-week study.

22           However, longer-term events or events

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that may take longer to be noted may not be seen  
2 in a 12-week study, even with that 12-week  
3 extension. So, our proposed registry is designed  
4 to gather more experience and to monitor longer-  
5 term safety in children being treated through  
6 regular clinical practice.

7 This is a non-randomized and non-  
8 interventional registry. So, children who are  
9 being treated for JRA and their doctors already  
10 made a decision to put them on Celebrex or to put  
11 them on another NSAID will then be enrolled and  
12 will be followed prospectively.

13 The minimum duration of follow-up in  
14 the registry for all children is two years. We  
15 anticipate the registry will take two to three  
16 years to enroll the 200 children on Celebrex and  
17 the 200 children on other NSAIDs. So, adding in  
18 those two years of follow-up, some children will  
19 be followed as long as four to perhaps five years.

20 Clearly, we'll be collecting all  
21 adverse events, although the focus will be on  
22 serious adverse events, particularly those around

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cardiovascular, gastrointestinal, and  
2 hypertension-related adverse events.

3 These adverse events will, of course,  
4 be reviewed by our expert panel, as you saw in the  
5 prior slide, on an ongoing basis and, of course,  
6 be submitted to regulatory authorities in the  
7 normal manner.

8 We submitted a protocol to the FDA  
9 about this registry in May of 2007, as we agreed  
10 to in our commitment, and at this point, we have  
11 got an agreement with the FDA on the protocol  
12 design and believe we should be ready to start the  
13 study first thing next year.

14 A blood pressure study represents  
15 another commitment and the final one I'll talk  
16 about. This is a prospective randomized double-  
17 blind active-controlled study to look at the  
18 effect of Celebrex and naproxen on systolic blood  
19 pressure.

20 It's well known that NSAIDs affect  
21 blood pressure in adults. This is clearly labeled  
22 for all NSAIDs, but the impact on children is less

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clear and as Dr. Siegel said earlier, with the  
2 pediatric population having more risk factors for  
3 hypertension, understanding the effect of NSAIDs,  
4 particularly Celebrex, on blood pressure seems to  
5 be very important, and we certainly agree at  
6 Pfizer.

7 This study will enroll children  
8 between the ages of 2 and 18 years and they will  
9 be randomized to Celebrex at the approved doses or  
10 naproxen. They will be followed for a period of  
11 six weeks and the primary endpoint will be change  
12 in systolic blood pressure.

13 While the main focus will be cuff  
14 pressures, there also is some interest in looking  
15 at ambulatory blood pressure monitoring in the  
16 subset of patients.

17 Again, the initial protocol was  
18 submitted to the FDA, as per our initial agreement  
19 in April of 2007, and we've recently come to a  
20 preliminary agreement on the protocol, and at this  
21 point, we anticipate starting the study by midyear  
22 of 2009.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           So, in conclusion, to support the JRA  
2 approval, we at Pfizer agreed to implement what we  
3 feel is a robust postapproval safety program.

4           Our pharmacovigilance to date,  
5 including our own assessment as well as the  
6 involvement of our independent expert panel, have  
7 really revealed no new safety concerns for  
8 children with JRA.

9           Two of our postapproval commitments,  
10 as I've just outlined, the independent expert  
11 panel and the active surveillance program, are  
12 underway and with the active surveillance program  
13 again that first cycle is about to go out.

14           The two postapproval commitments have  
15 really made very good progress and we look forward  
16 to starting those programs in 2009.

17           Thank you very much for your  
18 attention.

19                   Clarification Questions and Question  
20                                   to the Committee

21           DR. RAPPLEY: Thank you. So, this is  
22 open for discussion now and the question is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       whether or not the plan that is presented for  
2       postmarketing commitment as presented both by the  
3       sponsor and then to use information from this plan  
4       to consider label changes at some point in time in  
5       the future.

6                   DR. MURPHY:   I think maybe a little  
7       background.  We could have just recommended return  
8       to routine monitoring and we've got a lot of  
9       studies ongoing, but we thought that the process  
10      here, you know, that committee deliberated all day  
11      on the risk-benefits and part of the approval  
12      process was that these postmarketing studies for  
13      safety basically be done and so we thought it  
14      rather presumptuous to assume that you wouldn't  
15      want to hear about them.

16                   But you could tell us that you don't  
17      want to hear about them in 2000 and whatever and  
18      we don't have to come back to you.  So that is an  
19      option, if you want to tell us that, but that's  
20      why the question is sort of awkwardly phrased, is  
21      that we don't have anything really that we see in  
22      the way of safety signal right now, but we also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know that we have a large number of commitments or  
2 significant number of commitments by Pfizer to  
3 provide some safety updates and we were assuming  
4 you would want to hear about it.

5 So that's why we put the question  
6 that way. If you don't, you can tell us so,  
7 please.

8 DR. RAPPLEY: Discussion? Yes, Dr.  
9 Notterman?

10 DR. NOTTERMAN: Dr. Murphy, is there  
11 any way that the agency and this group can capture  
12 some of the information embodied in the 96 percent  
13 of patients, children receiving this who are not  
14 on label and so are not encompassed in this  
15 comprehensive and excellent program.

16 DR. MURPHY: The only -- and I'll let  
17 Pfizer tell me if they have something -- I think  
18 it would be wise to go ahead and let Pfizer say  
19 first what they have to offer and then I'll tell  
20 you what else is available.

21 DR. CAWKWELL: Just to say that of  
22 the four programs, you're right, that some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 programs specifically focus on the approved JRA  
2 indication.

3 The expert panel, however, is really  
4 focusing on data from all sources. For example,  
5 related to FAP, there is a postapproval commitment  
6 to study children 10 to 18 with familial  
7 adenomatous polyposis. Safety data will be  
8 generated from that as well on children.

9 As you saw, many of the safety  
10 reports relate to investigational uses with INDs  
11 that have been opened by NCI and others, and  
12 safety information we receive on that is reviewed  
13 by that expert panel, and we were careful to have  
14 some sort of a hematology oncology thromboembolic  
15 expertise to help us with those areas.

16 So, there is a broader scope of  
17 review there as well.

18 DR. RAPPLEY: Dr. Ward?

19 DR. WARD: Could I just ask? In the  
20 oncology uses of Celebrex, is the dose  
21 significantly higher than is used for the anti-  
22 inflammatory responses for JRA?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CAWKWELL: Would you like me to  
2 answer that question?

3 DR. WARD: Yes.

4 DR. CAWKWELL: I think it depends on  
5 the indication. So, I've seen research protocols  
6 in children that run a range. I believe in one  
7 study I looked at recently, perhaps it was the  
8 Ewing's study, although I don't -- I'm not certain  
9 of that, it was a 50 milligram BID dose that was  
10 being used which was a relatively low dose.

11 For example, the FAP study, the  
12 pivotal study that led to the initial indication,  
13 was not in children but used a 400 milligram BID  
14 dose which is substantially higher.

15 So, I think that there's a wide  
16 range.

17 DR. SIEGEL: I just wanted to respond  
18 to the question about the 96 percent of children  
19 who don't have the approved indication.

20 Our particular concern in designing  
21 the postmarketing commitments for celecoxib were  
22 concerns about the safety of the long-term use. A

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lot of the unapproved uses are short term, like  
2 for sprains, so it wouldn't have the same concerns  
3 about cardiovascular risk and so on.

4 DR. RAPPLEY: I'd like to ask --

5 DR. MURPHY: To finish up, I mean,  
6 other than that, it's the AERS passive reporting  
7 system and again to remind the committee, as  
8 you'll hear later, most of that is already -- the  
9 majority of that in the AERS system we get from  
10 the sponsors, but it is available to any  
11 practitioner to provide input into that system.

12 DR. RAPPLEY: Dr. Kocis?

13 DR. KOCIS: I was just going to  
14 follow up that, you know, again these follow-up  
15 studies, I thought, were extremely well designed  
16 and are comprehensive for patients with JRA who  
17 will see this drug for likely their lifetime.

18 I was a little bothered when you saw  
19 the short-term size. Clearly, the registry and  
20 the other things are long term and what needs to  
21 be followed in those patients since they will be  
22 for so long and yet when we talk about the 96

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent that are using it off label, while yes,  
2 it's only for short-term use and maybe that's a  
3 good thing, they are also going to be given to  
4 children who may be immobilized, who may have  
5 other risk factors, who may be on oral  
6 contraceptives or a whole host of other illnesses  
7 that may lead them to have a much higher risk in  
8 the short term, and yes, we have a current AERS  
9 method and that would certainly be what we would  
10 see and would be highly effective and yet I would  
11 think, given the national acclaim over this drug  
12 and all the concerns about that from many, many  
13 standpoints, that there would be a more active or  
14 yet another way to try to capture data sooner,  
15 earlier, better in that group of patients.

16 DR. RAPPLEY: I would echo that,  
17 being that it's eighth in volume for pediatric  
18 patients. So, if it's eighth in volume of number  
19 of prescriptions written and 96 percent of those  
20 fall into a category that won't be studied, it  
21 does give one pause.

22 DR. CAWKWELL: If I can maybe put

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that into a little context for you, if we could  
2 put up Slide B-11, when we say that it's less  
3 commonly used or that it's second or third, here's  
4 some information from a very large pediatric  
5 rheumatology center in Cincinnati that kindly  
6 provided their data, and you can see that while  
7 Celebrex is not one or two nor number 10 on the  
8 list, its use is really low. It's been  
9 consistently lower over a number of years and so  
10 that sort of use, I think, remains rather low when  
11 compared to other NSAIDs.

12 DR. RAPPLEY: So, maybe I misread  
13 this then. Dr. Sachs, will you clarify your Slide  
14 Number 8 that says it's eighth in terms of  
15 prescription volume? Is that of all medications  
16 or is that only medications for JRA?

17 DR. SACHS: That's the selected group  
18 of non-steroidal agents during that, you know, --

19 DR. RAPPLEY: Thank you.

20 DR. SACHS: -- time frame.

21 DR. RAPPLEY: Thank you. And then I  
22 had a further question about Phase 4 studies. So,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1       how are the four elements or the four types of  
2       studies that have been presented to us as  
3       postmarketing commitment, how does that -- is that  
4       compatible with the agency's expectation for Phase  
5       4 studies of this medication?

6                 DR. MURPHY:    Right.    These are the  
7       studies that were agreed to at the time of  
8       approval for phase after it was approved for  
9       postmarketing, observations and data collection.

10                DR. SIEGEL:    Could you repeat the  
11       question?    I didn't understand what you were  
12       driving at with your question.

13                DR. RAPPLEY:   For me?

14                DR. SIEGEL:    Yes.

15                DR. RAPPLEY:   About Phase 4?    So, I  
16       understand from our previous discussions about  
17       this medication that there was a commitment at the  
18       point of approval to Phase 4 studies.

19                So, I just want to clarify whether or  
20       not the plan that's been presented by the sponsor  
21       is in keeping with what the agency and what the  
22       committee could fairly expect with Phase 4

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies.

2 DR. SIEGEL: So, the studies that  
3 Pfizer is undertaking as postmarketing commitments  
4 were the studies that the division asked for and  
5 that Pfizer agreed to do.

6 It's a little bit difficult to  
7 generalize the kinds of postmarketing things we  
8 would expect for a disease-modifying product, like  
9 a TNF blocker, and be quite different from the  
10 kind of postmarketing studies we might expect for  
11 a non-steroidal that's expected to give  
12 symptomatic relief, but these are the studies we  
13 thought were warranted for this particular product  
14 with its risk-benefit profile.

15 DR. RAPPLEY: Dr. Notterman?

16 DR. NOTTERMAN: I wonder, Dr. Siegel,  
17 if you have information concerning the kinds of  
18 practitioner, the specialty of practitioner, who's  
19 prescribing the 96 percent off-label use? Is it  
20 general pediatricians or orthopedists or pediatric  
21 rheumatologists?

22 DR. RAPPLEY: That's on Slide 8 of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Sachs' presentation. She -- at least that  
2 same population.

3 DR. SACHS: Well, actually, my  
4 recollection is the pediatric use is relatively so  
5 small that the majority of the practitioners were  
6 the adult practitioners and I don't think it got  
7 broken down to what type of pediatricians or what  
8 type of, you know, pediatric specialists were  
9 prescribing.

10 DR. RAPPLEY: So, when, on Slide 8  
11 then, are we talking about, when we say general  
12 practices, 30 to 35 percent of prescriber  
13 specialty, --

14 DR. SACHS: Those are adult  
15 practitioners.

16 DR. RAPPLEY: Right. But are they  
17 prescribing for children?

18 DR. SACHS: They're prescribing  
19 celecoxib in general.

20 DR. RAPPLEY: Okay.

21 DR. NOTTERMAN: Just to clarify, so  
22 for the children who are receiving this drug, do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we know who's prescribing it? No.

2 DR. RAPPLEY: Dr. Newman?

3 DR. NEWMAN: Just a question about  
4 the independent pediatric expert panel. Does that  
5 mean that they're selected by and/or paid by  
6 someone other than Pfizer?

7 DR. CAWKWELL: No, it does not. They  
8 were both selected and they are being paid for by  
9 Pfizer. They are independent academic  
10 practitioners and it's certainly our intent that  
11 they behave and give us advice that will be useful  
12 with regards to labeling, to making  
13 recommendations back to the FDA if they see a  
14 signal, and I think our approach to them is to  
15 treat them as we might treat, say, a DSMB.

16 DR. SACHS: Marsha, can I clarify,  
17 also? If you guys look, there's the use by Laura  
18 Governale on Page 3. This is the total number of  
19 drug occurrences reported by office-based  
20 physicians and it says that approximately 66  
21 percent of drug occurrences appeared to be from  
22 orthopedics who were prescribing to the kids.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: You said Page 120 in  
2 the binder?

3 DR. SACHS: 119.

4 DR. MURPHY: So, Page 119 then is  
5 where we're supposed to be looking?

6 DR. SACHS: Yes.

7 DR. MURPHY: Okay.

8 DR. RAPPLEY: So, I'd like to follow  
9 up on Dr. Newman's question. I've been sitting  
10 too close to him probably today.

11 Is it fair to call this an  
12 independent pediatric expert panel? Is that  
13 somewhat misleading to use that descriptor? He  
14 doesn't have to be the only person raising those  
15 kinds of issues today.

16 Dr. Garofalo?

17 DR. GAROFALO: Well, it's certainly  
18 an independent view from the safety. Of course,  
19 there'd be a large safety staff internally in  
20 Pfizer. So, it's a separate specialist outside of  
21 and I don't know, you know, how you charter them,  
22 et. cetera, but --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CAWKWELL: I would note in terms  
2 of, for example, charter, that they do have a  
3 specific charter. They have specific rights that  
4 are outlined, for example, for the chair and the  
5 contract to, if they disagree with our stance, to  
6 come directly to FDA which I would hope, even  
7 without that in the contract, they would feel free  
8 to do.

9 So, I think you can argue about the  
10 choice of the word "independent." By independent,  
11 I mean that they're not employees of Pfizer and I  
12 hope if you were talking to them, that they would  
13 reflect that they feel that they're behaving in an  
14 independent manner which is our expectation of  
15 them.

16 DR. RAPPLEY: Dr. Sable?

17 DR. SABLE: Well, it would seem to me  
18 that the biggest concern, which would be in my  
19 mind one of the hardest things to answer, is are  
20 these children taking this medicine going to have  
21 the same long-term risks that adults are having in  
22 terms of cardiovascular events and are these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events going to be premature?

2 So, kind of a two-part question.  
3 Number 1. Are there plans to follow up these  
4 patients for decades to see if and when these  
5 risks occur, and also are there any type of  
6 studies that are looking at the vascular risk in  
7 these children, things like cholesterol panels,  
8 brachial reactivity, carotid intimal thickness,  
9 things that actually can assess vascular risk  
10 profile even before it occurs?

11 DR. CAWKWELL: I can address in part,  
12 if that would be helpful.

13 Pfizer is also undertaking the  
14 Precision study. It's a postapproval commitment  
15 as well for looking at 20,000 adults with  
16 osteoarthritis and rheumatoid arthritis who either  
17 have cardiovascular disease or at risk of  
18 cardiovascular disease. They'll be followed for a  
19 minimum of 18 months, although many of the  
20 patients will probably be followed three or more  
21 years.

22 Now while those are adults, it may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reflect on if you're a child with JRA and you grow  
2 up to be an adult and you're now followed by an  
3 adult rheumatologist, this may help reflect a bit  
4 on outcomes.

5 The issues that were discussed quite  
6 extensively during the advisory committee meeting  
7 around the approval of this JRA indication was the  
8 very, very low frequency of cardiovascular events  
9 in children, including children with JRA.

10 The lack of knowledge on the etiology  
11 of cardiovascular events related to NSAIDs and the  
12 feeling was learning more about hypertension and  
13 that the Precision study, again looking at a very  
14 large cohort of adults, may help fill that gap.

15 DR. RAPPLEY: Dr. Siegel?

16 DR. SIEGEL: So, I think the question  
17 relates to how we can assess in children the  
18 potential risk of cardiovascular disease given  
19 what we know in adults, and this is something that  
20 we thought long and hard about in the agency, to  
21 try to design a study that would give us some  
22 information about this, but you run into some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 difficulties when you try to design such a study.

2           The studies in adults suggest that  
3 the risk of cardiovascular disease is increased by  
4 30 to 100 percent on that order, but since  
5 cardiovascular disease is so uncommon in children,  
6 how do you follow enough children for long enough  
7 to see what the risk might be, because the risk  
8 for cardiovascular disease might not appear until  
9 decades later, and then you run into the problem  
10 that people don't start on one NSAID and continue  
11 on the same NSAID continuously for decades. They  
12 take it for awhile and then stop and try another  
13 one.

14           So, even if you did have a large  
15 number of children followed even for decades, what  
16 to ascribe an event that happened decades  
17 afterwards to would be very difficult.

18           What we tried to do is to design a  
19 study with Pfizer that would collect some helpful  
20 information on long-term use, understanding the  
21 limitations. If you all have other suggestions  
22 about other things that might be studied, we'd

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 certainly be happy to hear.

2 DR. SABLE: So, what I'm hearing is  
3 there's really no studies looking at the acute,  
4 the kind of short-term assessment of vascular  
5 reactivity, although there is plenty of literature  
6 in other disease processes to do some of the  
7 events that -- do some of the testing that I  
8 talked about in terms of vascular reactivity or  
9 carotid intimal thickness.

10 DR. CAWKWELL: I would also note for  
11 vascular reactivity that short-term studies in  
12 adults suggest that Celebrex improves vascular  
13 reactivity in adults with coronary artery disease  
14 and yet how predictive that is of long-term  
15 cardiovascular safety in adults, I'm not sure in  
16 this particular setting. It's still a surrogate  
17 or an intermediate endpoint for some things with  
18 some uncertainty attached.

19 DR. RAPPLEY: Dr. Sandborg?

20 DR. SANDBORG: So, this is a very  
21 difficult area in rheumatology in general and in  
22 pediatric rheumatology because the incidence of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cardiovascular disease in these patients is so  
2 small and it really may take decades before we  
3 would see anything and whether we could even  
4 detect a signal at that point is important.

5 I guess there's a couple of points I  
6 want to make, that really all NSAIDs, except  
7 probably naproxen, increase the risk of  
8 cardiovascular, so ibuprofen does, and although we  
9 don't know the relative amounts, it is -- they all  
10 do to some extent.

11 I think also the issue of, you know,  
12 whether you can really do predictive studies, like  
13 IMT or brachial artery reactivity, is still out.  
14 In pediatrics, at least IMT is just beginning to  
15 be understood and we have an ongoing large study  
16 looking in lupus, which is a very high-risk type  
17 of premature atherosclerosis, and so we don't know  
18 if we're going to be able to detect it there with  
19 a very large signal of lupus compared to the very  
20 small signal of a non-steroidal, whether that  
21 would cause problems or not.

22 DR. RAPPLEY: So, the question is,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are we recommending that the agency proceed with  
2 their plan to support this as the postmarketing  
3 commitment and then come back to us at some point  
4 in time in the future with the results of this?  
5 Does the committee accept that recommendation?

6 Any opposed? Okay. Thank you. So,  
7 am I correct that concludes our discussion of  
8 medications and agents? I forgot Trileptal. Save  
9 the best for last. Dr. Mentari?

10 DR. MURPHY: I just want to repeat  
11 what I said this morning as -- for everybody is  
12 that just remind, because some of the committee  
13 wasn't here and some was, that in '06, in November  
14 of '06, we presented Trileptal to this committee  
15 and at that time, the Neurology Division provided  
16 to you an overview of what their plans were for  
17 looking at the potential signal for suicidality in  
18 the anti-epileptic product.

19 This committee at that time asked to  
20 be informed and be provided feedback on what the  
21 analysis showed and you specifically asked for a  
22 focus on pediatrics.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   There will be a meeting, I don't  
2 think it's -- besides what it said in the  
3 announcement, it's still not public date yet, is  
4 that correct?

5                   DR. MENTARI: That's correct.

6                   DR. MURPHY: Okay. There will be a  
7 meeting this year, we think, on this topic. There  
8 is the public health announcement which was  
9 provided to you and at that time, at that meeting,  
10 members of this committee will be asked to join  
11 the Neurology Division Advisory Committee and the  
12 Risk Committee that will be augmenting the  
13 Neurology Committee, in addition to Pediatric  
14 Committee.

15                   Is there another committee I've  
16 forgotten that's also been -- Psychiatry. Thank  
17 you.

18                   So, this goal today is to make sure  
19 that you guys are informed of what's going on and  
20 we're open to questions, but it's really -- we're  
21 not posing a question to you. We just wanted to  
22 make sure that you were informed because you did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think about it and requested follow-up.

2 Thank you.

3 DR. RAPPLEY: So, this is for our  
4 information at our request and a full review will  
5 occur in the Fall?

6 DR. MURPHY: Or some time this year,  
7 yes.

8 DR. RAPPLEY: Okay. Thank you.

9 Updates on Previous PAC Request

10 Trileptal (oxcarbazepine)

11 DR. MENTARI: Good afternoon, and  
12 thank you for this opportunity to discuss our  
13 analysis today.

14 The Division of Neurology Products  
15 has evaluated the potential association between  
16 anti-epileptic drugs and suicidal thinking and  
17 behavior in placebo-controlled trials.

18 Postmarketing cases of suicidal  
19 thinking and behavior are difficult to interpret  
20 as there are no limitations of postmarketing data  
21 and patients with epilepsy and other illnesses for  
22 which anti-epileptic drugs are prescribed have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 increased risks of suicide.

2 An anti-epileptic drug sponsor  
3 approached the division with concern of a  
4 suicidality signal in their controlled clinical  
5 trial database. In response, the division  
6 initiated an analysis of suicidality events in  
7 controlled clinical trial databases of all anti-  
8 epileptic drugs.

9 Sponsors were asked in March 2005 to  
10 provide data from their placebo-controlled trial  
11 experience. We performed a standardized approach  
12 based on previous FDA analyses of suicidality in  
13 children, adolescents, and adults treated with  
14 antidepressants.

15 In these analyses, pediatric and  
16 young adult patients treated with antidepressants  
17 were found to have an increased risk of  
18 suicidality compared with those treated with  
19 placebo.

20 In order to find possible adverse  
21 events of interest, we used the following search  
22 strategy. We looked at events with preferred

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 terms with texturing, suicide or overdose,  
2 including all events coded as accidental overdose,  
3 verbatim terms with texturings, intent, cut, gas,  
4 hang, hung, jump, mutilate, overdose, self-damage,  
5 self-harm, self-inflict, self-injure, shoot,  
6 slash, suicide, poison, asphyxiation, suffocation,  
7 and firearm. Events were screened for false  
8 positives.

9 All deaths and other serious adverse  
10 events were evaluated and all adverse events coded  
11 as accidental injury were evaluated.

12 Our analysis included parallel arm  
13 placebo-controlled trials with at least 20  
14 subjects in each treatment arm. We excluded  
15 subjects under age 5 and the search was performed  
16 by sponsors using search terms specified by FDA.

17 After events were found using the  
18 search strategy, structured narratives were  
19 prepared and based on these narratives, events  
20 were classified into seven categories.  
21 Classification was done by raters who were blinded  
22 to treatment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1                   We asked sponsors to use the Columbia  
2                   Classification Algorithm for suicide assessment  
3                   which involved the following suicidality event  
4                   classifications:       completed suicide, suicide  
5                   attempt, preparatory acts toward imminent suicidal  
6                   behavior,       suicidal ideation,       self-injurious  
7                   behavior, intent unknown, not enough information  
8                   fatal and not enough information non-fatal.

9                   Next, I will go over our overall  
10                  results.       This slide lists the anti-epileptic  
11                  drugs which we analyzed.       They include  
12                  carbamazepine,       divalproex sodium,       felbamate,  
13                  gabapentin,       lamotrigine,       levetiracetam,  
14                  oxcarbazepine, pregabalin, tiagabine, topiramate,  
15                  and zonisamide.

16                  We analyzed data from a 199 placebo-  
17                  controlled trials which include 43,892 patients,  
18                  27,863 of which were drug-treated patients and  
19                  16,029 of which were placebo-treated patients.

20                  We found that drug-treated patients  
21                  had approximately twice the risk of suicidal  
22                  behavior or ideation compared with placebo-treated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 subjects. We found a risk difference of 2.1  
2 additional events in drug-treated patients per  
3 1,000 patients with a 95 percent confidence  
4 interval of 0.7 to 4.2.

5 The increased risk was observed  
6 throughout the time periods for which data was  
7 obtained. We looked at trials that were at least  
8 one week in duration. Beyond 24 weeks in  
9 duration, we had very little trial information and  
10 beyond that period of time, we were unable to  
11 reliably assess risk.

12 There was no clear pattern of risk  
13 across age groups and the results were generally  
14 consistent across all drugs.

15 In this table, we have the event  
16 counts for the drug and placebo groups. Our  
17 primary outcome encompassed all four event  
18 categories that you see in this table. When  
19 evaluating these numbers of events, it's important  
20 to note that there are more subjects in the drug-  
21 treated group as compared to the placebo-treated  
22 group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Next, I'll discuss our results by  
2 trial indication. Our analysis included 62 trials  
3 indicated for epilepsy, 56 trials indicated for  
4 psychiatric indications, and 81 trials with other  
5 indications.

6           The psychiatric trial indications  
7 included bipolar disorder, anxiety, posttraumatic  
8 stress disorder, depression, panic disorder,  
9 schizophrenia, social phobia, and binge eating  
10 disorder.

11           Other trial indications included  
12 agitation, chronic pain, impaired cognition,  
13 neuropathy, insomnia, migraines, spasticity,  
14 obesity, fibromyalgia, and tremor.

15           All of the drugs in this analysis are  
16 indicated for treatment of epilepsy. This slide  
17 lists approved non-epilepsy treatment indications.

18           In this table, we have the relative  
19 risk and risk difference according to trial  
20 indication. In the epilepsy trials, we saw the  
21 largest relative risk which was 3.6. In  
22 psychiatric trials, the relative risk was 1.6, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the other indications, the relative risk  
2 overall was 2.3.

3 In contrast, the risk difference was  
4 comparable between epilepsy and psychiatric  
5 trials. The risk difference in epilepsy was 2.5  
6 per 1,000 patients; in psychiatric trials, the  
7 risk difference was 3.1 per 1,000 patients; and in  
8 other trials, the risk difference was 1.1 per  
9 1,000 patients.

10 It's important to note that the rate  
11 of events in placebo patients per 1,000 was higher  
12 in the psychiatric trials as compared to the  
13 epilepsy trials and trials for other indications.

14 Next, I'll go over our results by  
15 subject age group. All of the anti-epileptic  
16 drugs, except for carbamazepine, had pediatric  
17 subject data. Because we excluded subjects under  
18 age 5, we defined our pediatric subgroup as  
19 subjects between 5 to 17 years of age.

20 We had data from 65 placebo-  
21 controlled trials and there were 2,411 total  
22 pediatric patients. 1,292 of those patients were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 drug-treated and 1,119 patients were placebo-  
2 treated.

3 This table lists the pediatric events  
4 by treatment arm and placebo-controlled trials.  
5 There were no events of completed suicide. There  
6 were two events of suicide attempt in drug-treated  
7 subjects and no events of suicide attempt in  
8 placebo-treated subjects. There were no events of  
9 preparatory acts and there were three events of  
10 suicidal ideation in drug-treated subjects and one  
11 event of suicidal ideation in placebo-treated  
12 subjects.

13 It is important to note that the  
14 events in this table include only the most  
15 critical event for each patient and do not reflect  
16 multiple events in individual patients.

17 The trial indications in the  
18 pediatric data are different from that of the  
19 overall data. The vast majority of subjects came  
20 from epilepsy trials, 83 percent, 7 percent of  
21 subjects came from bipolar disorder trials, and 9  
22 percent of subjects came from migraine trials.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1                   This slide summarizes our odds ratio  
2 estimates of suicidal behavior or ideation by age  
3 group. The division's overall assessment was that  
4 there was no general pattern of risk across age  
5 groups.

6                   In the pediatric age group, ages 5 to  
7 17, the odds ratio was 4.26 with a wide 95 percent  
8 confidence interval of 0.58 to a 102.1. There are  
9 five suicidality events in 1,292 subjects who were  
10 drug-treated and one suicidality event in 1,119  
11 placebo-treated subjects.

12                   In the 18-to-24 age group, the odds  
13 ratio was 2.65 with a 95 percent confidence  
14 interval of 0.9 to 9.45, in the 25-to-30 age  
15 group, the odds ratio was 0.82 with a confidence  
16 interval of 0.31 to 2.27, in the age group 31-to-  
17 64, the odds ratio was 2.02 with a confidence  
18 interval of 1.26 to 3.36, and in the 65 and over  
19 age group, the odds ratio was calculated as  
20 infinite because there were three events of  
21 suicidality in 3,653 drug-treated subjects while  
22 there were no events of suicidality in the 2,056

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 placebo-treated subjects.

2 Overall, the odds ratio was 1.95 with  
3 a confidence interval of 1.33 to 2.92.

4 Given these results, we have issued a  
5 press release and information for health care  
6 professionals regarding the increase of risk of  
7 suicidal behavior or ideation with anti-epileptic  
8 drugs.

9 Class labeling for anti-epileptic  
10 drugs is in progress, and a joint advisory  
11 committee meeting of the Peripheral and Central  
12 Nervous System Drugs Advisory Committee and the  
13 Psychopharmacologic Drugs Advisory Committee is  
14 planned.

15 Drug Safety and Risk Management  
16 Advisory Committee members and Pediatric Advisory  
17 Committee members will also participate.

18 At this time, I'd like to acknowledge  
19 the work of the Division of Biometrics 6 which  
20 performed the statistical analyses and the input  
21 of DPP, OSE and DNP in this analysis.

22 Thank you very much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: Thank you. Any  
2 questions? Yes, Dr. Ward?

3 DR. WARD: Could you clarify the  
4 difference between Slide 12, where the confidence  
5 intervals are .7 to 4.2, and your Slide 25? It's  
6 titled the same, that is Suicidal Behavior or  
7 Ideation, yet the confidence intervals are  
8 different. One's significant, one is not.

9 DR. NEWMAN: I think one's a risk  
10 difference and one's an odds ratio.

11 DR. MENTARI: Slide 12 and Slide 25,  
12 you were saying?

13 DR. WARD: So, am I reading it wrong?

14 DR. NEWMAN: I think one's a risk  
15 difference and then so it's significant in that it  
16 excludes zero, right, because it's a difference --

17 DR. MENTARI: That's fair.

18 DR. NEWMAN: -- and the other is an  
19 odds ratio, so it's significant if it excludes  
20 one.

21 DR. MENTARI: Right. They're -- as  
22 was mentioned, they're just two different

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 descriptors of risk.

2 Slide 12 has the risk difference,  
3 namely the fact that there were in all age groups  
4 2.1 additional events of suicidal behavior or  
5 ideation in drug-treated subjects as compared to  
6 placebo-treated subjects, and in Slide 25, what we  
7 have there is an odds ratio, which is adjusted for  
8 several factors and so basically that's similar to  
9 a relative risk but it's a different -- so,  
10 there's approximately 1.95 times the risk of  
11 suicidal behavior/ideation in drug-treated  
12 subjects according to that statistic.

13 DR. RAPPLEY: Other comments or  
14 questions? So, we'll await, then, the results of  
15 the next full review.

16 DR. MURPHY: Yes, they're still -- as  
17 you know, we now try to get the information out to  
18 the public even before we're finished with all the  
19 analysis. They've really completed most of the  
20 analyses but are preparing for the meeting and  
21 weren't quite ready and, as I said, plan to invite  
22 members of the Pediatric Advisory Committee, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we didn't want to have this meeting with you all  
2 knowing they were going to have another meeting  
3 without making sure that you were aware of what  
4 was going on.

5 DR. RAPPLEY: Thank you. We do have  
6 two more questions. Dr. Farrar and then Dr.  
7 Cnaan.

8 DR. FARRAR: Is there any way to look  
9 at older anticonvulsants, like phenobarbital and  
10 things like that, because these are all obviously  
11 new ones that have come out. I mean, you're  
12 looking at the clinical trials, but is there any  
13 way to get back to -- is this something that  
14 happens with all anticonvulsants or is it  
15 something that happens with the newer  
16 anticonvulsants?

17 DR. MURPHY: Well, I'd have to ask if  
18 we have randomized controlled trials of phenobarb.

19 DR. WARD: I can tell you that this  
20 is a debate in neonatology about phenobarbital and  
21 the people at Pittsburgh and people at CHOP both  
22 maintain there are no placebo-controlled trials to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 look at.

2 DR. RAPPLEY: Dr. Cnaan?

3 DR. CNAAN: I would second that. The  
4 problem with the older drugs is that the nice  
5 thing about this analysis is that you're comparing  
6 apples to apples. Unfortunately, with the older  
7 drugs, we don't have the placebo group  
8 appropriately, so we can't just throw in the cases  
9 of the old drug because it won't be comparable.

10 So, I think that the analysis that  
11 has been performed is the best that we could hope  
12 for and then comes that extrapolation argument  
13 again. Can we extrapolate to the older drugs or  
14 not which is a discussion, but I think that we  
15 really cannot throw them into the analysis, and it  
16 was done correctly.

17 DR. RAPPLEY: Dr. Garofalo?

18 DR. GAROFALO: Yes, I'll just  
19 reiterate that having worked for a company that  
20 made Dilantin, I went back and looked. I mean,  
21 there just were no controlled trials.

22 So, even if you had controlled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trials, we don't know how the safety data would  
2 have been collected and what, you know, sort of  
3 mapping terms and all that sort of thing. So, I  
4 think it does have to be this group that was done  
5 with certain coding, et cetera, because it's on  
6 the safety side.

7 DR. HUGHES: Hi. This is Alice  
8 Hughes. I'm from the Division of Neurology Safety  
9 Team.

10 I just wanted to echo what you all  
11 suspected. The reason we didn't include the older  
12 drugs in our analysis was because they just didn't  
13 have clinical trials that met our criteria. So  
14 that's why they're not there.

15 We have no reason to believe that  
16 they would not share the same risk, I'll say, and  
17 the class labeling that we're working on is class  
18 labeling, not limited to the drugs included in --  
19 the 11 drugs included in the analysis.

20 DR. RAPPLEY: Dr. Cnaan?

21 DR. CNAAN: My single question was  
22 the odds ratio on that almost-last slide, you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mentioned it was corrected for several factors.  
2 What was it corrected for or adjusted for?

3 DR. MENTARI: Give me a minute. I  
4 can look that up. Thank you.

5 DR. RAPPLEY: While she's looking  
6 that up, I have a question I'd like to ask about  
7 process and not about any particular product that  
8 we've talked about today, but, as we become more  
9 involved with the Best Pharmaceuticals Act and  
10 begin to carry out some mandates from that group,  
11 is there a process by which a sponsor commits to a  
12 certain timeline for the postmarketing commitment,  
13 and then are there people who are within the  
14 agency who are designated to track that?

15 DR. MURPHY: Yes and yes, and you can  
16 actually go on the Web now and see whether they're  
17 meeting their commitments.

18 Does anybody know the website name?  
19 But I think you can get to it from just going to  
20 the FDA page and -- no, the Phase 4 tracking.  
21 Yes, the Phase 4 tracking. I know that it's  
22 required now that it be public and where we are on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it and there is a way to get to it on the Web.

2 Do you have anything else?

3 DR. MATHIS: You can actually go by  
4 searching postmarketing commitments, you can pull  
5 up the website, and then you can search even  
6 further on that web page for Pediatric Research  
7 Equity Act postmarketing commitments.

8 DR. MURPHY: But you can do it for  
9 any product, too.

10 DR. MATHIS: Correct.

11 DR. MURPHY: Yes, you can just do it  
12 for any product, also.

13 DR. RAPPLEY: Would you like to  
14 respond to the question or do you need a little  
15 bit more time?

16 Any other questions or comments while  
17 she's looking at her information?

18 DR. MURPHY: I just want to say that,  
19 you know, this is an example of using information  
20 we learned. Many of you were here for the SSRIs,  
21 which that information came out of a meta analysis  
22 of the pediatric-controlled trials.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Yes, at the end, we added some other  
2 trials that weren't done because of the  
3 exclusivity activities, but almost all of those  
4 trials were pediatric trials done in response of  
5 exclusivity and we learned from that something  
6 about search terms and how better to look for this  
7 and so what you're seeing today is, again, being  
8 applied throughout -- besides pediatrics, some of  
9 the lessons have come out of pediatric studies.  
10 Nothing like a little advertising.

11           DR. MENTARI: Okay. So, the method  
12 of analysis was a stratified odds ratio and the  
13 stratification factor was a trial and basically  
14 the evaluation according to subgroup was achieved  
15 by stratification according to subgroup and not  
16 adjustment in terms of regression.

17           DR. RAPPLEY: Dr. Kocis?

18           DR. KOCIS: Just to comment when we  
19 meet next time, for all these age brackets, we've  
20 gone fairly broad from 5 to 17, and I was just  
21 hoping that when we come back next time, that we  
22 can see a better breakdown as to when this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 occurring.

2 Obviously, you would think  
3 adolescence and yet I'd be curious as to whether  
4 it's occurring earlier and likewise I think your  
5 denominator would change when we begin to exclude,  
6 possibly, the 5-year-olds who may or may not, I  
7 presume, were having less suicidal ideation and  
8 active commitments.

9 So, it would give me a better  
10 understanding of when and where and a better  
11 ratio.

12 DR. MENTARI: I guess one comment I  
13 have on that comment is that, you know, we're  
14 limited by the fact that our events are so sparse.

15 Our total number of events in the age 5 to 17 age  
16 bracket was five events in the drug group and one  
17 event in placebo group and that unfortunately  
18 limits us in our ability to further delineate how  
19 that works within that age group.

20 DR. RAPPLEY: Dr. Cnaan?

21 DR. CNAAN: Page 350 at least gives  
22 you the denominator. There is an age distribution

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 on Page 350.

2 DR. RAPPLEY: Okay. Thank you very  
3 much, and are we ready to --

4 DR. MURPHY: I wanted to thank the  
5 division very much, too, because they really did a  
6 superb job of making sure that they had this  
7 information available for you all before they were  
8 really ready, if you will, to go public. So, I  
9 personally want to thank the division for getting  
10 this done for you all.

11 DR. RAPPLEY: Okay. So, now we move  
12 into the portion of the meeting where it's more  
13 educational, kind of preparing us for our future  
14 mandate or the mandate which we currently have and  
15 will be acting on as of our next meeting.

16 I know that some of you have to leave  
17 early, so please don't apologize for that and just  
18 leave as you need to.

19 Just in opening this, I guess I would  
20 like to comment that I was struck by what Dianne  
21 has written to us, that in fact of 11 titles  
22 written into the new legislation, our committee is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 named in three of those titles as specifically  
2 responsible for considering the issues that are so  
3 unique to children and I believe that Congress is  
4 responding to the advocacy of groups like the  
5 American Academy of Pediatrics and some of our  
6 scientific associations and discipline-based  
7 societies that have consistently said that  
8 children are not small adults, that we need to  
9 consider their safety and take a more protective  
10 role and a more proactive role in this and  
11 Congress has responded to that.

12 Now they gave us the job in part and  
13 so it increases our responsibility but really it's  
14 a great privilege, I think, to be given the task  
15 now and to see that kind of responsiveness on the  
16 part of our legislatures and our government and it  
17 really is in response, I think, to the hard work  
18 that was done by so many pediatricians and others  
19 across the country.

20 Dianne?

21 FDAAA 2007 - Pediatric Perspective Update

22 Brief Overview of Legislation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. MURPHY: Thank you. I did want  
2 before people start phasing out, for those of you  
3 who are not rotating off the committee, we are  
4 going to have a training session this Fall and we  
5 have a lot.

6 This session now is just to give you  
7 an idea of what you're in for and why you need to  
8 come to training and particularly the new people  
9 because we're going to go over labeling and, you  
10 know, what authority the agency does have, what it  
11 doesn't have, direct consumer advertising, all  
12 that sort of stuff, give you an idea of the  
13 context in which all of this is happening.

14 So again, we're asking a lot of you,  
15 we understand we're asking a lot of you, but we  
16 really do hope you can make your training, and  
17 Carlos is going to be getting dates from you,  
18 right, Carlos, for that.

19 So, this today is what's happened  
20 with FDAAA and why it affects you, and I am very  
21 quickly going to go through for the new members in  
22 particular the series of legislation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   This actually started -- just think  
2 of sevens. This all starts back in 1977 when the  
3 American Academy of Pediatrics said we have to  
4 stop doing this. We have to stop treating  
5 children without data and we have to start getting  
6 controlled trials and it took a long time before  
7 we actually got some legislation which the first  
8 incentive program was in 1997, the first rule was  
9 in '98. We lost in the law and the courts and  
10 they enjoined us from enforcing it in 2002.

11                   We had then a sunseting of the  
12 exclusivity or incentive. We had the Best  
13 Pharmaceuticals Act which then came to replace  
14 that and we had the Pediatric Research Equity Act  
15 which then was passed to say yes, FDA does have  
16 the authority to require studies in certain  
17 situations.

18                   So, those were our two work horses or  
19 the three FDAMA, BPCA and PREA have been the work  
20 horses that have really generated over 300 written  
21 requests from the agencies for studies for over --  
22 I think we're up to, what, Skip, is it 800

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701