

1 night vision impairment?

2 DR. DONNENFELD: I could not quote
3 it. I'm sorry.

4 CHAIRPERSON WEISS: Thank you very
5 much. Do any other members of the Panel have
6 any questions for the public speakers? Is Dr.
7 Schallhorn here, because I have a question?
8 Has he left already? Ah, great.

9 What we have heard from many of the
10 patients here who have had adverse effects
11 after LASIK are, I think, very, very bad
12 effects. What percentage of patients from the
13 studies that you have reviewed or been
14 participating in would have this severity of
15 effects?

16 DR. SCHALLHORN: The studies that I
17 have conducted -- the type of people that are
18 very, very disabled. It is very, very rare.
19 So, you know, to have the levels of disability
20 that we have heard today is a very rare
21 occurrence.

22 CHAIRPERSON WEISS: So when you say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very rare, would you say from the studies less
2 than one percent?

3 DR. SCHALLHORN: Well, I would say
4 much less than one percent.

5 CHAIRPERSON WEISS: And the reason
6 I am asking that for the patients in the room
7 is there are two aspects that the Panel needs
8 and that everyone here needs to sort of
9 understand the full complexion of this.

10 One is certainly what is happening
11 in the real world, and what isn't good that
12 the FDA and that perhaps organized medicine
13 can do something about?

14 Then, two, individual stories are
15 compelling, but we need to also as a Panel put
16 it into perspective. If we could get all the
17 patients in the United States here who have
18 had LASIK, how many would fall on one side or
19 another, to try to get some balanced
20 viewpoint? Thank you.

21 Does anyone -- Yes, Mr. Bunner.

22 MR. BUNNER: Richard Bunner,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Consumer Representative. I just have a
2 question perhaps for one of the physicians in
3 the room.

4 When a patient is trying to attain
5 informed consent, does the option of second
6 opinion ever come into play? Are they
7 encouraged to seek second opinions, and has
8 anybody had any experienced in evaluating a
9 patient to encourage him to receive a second
10 opinion prior to making a decision on LASIK
11 surgery?

12 CHAIRPERSON WEISS: Well, I think
13 any -- This is a standard of practice, and
14 every individual physician would answer
15 themselves. But I think typically, patients
16 are only offered a second opinion if there is
17 an issue of a question or an issue of a
18 problem.

19 If it is a standard case with no
20 question that the doctor has or no question
21 that the patient has, I think routinely they
22 would not be suggested to have a second

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opinion, although I will say personally in my
2 practice, at the point that a patient ever
3 says to me, should I get a second opinion, my
4 answer is, yes, you should, because you have
5 asked that question.

6 Does anyone else on the Panel have
7 any other differing -- Yes?

8 DR. EDRINGTON: I would think that
9 most informed consents, any informed consent
10 form I've seen, has said you should get a
11 second opinion if you feel you need one.

12 CHAIRPERSON WEISS: Any other
13 questions from any member of the Panel to the
14 public?

15 If not, we will conclude and break
16 for lunch. It is now 11:45. We will come
17 back at 12:45.

18 (Whereupon, the foregoing matter
19 went off the record at 11:45 a.m.)
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 A F T E R N O O N S E S S I O N

2 Time: 12:47 p.m.

3 CHAIRPERSON WEISS: We are now
4 going to begin the afternoon session of this
5 Panel meeting. I would like to call the
6 meeting to order. We will hear the FDA's
7 presentation next. Would Dr. Donna Bea Tillman
8 come to the podium, as she will be the first
9 speaker?

10 DR. TILLMAN: Good afternoon, and
11 thank you. I wanted to start off by thanking
12 the members of the public who came this
13 morning to tell us their experiences and their
14 thoughts.

15 FDA very much believes in an open
16 and transparent process, and we are very
17 interested to hear of your experiences. The
18 whole purpose of this meeting today is for us
19 to attain some additional information from the
20 public and to have a discussion among the
21 Panel members about some important issues
22 relating to LASIK and PIOLs.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So thank you very much for your
2 participation, and you can be assured that you
3 were heard and that we will certainly think
4 long and hard about what you have told us.

5 Today I am going to give you a
6 very, very brief overview of the medical
7 device review program, particularly as it
8 relates to the topics we are going to discuss
9 today. This is short and sweet, and really
10 attempts to kind of hit the highlights.

11 First of all, I think it is really
12 important to understand that CDRH's mission is
13 to benefit risks and balances to medical
14 devices. We could ensure that all devices
15 were 100 percent safe by never approving
16 anything new, and then everything could be 100
17 percent safe. But that is, obviously, not the
18 best way to address the public health needs of
19 the American public.

20 So what we do is constantly
21 balancing risks and benefits. We need to get
22 safe and effective devices to market as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quickly as possible, but we also need to be
2 ensuring that the devices that are on the
3 market continue to be safe and effective.

4 Another important part of FDA's
5 role which isn't, I think, as well understood
6 is helping the public get science based,
7 accurate information about medical devices:
8 consumer outreach, helping people to
9 understand the devices that are out there, and
10 helping individual patients have the
11 information they need in order to make
12 appropriate risk/benefit decisions. That is
13 very much an important part of what we do.

14 Now if you look at the regulatory
15 framework that underlies the medical device
16 review program, it is risk based. The reason
17 for this simple. Unlike drugs, where a drug
18 is a drug is a drug, I would hold -- although
19 I am an engineer, and I get to say that --
20 medical devices span a broad spectrum of risk,
21 all the way from sunglasses and toothbrushes
22 to total artificial hearts and intraocular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lenses and LASIK.

2 So because we have such a wide
3 spectrum of risks in devices, we also have a
4 wide spectrum in regulatory approaches that we
5 take to how we review medical devices.

6 Now this slide is rather ambitious.

7 It attempts to put our entire pre-market
8 review program on one slide, and as a
9 necessity kind of gives short shrift to
10 everything. But I did want to note that
11 medical devices are classified into one of
12 three classes, depending on level of risk.

13 That is Class I for the lowest risk
14 devices. Most of those, FDA doesn't even see
15 pre-market submissions for; Class II, which
16 are the sort of moderate risk devices; and
17 then Class III devices which require a pre-
18 market approval. Those are Class III --
19 PMA products are the two products that we are
20 talking about today. So I am going to spend a
21 little time talking more briefly about that.

22 Additionally, we have several other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mechanisms that we use to classify novel
2 devices that we haven't seen before. That's
3 the de novo, and we also have a mechanism for
4 ensuring that patients have access to devices
5 where there is a relatively small patient
6 population, and that is our humanitarian
7 device exemption program.

8 Today, really, the products that we
9 are here discussing were approved through our
10 pre-market approval program, our PMA program.

11 These are Class III products. They are
12 generally high risk or first of a kind
13 devices, and the regulatory bar that companies
14 need to make, as those of you on the Panel who
15 have been around a couple of times know, is a
16 reasonable assurance of safety and
17 effectiveness.

18 I think it is important to
19 understand that there is that "reasonable
20 assurance" in there, because, obviously, once
21 again it would be very difficult to
22 definitively prove absolutely safety and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effectiveness.

2 Now the other important thing to
3 realize is that in determining reasonable
4 assurance of safety and effectiveness, FDA
5 considers valid scientific evidence.. Valid
6 and scientific evidence can span the whole
7 spectrum from randomized controlled trials
8 through partially controlled trials to
9 objective trials without matched controls --
10 for example, trials with OPCs -- case
11 histories and even robust human experience.

12 So there is a wide spectrum of
13 information that FDA can evaluate in
14 determining valid scientific evidence.

15 Once again, those of you who have
16 been on the Panel before have certainly seen
17 this slide. This is the regulatory definition
18 of safety, and I just want to highlight three
19 pieces of that.

20 First of all, safety is determined
21 based on valid scientific evidence, not based
22 on hearsay and not based on just anecdotal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information, but it has to be valid scientific
2 evidence.

3 Safety means that the probable
4 benefits to health outweigh the probable
5 risks. So that is another part, is
6 understanding what we mean by safety.

7 Then finally, whenever we talk
8 about safety, it is in the context of
9 "accompanied by adequate directions and
10 warning against unsafe use."

11 So this is the labeling part. This
12 is the role that FDA plays in ensuring that
13 both physicians and patients have access to
14 the appropriate information about risks and
15 benefits, and information about how to safely
16 use devices.

17 You will notice a parallel, if you
18 look at the definition of effectiveness.
19 Effectiveness also has to be based on valid
20 scientific evidence, and the definition of
21 effectiveness is that in a significant portion
22 of the targeted population, the use of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device will provide clinically significant
2 results.

3 You will notice that also in the
4 definition of effectiveness, there is this
5 same caveat talking about that it has to be
6 accompanied by adequate directions for use and
7 warnings against unsafe use. That's the
8 labeling piece that we spend a fair amount of
9 time talking about.

10 A couple of important points when
11 thinking about some of the conversations that
12 you are going to have today and some of the
13 things we have heard this morning. That is
14 that FDA doesn't just approve devices for any
15 use that a physician wants to use them for.
16 FDA approves devices for specific patient
17 populations, and these are called Indications
18 for Use.

19 The indications for use that FDA
20 approves a device for generally reflect the
21 patient population for which we have enough
22 data to demonstrate a reasonable assurance of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 safety and effectiveness.

2 So, for example, if you look at
3 some of the devices we are talking about
4 today, we've got data on specific myopic
5 ranges for different devices, and those are
6 the -- That's the basis for the indications
7 for use for that device.

8 Now it is also very important to
9 recognize that FDA does not regulate the
10 practice of medicine. FDA regulates medical
11 devices, medical device companies. We do have
12 some regulatory authority over clinical
13 investigators, but once a device is out there
14 and it is approved, strictly speaking, a
15 clinician is entitled to use that device
16 however he or she thinks is appropriate in the
17 context of a legitimate patient/health care
18 provider relationship.

19 In fact, this actually -- In the
20 statute, it says that nothing in this Act
21 shall be construed to limit or interfere with
22 the authority of a health care practitioner to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prescribe or administer any legally marketed
2 device to a patient for any condition or
3 disease.

4 So I think it is also important,
5 given some of the discussions that we heard
6 this morning, to understand where FDA has a
7 role and where we don't. So we do regulate
8 devices. We do regulate medical device
9 companies, and we get involved in clinical
10 trials.

11 We don't regulate practice of
12 medicine and, frankly, we don't regulate how
13 individual physicians or individual groups of
14 physicians choose to interpret the approval of
15 devices. That is a role that the academic
16 clinical organizations play in writing
17 practice guidelines and those sorts of things.

18 I already talked a little bit about
19 the importance of labeling, and as I noted,
20 our definitions for both safety and
21 effectiveness acknowledge the need for
22 appropriate labeling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 When we approve a PMA for a new
2 product, we have physician labeling, and a lot
3 of times when we bring a PMA to you all to
4 discuss, we talk a lot about what are the
5 appropriate indications for use, what do the
6 data support.

7 We also include contraindications,
8 and these are patients for whom we have data
9 showing that the device should not be used.
10 Then we have warnings and precautions, and
11 this is information that is sort of a level
12 down from a contraindication that talks about
13 important considerations that patients and
14 physicians should take into account when
15 deciding whether to use a device.

16 The labeling includes a clinical
17 study summary where we talk about the results
18 of the clinical trials, so that clinicians
19 know what the data from the clinical trials
20 are, and then we have directions for use, sort
21 of how to use the device.

22 Equally important in terms of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 role of educating and informing physicians is
2 educating and informing patients. A critical
3 part of our mission is ensuring that patients
4 have appropriate information about devices.

5 I said at the beginning of my talk,
6 when we approve devices and when we look at
7 the whole device construct, it is a
8 risk/benefit decision. I will stand here and
9 tell you, there are no devices out there that
10 are 100 percent safe. Everything comes with a
11 tradeoff.

12 So a big part of our role is making
13 sure that patients and physicians have
14 appropriate information so that they can make
15 appropriate risk/benefit decisions for each
16 individual patient.

17 So one of the things that we do for
18 many devices, we have patient labeling that
19 provides patients with information about the
20 procedure they are going to get, the risks and
21 benefits, questions they should ask their
22 doctor what to expect.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I heard some comments this morning
2 about some concerns about the adequacy of our
3 patient labeling for the LASIK devices, and
4 that is certainly something that, if people
5 have constructive comments about how we can
6 make that better, we are certainly very
7 interested to hear that.

8 FDA has got patient education
9 websites. Nowadays we get a lot more of our
10 information from the web. So we are trying to
11 kind of fit into that niche, and we've got a
12 very active LASIK website that is one that is
13 one of the more frequently visited FDA
14 websites.

15 We have consumer outreach programs.
16 We outreach to students in school. We
17 outreach to senior citizens with maturity
18 health matters. We have a program where we
19 develop videos that we send out to hospitals
20 and physicians that they can play in their
21 office. While patients are sitting waiting to
22 see the doctor, they can watch these videos.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So we have a lot of different ways that we try
2 to get information out to patients.

3 We also -- You know, where we have
4 issues and problems with devices, we also
5 outreach through public health notifications,
6 letting people know about specific concerns
7 with specific devices.

8 In terms of patient labeling, we
9 review the labeling to ensure that it
10 provides, as I already mentioned, a complete
11 description of risks and benefits. We want
12 patients to be able to make informed choices
13 for themselves, and also information about
14 what to expect.

15 Now once we have made a premarket
16 decision and approved a device, our job is not
17 over, and this is something, I think, that
18 reflects a bit of a sea change, at least for
19 my office, which does the premarket reviews
20 over probably the past 10 years.

21 That is our recognition of the fact
22 that our job is really never done on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 premarket review side. We have to continue to
2 monitor device performance, and we do that by
3 requiring post-approval studies for some
4 devices that require companies to collect
5 additional data.

6 There is a mandatory adverse event
7 reporting system, the MDR program that you are
8 going to hear a little bit more about this
9 afternoon, that requires manufacturers and
10 user facilities to provide information about
11 adverse events; annual reports from
12 manufacturers -- So if a company has an
13 approved PMA, they are required to annually
14 report, give us information about how that
15 device is performing postmarket, and changes
16 they have made to the device.

17 Our staff go to scientific and
18 clinical meetings where they hear from the
19 clinical community and the scientific
20 community about what are the concerns out
21 there. We monitor the scientific literature.

22 So my staff is constantly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitoring device performance in the
2 postmarket setting to ensure that devices
3 continue to be safe and effective.

4 Basically, what we do with this
5 information is -- you know, there are a number
6 of different things. First of all, companies
7 use the information they learn postmarket to
8 make device modifications. Some of this is to
9 make devices easier to use or meet physician
10 preferences.

11 Some of this is to make new
12 generations of devices. You know, in LASIK we
13 had the original devices, and then we had a
14 new generation of these wavefront technology
15 devices. So we allow the technology to
16 extend.

17 Information in the postmarket
18 setting can be used to update labeling.
19 Frequently, if a company goes off and does a
20 post-approval study and collects additional
21 data on their device, we ask them to present
22 information in their labeling so that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 physicians and patients have access to the
2 most up-to-date information that is available.

3 We do directed physician and
4 patient outreach, based on things that we
5 learn in the postmarket setting, and we also
6 use the information we learn to inform the
7 next generation of premarket reviews. So we
8 are constantly learning and evolving our
9 premarket review program.

10 Some of you who have been coming to
11 FDA meetings for a while have probably seen
12 this. We call this concept the total product
13 life cycle. This concept says, basically,
14 that medical devices are constantly evolving.

15 You've got a prototype. It comes
16 in. We do preclinical testing and clinical
17 testing, and then eventually it will get
18 approved. It goes out on the market. It is
19 being used, and then that product becomes
20 obsolete, and information that is learned
21 about that product goes into the concept for
22 the next generation, and we just cycle around

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and around.

2 Really, that is the way that
3 medical device technology evolves, and that is
4 the way that FDA is approaching the medical
5 device review paradigm.

6 So at today's meeting, we are
7 seeking the committee's input on our efforts
8 to protect public health throughout the total
9 product life cycles. So we are in a stage
10 where we've got some technologies that have
11 been out there for a while, and we are trying
12 to collect more information about what is
13 going on in the postmarket setting.

14 The open public hearing is an
15 opportunity for us to hear from the public,
16 and we are also going to have the committee
17 discussing some questions that we have about
18 some things that we are considering doing,
19 moving forward.

20 We will inform the committee and
21 the public about our recent activities as
22 well. So some of the presentations you are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to hear this afternoon are going to tell
2 you about areas that FDA has been involved
3 with in LASIK and PIOLs.

4 I thank you for your attention.

5 MR. ULMER: Good afternoon. My
6 name is Kwame Ulmer. I am the Chief of the
7 Diagnostic and Surgical Devices Branch in the
8 Division of Ophthalmic and ENT Devices in the
9 Office of Device Evaluation, Center for
10 Devices and Radiological Health.

11 This session, as Dr. Tillman has
12 indicated, is devoted to discussing the
13 postmarket experience with LASIK. At the end
14 of this meeting, we would like your input on
15 patient labeling, the LASIK website, ANSI
16 laser standard, and the SightNet Program.
17 These are some of the tools FDA uses to
18 improve patient safety.

19 Specific topics to be presented
20 include as regulatory background presented by
21 myself. Dr. Hilmantel will discuss the ANSI
22 standard for refractive lasers. Ms. Quynh

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Hoang will discuss FDA 2006 assessment of
2 LASIK postmarket experience. Dr. Eva Rorer
3 will outline LASIK quality of life assessment,
4 and finally Dr. Bernard Lepri will discuss the
5 adverse event reporting program.

6 For the next few minutes, I will
7 outline developments in LASIK, the types of
8 data we typically ask for to support
9 applications to market these systems, and
10 labeling elements for physicians and patients,
11 with an emphasis on patients. Finally, we
12 will outline and seek your input on our LASIK
13 website.

14 Conventional LASIK was first
15 approved in 1998 by FDA, and it is a treatment
16 based on the patient's vision where the
17 treatment program is directly input by the
18 surgeon. To improve patient outcomes, we have
19 seen many technological advances over the past
20 10 years. You see here a list in approximate
21 chronological order these advances.

22 They include innovations such as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eye tracker system to compensate for small eye
2 movements, large optical zones to reduce halo
3 and glare, and in 2002 we had a generational
4 change where we approved the first wavefront-
5 guided laser system that uses aberrometry data
6 and a sophisticated software package to treat
7 refractive errors.

8 As of today, we have approved the
9 LASIK systems you see here and the refractive
10 ranges, and for the indications shown on the
11 screen.

12 All right. The next few slides
13 present the depth and breadth of the pre-
14 clinical testing we typically review.

15 The complete device description is
16 key to understanding the functionality and
17 mitigate any associated risks. FDA requests a
18 robust device description of component
19 property and principle of operation as part of
20 the initial documentation.

21 We review many engineering tests.
22 I will describe a few as we proceed. For

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 example, the beam output and stability would
2 have direct impact on patient outcomes.

3 Ablation patterns and plastic are
4 important for initial device characterization,
5 including testing to understand beam path and
6 the aiming system. Beam characteristics at
7 treatment plane are also very important.

8 Software validation is key in
9 evaluating the system. It begins a detailed
10 narrative about the system functions. Patient
11 alignment and centration are also evaluated on
12 the bench.

13 Other data we request to include
14 information is on maintenance procedures.

15 Labeling is one mechanism to ensure
16 risks and benefits are communicated to the
17 surgeon and, equally important, again as was
18 previously mentioned, to the patient. The
19 next few slides present the elements in
20 physician and patient labeling with an
21 emphasis on patient labeling.

22 It is important to note that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contraindications, warnings, precautions in
2 the patient labeling and physician labeling
3 are identical. We are seeking your
4 recommendations on ways to further improve
5 patient labeling.

6 Physician labeling includes a
7 detailed device description, along with
8 special features of that particular system.
9 There is a full list of indications,
10 contraindications, warnings and precautions.
11 Finally, the results from the clinical trial
12 used to support the PMA are provided.

13 Patient labeling is written in
14 plain language and includes basic concepts
15 regarding vision and refraction, along with a
16 glossary of terms. A listing of benefits,
17 risks, complications such as dry eye or blurry
18 vision, and what to expect after surgery are
19 included. Examples of questions to ask your
20 doctor are provided, and there is often a
21 self-test to reinforce learning and a list of
22 clinical results from the PMA.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One example of contraindications
2 are patients with keratakonis.

3 Warnings are a second highest risk
4 mitigating message. An important example of
5 warnings to patients are for those who already
6 have dry eye or severe allergies.

7 Precautions should be discussed
8 with the doctor. Unstable vision is an
9 important precaution for potential patients.
10 Other precautions are a history of corneal
11 injury or disease.

12 This is a long list. thin corneas
13 are another area of concern. FDA encourages,
14 via the labeling, that doctors check for
15 undiagnosed dry eye.

16 We recommend your doctor measure
17 pupil size in dim light, since pupil size may
18 affect your vision. We also encourage
19 patients to visit our website to learn more
20 about the benefits and risks of LASIK.

21 We are interested in any input to
22 improve our communication to patients via

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 labeling. We look forward to your thoughts.

2 A cornerstone of our public
3 education effort is the LASIK website. This
4 is a frequently visited website in a question
5 based format intended to inform the consumer.

6 The website launch was 2000. There has been
7 an average of 650,000 visits per year. LASIK
8 related inquiries was the number one search
9 term on the FDA website for February 2008.
10 The website is frequently updated.

11 One area of the website is a
12 checklist consumers can use to identify what
13 makes them a good candidate, outlines risks
14 and expectations before, during and after
15 surgery.

16 An entire section is devoted to
17 determining when LASIK may not be right for
18 the consumer. For instance, if your
19 prescription has changed in the past year,
20 this may mean that your eyes are not yet
21 stable enough for this refractive procedure.

22 LASIK has not been studied in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 children, because their eyes are still
2 changing. You see a continued list of when
3 LASIK is not for me.

4 Large pupils, thin corneas and
5 again dry eyes are also in the risk section of
6 the LASIK website.

7 The website also has a timeline of
8 symptoms the average person might expect out
9 to six months. These data are based on
10 clinical studies used to support marketing
11 applications we have seen.

12 A page that is among the top 15
13 CDRH pages visited is the "What are the
14 Risks?" section of the website. Loss of
15 vision and dry eye are highlighted here, along
16 with complications you see. There is also a
17 note that long term data are not available.

18 FDA does not recommend individual
19 physicians or manufacturers of individual
20 LASIK systems, as was already noted. However,
21 we do provide items to consider when selecting
22 a physician, and you see the list on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 screen.

2 Consumers are warned to be wary of
3 too good to be true advertising. This is
4 another reason -- This is another area where
5 complications are discussed, and screening of
6 patients is listed as an important
7 consideration.

8 We are interested in your comments
9 regarding the LASIK website to improve our
10 education and consumer outreach.

11 Thank you. The next speaker is Dr.
12 Gene Hilmantel.

13 DR. HILMANTEL: Hi. I am Gene
14 Hilmantel. I am a clinical reviewer with the
15 division of Ophthalmic Devices -- Ophthalmic
16 and ENT Devices, excuse me.

17 FDA is currently evaluating the
18 ANSI Z80.11 standard for recognition. This
19 concerns laser systems for corneal reshaping.
20 This is the first consensus standard
21 concerning refractive lasers.

22 We will be asking the Panel members

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to discuss whether you recommend that the FDA
2 recognize this standard in its entirety, in
3 part, or with specific additions.

4 CDRH and the Division of Ophthalmic
5 Devices in particular are very active in the
6 standards process. I am going to start out by
7 giving you some general background about
8 ophthalmic standards. Then I will be
9 presenting some of the highlights of the
10 specific standard, which are presented in more
11 detail in your Panel pack.

12 There are two general categories of
13 standards. A horizontal standard is one that
14 addresses basic principles applicable to many
15 devices across many product lines. An example
16 is the ISO 10993, biological evaluations of
17 medical devices.

18 A vertical standard is specific to
19 one kind of device. An example is the ANSI
20 Phakic IOL standard.

21 Standards generally include
22 information concerning terminology, test

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 methods and acceptable levels of performance,
2 and examples of clinical protocols.

3 For ophthalmic vertical standards,
4 the standards organizations that are involved
5 are ANSI, the American National Standards
6 Institute, and ISO, the International
7 Organization for Standardization.

8 ANSI is a private, nonprofit
9 organization that administers and coordinates
10 the U.S. voluntary standardization and
11 conformity assessment system.

12 The hallmarks of the ANSI process
13 include: Consensus on a proposed standard by
14 a group of "consensus body" that includes
15 representatives from materially affected and
16 interested parties; broad-based public review
17 and comment on draft standards; consideration
18 of and response to comments submitted;
19 incorporation of approved changes into a draft
20 standard; and right to appeal by any
21 participant that believes that due process
22 principles were not sufficiently respected

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 during the standards development.

2 The International Organization for
3 Standardization or ISO has participation by
4 country. ANSI is the sole U.S. representative
5 to ISO, and only official U.S. delegates
6 chosen by ANSI participate in the development
7 of ISO standards.

8 The use of standards helps assure
9 consistency and predictability. It can reduce
10 data reporting requirements in the FDA
11 applications, and result in decreased review
12 time for FDA.

13 The FDA Modernization Act, or
14 FDAMA, was a 1997 law. It stated that FDA may
15 recognize voluntary consensus standards, and
16 FDA must publish a list of "Recognized
17 Standards."

18 A recognized standard is a
19 consensus standard that FDA has evaluated and
20 recognized for use in satisfying a premarket
21 submission requirement or other requirements
22 under the Food, Drug & Cosmetic Act. FDA can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recognize a consensus standard fully, in part,
2 or not at all.

3 The FDA currently recognizes 30
4 ophthalmic standards. The FDA recognized
5 consensus standards database is available at
6 the website shown here. A complete list is
7 available in your Panel folder.

8 The ANSI standard for laser systems
9 for corneal reshaping was approved by ANSI on
10 July 31, 2007, and was published in 2007. It
11 is currently in the FDA recognition process.

12 The pre-clinical section of the
13 standard outlines laser safety requirements
14 for protection against contaminants,
15 protection against toxins and allergens, and
16 protection against the other hazards as shown
17 here.

18 The clinical section of the
19 standard outlines the consensus for an
20 adequate clinical study for new refractive
21 lasers. It calls for patient enrollment to
22 occur in stages for a new laser system for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which there are no prior clinical data, and it
2 calls for a 300-eye study in order to be able
3 to reliably detect adverse events that occur
4 of a rate of one percent or greater.

5 The standard outlines a methodology
6 to determine when refractive stability is
7 attained. Analysis of refractive stability
8 should consider whether: 95 percent of eyes
9 are changing less than or equal to 1 diopter
10 between visits at least three months apart ;
11 whether the mean refraction is changing at a
12 rate of less than or equal to half a diopter
13 per year; whether the rate of refractive
14 change is decreasing over time; and whether
15 the rate of refractive change is statistically
16 indistinguishable from zero.

17 Refractive change at a visit at
18 least three months after the point of
19 stability should be evaluated for
20 confirmation.

21 The standard calls for
22 effectiveness analyses that assess the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 predictability of the refractive change and
2 the uncorrected visual acuity.

3 Recommended predictability analyses
4 include assessment of the percentage of eyes
5 that achieve accuracy of the manifest
6 refraction spherical equivalent within a half
7 a diopter, one diopter and two diopters, that
8 are overcorrected by greater than one or two
9 diopters, and that are under-corrected by
10 similar amounts, and that achieve accuracy of
11 sphere and cylinder within a half-diopter and
12 one diopter.

13 The recommended analyses of
14 uncorrected visual acuity include assessment
15 of the percentage of eyes that achieve
16 uncorrected acuity of 20/40 or better and
17 20/20 or better, and that achieve an
18 uncorrected acuity equal to or better than the
19 pre-operative Best Spectacle Corrected Visual
20 Acuity.

21 The recommended safety analyses
22 include assessment of the percentage of eyes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that lose two lines or more of Best Spectacle
2 Corrected Acuity, percentage of eyes with the
3 Best Spectacle Corrected Visual Acuity worse
4 than 20/40, percentage of eyes that have an
5 increase of refractive astigmatism of greater
6 than 2 diopters, and the rates of adverse
7 events.

8 The clinical section of the
9 standard recognizes that there are important
10 subjective outcomes that cannot be assessed
11 through only visual acuity and refractive
12 measurements. The standard recommends that a
13 subjective questionnaire should be
14 administered to all subjects.

15 Validated questionnaires are
16 recommended. Questionnaires should include
17 questions regarding glare, halos, double
18 vision, spectacle and contact lens use, and
19 night driving. And the standard states that
20 the scaling system for subjective ratings
21 should be specified.

22 Subjective ratings should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 utilized to assess incidence of clinically
2 significant symptoms and to assess
3 postoperative change in symptoms from
4 preoperative status. The postoperative
5 subject's satisfaction with surgery and
6 postoperative frequency of use of distance
7 correction should be incorporated into the
8 questionnaires.

9 The standard states that a contrast
10 sensitivity sub-study should be performed by
11 the manufacturer when features of the laser
12 beam raise concerns that there may be visual
13 performance losses not correctable by
14 spectacles, or when the manufacturer wishes to
15 reduce precautionary labeling statements
16 concerning reductions in visual performance
17 under poor lighting.

18 In recent years, virtually all
19 submissions to FDA have, in fact, included
20 contrast sensitivity studies.

21 The ANSI standard for laser systems
22 for corneal reshaping has created a basic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 structure for pre-clinical and clinical
2 studies to establish reasonable safety and
3 effectiveness before marketing of the laser.
4 It includes comprehensive evaluations of a
5 number of important effectiveness and safety
6 parameters, including ratings of subjective
7 symptoms.

8 As I mentioned at the beginning, we
9 will be asking the Panel to make
10 recommendations concerning recognition of this
11 standard. Thank you.

12 MS. HOANG: Hi. I am Quynh Hoang
13 from the Office of Surveillance and
14 Biometrics.

15 In 2006, the FDA conducted an
16 assessment of postmarket LASIK data. In the
17 following, I will present the reasons that led
18 to the FDA's assessment, the steps taken, the
19 conclusions, and the recommendation.

20 The FDA determined that an
21 assessment of the postmarket experience with
22 LASIK was warranted for the following reasons.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We received complaints from patients, and
2 since there have been about 700,000 LASIK
3 procedures performed annually in the United
4 States, we believe there could be a potential
5 significant public health impact.

6 It is the Center for Devices and
7 Radiological Health, CDRH, usual practice to
8 convene an action team when the Center
9 believes that an issue requires the
10 consideration of all its components. An
11 action team was convened for LASIK.

12 The primary task for the team was
13 to compare the data that has surfaced since
14 FDA approvals, the post-market data, to the
15 evidence upon which the FDA based its approval
16 decisions, the pre-market data.

17 Post-market data consists of peer
18 reviewed literature, adverse events reported
19 to FDA, recall information, and comments to
20 FDA's LASIK web page.

21 Pre-market data includes the
22 protocols in FDA approved investigational

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 device exemption applications and clinical
2 trial results submitted to the pre-market
3 approval applications, the PMAs.

4 At the onset of the project, the
5 team believed that post-market published
6 studies could be used for a direct comparison
7 against the pre-market data. To determine the
8 parameters to be used to compare post-market
9 and pre-market data, the team identified
10 questionnaires in each approved PMA for LASIK
11 device, compared the questionnaires, and
12 identified the patient reported outcomes, the
13 PROs, in most clinical studies.

14 The post-market data for the
15 comparison were peer reviewed published
16 articles. This slide shows the criteria by
17 which we selected the published articles. We
18 began with a search for articles with the
19 terms "keratomileusis, Laser In Situ" or
20 "Lasik". Then we looked for articles that
21 were published discussing patient satisfaction
22 and quality of life, terminologies related to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those two parameters, and the two criteria.
2 We arrived at 130 articles, and from reading
3 the abstracts, we limit to those studies that
4 looked at patient satisfaction and quality of
5 life.

6 So from these criteria, again, we
7 identified 15 articles that we could
8 potentially use for the comparison. These
9 articles were in English and published from
10 1995 to 2006.

11 Having the post-market and pre-
12 market data side by side for evaluation, the
13 action team formed the following conclusions.

14 There was not a valid basis for a statistical
15 comparison, since most of the patient reported
16 outcomes were covered by a very small number
17 of articles, and the scoring methods in those
18 articles were significantly different from
19 those used in the PMAs.

20 Furthermore, the team concluded
21 that post-market and pre-market satisfaction
22 surveys showed a high level of satisfaction,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and post-market data in the literature failed
2 to suggest widespread problems. However,
3 these surveys do not adequately evaluate the
4 effects of rare, severe events.

5 Based on its evaluation, the action
6 team that the FDA convened in 2006 to conduct
7 an assessment of the post-market LASIK data
8 recommended that the FDA consider further
9 evaluation of post-LASIK quality of life in a
10 clinical setting.

11 This recommendation was accepted by
12 CDRH leadership and is currently being carried
13 out. Thank you.

14 DR. RORER: Hello. I am Dr. Eva
15 Rorer. I am Chief Ophthalmic Medical Officer
16 in the Division of Ophthalmic and Ear, Nose
17 and Throat Devices.

18 First, I will go over some
19 definitions, including patient reported
20 outcome measure or PRO and quality of life, or
21 QOL. Then I will briefly review the current
22 use of PROs in device evaluation, and finally

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I will discuss FDA's recent efforts in the
2 area of quality of life assessment.

3 A PRO is a measurement of any
4 aspect of a patient's health status that comes
5 directly from the patient without the
6 interpretation of the patient's responses by a
7 physician or anyone else.

8 PROs add an important dimension to
9 the overall patient evaluation. For example,
10 a procedure may be considered a clinical
11 "success." Yet the patient may be unhappy.
12 On the other hand, a procedure may not be a
13 clinical "success." Yet the patient may be
14 happy.

15 In clinical trials a PRO instrument
16 can be used to measure the impact of an
17 intervention on one or more aspects or
18 concepts of patients' health status, ranging
19 from the purely symptomatic, such as the
20 response of a headache, to more complex
21 concepts, for example, the ability to carry
22 out activities of daily living, to extremely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complex concepts such as quality of life.

2 There are many definitions of
3 quality of life. The World Health
4 Organization defines quality of life as "an
5 individual's perception of their position in
6 life in the context of the culture and value
7 systems in which they live and in relation to
8 their goals, expectations, standards, and
9 concerns. It is a broad ranging concept
10 affected in a complex way by the person's
11 physical health, psychological state, level of
12 independence, social relationships, and their
13 relationship to salient features of their
14 environment."

15 Health related quality of life
16 refers to the patient's overall perception of
17 the impact of a health condition and its
18 treatment.

19 Patient reported outcomes can be
20 categorized into several general broad areas.

21 These three, symptoms, functioning, and
22 perceptions, are the most relevant for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inclusion in a health related quality of life
2 questionnaire.

3 The term instrument refers to the
4 actual question or items contained in a
5 questionnaire or interview schedule, along
6 with all the additional information and
7 documentation that supports the use of these
8 items in producing a PRO measure, for example,
9 interviewer training and instructions, and
10 then scoring and interpretation manual.

11 Measurement of patient reported
12 outcomes must be standardized, and the ability
13 of questions to make meaningful measurements
14 must be evaluated. The use of already
15 existing instruments are desirable so that
16 outcomes from different studies can be
17 compared.

18 With any medical or research
19 instrument, formal evaluation should be done
20 to assess a questionnaires ability to measure
21 what it is intended to measure.

22 So how do you know when a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questionnaire is reasonable to use? Ideally,
2 a validated questionnaire should be used. A
3 validated questionnaire is one that has had
4 its performance formally evaluated. It should
5 have a published description of how it was
6 developed, and analyses and results pertaining
7 to its reliability and validity.

8 There are several types of validity
9 that are related to some extent. Content
10 validity refers to whether you have measured
11 all aspects of the thing you are trying to
12 measure. For example, if you ask a patient
13 about his or her vision, you would want to be
14 sure to include both distance and near vision
15 questions.

16 Criterion validity refers to how
17 well your questionnaire measure agrees with
18 some existing gold standard measurement. But
19 in many cases, there really isn't an existing
20 gold standard measurement.

21 Because of this, most evaluations
22 focus on construct validity, which looks at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether your measurements are behaving in
2 logical ways. For example, someone with
3 20/200 best corrected vision should report
4 more trouble reading street signs than would
5 someone with 20/20 vision.

6 Just as there are different types
7 of validity, there are different types of
8 reliability, two of which are shown here.

9 Internal consistency reliability is
10 when different questions asking about the same
11 area, such as problems with glare, yield
12 similar responses.

13 Test-retest reliability is when a
14 person responds in a similar way each time
15 that person is asked that same question within
16 a short period of time.

17 The general approach to developing
18 a quality of life instrument involves
19 formulating a model for factors to be measured
20 and how they may be related, developing
21 questions using focus groups, expert opinion
22 and existing questionnaires, pilot testing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 early versions of the questionnaire and then
2 analyzing the results and revising the
3 questionnaire as needed.

4 Once you think you have a final
5 questionnaire, its validity and reliability
6 have to be assessed. Once a questionnaire's
7 reliability and validity have been
8 established, it is important to use it in
9 additional studies of different populations to
10 assess its utility, because the
11 characteristics of the population under study
12 may influence different aspects of validity.

13 So as you can see, questionnaire
14 validation is a complex, lengthy and expensive
15 process. Therefore, there are few validated
16 ophthalmic health related quality of life
17 questionnaires.

18 It is important to note that,
19 although the first LASIK approval was in 1998,
20 the first validated refractive questionnaire
21 wasn't published until 2000. Therefore, only
22 LASIK clinical studies initiated after 2000

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would have had the opportunity to use a
2 validated health related quality of life
3 questionnaire.

4 How are patient reported outcomes
5 used during device evaluation? Patient
6 reported outcomes are assessed during device
7 clinical trials. In general, PROs are not
8 currently used as primary endpoints in
9 clinical trials to support marketing of
10 ophthalmic devices, although they may be used
11 as primary endpoints for post-market studies.

12 They are considered during review
13 of marketing applications and when making
14 recommendations regarding approval or
15 clearance. PRO data are incorporated into the
16 labeling.

17 Based upon the recommendation of
18 the PMI action team that was previously
19 discussed, FDA considered a large, national,
20 prospective study to more fully evaluate LASIK
21 outcomes.

22 We solicited the cooperation of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 National Eye Institute, the American Society
2 of Cataract and Refractive Surgeons, and the
3 American Academy of Ophthalmology, forming the
4 joint LASIK Study Task Force.

5 FDA, NEI, ASCRS and AAO have all
6 committed resources toward a multi-center
7 clinical trial to investigate quality of life
8 after LASIK.

9 The objectives of the study are to
10 determine the level of satisfaction after
11 LASIK; changes in the health related quality
12 of life after LASIK; and factors associated
13 with the level of satisfaction after LASIK.

14 The protocol has not been finalized
15 for the prospective, multi-center clinical
16 trial, and the group is assessing the
17 appropriate instrument for patients to report
18 their quality of life after LASIK.

19 This will be a validated instrument
20 which will be easy to use during pre-market
21 and post-market trials and in clinical
22 practice.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA has an integral role in the
2 design and execution of this study. The study
3 will be executed in accordance with the rules
4 governing FDA and NEI clinical trials.
5 Consumer representation will be included. The
6 FDA will objectively evaluate the information
7 collected.

8 Now I will discuss another study
9 related to quality of life.

10 FDA initiated a collaborative study
11 with NEI to decrease the resources associated
12 with administration of quality of life
13 instruments in order to facilitate their use
14 in device trials. The objective of the study
15 is to validate computer administration of
16 ophthalmic health related quality of life
17 instruments.

18 This study will add to the body of
19 knowledge in the field of PROs, and will be
20 the first to compare the computerized, web
21 based and paper based versions of the
22 previously validated questionnaires used to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assess ophthalmic health related quality of
2 life.

3 Outcomes of all studies will be
4 made public, and could lead to modification of
5 FDA's LASIK website, revision of patient and
6 physician labeling, and educational outreach.

7 Thank you for your attention. The
8 next speaker will be Dr. Bernard Lepri.

9 DR. LEPRI: Good afternoon, Panel
10 members, guests and FDA colleagues. I am
11 going to speak to you this afternoon about the
12 major ways that FDA obtains information on the
13 occurrence of adverse events.

14 One of the most well known avenues
15 of adverse event reporting is MedWatch, FDA's
16 safety information and adverse event reporting
17 program. MedWatch monitors medical product
18 experience after FDA approval or clearance of
19 medical device products.

20 These medical products include
21 drugs, biologics and medical devices.
22 MedWatch also receives adverse event reports

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from manufacturers, user facilities, health
2 professionals, patients and consumers.

3 There are two categories of medical
4 device reporting, mandatory and voluntary.
5 Mandatory reporting is required of medical
6 device manufacturers and user facilities.
7 User facilities include hospitals, ambulatory
8 surgical centers, nursing homes, outpatient
9 treatment centers and diagnostic centers,
10 emergency services, and home health care
11 services.

12 Serious injuries and device
13 malfunctions are -- Excuse me. Mandatory
14 reporting requires manufacturers to report
15 adverse events such as deaths, serious
16 injuries and device malfunctions, and user
17 facilities report deaths to both FDA and the
18 manufacturer.

19 Serious injuries are reported to
20 the manufacturer. Health care professionals
21 and consumers, however, fall into the category
22 of voluntary reporting of any medical device

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adverse event.

2 MedSun is a subset of the mandatory
3 user facility reporting universe of MedWatch
4 and has been in existence since 2002. It is
5 comprised of 350 health care facilities
6 nationwide, mostly hospitals, who voluntarily
7 agree to fulfill their mandatory reporting
8 requirements through this network. It
9 provides an interactive two-way collaboration
10 between FDA and the MedSun participants.

11 MedSun is a network of highly
12 trained reporters who recognize and report
13 medical device problems, and these reporters
14 are comprised of individuals from risk
15 management, patient safety, quality
16 improvement, biomedical or clinical engineers,
17 physicians and nurses, individuals from
18 materials management, and surgical services.
19 MedSun also has several sub-networks.

20 Medsun is designed to identify,
21 understand, and solve problems via an Internet
22 based reporting system. It collects both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 voluntary and mandatory reports to FDA, such
2 as close calls, potential for harm, poor
3 device interface design, as well as what is
4 required by user facilities under mandatory
5 reporting.

6 MedSun provides regular feedback
7 via newsletters, conferences and Webcasts, as
8 well as alerts on major actions regarding
9 recalls and changes to instructions for use.
10 MedSun also disseminates safety tips and
11 educational programs.

12 SightNet is Medsun's newest sub-
13 network as of 2007, and is designed to provide
14 a real world view of ophthalmic medical device
15 use in a variety of clinical settings, such as
16 hospitals, ambulatory surgical centers, the
17 Veterans Administration, the national Eye
18 Institute, and private practices.

19 SightNet's goal is to improve the
20 recognition, reporting, and understanding of
21 ophthalmic device related adverse events. The
22 goal among the network members is to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expeditiously amplify signals of actual or
2 potential medical device problems, so that
3 timely interventions may be implemented with
4 the aim of mitigating risk.

5 In addition to bringing FDA's
6 attention to potential problems before serious
7 injuries occur, SightNet members collaborate
8 with FDA and other facilities to disseminate,
9 clarify and understand potential safety issues
10 as they become known.

11 SightNet participants receive
12 reports from FDA on adverse event occurrences
13 via newsletters, conferences and Webcasts.
14 They also receive safety tips and alerts as
15 well as educational programs.

16 What is expected of a SightNet
17 participant? Each site must designate at
18 least one reporter. A reporter can be a
19 technician, nurse, ophthalmologist,
20 optometrist, risk manager, patient safety
21 director, quality assurance staff member, and
22 biomedical or clinical engineers. They must

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also agree to actively participate for at
2 least 12 months.

3 Reports are confidential. The
4 location of the adverse event and the names of
5 individuals and staff involved remain
6 anonymous to all other participants. Reports
7 are typically submitted online, but can also
8 be done by phone, FAX or mail.

9 Problems with the medical devices
10 per se include problems with: Instructions
11 and labeling; packaging; manufacturing
12 defects; software problems; failure to work as
13 intended; interactions with other devices;
14 problems encountered with off-label use; and
15 human factors issues.

16 Additional, FDA aims to collect
17 reports on all ophthalmic medical devices in
18 use, and of a wide variety of adverse events.

19 Besides providing a description of
20 the adverse event, there are specific pieces
21 of information that should always be included
22 in ophthalmic device reports.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 These include: The time elapsed
2 since implantation or use of the device; was
3 the event in the right eye, the left eye, or
4 both eyes; are there any pre-existing ocular
5 conditions; what were the baseline, post-
6 treatment and post-adverse event best
7 corrected visual acuities; and what was the
8 intraocular pressure at baseline, post-
9 treatment, and post-adverse event.

10 With regard to LASIK, FDA is aiming
11 toward collecting data on adverse events such
12 as infectious Keratitis; endemic cases of DLK;
13 abnormal trends in post-operative topography;
14 significant losses of best corrected visual
15 acuity; and complaints of glare, halos,
16 starbursts and distortions, along with device
17 failures.

18 Today the Panel will be asked to
19 discuss this list of events and make
20 recommendations for additions to this list.

21 MedSun reports are encrypted and
22 stored securely behind FDA's firewall, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these reports are only accessible to staff
2 with government security clearances.

3 The initial review process uses
4 paper printed versions of the reports, but
5 these are only kept for a limited time and
6 then destroyed.

7 FDA has several websites
8 emphasizing safety issues wherein one can
9 obtain patient safety news, MedSun
10 information, public health notifications, one
11 page descriptions of new device approvals, and
12 information on contact lenses, LASIK, and
13 Phakik IOLs.

14 Individuals or institutions
15 interested in more information can contact any
16 of the three individuals identified on this
17 slide.

18 Today the Panel will be asked to
19 address this question: The training packet
20 for SightNet participants currently
21 emphasizes evaluation for and reporting of the
22 following LASIK-related adverse events and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complications.

2 Please discuss any recommendations
3 you may have for revision of this list of
4 adverse events and complications for which
5 reporting is emphasized.

6 Thank you for your attention.

7 CHAIRPERSON WEISS: Thank you very
8 much. I would like to thank the FDA speakers
9 for their presentations.

10 At this point, we will open up the
11 questions from the Panel to the FDA speakers,
12 but the Panel members can also speak to the
13 questions a little bit later today for the FDA
14 speakers.

15 Does anyone from the Panel have any
16 questions for any of the speakers? Dr. Heuer?

17 DR. HEUER: Way outside my realm of
18 expertise, but in terms of the quality of life
19 issues, one of the things we heard repeatedly
20 this morning is one of the driving problems is
21 dry eye.

22 My understanding is that there are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 validated dry eye instruments, and I would
2 urge the FDA and, in terms of the ANSI folks,
3 to consider.

4 CHAIRPERSON WEISS: Dr. Eydelman.

5 DR. EYDELMAN: We are aware of the
6 validated dry eye questionnaires, and we have
7 -- we are actually utilizing it as part of our
8 NEI-FDA pilot.

9 CHAIRPERSON WEISS: Ms. Nicksch.

10 MS. NIKSCH: Barbara Nicksch. I
11 have a question on the quality of life
12 proposed study, a couple of questions,
13 actually.

14 When the protocol was being
15 created, can you give an indication if the
16 questionnaire will be administered pre-
17 operatively as well as at different times,
18 post-operatively?

19 Also, in that questionnaire will
20 there be psychodynamic type of profiling
21 questions to monitor the patient's status pre-
22 operative as also post-operatively? Again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that directly relates to the testimonies we
2 heard earlier.

3 CHAIRPERSON WEISS: Dr. Eydelman.

4 DR. EYDELMAN: Again, as mentioned
5 previously, the study has not been finalized.

6 The protocol has not been finalized.

7 There is a lot of discussion for
8 incorporating several different domains of
9 previously validated questionnaires in order
10 to create the best possible quality of life
11 survey that will address as many issues as
12 possible, while still remaining short enough
13 that it is doable in a real time frame.

14 One thing that I can probably say
15 for sure is that the questionnaire will be
16 administered prior and post-surgery, so that
17 each patient will be their own control.

18 CHAIRPERSON WEISS: Ms. Cofer.

19 MS. COFER: Yes. I actually have a
20 list of things. I don't know if it's for the
21 FDA or just Panel discussion, but if I have
22 some recommendations for labeling changes, is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that for the FDA or is that a separate Panel
2 discussion?

3 CHAIRPERSON WEISS: Right now we
4 are just directing questions toward the FDA
5 speakers. So if you have any questions for
6 these individual speakers, this would be the
7 time to ask them. If they are
8 recommendations, this will meet more toward
9 the question period of discussion for the
10 Panel. Do you have any questions for the FDA
11 speakers?

12 MS. COFER: Yes.

13 CHAIRPERSON WEISS: You do?

14 MS. COFER: My question is
15 regarding the labeling and any changes that
16 are made to the labeling, and even the current
17 labeling. What is the enforcement that the
18 patients are actually given the labeling,
19 because it is my experience and that of most
20 LASIK patients that I know that they were
21 never given the labeling that doesn't contain
22 -- that has these important warnings in it,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but they were never given the labeling. So
2 what is FDA's enforcement of that?

3 CHAIRPERSON WEISS: Dr. Eydelman?

4 DR. EYDELMAN: Again as Dr. Tillman
5 addressed in her presentation, we regulate
6 device manufacturers. We do not regulate
7 individual physicians. However, all of the
8 patient labeling -- I want to emphasize that
9 every LASIK device legally on the market in
10 the U.S. does have a patient labeling. This
11 patient labeling can be downloaded from the
12 website. It is part of the approval package.

13
14 So we hope that today's meeting
15 will give publicity and will give a better
16 acknowledgment to the U.S. patients that this
17 labeling exists, and they should seek -- and
18 it is actually very easily obtainable, even if
19 their physician doesn't provide it. It is very
20 easily downloaded from the web.

21 CHAIRPERSON WEISS: David?

22 DR. MUSCH: I had one question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the ANSI standard and one question about
2 quality of life, or several probably.

3 Regarding the ANSI standard --
4 well, I had a number of questions. At times,
5 the time point post-operative is mentioned.
6 At times, it is not. I just think that, if it
7 is going to be a standard, you should specify
8 at what times things are going to be measured.

9 CHAIRPERSON WEISS: Dr. Eydelman?
10 And perhaps for the transcriptionist, it might
11 facilitate things if each of us identified
12 ourselves before we start to speak. So I will
13 do that as well.

14 DR. EYDELMAN: Duly noted. Dr.
15 Eydelman. I just want to bring to attention
16 that the excerpts you received from the ANSI
17 standards are just short excerpts. There are
18 times specified in the actual standard. Due
19 to the -- We cannot duplicate the actual
20 standard, because it is ANSI's property. So
21 all we provided is a synopsis of the
22 information in it, but I can assure you that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the data and the time frames are indeed in the
2 standard.

3 DR. MUSCH: Also regarding the
4 ANSI standard, you might check with your
5 biostatisticians about the statement that the
6 refractive change is not statistically
7 different from zero, and in particular, the
8 parenthetical comment that the 95 percent
9 confidence interval does not include zero.

10 If a 95 percent confidence interval
11 does not include zero, it then means that that
12 change is significant. So check on that.

13 I wondered if your Pelly-Robson --
14 if your contrast sensitivity testing is Pelly-
15 Robson --

16 CHAIRPERSON WEISS: Perhaps, David,
17 we could have Dr. Hilmantel just answer the --
18 respond to the question on the ANSI standard.

19 DR. HILMANTEL: You are right on
20 the confidence interval. That was just a
21 mistake in the slide. So the standard calls
22 for looking at whether the confidence interval

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 includes zero and, if it doesn't include zero,
2 then it is not stable.

3 CHAIRPERSON WEISS: Thank you.

4 DR. MUSCH: Thank you. Then I have
5 a question about contrast sensitivity testing.

6 That tends to be a very complex thing to do,
7 and controlling ambient lighting is very
8 essential for it.

9 I wondered if you -- You probably
10 have a more extensive description of how that
11 is carried out.

12 DR. HILMANTEL: Yes. There is
13 actually a whole subsection within the
14 standard that puts in quite a lot of detail
15 how that testing is done. So you are correct,
16 but that is well controlled in the standard.

17 DR. MUSCH: Then regarding Dr.
18 Rorer's presentation on quality of life and
19 your planned prospective, multi-center
20 clinical trial, trials are comparative in
21 nature, and I didn't get a sense for what
22 exactly you are going to be comparing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON WEISS: Yes, please?

2 DR. RORER: As Dr. Eydelman already
3 said, the protocol hasn't been finalized yet,
4 and there is still discussion on the very
5 basic elements of the study design. So at
6 this time we can't say definitively what
7 comparisons will be made.

8 DR. MUSCH: I think my final
9 question then, at least for now, regarding the
10 quality of life measurement, health related
11 quality of life: As you comment, it has a
12 number of domains.

13 We heard this morning concerns from
14 patients and from a variety of the public
15 regarding an outcome of LASIK that you might
16 want to consider at least assessing in some
17 way.

18 I am not sure that you want to get
19 into a thorough assessment of psychological
20 impact, but certainly consider using a
21 validated instrument for measuring the
22 emotional impact, depression.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There are really patient friendly
2 instruments that are validated like the
3 Centers for Epidemiologic Studies of
4 Depression instrument that you should
5 consider, and I think that is probably on the
6 table.

7 DR. EYDELMAN: You are correct.

8 CHAIRPERSON WEISS: Dr. Eydelman.
9 Dr. Heuer.

10 DR. HEUER: I had one question
11 about the adverse event reporting, and I would
12 have to defer to my cornea colleagues. But it
13 seems to me that an epithelial ingrowth, at
14 least those that require re-operation, should
15 be included among the adverse events for which
16 reporting would be important.

17 CHAIRPERSON WEISS: Noted. Mr.
18 Bunner.

19 MR. BUNNER: Richard Bunner. I
20 guess, not being the technical expert here, a
21 clarification: One of the contraindications
22 is changes in refractive state. So when a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient goes in for consideration of
2 refractive surgery, how is that baseline
3 history on their refractive changes over time
4 evaluated by the provider?

5 CHAIRPERSON WEISS: Dr. Eydelman.

6 DR. EYDELMAN: Well, again we don't
7 regulate the physicians. We can only make
8 recommendations in our labeling and our
9 website about how we recommend that it is
10 done, and that was spelled out on the slide.
11 But as pointed out several times during this
12 meeting, we cannot regulate the practice of
13 medicine.

14 MR. BUNNER: Just to clarify then,
15 I understand that part. So being a consumer,
16 if I go in for consideration of refractive
17 surgery, am I expected to come in with
18 documentation?

19 Since you are recommending -- you
20 are making recommendations to consumers,
21 should I be prepared to present to this
22 physician my refractive history or would that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 physician be getting some baseline history of
2 my refractive state before recommending
3 surgery?

4 I just wonder what those
5 recommendations are to consumers related to
6 what you tell the physicians?

7 CHAIRPERSON WEISS: Dr. Eydelman.

8 DR. EYDELMAN: Well, it is usually
9 -- Again, what the recommendations are is that
10 you somehow establish the refraction. It can
11 be done in several different ways.

12 One would be by having clinical
13 history documentation of actual refractive
14 error at the previously measured exams by
15 either ophthalmologist or optometrist or
16 anywhere else where you were examined, and
17 that being somehow conveyed to your current
18 physician.

19 Alternatively, if it somebody who
20 has not seen an eye care provider for several
21 years, usually we ask -- we recommend that the
22 current refractive correction be brought in,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so your current specs or your current contact
2 lenses, so that the physician whose care you
3 are currently seeking can see if there is any
4 difference from what you are wearing to what
5 you are currently.

6 Did I answer your question?

7 MR. BUNNER: Yes. It just seems
8 like that sounds like -- Apparently, that is
9 an important issue when you are making a
10 decision about refractive surgery, and yet
11 just thinking of it from a patient's
12 standpoint and the way that you might seek
13 different eye care providers over time or the
14 lack of care over time, that that might be a
15 point that is not really addressed at the time
16 making this decision on the surgery.

17 I am just hoping that physicians
18 direct those patients on that issue.

19 CHAIRPERSON WEISS: I would just
20 say as a refractive surgeon, usually it does
21 not end up being too much of an issue. If
22 someone comes in there with glasses and you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see your refraction is significantly different
2 than the glasses, then you will cease and
3 desist in terms of pursuing any surgery, and
4 you may ask for old medical records or tell
5 the patient there is a possibility they are
6 not stable and they need to come back.

7 Most times, for good LASIK
8 candidates you will find that their eyeglass
9 prescription is fairly similar to what the
10 refraction is in the office.

11 Any other comments from any other
12 Panel members on that issue? Dr. Huang.

13 DR. HUANG: I think the creation of
14 SightNet is a good idea. However, I wasn't
15 clear if the public will have access to the
16 information gathered from the SightNet. If
17 so, the problem is, since this is a voluntary
18 reporting system, and I'm wondering if the FDA
19 has another layer of screening or validation
20 of the self-reporting system before the public
21 discourage us. So, therefore, we will not
22 create a mass hysteria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON WEISS: Dr. Lepri.

2 DR. LEPRI: Yes. The MedSun
3 website, which includes the sub-networks, and
4 this would include SightNet, is available to
5 the public on the website, and they can review
6 reports and recommendations that come through.

7 They would not get information
8 about practitioners or institutions where
9 events have occurred, but all that information
10 is available on the MedSun website.

11 DR. EYDELMAN: I think, just to add
12 to, hopefully, something that will help
13 clarify what you are saying, the data does not
14 just get dumped back. The data gets collected
15 and analyzed by FDA personnel who are trained
16 to analyze the data, and then a summary of
17 those outcomes are presented back to the
18 public.

19 CHAIRPERSON WEISS: I have a
20 follow-up question on that. Is there any
21 double-check loop to see in a particular
22 institution how compulsive individuals would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be in terms of reporting these events? Is
2 there any double-check to see if, actually,
3 what is happening is getting entered?

4 DR. LEPRI: To the best of my
5 knowledge, there is no way for us to go back
6 and find out if everything that goes on there
7 is being reported. However, since it is a
8 voluntary system through MedSun, they
9 voluntarily join, and they have a strong
10 willingness to improve patient safety.

11 In fact, many of these hospitals
12 have patient safety staffs which particularly
13 address these issues throughout the hospital
14 or throughout the clinic. So we have a
15 significant amount of confidence that they are
16 reporting everything that does happen.

17 Additionally, after an initial
18 report is filed, these are followed up with
19 phone calls and additional interview to find
20 out more in depth information on the
21 occurrence of the event, devices involved,
22 individuals, and then we also track, you know,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do these things happen repeatedly at one site
2 or do they just happen randomly.

3 CHAIRPERSON WEISS: Dr. Eydelman.

4 DR. EYDELMAN: Just to add one
5 more. As was evidenced in Dr. Lepri's slides,
6 there is mandatory reporting of adverse events
7 by both the manufacturers and user facilities.
8 So there is a cross-check anyway.

9 CHAIRPERSON WEISS: Ms. Cofer.

10 MS. COFER: Yes. On the ANSI
11 standards, I just want to be sure I am clear.
12 The guidance document for refractive surgery
13 lasers is dated in October 1996. Will the
14 ANSI standards replace that?

15 CHAIRPERSON WEISS: Dr. Eydelman.

16 DR. EYDELMAN: First of all, we
17 have not recognized the ANSI standard as of
18 yet. We are in the process, and that is why
19 the Panel is being broached that question.

20 As of now, the '96 guidance still
21 exists and is still up on our web. After the
22 recognition of the standard process, we will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have to go back and see what we want to do
2 with that.

3 MS. COFER: And just a follow-up
4 question. Is that okay?

5 I'm looking at the ANSI standards,
6 and it mentions symptoms, and one of the
7 symptoms in the ANSI standards talks about
8 glare, and we've heard a lot about glare
9 today.

10 As a patient that lives with this
11 every day, I'd just like to say that some of
12 the terms that are being used by LASIK
13 surgeons and the LASIK device manufacturers
14 are very confusing to patients.

15 I think that something needs to be
16 done about some of this terminology. If glare
17 is a starburst, then I'm not sure why it is
18 not called a starburst, because I --

19 DR. EYDELMAN: Again, you have a
20 heard a lot of reference to our patient
21 labeling, and in all our patient labeling we
22 have an index of terminology where we try to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explain the clinical terms used by the
2 physician in a language that will be clearly
3 understood.

4 One of the questions the Panel is
5 being asked today is for any possible
6 modifications or improvement we can do to
7 that. So if you don't find that acceptable,
8 we would love to hear from you.

9 CHAIRPERSON WEISS: Ms. Niksch.

10 MS. NIKSCH: Just one more question
11 on the future quality of life assessment. It
12 was pointed out that consumer representation
13 will be included. But I have to ask this,
14 being industry rep. Is there a plan to also
15 include industry in this process?

16 Again, industry has many experts. We
17 conduct clinical trials. We analyze the
18 information, and ultimately conclusions from
19 the study may go back to industry for us to
20 change labeling, etcetera.

21 So at some point, I just have to
22 ask. Would there be a consideration to have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 industry involved in the design of this
2 instrument at all?

3 CHAIRPERSON WEISS: Dr. Eydelman.

4 DR. EYDELMAN: At this point, there
5 was no intent to involve the industry. As we
6 pointed out, the collaborative efforts are
7 between the two professional organizations,
8 the National Eye Institute and the FDA.

9 CHAIRPERSON WEISS: Dr. Heuer.

10 DR. HEUER: For Dr. Lepri or Dr.
11 Eydelman: This morning, there were several
12 references to the fact that some of the
13 facilities in which LASIK is being performed
14 apparently aren't subject to the reporting
15 requirements. Could you clarify that?

16 CHAIRPERSON WEISS: Dr. Eydelman.

17 DR. EYDELMAN: Most of the LASIK
18 surgeries are done in ambulatory surgical
19 centers, and we tried to address that in one
20 of Dr. Lepri's slides.

21 The serious adverse events
22 occurring at the ambulatory surgical centers

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are a mandatory requirement.

2 CHAIRPERSON WEISS: Dr. Musch.

3 DR. MUSCH: We heard this morning
4 some concern regarding the support from the
5 cataract and refractive surgery group about --
6 for the proposed study's quality of life
7 studies.

8 Could you talk to us about how that
9 will be -- how that concern will be addressed,
10 and how the FDA will stand above the money
11 being provided?

12 CHAIRPERSON WEISS: Dr. Eydelman.

13 DR. EYDELMAN: Again, as we pointed
14 out several times, we are not in a position
15 where everything is figured out. The protocol
16 is not finalized, but I can assure you that
17 every precaution is being taken that there are
18 no potential conflicts of interest, and that
19 everything will be done in consistence with
20 FDA and NEI regulations.

21 CHAIRPERSON WEISS: Ms. Cofer.

22 MS. COFER: Since we are going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be -- Since you started the discussion about
2 the quality of life study, I do have some
3 comments about that. Is it appropriate to go
4 into those now?

5 CHAIRPERSON WEISS: It is only
6 appropriate to ask questions now. Again,
7 comments will stay for the discussion.

8 So if you have any specific
9 questions for FDA, a good time to ask it.
10 Otherwise, I think, if there are no other
11 questions, then we may just proceed on to the
12 next speaker.

13 Are there any other questions? If
14 not, we will proceed on to the next guest
15 speaker for the FDA, and that is Dr. David
16 Tanzer.

17 DR. TANZER: Good afternoon. I'd
18 like to thank Dr. Eydelman and Dr. Weiss, and
19 the members of the panel for allowing me to
20 present this afternoon. I'm Commander David
21 Tanzer. I'm a cornea transplant cataract and
22 refractive surgeon currently stationed at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Naval Medical Center in San Diego, and I'm
2 also the Director of the United States Navy
3 Refractive Surgeon program. It's my pleasure
4 to provide for you an overview of what laser
5 vision correct means to the military.

6 My standard DOD disclaimer is I
7 have no financial or proprietary interest in
8 any material or methods discussed here, and my
9 views are my own. They don't necessarily
10 reflect the position of the Department of the
11 Navy, Department of Defense, or the United
12 States Government.

13 Just for your perspective, the
14 military has a demanding set of visual
15 requirements, one might call them unique in
16 terms of aviation, whether it's taking a pilot
17 flying a high-performance aircraft to the
18 pitching deck of an aircraft carrier with or
19 without wearing the unique set of optical
20 devices, whether that's a special operations
21 personnel diving or jumping out of airplanes,
22 whether that's an infantryman or infantry

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 woman wearing night vision goggles, night
2 vision devices for the safe conduct of their
3 operations.

4 Many of the weapon systems that are
5 used today use very sophisticated optical
6 devices for their scopes. And we're all
7 trained in the use of chemical and biological
8 personal protective gear in the safe conduct
9 of our operations.

10 In terms of the impact of laser
11 vision correction in the military, we're
12 constantly looking for improved functional
13 vision with anything that we provide for our
14 fighting force. And in point of fact, the
15 wearing of contact lenses is actually
16 prohibited when our servicemen and women are
17 deployed to Iraq, Afghanistan, and Korea, so
18 anything we could do to lower the risk for
19 casualties in our fighting force, we're all
20 about it.

21 In terms of lowering the risk of
22 casualties with Lasik versus contact lenses, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want to drive this point home by quoting a
2 study published last year in the *Archives of*
3 *Ophthalmology* from Oliver Schein, Johns
4 Hopkins University, who databased a cumulative
5 annual risk of infection with contact lens
6 wear of 0.18 percent.

7 Likewise, a cumulative annual risk
8 for infection following Lasik is 0.05 percent.

9 Therefore, over the lifetime of a patient,
10 that equates to an increased risk of 180 times
11 greater having an infection following the
12 wearing of contact lenses, versus Lasik
13 procedure.

14 And from the ASCRS Corneal Clinic
15 Committee in 2007, there were two cornea
16 transplants for infections following Lasik
17 done last year, versus 55 transplants for
18 infections related to contact lens wear.

19 In terms of our patient population
20 in the military, we have approximately 30
21 percent of our patients needing to wear
22 spectacles or contact lenses, or potentially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who could benefit from laser vision
2 correction.

3 In terms of laser vision correction
4 research, in clinical trials that are
5 performed in the Department of Defense, we
6 have over 45 studies performed to-date,
7 including 15 under investigational device
8 exemption. Our goal is constantly an
9 independent evaluation of the safety and
10 efficacy of laser vision correction as it
11 specifically applies to our fighting force.

12 We always look at quality of
13 vision, visual recovery, environmental issues
14 that I've mentioned to you in terms of
15 aviation, diving, special operations.
16 Whenever industry expands the parameters for
17 laser vision correction, we want to safely and
18 effectively evaluate that in an independent
19 fashion. And, also, when they bring to us the
20 latest technology that potentially improves
21 the quality of vision of our fighting force,
22 we want to, again, evaluate that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 independently.

2 I'd like to briefly take you
3 through the series of studies that I'm showing
4 you here, looking at first the results of PRK
5 in Naval Aviation. This is a landmark study
6 that actually resulted in the approval of
7 laser vision correction in Naval aviators.
8 The Laser Comparative Study, which
9 prospectively randomized 480 patients over
10 four different excimer lasers.

11 We did our own satisfaction
12 analysis via our own meta analysis, and I'll
13 show you that. I'd like to have you take a
14 look at a night driving study that we
15 performed. Also, a LASIK Flap Stability
16 Study, and I'll summarize with the LASIK and
17 Naval Aviation Study that we just recently
18 completed.

19 First, looking at PRK Naval
20 Aviation in terms of efficacy, looking at
21 uncorrected visual acuity at six months, you
22 can see that 94 percent of the eyes treated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were 20/20 or better uncorrected.

2 As a measure of safety, looking at
3 change of best corrective visual acuity, you
4 could see that the majority of eyes treated
5 had either no change, or a gain in lines of
6 best corrected visual acuity.

7 There were complications that we
8 saw in this patient population, including one
9 corneal erosion for an incidence of 0.1
10 percent. There was late haze that we saw in
11 seven eyes of four aviators that temporarily
12 decreased their best corrected vision worse
13 than 20/20, temporarily removing them from
14 flight status. However, they were all safely
15 and effectively treated with topical steroids,
16 and they all did resume flight status. The
17 incidence of this complication is 0.5 percent.

18 Finally, we saw one infection in
19 one eye of one patient that resulted in a
20 scar, and a decreased best corrected visual
21 acuity of 20/32, two lines worse than 20/20.
22 Fortunately, his fellow eye was better -- was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uncorrected 20/16, and he safely returned to
2 flight status. And that incidence was 0.1
3 percent.

4 The aviators that we've treated to-
5 date have accumulated a significant amount of
6 flight experience, including over 48,000
7 flight hours accumulated within the six months
8 following PRK. That includes over 19,500
9 landings, and over 2,600 carrier arrested
10 landings to-date. As I've mentioned, 100
11 percent of our aviators treated to-date have
12 successfully returned to flight status.

13 Looking next at the Laser
14 Comparative Trial, which, again, prospectively
15 randomized 480 patients over four excimer
16 lasers. You can see in terms of an efficacy
17 measure, approximately 90 percent of all eyes
18 treated were 20/20 or better uncorrected, and
19 approximately two-thirds of eyes treated were
20 20/16 or better uncorrected at one month.

21 Changes in best corrected visual
22 acuity again show the majority having no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 change, or actually a gain in lines of best
2 corrected visual acuity at six months.

3 Moving next to a Comparative Trial
4 where we randomized patients over three
5 different keratomes to create the LASIK flap,
6 this enrolled 300 patients or 600 eyes. Two
7 surgeons performed the procedures. They used
8 one excimer laser which is the wavefront-
9 guided or custom laser, and we randomized the
10 patients over three different flap creation
11 devices, two mechanical keratomes, and one
12 laser keratome.

13 Looking at the efficacy,
14 uncorrected visual acuity at one month, you
15 can see that the majority of patients have
16 excellent uncorrected visual acuity, three-
17 quarters or greater have 20/16 or better
18 uncorrected visual acuity at one month.

19 Looking at the change of best
20 corrected visual acuity at three months, as
21 you can see, the vast majority of patients
22 have either no change or a gain in lines of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 best corrected visual acuity at three months
2 following surgery.

3 Our meta analysis, looking at the
4 overall satisfaction following LASIK, we
5 looked at 1,200 patients, and we databased an
6 overall satisfaction rate of 98.1 percent in
7 our patients, and dissatisfaction rate of 1.9
8 percent.

9 We've also carried out a night
10 driving simulator study, specifically looking
11 at night driving performance with glare
12 following LASIK. You can see that in terms of
13 the ability to detect a target in the night
14 driving simulator, approximately 15 percent of
15 patients are improved following surgery over
16 the pre-operative evaluation. And
17 approximately 25 percent have an improvement
18 in their identification of that target
19 compared to pre-operative measurements.

20 In terms of the significant change
21 in night driving performance in terms of
22 detection first on the left, you can see that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 28 percent have a significant improvement in
2 their ability to detect a target, versus only
3 3 percent having a significant decrease in
4 their ability.

5 In terms of the accurate
6 identification of a target, 46 percent of
7 patients are improving in that metric, versus
8 3 percent of patients decreasing in a
9 significant fashion.

10 In terms of adding a glare source
11 to that metric, 18 percent have an
12 improvement, a significant improvement in
13 their ability to detect a target at night, and
14 nobody had a significant decrease in that
15 metric.

16 Finally, in terms of the ability to
17 properly identify a target with glare source
18 at night, 41 percent of patients that we saw
19 had a significant improvement in that metric,
20 versus only 3 percent that had a decrease.

21 We always want to know if the flap
22 is stable in our fighting force when we bring

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 LASIK to the issue. We completed a Flap
2 Stability Study, which is a study done in an
3 animal model. It was done with the approval
4 of our local IRB, and we subjected these flaps
5 to a force that we could equate to a service
6 member jumping out of an airplane. We call
7 that HALO, or high altitude low opening jumps,
8 or the ability of a flap to sustain a 400 knot
9 ejection, and you can see that on the left no
10 flaps were displaced with a force equivalent
11 to a HALO jump, or a 400 knot ejection. In
12 fact, all the way to the right you can see
13 that it required the force of approximately a
14 700 knot ejection, which isn't compatible with
15 life, before the flap was removed.

16 Finally, looking at our most recent
17 study that we've completed to-date, which is
18 the LASIK and Naval Aviator Study. I'd like
19 to show you the uncorrected visual acuity, or
20 the efficacy of this procedure two weeks
21 following surgery.

22 You can see that 100 percent of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 eyes treated for 20/20 or better uncorrected,
2 94 percent were 20/16 or better, and 57
3 percent were 20/12 or better uncorrected two
4 weeks following LASIK. Change of best
5 corrected visual acuity, the vast majority of
6 patients actually are gaining lines of best
7 corrected visual acuity following this
8 procedure at one month.

9 We asked these aviators how they
10 felt they did following the surgery. First
11 question, "Do you feel that LASIK has helped
12 or hindered your effectiveness as a Naval
13 aviator"? Ninety-five percent felt it helped
14 their effectiveness as a Naval aviator, nobody
15 thought it hindered their functionality.
16 Finally, "Would you recommend LASIK treatment
17 to a fellow Naval aviator"? One hundred
18 percent of the enrollees said they definitely
19 would.

20 So with that as a backdrop, in the
21 year 2000, the Department of Defense, all
22 three services, stood up their War Fighter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Refractive Surgery program, and to-date, the
2 Army has eight centers, the Navy has seven
3 centers, and the Air Force has five centers,
4 for a total of 20 centers amongst the
5 Department of Defense. And to-date, since the
6 year 2000, we've treated over 224,000 eyes.

7 In terms of the average age of a
8 military member receiving Laser Vision
9 Correction, the average age of our patients is
10 34, and that compares quite comparably to the
11 average age of a civilian receiving Laser
12 Vision Correction, which is 37. The age range
13 that we treat, between 18 and 60. There's a
14 slight gender predilection toward males, 82
15 percent of our patients are male, 18 percent
16 are female. And that obviously reflects a
17 greater number of males serving on active
18 duty.

19 The refractive errors that we treat
20 range from plus 6 diopters of farsightedness
21 or hyperopia, to minus 13 diopters of
22 nearsightedness or myopia.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Again, the number of treatments to-
2 date, over 224,000 procedures performed in the
3 military, the Air Force is responsible for
4 over 51,000 procedures, the Army over 100,000
5 procedures, and the Navy over 73,000
6 procedures to-date.

7 The impact of all this is if Laser
8 Vision Correction is approved for all aspects
9 of military service, including aviation,
10 special operations, and support personnel, and
11 you may know that Laser Vision Correction is
12 also approved for NASA astronauts. However,
13 we fully acknowledge that surgery is not
14 without risk, and we always inform our
15 patients to do an extensive informed consent
16 process about those risks, benefits, and
17 alternatives to Laser Vision Correction. And,
18 in point of fact, nobody in the military is
19 required to have refractive surgery. Anybody
20 that gets refractive surgery in the military
21 does so voluntarily.

22 Having said that, there's been only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one Department of Defense medical disability
2 retirement related to Laser Vision Correction
3 to-date. This medical board was due to
4 quality of vision complaints in this
5 individual, despite him having 20/20
6 uncorrected vision, so the rate of this, one
7 out of 112,500 patients treated to-date,
8 yields an incidence of 0.009 percent. I'll
9 emphasize that point. 0.009 percent incidence
10 of somebody not being able to return to duty
11 status following Laser Vision Correction in
12 the military.

13 So the summary of Laser Vision
14 Correction in our fighting force is that it
15 has been overwhelmingly successful in the
16 military in all types of jobs. It's shown
17 tremendous operational benefits, approved now
18 for military aviators, divers, special
19 operations personnel, and NASA astronauts.
20 It's been proven to have an extremely low risk
21 in our patient population, with a likelihood
22 of disability of 0.009 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The satisfaction, as I've shown, is
2 incredibly high, with 95 percent of aviators
3 feeling that it's improved their effectiveness
4 as a Naval aviator, and 100 percent of treated
5 aviators recommending it to a fellow Naval
6 aviator.

7 And, in closing, I'd like to
8 provide a personal summary here, personal
9 perspective. I've actually had the privilege
10 of treating and flying with the first F-18
11 Hornet pilot to have refractive surgery, and
12 we then landed on board an aircraft carrier.
13 As we flew toward the ship that night, he
14 relayed to me that he had never seen the
15 carrier and landing lights better. I took
16 great pride and comfort in that fact, not only
17 because I was in his jet at the time, but
18 because I had permanently provided this
19 individual, this aviator with an improvement
20 in his ability to perform this critically
21 visually demanding task, arguably, the most
22 visually demanding task that exists anywhere

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701