

1 currently conducted with propofol. Is that of
2 all endoscopic procedures?

3 DR. KLINE: Dr. Cohen?

4 CHAIR FARRAR: Could you just to
5 clarify that?

6 DR. COHEN: Yes, the numbers have
7 gone up over the last several years, as I
8 think many of us are aware. But it is
9 estimated, at the current time, that 38
10 percent of all endoscopies in the U.S. are
11 performed with propofol being given by an
12 anesthesia provider.

13 CHAIR FARRAR: Being given by an
14 anesthesia provider. So those would be taken
15 care of, in the settings that were just
16 described, they would be taken care of, they
17 would not be office procedures. Is that
18 correct?

19 DR. COHEN: No. In fact, if you
20 look at utilization of anesthesia provider or
21 MAC sedation by site it is probably is higher
22 in the office settings than it is in either an

1 ambulatory or surgical center or hospital but
2 in fact it is really across the board. Using
3 MAC sedation given by an anesthesia provider
4 can occur in any of those three settings.

5 CHAIR FARRAR: Okay. And just, so
6 the second part of that slide talks about the
7 difficulties or potential difficulties in
8 using propofol; burning on injection, risk
9 associated with lipid emulsions. Is the
10 propofol, the 38 percent here, only part of
11 MAC and -- or is it used for less complex
12 anesthesia procedures now?

13 I guess what I am asking is,
14 propofol can only be used with an
15 anesthesiologist present. Is that correct?

16 DR. COHEN: There is some use of
17 propofol by non-anesthesia providers. And
18 there are a small percentage, somewhere in the
19 range of five to seven percent, of propofol
20 use is being given currently by non-
21 anesthesiologists.

22 CHAIR FARRAR: Which brings me to

1 the last question which is really directed at
2 the company. Which is, that in terms of
3 ongoing obviously any clinical development
4 procedure process will involve a relatively
5 small number of patients because of the way in
6 which it is conducted. The question then
7 about what happens when it is introduced into
8 the general population is something that can
9 only answered, and what happens with large
10 numbers of patients exposed, can only be
11 answered at the time when it is actually given
12 in a larger population, usually after
13 approval.

14 And I wonder if you could be
15 clear. You have talked about the educational
16 roles that you hope to play. What about the
17 monitoring roles and the ongoing monitoring to
18 try to help to answer some of the questions
19 that have been asked here. Not simply about
20 your products but about sedation in general.

21 DR. KLINE: I would like to ask
22 Dr. Sirek to speak to pharmacovigilance.

1 DR. SIREK: We will, of course, be
2 practicing good pharmaco vigilance and so we
3 will be collecting spontaneous reports but we
4 will not be dependent on that. We will be
5 also proactively looking to gather information
6 from other sources. We don't yet have formal
7 contracts in place with anybody awaiting what
8 the final product label will be, so that we
9 can judge appropriately. Slide up, please.

10 You have already heard though
11 about the CORI initiative, that is the leading
12 endoscopic research and data repository on
13 which some of the information that Dr. Cohen
14 based his slides on. And we believe either
15 this particular one or something similar will
16 serve to give us some very early feedback on
17 the success of training, on the actual rates
18 of sedation-related adverse events added a
19 much broader population. As you can see, the
20 sites were both community and academic. So
21 that is just one example of how we will seek
22 to gather additional safety data, once the

1 product is marketed.

2 CHAIR FARRAR: Okay, thank you.

3 Ms. Aronson.

4 MS. ARONSON: My two questions
5 relate to the benefit versus the risk. In
6 slide CM-6, I note that 25 percent of patients
7 with the standard sedation utilized propofol.
8 And given that the sponsor's one of the
9 primary benefits is risk of bacteria
10 infection, I wonder in the retrospective study
11 that is listed in CM-11, whether you have the
12 statistics on risk of bacteria infection for
13 propofol.

14 DR. KLINE: For propofol?

15 MS. ARONSON: Yes.

16 DR. KLINE: Dr. Cohen, can you
17 speak to that?

18 DR. COHEN: One of the problems of
19 performing a retrospective study on a database
20 is that you are limited to whatever has been
21 entered into the database and, unfortunately,
22 this particular database does not capture some

1 of the, sort of the delayed complications,
2 which infection will certainly be.

3 I mean, if you look at some of the
4 earlier literature in propofol, there were
5 reported cases of sepsis, even death, related
6 to the use of propofol, before it was
7 recognized that there were certain good use
8 practices that would reduce or minimize the
9 risk of complication.

10 But to answer your question, in
11 short, the answer is no, that was not captured
12 in the CORI database. So we don't have the
13 information, unfortunately.

14 MS. ARONSON: And to follow up,
15 what about a comparison of hypoxemia?

16 DR. COHEN: Asking about hypoxemia
17 in this -- well, I think that if you look at
18 the data shown in the slide, the incidence of
19 all cardiopulmonary complications, which
20 included all of the complications listed here,
21 plus others, including -- slide up please --
22 and they included both transient hypoxemia, as

1 well as -- included hypoxemia. The overall
2 incidence was 1.1 percent in the colonoscopy
3 population. It was not broken down further in
4 terms of the specific complications.

5 MS. ARONSON: You don't have
6 propofol.

7 DR. COHEN: I'm sorry?

8 MS. ARONSON: I guess I am missing
9 a comparison.

10 DR. COHEN: I see. Looking at the
11 other study that looks specifically at
12 propofol, I think you have to recognize there
13 were 11,000 cases. It was a separate study
14 that was published looking at the use of
15 propofol.

16 You have to recognize in that
17 particular analysis, also that was based on
18 the CORI data, they did not, they did not
19 specifically report on the specific
20 complications. Again, the incidence being
21 somewhere in the range of about one percent,
22 plus or minus for all complications but it was

1 not broken down into specifically the specific
2 complication.

3 MS. ARONSON: Okay. And just
4 finally, the issue that the FDA raised about
5 the potential of reclassification of this
6 drug, I'm just wondering about any risk
7 management, whether the sponsor has considered
8 that at all.

9 DR. KLINE: The classification
10 that you are talking about, the controlled,
11 certainly the risk plan that we will adapt
12 will be appropriate to the scheduling class
13 that is assigned to fospropofol.

14 CHAIR FARRAR: Dr. Kirsch.

15 DR. KIRSCH: So that was actually
16 exactly my question. The data that Dr.
17 Schultheis showed us demonstrating euphoria in
18 individuals who drink your compound, that is
19 data from your place, so I assume that you
20 must have something in the works to try to
21 mitigate the risk of diversion of that
22 compound. Could you share with us what your

1 preliminary plans are?

2 DR. KLINE: Certainly risk of
3 diversion will be very important with the
4 product. I don't believe we have specific
5 slides that can address that now but we
6 absolutely will put the appropriate controls
7 on the supply chain to minimize that risk of
8 diversion. We are very aware of it and we
9 will proactively do all we can to minimize the
10 risk for diversion.

11 CHAIR FARRAR: Dr. Epstein.

12 DR. EPSTEIN: Yes, I have a
13 question directed to Dr. Leslie.

14 Dr. Leslie, in a perfect world, if
15 this drug was being used for moderate sedation
16 analgesia, what specific training or
17 guidelines would you like to see implemented
18 or would be your recommendation for
19 implementation, if this drug was available
20 commercially?

21 DR. LESLIE: Certainly. I think
22 they have listed for you as to what the

1 company had put in the original proposal for
2 their PI but it really comes down to the
3 simple, three-part plan that they have. And
4 number one is that I want to make sure that
5 the patient really does fit the bill for mild
6 to moderate sedation. And that,
7 unfortunately, relies on the actual
8 physician's experience and their expertise.
9 In other words, I will see pulmonologists who
10 will do what I would call ASA IV's routinely
11 sedate them themselves, and manage patients
12 who start with hypoxia to begin with and feel
13 perfectly comfortable managing that. I don't
14 see a gastroenterologist or another
15 endoscopist doing it that way. So, patient
16 assessment and their experience in knowing
17 what they can and cannot safely do with a
18 particular patient and a particular procedure
19 type.

20 The second aspect is the education
21 of the clinicians as to the specific
22 differences between this sedative and the ones

1 that they may be currently using. And it
2 really relates to the fact that this is a
3 prodrug. It does not have a rapid onset.
4 There is metabolism required and so their
5 whole timing has to readjusted. It is no
6 different than we learn any new drug. You
7 have got to learn when you can give it, how
8 long does it take to peak, and when can you
9 re-dose it. It will take specific
10 instructions.

11 The third important part is going
12 to be how you actually monitor the person,
13 look for the predictable side effects and the
14 training of that person who is present in the
15 room. Number one is, I do believe you need a
16 person who is primarily dedicated, as designed
17 in the ASA recommendations primarily managing
18 the patient and their sedation. Yes, they can
19 break away for a little this, little that.
20 Not to say they can't assist for short
21 periods, but primarily they are to manage the
22 patient's sedation. That person needs to have

1 basic airway management skills at least to
2 that level.

3 I think in backup, either the
4 physician who is directing the sedation by the
5 other health care professional must have ACLS
6 certification or immediately available someone
7 in the office, someone within a few hundred
8 feet, has that capability in case they need to
9 go to further advanced airway support, such as
10 bag-mask-valve, or maybe even intubation.

11 Now, the data as done in the
12 studies show that they never needed that ACLS
13 person. But in fact, I think, for safety
14 reasons because it is going to take a little
15 bit of learning period, as with any new drug,
16 you need to err on that side.

17 One other point that didn't come
18 out, you realize they did it in 24 sites for
19 colonoscopy, 26 or so for the bronchoscopy.
20 And if you look at the numbers, that means
21 that they really did 10 or 11 cases per site.
22 So, I am actually encouraged by that sort of

1 lack of steep learning curve that they didn't
2 have trouble with the first or second patient.
3 I do a lot of clinical studies and quite
4 often, we kind of struggle the first few times
5 to get it right.

6 So I was actually, you know, I
7 thought that was a good sign that whoever was
8 doing the drug administration, whatever airway
9 training skills they had, whatever backup they
10 had from the start seemed to work. But I do
11 emphasize, as I said, in several spots in my
12 risk management presentation, it is extremely
13 important that they follow all of the
14 guidelines that the ASA has clearly laid out,
15 that you have got to have basic airway skills.
16 You can't let people give this who are not
17 skilled and privileged to do that and have
18 got to have backup that can extend beyond that
19 because sedation is a continuum. And it can
20 happen that that hypoxia can be persistent and
21 they have got to have somebody immediately
22 available to rescue them.

1 DR. EPSTEIN: As a follow-on
2 question, do you believe that ACLS is adequate
3 for airway management, as it is currently
4 designed?

5 DR. LESLIE: I think as long as
6 you have done an appropriate airway assessment
7 prior to getting into that situation, yes. A
8 simple insertion of an airway, bag-mask
9 ventilation, if that is appropriate, yes.

10 I do think that there is going to
11 be a challenge from time to time of what is an
12 appropriate airway. I think Dr. Nussmeier's
13 question and others about the morbidly obese
14 patients, those are difficult to assess. The
15 incidence of obstructive sleep apnea and where
16 does that fit. Different institutions have
17 different policies there. And we will have to
18 rely on a lot of local and institutional
19 policies that have already worked a lot of
20 these details out as to where they feel
21 comfortable.

22 I know in our institution, we have

1 seen a lot more need for MAC anesthesia in the
2 endoscopy suite. And it really relates to
3 older patients, massively obese patients, much
4 sicker patients. And the choice to use MAC,
5 I think is the right choice. It would not be
6 a right choice to say well, let's make
7 fospropofol work here. Those patients still
8 should receive the current care that they are
9 getting.

10 CHAIR FARRAR: Dr. Sang.

11 DR. SANG: Thank you. This is for
12 Dr. Cohen. What proportion of the 60 plus
13 endoscopies that do not involve an
14 anesthesiologist require the reversal of
15 either benzo or an opioid? I may have missed
16 it.

17 And then how are these captured?
18 Are these captured under the category of
19 cardiopulmonary events or are they captured
20 separately, so you can actually answer this
21 question?

22 DR. COHEN: Now, you are referring

1 to practice in general?

2 DR. SANG: Yes.

3 DR. COHEN: To the best of my
4 knowledge, there really are no data indicating
5 what the use of reversal agents is. I can
6 tell you that in our personal practice, it is
7 extraordinarily small. In fact, we recently
8 have, we have reviewed our own personal
9 experience and we have actually had to use
10 antagonists only three times in the past five
11 years, performing somewhere in the range of
12 15,000 endoscopic exams. So I think that
13 going to a reversal agent is actually quite
14 uncommon.

15 DR. SANG: Okay, thank you. I
16 have a second question which is, I may have
17 heard incorrectly, is it standard of care to
18 allow the person primarily managing sedation
19 in the endoscopy suite to be pulled and assist
20 in the procedure. Is that standard of care?

21 The reason I ask is because, as
22 you know, the temporal resolution of the

1 monitors in the operating room are not as good
2 as our eyes. And if that is the case, if no
3 one is watching a patient at every second, I
4 am not sure I understand. If you could just
5 explain.

6 DR. KLINE: Yes. The ASA
7 guidelines for moderate sedation, which we
8 have attempted to be consistent with, we are
9 consistent with in our proposed label,
10 indicate that for moderate sedation, as we are
11 proposing, a designated individual monitor the
12 patient and that that individual can assist
13 with brief interruptible tasks. So that is
14 per the ASA Guidelines for Sedation by Non-
15 Anesthesiologists.

16 CHAIR FARRAR: So actually, I
17 think we are going to end this morning's
18 session with Dr. Nallani and then we will
19 speak about how we will conduct this
20 afternoon. But there will be time for
21 additional questions after lunch.

22 DR. NALLANI: I have a question

1 for the sponsor. It relates to slide CP-9, if
2 you can get that up.

3 DR. KLINE: Slide up.

4 DR. NALLANI: Thanks. It is
5 indicated the five percent of subjects had
6 plasma concentrations of about two micrograms
7 per mL. Do the sponsors have any idea of the
8 demographics of these subjects with respect to
9 body weight or age?

10 DR. CULLEN: We do. The patients
11 who exceeded two micrograms per milliliter,
12 there were 22 samples that exceeded that
13 level. We looked at all those patients
14 represented by those samples. Those samples,
15 I would remind you, represent a PK level from
16 the population PK study that was drawn after
17 as few as one or as many as seven supplemental
18 doses.

19 And to answer your question, of
20 the patients whose level exceeded two, only
21 three patients all in the bronchoscopy study
22 reached a MOAA/S level of one. And only two

1 patients with a high level had sedation-
2 related adverse events of one hypoxemia and
3 one hypotension. Actually not the same three
4 but different two.

5 CHAIR FARRAR: Okay. I will ask
6 Teresa to make some announcements before we
7 call the session to a close.

8 DR. WATKINS: All members that had
9 previously registered for the open public
10 hearing, regardless of whether you have
11 already checked in at the front desk, please
12 stop by at the meeting registration desk
13 before you go to lunch.

14 Thank you.

15 CHAIR FARRAR: Before we end, I
16 would like just to make a comment about this
17 afternoon. We will have the open public
18 hearing beginning promptly at 1:00 and I would
19 like to ask the panel members, the committee
20 members to please, before you duck out for
21 lunch, review the questions that are in your
22 packet. There are four slides that are there.

1 And prepare any questions that you may have
2 that you would like answered in order to be
3 able to deal with those questions, to be sure
4 that we cover them in the discussion section
5 this afternoon, so that we can move
6 expeditiously through this process.

7 We will now break for lunch. We
8 will reconvene again in approximately an hour,
9 promptly at 1:00. So please try and be here
10 a few minutes early.

11 Please take any personal
12 belongings with you that you need at this
13 time, although the room will remain secured
14 with FDA personnel present. You will not be
15 allowed, necessarily, back into the room until
16 close to the time of reconvening.

17 Panel members, please remember
18 that there should be no discussion of the
19 topic during lunch amongst ourselves or with
20 any member of the audience. Thank you.

21 (Whereupon, at 12:04 p.m., a lunch
22 recess was taken.)

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:00 p.m.)

3 CHAIR FARRAR: If we could get
4 started, we are beginning the open public
5 hearing section. Both the Food and Drug
6 Administration and the public believe in a
7 transparent process for information gathering
8 and decision-making. To ensure such
9 transparency, at the open public hearing
10 session of the Advisory Committee meeting, the
11 FDA believes it is important to understand
12 that the context of an individual's
13 presentation should be known.

14 For this reason, the FDA
15 encourages you, the open public speaker, at
16 the beginning of your written or oral
17 statement to advise the Committee of any
18 financial relationship you may have with the
19 sponsor, its products and, if known, its
20 direct competitors.

21 For example, this financial
22 information may include the sponsor's payment

1 of your travel, lodging or other expenses in
2 connection with your attendance at this
3 meeting. Likewise, the FDA encourages you, at
4 the beginning of your statement, to advise the
5 Committee if you do not have any such
6 financial relationships. If you choose not to
7 address this issue of financial relationships
8 at the beginning of your statement, it will
9 not preclude you from speaking.

10 The FDA and this Committee places
11 great importance on the open public hearing
12 process. The insights and comments provided
13 can help the agency and this Committee in
14 their consideration of the issues before them.
15 That said, in many instances and for many
16 topics, there will be a variety of opinions.

17 One of our goals today is for this
18 open public hearing to be conducted in a fair
19 way, an open way, where every participant is
20 listened to carefully and treated with
21 dignity, courtesy, and respect. Therefore,
22 please speak only when recognized by the

1 chair. Thank you for your cooperation.

2 DR. WATKINS: Our first speaker is
3 Atul Shah.

4 DR. SHAH: Thank you. I would
5 like to thank FDA to allow me to speak to
6 Anesthetic and Life Support Drugs Advisory
7 Committee. MGI Pharma has agreed to pay for
8 my time and travel expenses in connection with
9 today's meeting.

10 I am a board certified
11 gastroenterologist practicing in a private
12 group practice in southern Maryland, and we
13 have performed more than 100,000 endoscopies
14 in the last 20 years between me and my
15 colleagues. And I also had an opportunity to
16 participate in 0522 study as a primary
17 investigator and enrolled 30 out of 314
18 patients in that study.

19 Just to explain to you, 90 percent
20 of endoscopies that are done in our endoscopy
21 center, which is on the hospital grounds. And
22 we have ability to get anesthesia within two

1 to three minutes and they have been very
2 fortunate to accommodate us in a timely
3 fashion.

4 Currently, only sedation we have
5 available is benzodiazepines with the aids of
6 narcotics. We have faced tremendous problems
7 with inadequate pain control, which is our
8 primary concern, in almost 25 percent of our
9 cases. And patients have been dissatisfied
10 with the available sedation to the
11 gastroenterologists to us and to the country.

12 Colonoscopy requires heavier
13 sedation than upper endoscopies in our
14 practice. And we have not been able to
15 provide adequate sedation at this point. Deep
16 sedation, prolonged deep sedation we have
17 noticed in benzodiazepines currently, with as
18 little as 122 milligrams in elderly
19 population. And on other side, we have seen
20 hypotension, unpredictable responses, even in
21 high dose of ten milligram of benzodiazepines.

22 And propofol, which has been

1 currently increasingly used by the
2 gastroenterologists throughout the country and
3 worldwide, with more than half a million
4 experiences not given by an anesthesiologist
5 or what we call as NAPs with no complication,
6 no deaths noted so far.

7 Fospropofol in the recommended
8 dose of 6.5 milligram per kilogram, provided
9 mild to moderate sedation in adult patients
10 undergoing short diagnostic therapeutic
11 procedures in colonoscopy and bronchoscopy,
12 with adequate acceptable safety performance.
13 The most common adverse reaction which we
14 noticed were paresthesia and pruritus, which
15 is very transient, and most patients did not
16 recall these events.

17 Both physicians and patients
18 recorded a high level of satisfaction in our
19 study. And almost all of our patients were
20 willing to be treated again with fospropofol
21 again.

22 I strongly believe there is a need

1 to train physicians and nurses with strict
2 adherence to the protocol, which has been
3 published, and to avoid repeating the same
4 mistakes we have made following the use of
5 midazolam two decades ago.

6 Despite the lack of reversal agent
7 at this time for fospropofol and intended dose
8 of 6.5 milligram per kilogram, it should be
9 made available to the physicians and with the
10 assistance of trained personnel who are one-
11 on-one monitoring the patient for mild to
12 moderate sedation, we should have it made
13 available without the bolded statement as
14 being done 20 years ago with propofol.

15 We do need future Phase 4 studies
16 and disseminating guidance to train the
17 physicians who can properly guide their
18 assistant in use of fospropofol. And also
19 there should be a caution exercised when used
20 in conjunction with benzodiazepines, which may
21 have a synergistic effect.

22 The use of capnography and

1 transcutaneous carbon dioxide monitoring
2 might help to aid in Phase 4 trials in
3 effectively recognizing hypoventilation,
4 compared to use of pulse ox which is not even
5 available when we used to give benzodiazepines
6 in our hospitals.

7 DR. WATKINS: Thank you. Our next
8 speaker is Stanford Plavin.

9 DR. PLAVIN: I paid my own way.
10 I'm here to talk. I'm an advocate for patient
11 safety. And the first thing that struck me
12 about this was the fact that I was wondering
13 why an anesthesiologist did not speak on the
14 medical need of an anesthetic drug to the
15 Anesthesia and Advanced Life Support
16 Committee. But, irrespective of that, first
17 slide.

18 I represent the National Coalition
19 for Quality Colorectal Screening and Care. It
20 is a broad spectrum approach.
21 Gastroenterologists, surgeons, primary care
22 physicians, anesthesiologists, patient

1 advocacy groups. We are deeply concerned that
2 the improper labeling of this type of drug
3 will not promote the safe and colon cancer
4 screening tests that are readily needed in our
5 society.

6 The FDA's stated mission is to
7 protect the consumer in advanced technology.
8 I think to protect the consumer is the most
9 important thing. Fospropofol is considered a
10 prodrug form of propofol. And just a
11 definition, a prodrug is a medication that is
12 administered in its active or less active form
13 and then metabolized to an in vivo form as an
14 active metabolite. In this case, propofol.

15 This is from an excerpt from Drugs
16 of the Future back in 2006. It has a
17 description of fospropofol, which showed that
18 it was a water-soluble prodrug of propofol
19 designed to overcome some of the disadvantages
20 of the lipid-based form which were, obviously,
21 pain on injection and hyperlipidemia. The key
22 statement here is that fospropofol is released

1 to form propofol from the prodrug and
2 equilibrates rapidly into the brain tissue to
3 exert a dose-dependent anesthetic effect.

4 These Phase 1 studies, obviously we have done
5 Phase 2 and Phase 3, the sponsor has, but it
6 showed even greater potency than the lipid-
7 based propofol.

8 As far as the future of
9 gastroenterology and fospropofol, obviously,
10 it will inevitably find its wide-spread use
11 amongst gastroenterologists, as represented by
12 the interest groups here today. But if
13 approved without the appropriate warning and
14 labeling, leading to what catastrophic events
15 that I am sure the FDA and the panel here
16 doesn't want to happen. In fact, the AGA
17 Institute, which represents the family of
18 people here today, says "In many instances,
19 sedation-related education is under-
20 represented in the United States. Most mid-
21 level professional training and moreover
22 sedation-related continuing education is not

1 commonly available to mid-career
2 endoscopists." I'm sure that a lot of the
3 people here meet that criteria.

4 So, this will potentially expose
5 millions of patients to a drug that
6 gastroenterologists, even by their own
7 assessment of their own AGA Institute are not
8 appropriately trained to employ and administer
9 safely.

10 So, our role is to advocate for
11 better sedation options but with the safety
12 and quality necessary. Sedation success has
13 been shown that percentages in the 90 plus
14 range with fospropofol had more than 25
15 percent who were considered in deep sedation
16 or greater. We need to improve patient access
17 to screening, and this drug may do just that,
18 but it needs to be studied further and
19 properly labeled as as to protect the patients
20 it is designed to serve.

21 Just some brief observations. The
22 onset of all narcotics and anxiolytics are

1 different, yet the labeling is essentially the
2 same. Therefore, the use of fospropofol,
3 which has different pharmacokinetics and
4 dynamics which has been elicited here today
5 should be labeled and shown the same respect
6 of its parent drug.

7 The Institute for Safe Medical
8 Practices showed that fospropofol, its
9 predecessor propofol, should have strict
10 product labeling. I'm sure we are all
11 familiar with this and I don't see any reason
12 why this current formulation of the medication
13 should be looked at otherwise.

14 So I would like to thank you on
15 behalf of the thousands of physicians and
16 patients who aren't here to hear their voice
17 heard. And I would also like to thank you
18 because I am sure you are aware of all of the
19 data that has come out recently, and some of
20 it is obviously extremely concerning, but it
21 is in the best interest to have this drug
22 studied more.

1 We as physicians all have medical
2 licenses. But I don't want a person who has
3 a driver's license flying my airplane when I
4 travel, nor do I want someone with no
5 expertise --

6 DR. WATKINS: Thank you. Our next
7 presenter is Kumar Belani.

8 DR. BELANI: Thank you. My visit
9 here has been sponsored by MGI. I also have
10 consulting and speaking agreements with
11 several other pharmaceuticals and biomedical
12 companies.

13 I am an anesthesiologist and, over
14 the last 30 years of experience is being
15 dictated here, I not only administer general
16 anesthesia but I also sedate patients
17 undergoing diagnostic and therapeutic
18 procedures. I have participated in training
19 programs that train nurses and physicians that
20 provide sedation for patients needing
21 diagnostic procedures.

22 At my institutions, sedation

1 services are always supervised and ordered by
2 a physician. During sedation, a care team
3 approach is used and includes the full-time
4 presence of a trained nurse with or without a
5 physician or the training that is solely
6 dedicated to the care and monitoring of the
7 patient needing sedation. Along with the
8 supervising physician, this team is skilled
9 and undergone advanced training in the
10 continuum of sedation and monitoring. A pre-
11 sedation assessment and care plan is
12 documented for each patient. This screening
13 allows to exclude patients that need the
14 services of an anesthesiologist.

15 For sedation, all patients follow
16 their institutional NPO guidelines and
17 coordinate the cardiopulmonary monitoring that
18 is carried out and documented. I would like
19 to indicate, and this is well-supported by the
20 literature that we still do not have an ideal
21 drug that provides safe and satisfactory
22 sedation for all patients.

1 Drugs such as chloral hydrate and
2 the demerol-fentora-thorazine combination have
3 been shown to be associated with a significant
4 risk of serious adverse events. In addition,
5 the pharmacokinetic profile of these drugs,
6 namely chloral hydrate and DPT is extremely
7 unfavorable resulting in a significantly
8 delayed recovery.

9 Chloral hydrate and DPT were soon
10 replaced by the introduction of
11 benzodiazepines. Previously diazepam was
12 used but was associated with significant pain
13 on injection and delayed recovery because of
14 active metabolites. Water soluble, painless
15 to inject midazolam then became available and
16 is currently one of the mainstay drugs for
17 providing anxiolysis, amnesia and sedation.
18 Unfortunately, midazolam, as you have heard
19 today, by itself is not very important and has
20 often been associated with patient and
21 provider dissatisfaction and requiring larger
22 doses.

1 Most commonly, a second drug like
2 fentanyl, a rapidly acting sharp duration
3 opioid is added to increase its success rates.
4 Doing this, as one would expect, would add to
5 the side effect profile and induces the
6 potential for respiratory depression.

7 Practitioners and proceduralists
8 who use this combination have learned to fine-
9 tune sequencing and dosing to achieve maximum
10 benefit with the goal to minimize unwanted
11 effects. In the last decade, endoscopists and
12 other proceduralists have found the
13 superiority of propofol for sedation. When
14 used in the proper setting, propofol provides
15 excellent sedation, patient and provider
16 satisfaction, when compared to midazolam
17 meperidine combination.

18 However, propofol is still not an
19 ideal drug. It is soluble only in lipids and
20 uniformly causes significant pain and
21 discomfort on injection. It has a very narrow
22 therapeutic window and, hence, it gets a very

1 rapid onset with quickly achieved blood level
2 that results in significant cardiopulmonary
3 depression most commonly seen with induction
4 of anesthesia. This is not surprising because
5 propofol was introduced for inducing general
6 anesthesia, and practitioners have learned how
7 to maximize its use for moderate sedation.

8 What we actually need is a better
9 propofol. Aquavan or fospropofol, I believe,
10 is one such option. And the reasons I believe
11 this is the case is because firstly, it is
12 water soluble. Secondly, it is a prodrug.
13 This means it takes a little bit longer in
14 onset than the doses studied. This induces a
15 smooth sedation effect, without the rapid high
16 peaks that are observed with the lipid
17 emulsion of propofol.

18 Next, unlike propofol, the drug is
19 being introduced as a drug for sedation and
20 has been studied for sedation for this
21 purpose.

22 DR. WATKINS: Thank you. Our next

1 presenter is Momen Wahidi.

2 DR. WAHIDI: Good afternoon and
3 thank you for allowing me to speak today. I
4 am a pulmonologist an intensivist, as well as
5 a clinical researcher and a director of
6 bronchoscopy at Duke University Medical
7 Center. I was an investigator on the
8 bronchoscopy study. I have no financial
9 relationship with MGI Pharma; however, they
10 did pay my expenses today.

11 I want to talk to you about my
12 practice. We do over 2,000 bronchoscopies a
13 year at Duke, and I personally do about 500 of
14 those. We currently use a moderate sedation
15 with midazolam and fentanyl. And although it
16 is an effective regimen, unfortunately, it
17 falls short of keeping my patients comfortable
18 in about 15 to 20 percent of the patients.
19 The problem with this regimen is that we don't
20 get predictable sedation. It is always a
21 guessing game in the bronchoscopy suite about
22 what patient is going to need one milligram

1 Versed and what patient is going to need seven
2 milligram Versed and so forth.

3 I am also concerned about the
4 delayed recovery of this regimen. It is
5 always burdensome for us and for the the
6 family to wait to talk to them. It interferes
7 with the flow for patients and ourselves.

8 I want to also point to some
9 changes in the landscape of bronchoscopy. The
10 bronchoscopy field is undergoing tremendous
11 growth. We have a lot of new technologies
12 emerging in the last five years. We have
13 endobronchial ultrasound. We have more and
14 more complicated procedures that require
15 better sedation and more effective sedation.
16 And we are also doing more procedures on
17 patients because of the effects of the tobacco
18 abuse, the epidemic in the last 50 years, as
19 you know.

20 Fospropofol provides very smooth
21 and effective sedation and it is dose-
22 dependant. It is predictable and it would

1 help us do our procedures more effectively.
2 From the side effect discussion, the relevant
3 side effects to me, as a bronchoscopist and a
4 pulmonologist are the hypoxia and the,
5 potentially, drifting into deep sedation.
6 What I can tell you is today, with the current
7 regimen that we use, this is not uncommon.
8 Unfortunately, bronchoscopy is not studied
9 well. We don't have a lot of studies and we
10 take it upon ourselves, myself and other
11 colleagues, to do better bronchoscopy
12 research.

13 And what we see today in the
14 bronchoscopy suite is that these are common
15 occurrences. Most of the hypoxia that we see
16 is treated easily with simple maneuvers like
17 increasing the oxygen flow or repositioning.
18 Similarly, deep sedation is not common but
19 when we encounter it, it is usually handled
20 efficiently, quickly, and our nurses, trained
21 nurses and physicians handle it very
22 effectively. But it does happen today and it

1 is not different than fospropofol.

2 So, I am hoping that this drug
3 would be approved because it will help my
4 patients, it will help my practice and,
5 hopefully, it will help a lot of patients.

6 Thank you.

7 DR. WATKINS: Thank you very much.
8 The next presenter is David Lubarsky.

9 DR. LUBARSKY: Thank you. My name
10 is David Lubarsky. I am the Chair at the
11 University of Miami and MGI did pay for my
12 expenses and time to be here. My total
13 consulting fees, I have been working with them
14 probably for four years, represent
15 significantly less than one percent of my
16 income. They occasionally consult with me.

17 My practice consists of nine
18 different surgical suites in seven different
19 facilities, including the largest public and
20 the largest hospital in the United States of
21 America, as well as a VA, a private hospital
22 and ambulatory surgery center, the number one

1 eye hospital in America. And in each and
2 every single facility, as I am sure some of
3 the sitting chairs who are on this committee
4 understand, I am constantly assaulted with the
5 push for various practitioners to use
6 propofol. And I believe that this drug is a
7 reasonable compromise where I can say yes to
8 one and no to the other, if this drug were
9 approved for this purpose. I am only speaking
10 personally, from my experience, which is in
11 the hospital where anesthesia backup is
12 readily available. And I believe that the use
13 of this drug with its slower onset and perhaps
14 easier recognition of deep sedation will allow
15 for an easier call for help, if that is the
16 case.

17 I will tell you that, around my
18 hospital system, it is difficult to control
19 the use of propofol despite having written all
20 of the sedation guidelines for the system
21 after I got there.

22 There are many patients who

1 actually would benefit from a propofol-like
2 level of sedation. It is more pleasant than
3 some of the other drugs that we currently use.
4 And right now, people either bootleg propofol
5 or they use drugs that might be sub-optimal
6 for a particular experience. And I think that
7 this drug provides that opportunity to address
8 that.

9 I also think that I have been
10 constrained in teaching other specialties how
11 to manage airways. Because the implication
12 has been constantly that you are, essentially,
13 going around the FDA, around the black box
14 warning on propofol. You are teaching people
15 how to rescue airways so that they feel
16 comfortable using propofol. And I want to
17 say, I do not want any proceduralist using
18 propofol, which induces a quick general
19 anesthetic, without an anesthesiologist
20 present. And I think that this drug offers a
21 potential alternative to that and allows for
22 additional cooperation between the societies,

1 which frankly, to this endpoint, has been
2 lacking. The reason that the AGA has no
3 anesthesiologist teaching the GI doctors how
4 to manage airways and do deep sedation is
5 because we frankly don't believe that it is
6 safe for them to use propofol on a regular
7 basis. Perhaps this is a bridge to greater
8 cooperation, to greater education, to greater
9 patient safety, and perhaps it is not. I
10 don't know the answer to that. I think that
11 post-marketing studies are going to have to be
12 done. There is the potential danger that we
13 will see midazolam-like arrests, et cetera,
14 when the drug is first introduced. We can't
15 know that.

16 And I would also like to say that
17 as a chair of an extremely large training
18 program, I defer to the ASA and their
19 statement and their position but I think that
20 offering perhaps a different perspective
21 because I currently do not currently cover GI
22 endoscopy. So, I don't have any economic

1 interest as a practice in actually pursuing or
2 maintaining that practice. And when you work
3 in a public hospital, you can't actually
4 afford to send an anesthesiologist down to do
5 every sedation. So, in some cases, this may
6 really be better for the patients.

7 And unlike everybody else, I am
8 going to stop before my time is up. Thank you
9 very much.

10 DR. WATKINS: Thank you. Our next
11 presenter is Todd Baron.

12 DR. BARON: Hello. I am a
13 gastroenterologist at Mayo Clinic, Rochester.
14 I am the Director of Pancreatic and Biliary
15 Endoscopy there. I am here to represent the
16 ASGE. I am also the Chair of the Standards of
17 Practice Committee for the ASGE. Neither
18 myself nor the ASGE has any financial
19 conflicts with regard to this.

20 In the landscape of endoscopic
21 sedation, monitored anesthesia care, accounts
22 as you heard between 33 and 40 percent of all

1 endoscopic sedation, up to 67 percent, for
2 example, in New York, as we have also heard,
3 midazolam and opiates comprise the majority of
4 sedation given for gastrointestinal endoscopy.

5 GI-directed propofol, despite the
6 fact that it is generally not accepted, as
7 been published, in over 500,000 patients with
8 excellent safety and efficacy. Unfortunately,
9 there is a restricted label for propofol.

10 State nursing board rules limit the
11 administration by a GI nurse team. The
12 economics of MAC anesthesia then have led to
13 higher costs per case, up to \$440 per case, a
14 billion dollars of health care. Costs and
15 there are payers that are refusing to
16 reimburse now for use of propofol.

17 Sedation levels. There are,
18 obviously, various levels of sedation that can
19 be obtained by any drug or any drug
20 combination. Anesthesia provides probably
21 deep sedation in use of propofol, whereas the
22 GI nurse sedation delivered propofol targets

1 moderate sedation. You have also heard
2 earlier by Dr. Cohen, and this is part of his
3 data, looking at satisfaction with propofol
4 compared to benzodiazepine and opioids with
5 regards to colonoscopy sedation showing that
6 there is better patient satisfaction with
7 propofol.

8 So, fospropofol, as you know, is a
9 water-soluble prodrug without restricted label
10 may allow propofol superior type of sedation
11 experience but administered by a GI nursing
12 and a GI nurse team. The potential decreased
13 use of anesthesia providers may also result in
14 cost savings.

15 There are concerns about
16 fospropofol that you have heard. And this
17 talk was obviously put together before any of
18 the data that was presented today. There is
19 not a comparison to a gold standard. There is
20 limited data on use in upper endoscopy. It
21 does have a longer half life than propofol and
22 we talked about dose stacking, paresthesias

1 and perhaps insufficient data in the sickest
2 of patients.

3 So in summary, future studies are
4 needed, obviously, for upper endoscopy, in
5 addition to other, perhaps, complex
6 endoscopies such as ERCP/EUS and those with
7 advanced anesthesia grade levels. Certainly,
8 it is mentioned today, training and procedural
9 staff are needed prior to implementation of
10 this drug. But the ASGE's position is that
11 appropriately trained gastroenterologists and
12 nurses under the direction of
13 gastroenterologists can safely administer, not
14 only propofol, but also fospropofol for
15 sedation during endoscopic procedures.

16 Thank you.

17 DR. WATKINS: Thank you. Our next
18 presenter is Philip Grossman.

19 DR. GROSSMAN: Thank you. I am
20 Dr. Philip Grossman, a practicing
21 gastroenterologist in Miami, Florida. I am
22 a voluntary associate professor of

1 gastroenterology and epidemiology in public
2 health as well as medical director of an
3 ambulatory surgery center. In addition, I
4 have served as a consultant to MGI and have
5 been compensated for it. And the opinions
6 that I express today are mine and not of any
7 of the organizations that I have just
8 mentioned.

9 I am going to try to make my
10 remarks simple and to the point. And that is,
11 fospropofol is not a good or a bad drug, per
12 se. It is no different from penicillin or any
13 other drug when measured for appropriate
14 usage. The real issue surrounding fospropofol
15 is that there now exists a gap, particularly
16 in the outpatient interventional world. We
17 have midazolam and narcotics at one end of the
18 spectrum. We have anesthesia-administered
19 propofol on the other end of the spectrum and
20 a very large gap in between that heretofore
21 has been unmet. The data available to date
22 suggests that fospropofol would meet that gap

1 very well.

2 You know, this is not a wonder
3 drug. I don't think it is meant to replace
4 any of the other choices. I think its benefit
5 is that it provides the clinician an
6 alternative in the appropriate setting.

7 We know that the usual combination
8 of fentanyl and Versed are not often adequate
9 for patient sedation. You have seen the
10 slides from speakers before me, as well as the
11 fact that the rise in propofol use is growing
12 at about 25 percent each year, not by
13 coincidence, but because there is a gap that
14 is being administered now with the only
15 alternative.

16 Safety is, of course, the number
17 one issue. And the comments that I have seen
18 and read to date talk about the drug, per se
19 but I think the real safety issue is around
20 the clinician and not the drug, that with
21 adequate training, with adequate patient
22 assessment, the drug is safe and fills an

1 important role.

2 If you look at midazolam, as has
3 been mentioned and you turn the clock back and
4 look at all the respiratory arrests, you would
5 have said that is a horribly unsafe drug and
6 should be pulled from the market. Yet, today
7 it is widely used and is a safe drug in the
8 same hands. The difference is that the drug
9 didn't change but what changed was the
10 education and assessment and understanding of
11 the drug.

12 There has been criticism or
13 concern that, if fospropofol were approved as
14 requested, that there would be a danger in the
15 office environment. That already there is a
16 concern about procedures in the office being
17 done in an unsafe manner. My answer is
18 simple. The problem is not the drug; it is
19 the doctor. If things are being done with
20 improper safety, they are simply being done
21 improperly and that is not a product of the
22 drug.

1 Propofol provides a very
2 beneficial patient experience but it is not
3 perfect. There are storage issues. There are
4 sepsis issues, there is pain on injection and
5 this fospropofol provides what I believe to be
6 an excellent balance between the two extremes.
7 At a time when two of our greatest challenges
8 in health care in this country are access to
9 care and colorectal cancer screening, this is
10 a time when clinicians need a broader choice
11 to match the patient's need for a safe and
12 effective examination with available drugs.
13 I believe fospropofol should be approved for
14 that reason.

15 DR. WATKINS: Thank you. Our next
16 presenter is Michael Weinstein.

17 DR. WEINSTEIN: Members of the
18 Committee, I am Dr. Michael Weinstein, a
19 practicing gastroenterologist in the region
20 for more than 23 years. As a disclosure, I
21 have participated in fospropofol clinical
22 trials for Guilford Pharmaceuticals beginning

1 in 2003 and later for MGI Pharma. I was
2 compensated by these companies as a principal
3 investigator. In order for me to appear here
4 today, I am being compensated for my time away
5 from my practice. My comments are my personal
6 comments.

7 As an additional disclosure, I
8 currently serve on the governing board of the
9 American Gastroenterological Association but
10 I am not here in that capacity and my comments
11 are not official comments of the AGA.

12 I thank you for the opportunity to
13 appear before you.

14 Pertinent to this new drug
15 application, I am the founder of two endoscopy
16 centers in the region that have performed more
17 than 150,000 procedures over the last 20
18 years. We have used a combination of
19 midazolam and meperidine or fentanyl sedative
20 combinations without a single intubation in
21 more than 20 years. I have seen dramatic
22 improvements in advances in medical technology

1 and medicines for digestive disorders. The
2 ambulatory endoscopy center accreditation
3 process has changed over the last two decades
4 and the credentialing for physicians and
5 training requirements for our nursing and
6 technical staff have changed with them.

7 Related to the administration of sedation, we
8 now require all physicians and endoscopic
9 nurses to maintain ACLS certification.

10 In addition to the
11 gastroenterologists, endoscopic procedures are
12 staffed by both a nurse dedicated to assisting
13 with monitoring of patient sedation level and
14 a technician to assist in therapeutic
15 procedures. Over the years, we have added
16 equipment to help us monitor patient responses
17 to sedatives, including automated vital sign
18 assessors, pulse oximetry and routine EKG
19 monitoring.

20 Our patients expect that their
21 procedures will be performed in a comfortable
22 and safe manor. Combining midazolam and an

1 opioid leads to a reliable induction of
2 sedation with high rates of procedure
3 completion and high patient satisfaction
4 levels. There are, however, some less-than-
5 ideal aspects to the use of these agents, as
6 you have heard. These include the delayed
7 onset of sedation and lingering sedative
8 effects that may delay recovery and discharge.

9 Diprivan was not used by
10 gastroenterologists for the routine
11 performance of endoscopic procedures, when it
12 was first introduced nearly 20 years ago.
13 Accordingly, the restriction on the use of
14 propofol by non-anesthesiologists did not
15 impose a clinical care hindrance. However,
16 times and technology have changed and my hair
17 has fallen out.

18 I will not reiterate all of the
19 arguments about whether the labeling of
20 propofol should be changed, except to say that
21 in 2008, the published data on worldwide
22 experience with trained, non-anesthesiologist-

1 administered propofol now exceeds half a
2 million cases performed safely.

3 I wish to highlight a couple of
4 the most important points from the perspective
5 of an investigator of propofol. My experience
6 was limited to male and female patients
7 between the ages of 18 and 85 with ASA class
8 I or II, scheduled to undergo elective
9 outpatient colonoscopy. We did not have the
10 opportunity to study fospropofol in patients
11 with severe systemic disease, ASA class III or
12 IV. These are patients that we would not
13 normally schedule in an ambulatory endoscopy
14 center for non-anesthesiologist-administered
15 sedation. As a basis for comparison, I have
16 had the experience of observing the sedation
17 effect of propofol combinations in hundreds of
18 patients under the direction of
19 anesthesiologists. I have sedated thousands
20 of patients with benzodiazepine/opioid
21 combinations and I personally directed the use
22 of propofol for 75 cases in another clinical

1 trial.

2 My personal observations with
3 fospropofol/fentanyl combination is that the
4 sedation achieved in patients was
5 characterized by a more gentle onset --

6 DR. WATKINS: Thank you. Our next
7 presenter is Gordon Downie.

8 DR. DOWNIE: I would like to thank
9 the panel for this opportunity. My name is
10 Gordon Downie. I am an M.D. Ph.D., a Ph.D. in
11 experimental pathology. I am a board-
12 certified pulmonologist. My travel here was
13 supported by MGI Pharma. I have no other
14 financial relationship. I am a practicing
15 interventional pulmonologist in Northeast
16 Texas. At the time of the Phase 3 trial, I
17 was an associate professor at East Carolina
18 University and a site principal investigator
19 for the trial.

20 I am here in support of the use of
21 fospropofol in advanced interventional
22 pulmonary techniques. My current practice

1 employs Versed and fentanyl in small,
2 repeatable aliquots to achieve and maintain
3 moderate sedation. I am ACLS-certified and
4 moderate sedation-certified. However, there
5 are several gaps which I have found in my
6 practice. These usually occur in patients
7 with high metabolic rates secondary to drug
8 abuse or in my lung cancer clinical
9 experience, patients with narcotic usage for
10 uncontrollable refractory pain. Fentanyl and
11 Versed is inadequate in inducing and
12 sustaining moderate sedation in these
13 patients.

14 As Dr. Wahidi from Duke intimated,
15 advanced interventional techniques in
16 pulmonology is the largest growing facet of
17 bronchoscopy. We have prolonged procedures
18 lasting from a half hour to an hour and a
19 half. And as we go longer, we need a
20 repeatable, predictable sedation which will
21 not have patients dipping into deep sedation,
22 which occurs readily with Versed and fentanyl.

1 My personal experience with
2 fospropofol, we followed ten patients in the
3 study. Six of these ten patients had lung
4 cancer at our group and, to address some of
5 the panel's questions from earlier, my
6 procedures lasted between 20 minutes and 50
7 minutes so, much longer than the mean that was
8 reported.

9 Three of the four cancer patients
10 used a 6.5 milligram dosing. We had no deeper
11 sedation than three -- excuse me. One patient
12 with two, most were three. It was predictable
13 and very useful in that setting.

14 In conclusion, I think fospropofol
15 would be very useful in my practice,
16 especially in advanced interventional
17 techniques. In Northeast Texas, where I now
18 practice, we only have two anesthesiologists
19 in our practice and three CRNAs. We have a
20 new cancer center, which is our magnet for
21 drawing in patients. We just do not have the
22 manpower to have anesthesia-trained personnel

1 at these procedures. So, I think fospropofol
2 has attributes not available in the other
3 sedating medications and I think this would
4 improve safety and comfort in the cohort that
5 I have just described.

6 It is my profound hope that
7 political and financial concerns will not
8 unduly influence the approval of this drug,
9 which is proven to have scientific merit,
10 especially in the cohort that I mentioned.
11 Thank you.

12 DR. WATKINS: Thank you. Our next
13 presenter is Thomas Henthorn.

14 DR. HENTHORN: Thank you very
15 much. I am here to represent the American
16 Society of Anesthesiologists, to bring their
17 comments to this Committee and I thank you for
18 that. They paid for my travel.

19 What I have to say deals with some
20 of the real concerns with the clinical
21 pharmacologic data for propofol and
22 fospropofol. I also have some remarks

1 regarding the ASA's recommendations regarding
2 the needed training and education for safe
3 administration of anesthetic drugs.

4 The first red flag has to do with
5 fospropofol's variability. This figure shows
6 the plasma propofol concentrations resulting
7 from an infusion of the emulsion formulation
8 and from fospropofol and as plotted on
9 identical axes. The C-max of the emulsion
10 varied no more than approximately 25 percent,
11 while the fospropofol varied over a three-fold
12 range. Some of the reason for this may be
13 related to its conversion.

14 Due to the increased molecular
15 weight of the phosphate group, the milligram
16 dose of fospropofol would be expected to be
17 1.86 times larger than the equipotent dose of
18 propofol. Instead, the actual equipotent dose
19 in this study was 6.32. Data presented today
20 from the sponsor showed similar dose ratio.

21 In contrast, fosphenytoin, using
22 the same mechanism, has a molecular weight

1 that is 1.5 times that of phenytoin and the
2 equipotent dose is exactly 1.5 times.

3 The situation with fospropofol is
4 not so straightforward. There is metabolism
5 variability and metabolism in the liver that
6 probably goes straight to the glucuronide and
7 prevents it from going into the blood.

8 However the true kinetics of the
9 conversion of prodrug to propofol plays out,
10 anesthesia providers, familiar with the dosing
11 of propofol in their current literature about
12 fospropofol need to be educated. Furthermore,
13 the potential for highly variable conditions
14 strongly argue for the presence of personnel
15 sufficiently educated and trained to deal with
16 the full continuum of sedation and anesthesia.

17 The other red flag is the very
18 steep response curve for propofol. The gamma
19 for midazolam is much smaller than that for
20 propofol. And what that translates into is
21 that small doses of propofol can produce large
22 increments of effect. Quite the opposite of

1 what happens with midazolam, where repeated
2 large doses do not give you significant
3 changes in effect. This will be a different
4 situation.

5 In this 1928 or 1908 publication
6 by Dr. Cohen, who we saw earlier today, we see
7 that the proposed 6.5 milligram dose of
8 fospropofol was successful only 69 percent of
9 the time. This study also shows the steep
10 nature of the dose response curve, where an
11 increase of only 1.5 milligram per kilogram
12 changes the sedation success from 35 percent
13 to 69 percent and up to 96 percent. Dr. Cohen
14 termed that the larger dose as having safety
15 concerns, which we saw today was an incidence
16 of 25 percent of deep sedation or frank
17 anesthesia. Note that the midazolam was
18 successful about 80 percent of the time. I am
19 not so worried about patients that failed in
20 midazolam. I am more worried about adding
21 drug.

22 And I have run out of time. Thank

1 you.

2 DR. WATKINS: Our final presenter
3 is Julie Cantor-Weinberg.

4 DR. CANTOR-WEINBERG: I am the
5 Vice President of Public Policy at the
6 American College of Gastroenterology and we
7 are pleased to be here today. MGI Pharma has
8 been an exhibitor at ACG educational
9 conferences. ACG is a physician organization
10 representing more than 11,000
11 gastroenterologists.

12 I think that it is important to
13 realize that we work so hard to increase colon
14 cancer screening rates, and fear of discomfort
15 during the procedure can be an important
16 barrier to colonoscopy screening. And our
17 members consider sedation during endoscopic
18 procedures, including colonoscopies a medical
19 necessity, with more than 98 percent utilizing
20 sedation during these procedures.

21 The profession of
22 gastroenterologists has more than four decades

1 of experience in using a wide range of
2 sedation agents. Gastroenterologists are well
3 trained to respond to the rare complications
4 that may occur using these agents.

5 I am not going to repeat some of
6 the comments of the other GI group. So, I
7 think it is important to recognize that there
8 is ample scientific evidence demonstrating the
9 safety of propofol under the supervision of
10 gastroenterologists. And in 2004, along with
11 our sister societies, we issued a joint
12 statement on the use of sedation in endoscopy.
13 And the statement found that there is ample
14 data to support the use of propofol by
15 adequately trained anesthesiologists.

16 Nonetheless, the current FDA-
17 approved labeling contains a warning
18 specifying that it should be administered only
19 by persons trained in the administration of
20 general anesthesia and not involved in the
21 conduct of surgical or diagnostic procedures.
22 And this has led some states and institutions

1 to limit the use of propofol by
2 gastroenterologists.

3 Given the significant data on the
4 safety of gastroenterologist-administered or -
5 supervised propofol in 2005, the College filed
6 a proposed labeling change for propofol
7 through the FDA. Three years later, we are
8 still waiting for an answer, despite the fact
9 that there have been almost 500,000 cases of
10 non-anesthesiologist-administered propofol
11 documented in the literature. And the non-
12 anesthesiologist-administered propofol is one
13 feasible solution to the high cost associated
14 with anesthesiologist-delivered sedation for
15 endoscopy.

16 Obviously, patient safety is key
17 to quality care in any recommendation this
18 Committee makes. And it is important to note
19 that there is no studies to date
20 demonstrating that NAP exhibits a higher
21 incidence of cardiopulmonary or procedural
22 complications than standard sedation by

1 endoscopic procedures. The College believes
2 that training and clinical education are a key
3 function, and some of our training does
4 involve assessing and monitoring patients with
5 restricted airways.

6 It is important to note that all
7 of the pivotal studies conducted pursuant to
8 the NDA with fospropofol performed without
9 anesthesiologists, including those done in
10 association with colonoscopy. We are pleased
11 that the NDA and clinical trial application
12 for this sedation agent. Especially the trial
13 data on colonoscopy patients shows that it can
14 be safely used by non-anesthesiologists,
15 including gastroenterologists, with
16 appropriate patient selection and patient
17 monitoring.

18 We, therefore, urge the Committee
19 to, if it approves the product, to allow for
20 non-anesthesiologist-administered propofol and
21 seek your help in moving our 2005 petition
22 forward on propofol. The health care system

1 can't afford to wait any longer than it
2 already has. Thank you.

3 DR. WATKINS: Thank you very much.

4 CHAIR FARRAR: That concludes the
5 open public hearing. The open public hearing
6 portion of the meeting is now concluded and we
7 will no longer take comments from the
8 audience. The Committee will now turn its
9 attention to addressing the task at hand, the
10 careful consideration of the data before the
11 Committee, as well as the public comments.

12 What I would like to propose to
13 the Committee is that I had asked you before
14 lunch to look at the questions that we have.
15 We have one more question which basically
16 asks, in summary, how you feel about the data
17 that has been presented. We will provide you
18 with that question in a minute. And what I
19 would like to do is to open the floor for
20 discussion. Clearly, if you have thought of
21 other questions over the course of lunch that
22 you are interested in asking about, we are

1 very interested in making sure that we cover
2 all of the topics that we have heard this
3 morning.

4 And Dr. McLeskey, you had a
5 question before lunch that we didn't get to.
6 So, we can start with you.

7 DR. McLESKEY: Thank you. This is
8 a question for the sponsor and I was just
9 curious, when you say you are limiting the
10 dose to six and a half milligrams per kilo on
11 a per kilo weight basis but an individual less
12 than 60 kilos would be dosed at the 60
13 kilogram dose, what was the logic for that?

14 DR. KLINE: The logic for the
15 weight bounds that we have incorporated into
16 the dosing recommendations are based on the
17 pharmacology of the drug. I would like to ask
18 Dr. Waters to speak to that information.

19 DR. WATERS: Yes. The weight-
20 bound dosing regimen for fospropofol that we
21 have used in our clinical studies is based on
22 the known difference in propofol clearance

1 across body weights. This is published in the
2 literature and evident in our studies. Slide
3 up, please.

4 The data presented here are
5 propofol clearance versus the three different
6 categories of the weight bounds within our
7 dosing regimen. Shown on the left are the
8 data propofol clearance for the patients below
9 60 kilos. You can see that the plasma
10 propofol clearance is greater in those
11 patients, relative to the heavier weight
12 patients. That is, propofol is leaving the
13 body more rapidly. In effect, what we have in
14 those patients is, while they are dosed on a
15 greater milligram per kilogram basis, the
16 resulting propofol plasma levels are
17 consistent across the weight population. Next
18 slide, please.

19 These are data from a population
20 PK evaluation. Shown on the left are the
21 plasma propofol versus time concentration data
22 for the patients below 60 kilos. In the

1 middle, 60 to 90 kilos. And on the right,
2 greater than 90 kilos. And as you can see,
3 with this dosing regimen that we have employed
4 within our clinical studies, we have achieved
5 similar plasma propofol concentrations across
6 these different weight bounds, by using the
7 weight bounds we have incorporated.

8 CHAIR FARRAR: Dr. Kirsch.

9 DR. KIRSCH: My question relates
10 to the efficacy in different genders and I am
11 wondering if you have data separating the pre-
12 menopausal women versus men or postmenopausal
13 women on the efficacy of the agent in
14 producing your endpoints.

15 DR. KLINE: We looked at efficacy
16 in subgroups. We did not look at the specific
17 subgroup that you mentioned, postmenopausal
18 women. When we look at differences in
19 efficacy or look at the sub-populations based
20 on gender, age, weight, ASA status, we see
21 that in all cases, we still reach the efficacy
22 endpoint.

1 DR. KIRSCH: I'm specifically
2 interested in the low-weight people. I
3 suspect that most of those people are women
4 and wondering whether their rate of metabolism
5 relates more to their gender than their size.

6 DR. KLINE: We, you know, the
7 patients that were less than 60, we don't have
8 the breakdown by other demographic factors by
9 patients who were less than 60. I can't
10 answer that question right now.

11 CHAIR FARRAR: Let me follow up,
12 though. My understanding of propofol is that,
13 unlike other very lipophilic agents, it is not
14 metabolized so much as cleared by being
15 transferred to other adipose predominant
16 tissues. Is that true? I don't want to
17 misspeak, if that is not true.

18 DR. KLINE: Dr. Waters?

19 DR. WATERS: There are several
20 factors related to propofol clearance. One is
21 distribution and also metabolism, conjugated
22 metabolism and oxidative followed by

1 conjugated metabolism.

2 CHAIR FARRAR: So I guess my
3 question is, if I give a single dose of
4 propofol to a patient and, within a very short
5 period of time, they go through a sedation and
6 then a period where they wake up; what
7 percentage of the propofol is actually
8 metabolized as opposed to redistributed? The
9 real question comes down to thinking about the
10 smaller group and other subgroups. For
11 instance, very cachectic patients who may need
12 exams for a variety of reasons where their
13 volume of distribution or their lipid
14 available for distribution of the drug would
15 be much smaller. And I think that gets to Dr.
16 Kirsch's question. If the smaller patients
17 are all women, women have a higher adipose
18 tissue content, generally, than men and it may
19 be that the need for the larger dose is
20 related to them being women instead of just
21 being small.

22 DR. WATERS: Well, I can ask

1 another member of our group to speak to the
2 demographics of our population, but I think
3 the data that I showed relative the smaller
4 body weight patients demonstrated that the
5 plasma propofol concentrations are similar
6 across the groups. So, we are not seeing the
7 decreased body weight as impacting the plasma
8 propofol concentrations, as you might have
9 suggested.

10 CHAIR FARRAR: But to the specific
11 question, is the short activity of propofol
12 related to the redistribution into fat tissue?

13 DR. WATERS: The early aspect of
14 propofol decrease is a redistribution
15 phenomenon but also impacted by extensive
16 metabolism.

17 CHAIR FARRAR: Thank you. Dr.
18 Chang.

19 DR. CHANG: Yes, I had two
20 questions just again about the applicability
21 to the clinical practice.

22 So, when you do education and when

1 you are planning on introducing this into
2 clinical practice, are clinicians going to be
3 told what to do if you don't have adequate
4 sedation and that you are going to give, then,
5 to tell them to supplement it with midazolam?
6 And do you have enough information to know how
7 these two interact and are there maximum
8 doses? And also, are you going to have any
9 guidelines on the limitation? If you have a
10 patient that you think you are going to be
11 scoping for a long period of time who has
12 polyp disease, isn't there going to be some
13 maximal dose? And is there going to be
14 guidelines on maybe those aren't the correct
15 patients to use this type of drug?

16 DR. KLINE: Your question gets to
17 the idea of drug interactions and I would like
18 to ask Dr. Sirek to address that.

19 DR. SIREK: We did do a
20 pharmacokinetic drug interaction study, slide
21 up please, that looked at the pharmacokinetic
22 interaction with fentanyl, midazolam,

1 meperidine, and morphine. And none of these
2 drugs affected the pharmacokinetic parameters
3 for fospropofol.

4 In addition, there is, of course,
5 an additive affect of sedation. And that
6 would be true also when you had a midazolam
7 failure, for example, in clinical practice.
8 And to that end, while we do have experience
9 with the use of midazolam in our sedation
10 failures, not unexpectedly, you know, when you
11 do add sedation, they are more likely to
12 become deeper-sedated. But within our
13 clinical trials, the investigators were
14 blinded. So when we had a sedation failure in
15 our 6.5 milligram dose group, the investigator
16 had no way of knowing whether or not the
17 patient had gotten 2 milligrams or 6.5
18 milligrams and, therefore, we believe that
19 they may have given a little bit more than
20 they would if they really knew how much drug
21 the individual had received.

22 To that end, we do not anticipate

1 giving a limit as to how much fospropofol can
2 be given. We do have experience with
3 substantially higher doses both as a bolus
4 dose, as you have heard previously from our
5 400 series, as well as in a continuous
6 infusion trial in the critical care unit over
7 12 hours. So, we have that range. We are not
8 suggesting that this drug might be appropriate
9 for very long procedures. We do not have
10 experience in extended procedures and
11 typically, moderate sedation is not used for
12 procedures that are going to last several
13 hours. But within the range of what is
14 generally used for moderate sedation, the
15 procedures that give moderate sedation with
16 midazolam and fentanyl or other opioids, we do
17 believe that our data is consistent with that
18 use.

19 DR. CHANG: I mean, because some
20 colonoscopies take a lot longer than the 11
21 minutes. You know? So I'm not taking about
22 a two-hour colonoscopy; that would be bad.

1 And then also, just for opioids, I
2 mean, do patients really get out faster? I
3 know their memory is a little bit better but
4 it's not like they are not going to get
5 opioids, which do sedate a patient as well.
6 So, I am just wondering. Is it really going
7 to be quicker for them to be more aware?

8 DR. KLINE: We, as I mentioned,
9 didn't do comparative studies as far as
10 looking at comparative claims. Our times to
11 emergence, our time to a fully alert is
12 approximately five minutes median time in the
13 colonoscopy study, five and a half minutes in
14 the bronchoscopy study.

15 CHAIR FARRAR: With regards to the
16 interaction of the use of propofol with other
17 medications, obviously it is used for a short
18 period of time, but is there any data on -- in
19 terms of its pathway metabolism effect on
20 other drugs, Warfarin, Heparin, diabetes
21 insulin, et cetera?

22 DR. KLINE: Dr. Waters can speak

1 to that.

2 DR. WATERS: We don't have
3 specific information but we wouldn't
4 anticipate that to be an issue for this drug.
5 Remembering this is a prodrug of propofol and
6 the initial metabolic stent that is liberating
7 propofol is a function of alkaline phosphatase
8 mediated action. Thereafter, the drug is
9 metabolized, as is propofol.

10 I wonder if I might clarify a
11 couple of points that were made earlier on the
12 PK assessment. Some of the data that was
13 presented by outside speakers suggests that
14 there are data published that are supporting
15 a varied profile than the clinical
16 pharmacology profile we have presented. That
17 is not the case. Those studies that were
18 studied early and referenced today are from
19 early literature studies. Early in our
20 clinical program, we determined that there
21 were errors in the propofol methodology, the
22 bioanalytical methodology, resulting in

1 unreliable propofol concentration levels in
2 some early published studies. As a result, PK
3 and PK/PD conclusions made in those studies
4 are incorrect. Slide up, please.

5 When we realized there were errors
6 in the study, we optimized those assays and
7 validated them and conducted further clinical
8 pharmacology studies and used them in our
9 Phase 3 program. We have demonstrated that
10 fospropofol has very low intra-individual
11 variability.

12 Secondly, we have demonstrated
13 dose proportionality of both fospropofol and
14 propofol. The statements made about metabolic
15 inversion are incorrect. The compound is
16 fully metabolized to propofol. This is based
17 on metabolism and clearance studies. And
18 furthermore, our pharmacokinetic,
19 pharmacodynamic data demonstrate that propofol
20 is propofol and produces the same
21 pharmacologic effect, whether it is liberated
22 from fospropofol or liberated from Diprivan,

1 or provided as Diprivan.

2 I would also like to bring up the
3 next slide. We recognized those problems, we
4 fixed those problems, we have presented this
5 data in a number of scientific forms. The
6 first two presentations you see there were
7 delivered at the ASA meeting last year, where
8 we presented the PK/PD relationship.

9 The second study looked at the
10 population pharmacokinetics. And then, in a
11 variety of other settings, AEPS, we have
12 demonstrated the dose proportionality data and
13 PK/PD data presented at two subsequent
14 meetings.

15 One final clarification. There
16 was this comment made about the dose ratio, if
17 you will, of a 1.8 to one. That is based on
18 the molecular weights of fospropofol being a
19 heavier compound to propofol. However, if we
20 think about that, that ratio need not reflect
21 what the dose ratio should be. What we have
22 is a drug that's pharmacology and

1 pharmacodynamic effect is driven by plasma
2 propofol concentration. Yes, dose but more
3 readily by concentration. That is, when you
4 look to our PK/PD relationship, you see that
5 we have a similar identical, if you will,
6 profile of propofol from fospropofol or
7 propofol from Diprivan.

8 So yes, the doses differ but that
9 is not a function of variability within the
10 drug. It is a function of the fact that our
11 drug requires metabolic release of the active
12 agent. Thereafter, plasma propofol
13 concentrations behave, and the pharmacology
14 behaves, as one would expect.

15 CHAIR FARRAR: You can stay there
16 for one second. I don't want to press the
17 issue too much. But as a pain physician, I am
18 very comfortable with the concept of
19 titration. And I think, actually, your
20 strategy of starting at a relatively lower
21 dose, meaning one that is only effective
22 initially in 65 percent and then titrating up

1 makes huge amounts of sense. However, if you
2 could put up slide CP-4, I just would like to
3 ask one clarification.

4 This is a logarithmic scale and if
5 I read the logarithmic scale correctly, the
6 difference between the lower levels and the
7 upper levels there could be considered two-
8 fold. You have got a level that goes up to
9 around, it looks to be around two and you have
10 levels that seem to level off around 0.8.
11 That seems to me to be a two-fold difference.

12 Again, it doesn't bother me one
13 way or the other because I think titration is
14 the right way to deal with this drug. But,
15 given that it is a logarithmic scale, I am not
16 sure that a two-fold difference in terms of
17 patient variability is not consistent with the
18 data.

19 DR. WATERS: I'm sorry. Your
20 question has to do with demonstrating dose
21 proportionality?

22 CHAIR FARRAR: You made the

1 comment that one of the presenter's slide,
2 which indicated a two-fold difference in
3 plasma level of propofol based on with the
4 same dose, was perhaps not correct. And I
5 would simply like to point out that your slide
6 indicates that there could be a two-fold
7 difference between the lowest and the highest
8 level here on this logarithmic scale.

9 DR. WATERS: Yes. Maybe it would
10 be a little more clear if we were to show you
11 this data in a little different format. Slide
12 up, please.

13 What we showed you there were the
14 individual plasma concentration versus time
15 profiles and here we are looking at the mean
16 data. And I think it is a little easier to --

17 CHAIR FARRAR: Just to be very
18 clear. The individual data is the important
19 data. This data takes into account averaging
20 based on statistical probabilities. And I am
21 not arguing the issue too much, but simply to
22 say that individual variability is likely to

1 be, on that previous graph, is clearly in the
2 range of two-fold difference in plasma level.
3 Again, not an issue. It simply means that we
4 need to titrate this drug. But I would be
5 careful about using the statistics to obviate
6 the individual data.

7 DR. WATERS: We agree entirely and
8 that is the reason we showed the individual
9 patient data at the beginning. I thought that
10 might be more clear. But just going back to
11 the variability. We have low inter-patient
12 variability. Less than a 30 percent
13 coefficient of variation, which is considered
14 low in clinical pharmacology standards.

15 CHAIR FARRAR: Dr. Buchman.

16 DR. BUCHMAN: Although I don't
17 recommend this practice, I have done
18 colonoscopies unsedated. I have done double
19 procedures unsedated in people who wanted to
20 go back to work and nobody left claw marks in
21 the ceiling.

22 So, therefore, my question comes

1 up. Why was the initial dose of fentanyl part
2 of the protocol? Were you afraid your drug
3 wasn't going to work with a single dose?

4 And regardless of that question,
5 which I want to have answered, how can we,
6 therefore, ascribe the positive effects in
7 terms of sedation only to fospropofol, when
8 actually, it could be the combination with
9 fentanyl or perhaps even the fentanyl alone?

10 My typical sedation for a
11 colonoscopy is only 50 of fentanyl with two or
12 three of Versed. I mean, it really has to do
13 with how much scope you shove in and how much
14 air you blow in as to whether the patient has
15 discomfort. So, you know, I have some
16 concerns with the efficacy as well. because of
17 the completely uncontrolled nature of the
18 study.

19 And further to that, my third part
20 of the question is, why did you, and this
21 question I asked before but it wasn't
22 answered, why did you not see the need to do

1 a controlled study between fospropofol alone
2 versus a combination of fentanyl and Versed,
3 which is the current standard of care?

4 DR. KLINE: We used fentanyl in
5 our studies in combination with fospropofol
6 because the combination of an analgesic and a
7 sedative is the common practice for moderate
8 sedation. We look at, for example, the
9 combination of fentanyl and midazolam that is
10 used in colonoscopy. So, we used it because
11 colonoscopies are painful procedures.
12 Fentanyl is provided to manage that pain. We
13 provided the fospropofol to decrease anxiety
14 and awareness, as you do with sedatives.

15 In response to your question about
16 the controlled trial, again, we elected to do
17 dose-controlled studies. We demonstrated that
18 fospropofol sedates patients. Again, about a
19 90 percent rate of treatment success, which
20 was completing the procedure without requiring
21 an alternative sedative, without requiring
22 manual or mechanical ventilation. In

1 addition, we did include the comparator in the
2 colonoscopy study as an internal reference.

3 DR. BUCHMAN: But you specifically
4 stated in your presentation that it was
5 actually not there to be a comparator.

6 DR. KLINE: It was there as an
7 internal reference. You are absolutely right.
8 They were not designed as comparative studies.
9 We did include -- slide up, please. I would
10 like to ask our statistician to speak
11 specifically to the results that we see here
12 with fospropofol versus midazolam, but we did
13 include midazolam in our study as an informal
14 comparator.

15 DR. BLUMENSTEIN: Slide up,
16 please.

17 CHAIR FARRAR: Could you identify
18 yourself, please?

19 DR. BLUMENSTEIN: Yes. My name is
20 Brent Blumenstein. I am a biostatistical
21 consultant. Actually the slide, ST-12,
22 please. Slide up.

1 The issue in the design was not to
2 compare to Midazolam as a formal comparison.
3 Midazolam was included as a internal
4 reference. So, the issue about whether
5 studies 0522 and 0524 were successful with
6 respect to the primary pre-specified
7 comparison is really not so important. The
8 difference specified in order to compute the
9 trial size and so forth was really a quite
10 easy bar. That is, it was the comparison
11 between two and 6.5 milligrams per kilogram
12 was not a difficult thing. We observed, as
13 you saw, very robust P values.

14 The real issue here is has
15 sufficient sedation been observed? And if
16 that is a matter of referring to external
17 standards. That is, what people think of as
18 sufficient sedation as measured by a validated
19 instrument of assessing that and also by
20 reference to the internal sedation reference
21 that we had in the trial.

22 ST-11, please. Slide up. No, ST-

1 10. No, the one that was there before the --

2 No --

3 DR. BUCHMAN: The one where all
4 the confidence intervals across zero, I think,
5 is the one. Is that the one you are referring
6 to?

7 DR. BLUMENSTEIN: No. This one.
8 Yes, please. Slide up.

9 What we have here is the -- are
10 the estimates of these percent sedation
11 success for all of the arms of studies of
12 0520, 0522, and 0524. Now they are arranged
13 in a way that is not necessarily intuitive.
14 But if you can see, the first one, two, three,
15 four, of these are the arms out of 0520 in
16 increasing dose. And as you can see, the
17 sedation success has a monotonic relationship
18 with dose.

19 The next two are 0522 and 0524.
20 The two milligrams per kilogram dose. And as
21 you can see, we have the sedation success in
22 the 20 percent range. The next two are 0522

1 and 0524 6.5 milligram doses. And as you can
2 see, the level of success there are both
3 consistent and approach 90 percent.

4 Now, the final two are the two
5 Midazolam arms for 0520 and 0522. And excuse
6 me. Yes, 0520 and 0522. And as you can see,
7 that there is a good deal of success with
8 Midazolam and you can, from this, you can see
9 the informal comparison of the 6.5 dose to the
10 Midazolam arms.

11 DR. BUCHMAN: So when I look at
12 this slide, I see that Midazolam and Fentanyl
13 were actually better than Fentanyl in your low
14 dose group. But the question that I have is
15 there a statistical difference between - from
16 the 0520 study with Midazolam and Fentanyl
17 alone versus the 0524 with the 6.5 milligram
18 per kilogram dose?

19 Numerically, there is a difference
20 there perhaps between what appears to be about
21 82 and 87 percent or so. Is that
22 statistically different? Because if it is

1 not, what that tells me is that your drug
2 didn't add anything to the fentanyl and
3 midazolam. And that is even given the fact
4 that the midazolam is an extremely low dose,
5 a dose that is lower than what we would
6 typically use.

7 CHAIR FARRAR: I'd actually like
8 to interrupt here, if I could, because, in
9 fact the comparison between two active drugs
10 is not, there is not a P value comparison. It
11 is a comparison of equivalents and the issue
12 is the difference that you are able to detect.

13 The presentation here is showing
14 competence intervals that show that they
15 overlap. The interpretation is that the
16 midazolam and the 6.5 were -- both created the
17 same range of results. In addition, using a
18 dose response is a standard format for testing
19 drugs in the pain world where it is unethical
20 to not give -- to give a placebo of any kind.

21 So, I think actually the design is
22 reasonable. There is no statistical test for

1 what you are asking.

2 DR. KLINE: And if we may, Dr.
3 Cohen could give his clinical perspective on
4 the results as well.

5 DR. COHEN: Thank you, Dr. Kline.
6 I would like to put some of this into clinical
7 perspective. The first issue I would like to
8 talk about is Dr. Buchman's discussion of
9 unsedated endoscopy. And the fact is, we all
10 see a very small percentage of patients that
11 come into the clinics that are suitable for
12 unsedated endoscopy. This has been looked at
13 in a systematic fashion. And if you look at
14 the published literature on sedated endoscopy,
15 approximately five to seven percent of all
16 patients are capable of undergoing an
17 unsedated endoscopy. And no one disputes
18 that. We are talking about sedation for the
19 masses, for the rest of us.

20 The second issue relates to the
21 use of fentanyl as an agent in addition to a
22 sedative. I think it is important to first

1 bear in mind that this drug was being
2 developed for moderate sedation. We all know
3 what that means is that patients are awake and
4 they are responsive. The fact of the matter
5 is that the majority of patients having our
6 procedures do not want to be uncomfortable.
7 And if they are going to be awake and not
8 analgesic, they are going to be uncomfortable.
9 So, we provide them with a sedative for
10 anxiolysis and amnesia. We provide them with
11 an analgesic that helps to abate their pain
12 and the combination tends to produce what we
13 refer to as procedural or moderate sedation.
14 And it keeps them awake, keeps them in a safe
15 level of sedation but allows us to do our
16 procedures in patients who can walk out.
17 Either they are amnestic and they say gee that
18 was great and when do we start or they are at
19 least satisfied with the experience. And that
20 is the reason that we use a combination of an
21 analgesic and an amnestic or sedative drug.

22 The other comment I would like to

1 make, which really takes us a little bit away
2 from the issue of the combinations of drugs
3 relates to this issue of sedation. There has
4 been a lot of discussion this afternoon about
5 the issue of education of providers, of
6 proceduralists.

7 You made some comments. There was
8 one of the public comment speakers talked
9 about, cited a paper that I happened to be the
10 senior author on that addressed the issue of
11 education of proceduralist of
12 gastroenterologists. And the paper was
13 written in 2006, although it was published the
14 following year. And we made then comment in
15 the paper that there was some lack of
16 educational process surrounding issues related
17 to sedation. And I think at the time that
18 that statement was made, it was absolutely
19 true. And I think that that statement served
20 a very useful purpose.

21 Since that statement was
22 published, all three of the major GI

1 societies, the AGA, the ASGE, and the ACG have
2 all developed training programs around the
3 issue of sedation so that today there are many
4 offerings related to education in the field of
5 sedation that were not available.

6 In addition to the new training
7 programs and conferences that are available,
8 a tri-society task force has been formed,
9 which I chair, called the tri-society sedation
10 task force, which one of the strategic
11 objectives is education in the field of
12 endoscopic sedation. So that there are many
13 initiatives that have been developed over the
14 past several years to educate our societies in
15 the field of sedation.

16 If I can just transfer and ask Dr.
17 Joel Brill to sort of expand a little bit on
18 the subject of education.

19 CHAIR FARRAR: Actually, if you
20 don't mind, I would like Dr. Buchman to be
21 able to continue with his question.

22 DR. COHEN: I'm sorry.

1 DR. BUCHMAN: It just,
2 essentially, I mean, my question again, which
3 wasn't answered was why the study was designed
4 the way it was. Why was the fentanyl dose
5 given as part of the protocol to everybody?
6 Why not just your drug? You are comparing it
7 to midazolam with fentanyl. There is some
8 comparisons, perhaps not some comparisons,
9 perhaps some post-hoc analysis but it gets
10 down to the crux of the issue.

11 And, obviously yes, we give
12 analgetics. I mean, I only give an example in
13 terms of the unsedated procedures. Obviously,
14 it is not something I would want to have done
15 on me but I only use that as an example
16 because it doesn't really take all that much
17 sedation for the average person. We also have
18 people who require 400 of fentanyl and 30 of
19 midazolam and they are still looking at us.

20 So, but my question goes back to
21 the average individual and why you felt the
22 need in the protocol design to administer

1 fentanyl prior to your medication, which of
2 course, again, corrupts the efficacy data
3 evaluation, as well as the safety data
4 evaluation.

5 DR. KLINE: Again, we administered
6 fentanyl, as Dr. Cohen referred to, we are
7 targeting moderate sedation. And to the
8 extent that patients are awake and aware
9 during moderate sedation, the use of an
10 analgesic is appropriate and warranted in that
11 case. And so we feel that is, we know that is
12 the way moderate sedation is typically
13 practiced and we wanted to use the drug in a
14 situation that mimicked real life practice.

15 DR. BUCHMAN: Well then, that
16 doesn't mimic ours. I mean, we do 100, 120
17 procedures a day and three or four times a
18 year do we use propofol. And when propofol is
19 administered, it is administered as a sole
20 medication and, of course, by an
21 anesthesiologist.

22 DR. KLINE: And of course when it

1 is administered as a sole agent by an
2 anesthesiologist, it is in the case of MAC
3 sedation, where the patient is much more
4 deeply sedated. They are not awake and aware.
5 And that is the main difference.

6 CHAIR FARRAR: So, I think we can
7 all agree that it wasn't done, whether it
8 should be done or not, as a way of looking at
9 a way of providing moderate sedation. I will
10 leave it up for another time and that
11 certainly can be a recommendation of yours, if
12 you feel strongly about that.

13 Next is Dr. Epstein.

14 DR. EPSTEIN: Yes, thank you, Mr.
15 Chairman.

16 Dr. Kline, can you or your
17 colleagues expand a little bit on the -- after
18 the procedure on what occurred during
19 recovery? How quickly did these patients
20 recover, on average? How did it compare to
21 just using the current midazolam and fentanyl?

22 DR. KLINE: Right. The patients

1 in our study, the recovery parameters that we
2 looked at were time to alertness, which was
3 measured as the time to three consecutive
4 MOAA/S scores of five occurring after the
5 scope was removed. And we also looked at time
6 to reach an Aldrete score of nine or greater.

7 And what we saw in the colonoscopy
8 study was that the time to reach that
9 alertness, the time to a MOAA/S score of five
10 was five minutes. In the bronchoscopy study,
11 that was five and a half minutes. Those are
12 median times I am providing. And the time to
13 an Aldrete score of nine or greater occurred
14 two or three minutes later in the studies. So
15 a time of seven to eight minutes after the
16 scope was removed.

17 As far as how that compares to
18 typical practice and recovery times, I would
19 like to ask Dr. Cohen to give his experience
20 on the agents he typically sees.

21 DR. COHEN: Thank you. If you
22 look at average recovery times, it obviously

1 depends on what agents that we are talking
2 about. If we are using benzo/opioid
3 combinations, recovery times vary from 30 to
4 60 minutes. If we are talking about using
5 propofol-based sedation, recovery times, again
6 using the standardized sedation scales, such
7 as the Aldrete, recovery times usually range
8 from 15 to 30 minutes.

9 CHAIR FARRAR: We don't have
10 anybody else on our list. Are there any other
11 questions that people would like to ask before
12 we move on to consideration of the questions?

13 Okay, Dr. Roca or -- do you have
14 any preliminary information for us before we
15 get to the questions or should we just proceed
16 with that?

17 DR. ROCA: Go crazy.

18 CHAIR FARRAR: All right. The
19 first question is not a voting question. We
20 are, however, interested in everyone's opinion
21 and would like to give everybody an
22 opportunity to provide some advice and an

1 answer to the question. What I would ask is
2 that if you have information or an opinion
3 that is very similar to something that has
4 already been stated, that you concur with
5 those opinions and that we not repeat
6 ourselves too many times as we go around the
7 table and -- but please do be complete. The
8 whole purpose of this meeting is to garner our
9 opinions to help to advise the FDA in terms of
10 its process of moving forward.

11 So the first question is, "Do the
12 clinical trials data support the adequacy of
13 using purposeful responsiveness as a clinical
14 sign to make appropriate and safe decisions
15 regarding supplemental dosing of fospropofol
16 disodium? If not, which other clinical
17 responses should be incorporated in this
18 assessment?"

19 And if we can keep our answers
20 reasonably specific, that would be a great
21 help. Ms. Aronson.

22 MS. ARONSON: Not being a

1 clinician, I would respond as I am not sure.
2 I don't know but the questions that do come up
3 for me are the incidence of the 25 percent
4 deep sedation that the FDA pointed out in the
5 bronchoscopy procedures, in small number,
6 granted. But also the variable processing in
7 the liver.

8 I also am not clear. I heard
9 reference to some training but I don't hear
10 the training that might be on the ground. I
11 hear the benefit of 100,000 procedures and
12 more and how, you know, the watching regarding
13 multiple dosing might be possible. But for
14 non-anesthesiologists, I have a question.

15 CHAIR FARRAR: Dr. McLeskey, you
16 actually can participate in this particular
17 question, if you have anything to add.

18 DR. McLESKEY: No comment for the
19 moment, thank you.

20 DR. KLINE: Can I correct just one
21 statement? The incidence of deep sedation in
22 the bronchoscopy study was not 25 percent. We

1 saw 16 percent in the bronchoscopy study. We
2 saw four percent in the colonoscopy study.

3 CHAIR FARRAR: Thank you.

4 Ms. Krivacic.

5 MS. KRIVACIC: Again, I am not a
6 clinician either but one comment I would make
7 regarding this issue is, you know, the
8 purposeful responsiveness is a clinical sign.
9 When you are dealing with maybe perhaps people
10 with addictive behaviors that may say, yes,
11 keep giving me this, that may kind of come
12 into question. I just say this from a
13 personal experience of somebody that I took to
14 have a colonoscopy. And following the
15 colonoscopy, this individual kept asking for
16 more of the sedative product. So, that is
17 kind of my concern. Whether it is a valid one
18 or not, I don't know.

19 CHAIR FARRAR: Dr. Nussmeier.

20 DR. NUSSMEIER: Well, I have
21 problems with the use of any one sign. I
22 mean, the thumbs up is, you know, a good sign

1 but nothing should be used in isolation.
2 Certainly, we have seen that that does not
3 absolutely guarantee the absence of hypoxia
4 and I think that or any other purposeful
5 responsiveness clinical sign can't be used in
6 isolation but needs to be in conjunction with
7 the vital signs, the EKG, the pulse oximetry,
8 ideally, capnography, I would agree with Dr.
9 Kirsch, as well as purposeful responsiveness
10 assessments.

11 CHAIR FARRAR: Dr. Buchman?

12 DR. BUCHMAN: I would completely
13 concur with all of those. In addition to
14 hypercapnia, we have to keep in mind that with
15 conventional sedation, you can get in the same
16 trouble that you can get into with this
17 medication as well. It just potentially might
18 be a little bit easier with this medication.
19 So the question is just a clinical sign. And
20 in my opinion, you have to have a variety of
21 clinical signs.

22 CHAIR FARRAR: Dr. Prough.

1 DR. PROUGH: Yes, I think the
2 important thing is that purposeful
3 responsiveness is a limit and not an
4 indication for more drug. It is used in
5 conjunction with other findings to determine
6 whether more might be tolerated, if it is
7 necessary but it is not an indication for more
8 drug.

9 CHAIR FARRAR: Just to be clear,
10 in that setting, you think that the measures
11 that the sponsor has used are adequate?

12 DR. BUCHMAN: My impression was
13 that it was used an assessment of the depth of
14 sedation and as a limit, not as an indication
15 for further treatment. So yes, I think that
16 was appropriate.

17 CHAIR FARRAR: Dr. Kirsch.

18 DR. KIRSCH: I remain unconvinced
19 that the thumbs up sign has much of any value
20 and strongly urge the sponsor to consider
21 encouraging users of this product to use end
22 tidal CO2 monitoring.

1 I would also encourage the
2 educational process that has been talked
3 about. It would be helpful if the societies
4 who strongly support this talk to the RRC and
5 train those who are coming up through the
6 system in appropriate sedation and airway
7 management.

8 CHAIR FARRAR: Dr. Epstein.

9 DR. EPSTEIN: I concur with Dr.
10 Prough.

11 CHAIR FARRAR: Dr. Chang.

12 DR. CHANG: Yes, the first
13 question, I would say no. But I think it is
14 probably applicable to other sedatives. I
15 don't think it is necessarily just isolated to
16 fospropofol.

17 My question would be, has there
18 ever been any literature looking at all
19 potentially a priori factors that can predict
20 side effects or hypotension or hypoxia with
21 sedatives. You know, looking at not just the
22 oxygen saturation data, but the systemic

1 disease age gender, how much dose was given
2 prior to that supplemental dose. And if there
3 was any review or literature on that, that
4 would certainly be helpful because I just
5 don't think that purposeful responsiveness,
6 the way it was defined here, is adequate. But
7 I don't think it is only with this drug.

8 CHAIR FARRAR: Dr. Sang.

9 DR. SANG: I completely agree with
10 that. My answer is no. It should be a
11 composite score. And what that -- what makes
12 up that composite score isn't clear. Vital
13 signs, capnometry, saturation. But then what
14 else? I mean, there are some sedation studies
15 that have used BIS. There are some sedation
16 studies that have used BIS and the arm. And
17 then there are softer more subjective signs
18 and it is not clear at all to me. And the
19 literature hasn't established this, to my
20 knowledge, with other sedatives.

21 I think in terms of training,
22 independent of what ends up happening with

1 this particular drug, I think that operating
2 rooms would welcome GI fellows to come and
3 spend three months to learn some basics about
4 airway management.

5 CHAIR FARRAR: My opinion is that
6 the single measure is difficult. I agree that
7 its use in limiting the addition of the
8 decision to give additional drug makes it
9 somewhat more useful but that a combined score
10 is clearly going to be advantageous.

11 And I completely agree that
12 looking at the CO2 levels is paramount and
13 would offer only that in fact opioids are
14 remarkably good at reducing the CO2
15 responsiveness and in what I do remains one of
16 the biggest problems both in post-op and
17 chronic pain management.

18 So, I thank you for that. If we
19 move to question number two. Before
20 considering the question, I would ask Teresa
21 to give us repeat instructions for some of us
22 and new instructions for others, in terms of

1 the voting process.

2 DR. WATKINS: Hopefully it will go
3 a little better than it did yesterday.

4 We do have an electronic voting
5 system for the members of the panel. Before
6 the first vote, you will first need to press
7 the button on the left-hand side that says
8 attend.

9 CHAIR FARRAR: Please don't do it
10 now.

11 DR. WATKINS: Right. And then
12 once everyone has done that and I get the
13 signal, then I will ask you to go ahead and
14 place your vote. Your choices are yes, no, or
15 abstain. You will have 30 seconds or so to do
16 that. And then once the vote is locked in,
17 you cannot change your vote beyond that period
18 of time.

19 CHAIR FARRAR: Okay. So, the
20 question is, "Adverse events, particularly
21 respiratory adverse events, were observed at
22 a greater frequency among geriatric patients,

1 patients categorized as ASA III or IV, and
2 patients weighing less than 60 kilograms." So
3 the yes/no vote. And we will vote first and
4 then provide our comments second.

5 The yes/no vote is the following.

6 "Are additional data needed for these patient
7 populations in order to provide appropriate
8 dosing guidelines for these subpopulations? "

9 And then the discussion will be, "If
10 additional data are needed, what studies do
11 you recommend?"

12 So the question is, do they need
13 additional data? A yes vote means yes for
14 additional. A no vote means no additional
15 data is necessary.

16 If everybody can push their attend
17 button please. Okay.

18 DR. WATKINS: Okay, everyone
19 please make your selection. Oh, hold on.
20 Okay, now please make your selection. Has
21 everyone placed their vote? Yes, it will
22 continue to blink once you have made your

1 selection.

2 And here are the results.

3 CHAIR FARRAR: We would now like
4 to go around the room and -- to get people --
5 so if you voted yes or no, what additional
6 data are needed and what studies do you
7 recommend?

8 And we will start at the other
9 end. Dr. Sang.

10 DR. WATKINS: The results are yes
11 - are nine votes for yes, one vote for no,
12 and no abstentions. And the names are listed
13 as to how each person voted on the screen.

14 DR. SANG: Yes, I answered yes. I
15 think we have already discussed the need or at
16 least my rationale for studies that look at
17 both efficacy and safety in subpopulations.
18 I think in addition to the ones listed in
19 question two, there are several others. I
20 think end stage renal disease. Actually, more
21 commonly, let's just add those who are obese,
22 those who are on a variety of concomitant

1 medications, those with different levels of
2 renal dysfunction and liver dysfunction, among
3 others.

4 CHAIR FARRAR: Wrong button.

5 Excuse me. Dr. Chang.

6 DR. CHANG: Yes, I would just
7 state probably some dose ranging. It probably
8 doesn't need to be a wide range and
9 particularly in the young, I mean, not the
10 young, the low weight or lower weight
11 individuals in looking at efficacy and side
12 effects.

13 DR. EPSTEIN: While I don't
14 disagree with asking for more data, the fact
15 is that we use midazolam and fentanyl
16 currently in these special populations and
17 also, we have an enormous experience with
18 propofol. I have been using propofol
19 personally for quite a long time and we have
20 a lot of data and information already on those
21 special populations.

22 And what it really comes down to

1 is the skill, training, and expertise of the
2 treating physician in identifying those
3 patients who could be at risk and having them
4 treat MAC, and there are so many different
5 variables there, I think it would be extremely
6 difficult to tease out every possible risk
7 subgroup.

8 CHAIR FARRAR: Dr. Kirsch.

9 DR. KIRSCH: I agree with this
10 additional subgroup information, particularly
11 related to patients size and gender, that I
12 have pointed out before.

13 And of course, I can't not talk
14 about end tidal CO2. I would like to know at
15 the therapeutic dose, whether the PCO2s or the
16 end tidal CO2s are in the eighties or nineties
17 or if they are at some more reasonable value.

18 CHAIR FARRAR: Dr. Prough.

19 DR. PROUGH: I think it would be
20 useful to see dose ranging studies in patients
21 less than 60 kilograms and patients who are
22 relatively high SA classifications because of

1 cardiovascular disease, hepatic disease or
2 renal disease.

3 CHAIR FARRAR: Dr. Buchman.

4 DR. BUCHMAN: In particular, I
5 would like to see an inpatient study, which
6 would get at patients who have more
7 concomitant co-illnesses.

8 Specifically, I want to point out
9 in the slide that the sponsor showed on the
10 limited number of patients who had end stage
11 renal disease and hepatic disease, the vast
12 vast majority of those patients, approaching
13 80 percent, had some AE that was possibly
14 attributed to the medication. So, clearly,
15 those may be aberrancies but they need more
16 data to show that that is an aberrancy.

17 I would also, from a GI
18 standpoint, I would add ERCPs. I am not sure
19 about using this for colonoscopy. And quite
20 frankly, I don't think it is necessary. But
21 I think a procedure like an ERCP that is
22 longer, patient is in an uncomfortable

1 position, these kinds of medications are
2 probably where the standard of care should go.
3 But, unfortunately, that was actually not the
4 group studied.

5 CHAIR FARRAR: Dr. Nussmeier.

6 DR. NUSSMEIER: Yes. Well, even
7 in the relatively healthy colonoscopy
8 patients, at least four percent achieved
9 sedation scores of zero to one. The other 96
10 percent were apparently at the desired level.
11 But I am quite concerned about that four
12 percent and I think it is likely that that
13 percentage would be considerably higher if
14 patients had been studied with comorbidities.

15 Certainly we have some data
16 regarding pulmonary comorbidity in one of
17 their studies but there is a real paucity of
18 data in patients with any other comorbidities,
19 as has been discussed by the other panelist,
20 cardiovascular disease, renal insufficiency,
21 marked obesity, or even again, the elderly
22 population, those over the age of 70 or 75.

1 So, I think much more data is
2 needed to know what the true incidence of low
3 sedation scores, and for that matter, hypoxia
4 would be.

5 CHAIR FARRAR: Ms. Krivacic.

6 MS. KRIVACIC: I think also doing
7 a study in non-opioid tolerant patients as
8 well with fospropofol versus versed alone.

9 CHAIR FARRAR: Ms. Aronson.

10 MS. ARONSON: I have nothing more
11 to add.

12 CHAIR FARRAR: So, I agree with
13 what has been said. I would summarize by
14 saying that the reasons were, primarily, a
15 need for additional data in a variety of
16 comorbid groups, where there is some sense
17 that the use of a propofol predrug in the
18 setting of a non or less monitored environment
19 might be at increased risk.

20 I agree with Dr. Epstein that
21 there is a huge amount of data about the use
22 of propofol. I think the issues that are

1 being expressed by other committee members are
2 concern about the use of a propofol-like agent
3 in a setting where they are not so carefully
4 monitored. And where certainly a hypercapnia
5 has not been carefully studied.

6 There is also a concern about the
7 less than 60 pound -- sorry less than 60
8 kilogram patient population. And I think that
9 what I am hearing is that that needs to be
10 addressed in terms of dose finding. I would
11 also like to add to that my own perspective
12 that this clearly will be used in children, at
13 some point, and I would hope that some studies
14 in children may be possible to understand,
15 especially given their difference in metabolic
16 rates.

17 And then since propofol is already
18 used for long-term sedation in certain
19 circumstances, although I understand it is
20 falling out of favor, somewhat, it would be
21 useful to have at least some experience with
22 its use over 12 to 24 hours to understood

1 whether there are any additional problems that
2 might come up from that.

3 Did I summarize that? Anybody?

4 Okay. Question number three.

5 Just to note, first, that there is a
6 correction on the screen versus -- well, I
7 will get to that in a minute. The question
8 number three says, "Do the data from clinical
9 trial indicate that fospropofol disodium
10 sedation can be safely managed by health care
11 providers without training in general
12 anesthesia? Please vote 'yes' or 'no.' If
13 you vote 'no,'" and that is the correction
14 over what is on your slide there, "what types
15 of studies would best provide this data?"

16 Are we set? Okay. If I could ask
17 everybody to push your attend button, please.

18 DR. KIRSCH: Can I ask for
19 clarification?

20 CHAIR FARRAR: Oh, I'm sorry. Go
21 ahead.

22 DR. KIRSCH: So, for

1 clarification, I am having a little bit of
2 trouble with the wording, "can be safely
3 managed by health care providers without
4 training in general anesthesia." Are we to
5 assume that with appropriate monitoring or
6 with the, as currently suggested by the
7 sponsor, you can guess it without monitoring
8 of end tidal CO2.

9 DR. ROSEBRAUGH: I would say as
10 suggested by the sponsor.

11 DR. BUCHMAN: And Just as a
12 further question on that, then. Is it can be
13 or will be? Do we want to stick with that
14 word can? Because anything is possible or
15 should be.

16 DR. ROSEBRAUGH: I feel like I am
17 back in the Bill Clinton era on what is is
18 mean. But I would just rather try to
19 intuitively say, look, they want to have this
20 approved such that you don't need an
21 anesthesia guy to give it, which is different
22 than propofol's labeling, sort of. And it is

1 a little different thing because propofol is
2 MAC and stuff. So, we just want to know, do
3 you guys agree with that, based on what you
4 heard today.

5 DR. KIRSCH: But if you have the
6 opinion that it could be provided by a non-
7 anesthesia provider with appropriate
8 monitoring, one should vote no currently
9 because that is the -- what the current
10 recommendation from the sponsor.

11 CHAIR FARRAR: Yes. And I would
12 like to recommend that you take that approach
13 and then in the presentation of the types of
14 studies, indicate also what, you know, clarify
15 what it is that you think would be necessary
16 in order to achieve that. Did that make
17 sense?

18 DR. ROSEBRAUGH: Absolutely.

19 CHAIR FARRAR: Dr. Prough?

20 DR. PROUGH: Another quibble with
21 the wording. The "without training in general
22 anesthesia" is different than non-anesthesia

1 providers. And it is quite possible for
2 somebody who has not done a residency in
3 anesthesia or been trained as a nurse
4 anesthetist to have sufficient training that
5 they can manage an airway. So, I am a little
6 uncomfortable with the wording.

7 DR. ROSEBRAUGH: How would you
8 rather have it worded?

9 DR. PROUGH: I guess, I mean, it
10 would probably be more straight forward if it
11 said non-anesthesia health care providers. I
12 mean, I don't know if that is perfect either.

13 DR. ROSEBRAUGH: Yes.

14 DR. PROUGH: But training is a
15 different issue, I think.

16 DR. ROSEBRAUGH: Okay, let me just
17 tell you why we are saying training in general
18 anesthesia. That is what the propofol label
19 says and we would like to stick with that, if
20 we could. And I understand your discomfort
21 but whether you vote yes or no then you can
22 clarify your vote when we get to the dialogue

1 session.

2 CHAIR FARRAR: Are we ready? Yes?

3 Okay. If you could press your attend button.

4 DR. WATKINS: No.

5 CHAIR FARRAR: Okay. Hold on a

6 second. There is no attend button. Simply

7 indicate your answer yes or no.

8 DR. WATKINS: Has everybody voted?

9 Yes. The result is two yes, eight no, and
10 zero abstain.

11 CHAIR FARRAR: And then you want
12 to show the next one?

13 DR. WATKINS: And this is how each
14 individual voted.

15 CHAIR FARRAR: Okay. With regards
16 to the no votes, we need a comment about the
17 rationale for that. Ms. Aronson.

18 MS. ARONSON: I would like more
19 information on what the training would be for
20 the non-anesthesiology community. Just, more
21 extensive information on what that training
22 would be.

1 CHAIR FARRAR: Ms. Krivacic.

2 MS. KRIVACIC: I voted no because
3 I think if everything is done as was done in
4 the clinical trial with rigorous monitoring
5 and oversight and overall training, then this,
6 you know, would be something to look into.
7 And also, we need to look at some of those
8 sub-areas a little bit more.

9 CHAIR FARRAR: Dr. Nussmeier.

10 DR. NUSSMEIER: Well, I am not
11 completely convinced that there is an unmet
12 medical need here. In other words, a benefit
13 that justifies the risk of even a few deaths,
14 if the drug is initially approved with
15 labeling that differs from the labeling for
16 propofol. I mean, there was and I think still
17 is a reason for that labeling for propofol and
18 fospropofol is the prodrug for propofol but it
19 is still propofol.

20 I think we just need more
21 experience in carefully monitored settings
22 before we can change that labeling, even

1 though this is a slightly different drug. I
2 am still very concerned about dose stacking
3 with respect to practitioner patients' issues
4 and with respect to that need to wait four
5 minutes between doses. And then when you add
6 to that at least some degree of interpatient
7 variability, I just don't think that it would
8 be safe, at this point in time.

9 And perhaps even more importantly,
10 unlike all of the currently available agents
11 that are used for these thousands of
12 procedures that are done every year, there is
13 still no reversal agent for propofol or
14 fospropofol. So, I think at this point in
15 time, you still must have someone skilled in
16 airway management for that four percent who is
17 going to go into a much deeper plane.

18 CHAIR FARRAR: Dr. Buchman.

19 DR. BUCHMAN: I don't think this
20 is a good example of see one, do one, teach
21 one. Not only do we need to see what the
22 training program would entail but also the

1 certification program and whether it just,
2 perhaps even an added rigorousness to what
3 many or most current hospitals do.

4 The other issue here is that I
5 feel strongly that there should be a RiskMAP
6 that is required and that the training and
7 certification is actually just an integral
8 part of that. And the RiskMAP would cover the
9 entire spectrum of unanticipated uses,
10 indications, appropriate monitoring, and also
11 the issues that have been brought up with --
12 I think it is going to be more difficult to
13 divert this medication but, because it won't
14 be carried supposedly in outpatient
15 pharmacies, for example, but that needs to be
16 a written protocol on how to avoid that, as
17 been the case with other controlled
18 substances.

19 CHAIR FARRAR: Dr. Prough.

20 DR. PROUGH: Well, I'm not sure
21 which question I answered. I was supposed to
22 say that I think the -- that with appropriate

1 training, non-anesthesia providers can safely
2 give the drug. But the issue of training,
3 obviously, is critical.

4 CHAIR FARRAR: Dr. Kirsch.

5 DR. KIRSCH: I have really nothing
6 to add.

7 CHAIR FARRAR: Dr. Epstein.

8 DR. EPSTEIN: Yes, I agree with
9 Dr. Prough regarding the training. In
10 addition, and I think it can be given safely,
11 I do question whether or not capnography would
12 be a helpful adjunct in these patients.

13 CHAIR FARRAR: Question as in
14 thinking yes or no? I'm sorry.

15 DR. EPSTEIN: Whether or not it
16 could be added. But I believe the drug can be
17 given safely.

18 CHAIR FARRAR: Okay. Dr. Chang.

19 DR. CHANG: I actually think there
20 is an unmet need in endoscopic sedation and
21 feel that this drug is very promising. And I
22 think of all the people that spoke that are

1 experienced endoscopists, I am sure they can
2 give this safely without any problem.

3 My issue is even though Dr. Cohen
4 said a lot of strides are being made with
5 endoscopic sedation training, that is more of
6 the younger trainees. And I do think that, if
7 you look, if you survey in all different
8 conditions about who follows guidelines in the
9 community, I think you would find that a
10 minority of practitioners follow guidelines.

11 So my main issue is about the
12 limitations of this drug, knowing your limits.
13 And so speaking from a maximized patient
14 safety and minimized medical malpractice
15 viewpoint, I just feel like I am just not
16 quite sure if I look at the limits of how the
17 duration, the dose, the patient population.
18 That is the information I feel that I would
19 need.

20 And my second point is as an
21 endoscopist, if I was given the choice of
22 using fospropofol and midazolam, I still would

1 ask myself, well what is the difference, how
2 do they compare? What is the benefits and
3 what is the risk? And I agree with Dr.
4 Buchman. I really think it would be nice to
5 do a comparative study and look at patient
6 comfort, the dose of opioids. Maybe you need
7 less with fospropofol. The recovery time, the
8 side effects, the duration of the endoscopy.
9 I think there is information that would really
10 be helpful as an endoscopist who is going to
11 choose the sedation drug.

12 CHAIR FARRAR: Dr. Sang.

13 DR. SANG: Yes, I voted no. I
14 really don't have much more to add except that
15 the context is different here from say over
16 ten years ago when fosphenytoin was being
17 developed. That was really developed for use
18 in an uncontrolled setting, where it was
19 critically important to get to the patient
20 fast and get a safer drug onboard fast. We
21 are talking about a controlled setting here.

22 And so, that is really all I have

1 to add. CHAIR FARRAR: To try and
2 summarize the comments, I think there was a
3 great deal of concern about the level of
4 training in specific, given the depth of the,
5 or the intensity of the monitoring that goes
6 on in a clinical trial, it is very hard to
7 interpret how that will translate into the
8 general population. Secondly, clearly, people
9 in residencies and training programs now will
10 get training on new medications. But there is
11 concern about how that training will be
12 extended to the general population of folks
13 who would use this, not just for endoscopy but
14 for other procedures that would be -- require
15 some sort of sedation.

16 And Dr. Nussmeier continues to
17 bring up the point, which seems valid, that
18 there was a reason for limiting the use of
19 propofol and that we would need to
20 specifically address some of those reasons.

21 The other thing that I would add
22 is simply that you have carefully abided by

1 the ASA guidelines. In listening to the ASA
2 guidelines and not knowing anything more about
3 them than what I hear today, I would suggest
4 that in the world we are moving into, they
5 would probably need to be revised. And I am
6 sorry. I don't mean to be telling anybody
7 what to do, necessarily but certainly the --
8 from my perspective, the lack of measurement,
9 the ubiquitousness of measuring saturation, 02
10 saturation that happened with relatively
11 inexpensive devices, suggests to me that we
12 could do the same thing with hypercapnia and
13 certainly in what I do in pain management,
14 that is becoming a very big issue and
15 certainly would need to be, from my
16 perspective, part of the need before this
17 could be used safely in an environment where
18 there is not access to people who can manage
19 an airway adequately.

20 And the last thing is simply that
21 I think the exclusion criteria for the use,
22 although it may be clear to some people what

1 a person at higher risk, what their airway
2 looks like, I think there needs to be some
3 standardization of how that approach is taken.
4 And certainly I would not judge myself capable
5 of understanding whether somebody's airway was
6 more or less likely to get them into trouble.
7 And I would be concerned that certainly the
8 majority of people in practice may not know
9 exactly how to approach that.

10 So, that would be my additional
11 comments. Any other, anything I left out from
12 -- no?

13 Okay, moving on to question number
14 four. So the new question is, "Do you
15 recommend approval of fospropofol for the
16 indication of sedation in adult patients
17 undergoing diagnostic or therapeutic
18 procedures? This is a yes/no vote. If yes,
19 are there additional studies you would
20 recommend to be post-approval? If no, what
21 additional data would you recommend to be
22 needed to gain approval?"

1 Is the question clear? So, just
2 to be clear, this is not a question about use
3 in offices and elsewhere. The question is, is
4 the drug -- should it be approved for use in
5 any medical circumstance. Are folks clear
6 about the question? Okay.

7 DR. WATKINS: Are you ready to
8 vote?

9 DR. KIRSCH: Again, I'm sorry.
10 For clarification, as written by any type of
11 provider, not isolated to providers who are
12 specially trained in airway management like
13 anesthesia providers.

14 DR. ROSEBRAUGH: Well again, one
15 of the things we were trying to do a little
16 bit with this question was the fact that they
17 are -- have said that they didn't want it,
18 that it didn't need to be given in people with
19 training in general anesthesia or advanced
20 training or whatever. That doesn't
21 necessarily make it a package deal.

22 I mean, we could always say no,

1 you have to, the way we write it, we could say
2 you have to have training in general
3 anesthesia or you have to have advanced
4 training in airway management or something.
5 And so we were trying to get to, well based on
6 what they gave you on just the drug, does the
7 drug look okay? That was where we were going.

8 And we also were trying to tie
9 together with question two because we got a
10 lot of recommendations on question two on
11 other things that they needed to do but we
12 were trying to find out do you want that pre
13 or post approval. And this gives you an
14 opportunity to say, well, I think the drug is
15 okay and you can label it that somebody that
16 is ASA III or IV shouldn't get it and they can
17 do that stuff post-approval. Or now is your
18 opportunity to say, you know, I think they
19 really ought to have all that stuff sorted out
20 before it goes on the market.

21 CHAIR FARRAR: Did that answer
22 your question? The question is, given the

1 right limitation -- if this drug were to be
2 approved with the same provisions that are
3 there for propofol currently, would this be an
4 acceptable drug? Because the FDA can work
5 with the sponsor to put as many limitations as
6 they feel are appropriate, based on the
7 recommendations of this committee and other
8 scientific information.

9 Okay?

10 DR. KIRSCH: Yes that's --

11 DR. WATKINS: Are you ready to
12 vote? Okay, go ahead and vote.

13 (Pause.)

14 DR. WATKINS: Has everybody
15 finished?

16 DR. BUCHMAN: I want to change
17 mine.

18 DR. WATKINS: Go ahead now. Do it
19 before he -- everyone is finished? Okay.

20 DR. BUCHMAN: I'm from Chicago
21 though, I can vote more than once.

22 CHAIR FARRAR: Okay, just hold on

1 a second, please. Fingers off the buttons.

2 Thank you.

3 DR. WATKINS: We will reset the
4 vote, for the benefit of Dr. Buchman, and do
5 this all over again.

6 (Pause.)

7 DR. WATKINS: Everyone please
8 revote. Has everyone cast a vote? Okay.

9 The result is six yes, three no,
10 and one abstain. And the results are
11 displayed on the screen for the individual
12 votes.

13 CHAIR FARRAR: So let's start at
14 the other end again, Dr. Sang.

15 DR. SANG: Yes, my answer was yes
16 for use by anesthesiologists -- for use by
17 those trained in general anesthesia. And by
18 that I mean anesthesiologists and nurse
19 anesthetists. You know, there is a steep
20 learning curve still. There will be. This
21 may be effectively giving propofol but
22 anesthesiologists by now are fairly skilled in

1 administering propofol using a pump or giving
2 intermittent boluses and so on. But when you
3 introduce metabolism, there will be a steep
4 learning curve about the use of it. I think
5 that that should take place in the context of
6 anesthesia care.

7 We need many more PK/PD studies
8 and PK/PD modeling studies. Any
9 intraindividual variability is the opening for
10 potential risk. So, I think it is going to be
11 really quite important to establish that in a
12 controlled setting.

13 And I am also concerned about the,
14 I mean, we know that there is a steep
15 concentration response relationship of
16 propofol. And once again, this is a drug
17 that, to some extent, we have quite a bit of
18 experience with but we have to really respect
19 the novelty of using a prodrug.

20 Moreover, there is no reversal
21 agent. So, for that reason, I certainly think
22 that it should be first used under anesthesia

1 care.

2 CHAIR FARRAR: Dr. Chang.

3 DR. CHANG: Yes, I think this is a
4 potentially good drug and I voted yes. But I
5 think that there should be restrictions on who
6 uses it, to train personnel, and that
7 additional studies are needed, which I
8 outlined in the answer to question three,
9 before widespread use.

10 CHAIR FARRAR: Dr. Epstein.

11 DR. EPSTEIN: Yes, I generally
12 agree with the other panelists. Midazolam and
13 fentanyl were never developed for use in
14 endoscopy primarily and they do not provide
15 very good sedation or analgesia in a large
16 percentage of our patients. We have not had
17 a new agent in many many years. And it is
18 never going to be perfectly safe but, with
19 appropriate training, education, and the right
20 skill set, the use of these type of new agents
21 should be able to be used safely in the proper
22 environment. And we are talking about those

1 people who have a lot of experience in
2 performing conscious sedation.

3 I would like to point out that
4 gastroenterologists and pulmonologists
5 probably deal with as much or more conscious
6 sedation and evaluation of patients than do
7 anesthesiologists. I do approximately 18 to
8 21 cases a day, day in and day out, for the
9 last 20 some years. We do get ongoing
10 training and education and we certainly should
11 work closely with our anesthesia colleagues
12 who are experts in airway management and
13 design a simple but effective program to make
14 certain that people can manage the airway
15 appropriately.

16 CHAIR FARRAR: Dr. Kirsch.

17 DR. KIRSCH: I completely agree
18 with Dr. Epstein. I think it has the
19 potential of being really an important drug in
20 medicine and am thankful that the company came
21 up with it and look forward to being able to
22 use it myself and working with my GI

1 colleagues to get a safe protocol in place.

2 CHAIR FARRAR: Dr. Prough.

3 DR. PROUGH: I would like to see
4 data in high-risk populations, particularly,
5 the smaller, older patients, and patients with
6 chronic comorbid conditions. And I also am
7 concerned that if the -- that release of the
8 agent for use by anesthesiologists would
9 likely result in very little use because it
10 would have no obvious advantages for trained
11 anesthesia providers over the parent drug.

12 CHAIR FARRAR: Dr. Buchman.

13 DR. BUCHMAN: Well, being newer
14 and more expensive isn't always equal to
15 better. But that being said, I don't see any
16 reason that the drug can't simply be a
17 competitor to propofol with its current use.

18 I abstained, however, because that
19 is not what the question asked. The question
20 was a very all-inclusive question. And no,
21 frankly, I don't believe, given all the
22 discussion and recommendations made today that

1 this drug is ready for primetime use by anyone
2 for anything. But that is a separate question
3 from whether it could be approved for any
4 reason. And I think, clearly, if the question
5 had been asked should this drug be approved,
6 for example, under the same circumstances as
7 propofol, it is basically the same thing. It
8 is really no different from giving azithoprine
9 or 6-MP, for example. So, I would certainly
10 be in favor of that. But for the broader
11 indication, absolutely not.

12 CHAIR FARRAR: Dr. Nussmeier.

13 DR. NUSSMEIER: Yes. I think it
14 is likely that this drug will have a role in
15 sedation for procedures. You know, it is
16 going to be less painful to inject. It is
17 going to deliver less lipid to the patient.
18 It possibly may be less prone to having the
19 patient develop an infection. Certainly, as
20 my colleagues have stated, we need some data
21 on certain populations, the very elderly, the
22 very small. And you know, were I to use it

1 tomorrow in somebody who is markedly obese, I
2 would have no idea whether to use the lean
3 body weight, the actual body weight or try to
4 split the difference. And I'd also -- I think
5 it might have a great role in pediatric
6 patients. It needs to be studied.

7 So I think it could be used in the
8 healthier patients sooner rather than later.
9 And I think these other studies can be
10 ongoing.

11 CHAIR FARRAR: Ms. Krivacic.

12 MS. KRIVACIC: I voted no for
13 really the same reason Dr. Buchman voted but
14 I know he voted for abstaining and it was kind
15 of a, I guess, an understanding call.

16 The other reason I voted no, too,
17 is I do think a lot of these other studies
18 need to be done that we discussed today,
19 especially in the elderly population and
20 people under 60 kg weight -- kilogram weight.

21 And the area of post-marketing
22 kind of concerns me because a lot of these,

1 not to say that MGI won't do these, but a lot
2 of post-marketing commitments aren't being
3 done. And so, that is why I voted no.

4 CHAIR FARRAR: Ms. Aronson.

5 MS. ARONSON: I voted no because
6 of the number of times I heard raised today
7 the need for additional studies, comparative
8 studies, and population studies.

9 CHAIR FARRAR: I voted yes,
10 primarily because I think that we have huge
11 amounts of data on the propofol and this is
12 not really substantially different.

13 I also think, therefore, that the
14 risk of using it in a less monitored situation
15 has huge potential downsides and that that
16 whole issue needs to be much better understood
17 and studied and could be very effectively
18 studied, I think, using for instance, a CO2
19 monitor on patients to understand how much
20 hypoventilation really occurs, not just with
21 this drug but also with the current
22 combination. I don't think we understand that

1 and certainly could do that.

2 The other thing is that I think
3 there needs to be in place a very clear plan
4 for studying what actually happens when this
5 drug is used, with very clear consequences,
6 based on the outcomes of those studies. And
7 I am hopeful that the FDA either has or will
8 shortly have the authority to do that.

9 In summarizing what other folks
10 have said, the concerns were that it is a
11 prodrug, that it is not really very different
12 than propofol. There was concerns about the
13 lack of a reversal agent and the implications
14 for that in its use outside of an environment
15 where airway control could be adequately taken
16 care of. And that there was a division, I
17 guess, about whether there needs to be
18 additional studies in elderly populations and
19 other populations that are not carefully
20 studied so far in what the sponsor has
21 produced.

22 Anything that I have missed? So I

1 would ask, our FDA colleagues whether there
2 were any other questions or concerns they
3 would like from the Committee?

4 DR. ROSEBRAUGH: I don't think we
5 have any other questions or concerns. What I
6 would like to do, though, is express my
7 gratitude to you folks for today. It has been
8 very enlightening.

9 And I would particularly like to
10 express my deep gratitude to those that had
11 the cast iron endurance to do the last three
12 days. You have no idea how helpful they have
13 been to us. Thank you very much.

14 CHAIR FARRAR: I would like to add
15 my thanks to the Committee for putting up with
16 sometimes tyrannical chairing but I want to
17 provide you with a gift of almost an hour and
18 15 minutes. Thank you very much.

19 DR. WATKINS: Have a safe trip
20 home, everyone.

21 (Whereupon, at 3:10 p.m., the
22 meeting was adjourned.)