

1 the data that we've analyzed already. And our
2 primary goal here is not to impact patient
3 care. It's to try to be transparent for
4 patient care and hopefully have tamper
5 resistance eventually turn into some degree of
6 abuse resistance.

7 DR. NUSSMEIER: Well, there may be
8 some reason to rethink that from a
9 risk/benefit perspective.

10 DR. HADDOX: Well, that's the
11 benefit part I'm talking about. Okay. If I
12 clip off a whole cadre of patients who now
13 can't get it, I think I've really decreased in
14 the B in the BR equation substantially.

15 CHAIR FARRAR: Okay. Dr. Anand.

16 DR. ANAND: I have a comment and a
17 question. I'm very concerned that the data
18 that has been presented today about tamper
19 resistance is based on very small numbers with
20 procedures that are inadequate and are
21 unclearly described and the timing of the
22 various things is not very clear. Presenting

1 the data as mere ranges without showing a
2 median is confusing at best and misleading at
3 worst. That's my comment.

4 I'm also struck by the fact that
5 all of the children or young people we saw who
6 had died from taking OxyContin or oxycodone
7 were of Caucasian racial descent it appeared
8 to me and we know that there is a genetic
9 variance in the CYP2D6 which is the enzyme
10 metabolizing codeine and oxycodone and so on.
11 Has the sponsor performed any studies on the
12 patients who have died and this genetic
13 variation is seven percent among Caucasians,
14 much, much lower amongst other races. So has
15 the sponsor done any testing to determine
16 whether these were poor metabolizers of
17 oxycodone and could the FDA be considering a
18 labeling change similar to the Warfarin
19 labeling change that has occurred with regard
20 to genetic testing?

21 DR. HADDOX: The short answer is
22 no, we've not done that study. Is that

1 correct, Steve?

2 DR. HARRIS: Yes.

3 DR. HADDOX: We have done studies
4 of mortality data and Dr. Cone is there who
5 could summarize that for you if you have an
6 interest.

7 The other point is that the 3A4 is
8 also another alternate pathway. So unlike
9 some drugs, it's not just 2D6. So one might
10 reasonably argue that if they have a 2D6 low
11 metabolizer that has -- more of the 3A4 route.
12 It's a possibility. I just don't know.

13 DR. ANAND: You don't know that.

14 DR. HADDOX: That's right. We
15 don't know that. That's right. You're
16 correct.

17 CHAIR FARRAR: Dr. Paulozzi.

18 DR. PAULOZZI: What happens if
19 this drug is wildly successful and in a couple
20 of years sales go up 25 percent and then we
21 find out that a number of deaths associated
22 with OxyContin have also gone up 25 percent,

1 say, from 4,000 a year to 5,000 a year. I'm
2 just making up those numbers. What would be
3 your reaction at that point?

4 DR. HADDOX: I don't want anyone
5 to die from OxyContin. This is a drug to try
6 and help patients. This is a drug I
7 prescribed for thousands of patients when I
8 was in practice and that would be a tragedy
9 what you described.

10 DR. PAULOZZI: What would you do?

11 DR. HADDOX: I'm not sure.
12 Obviously, we'd discuss it with FDA. We
13 discuss it with other experts just like we've
14 been discussing the problems that we've seen
15 to date. I don't think there's an easy
16 solution. I think that's why we're talking
17 today.

18 CHAIR FARRAR: Ms. Krivacic.

19 MS. KRIVACIC: Yes. I have a
20 couple of quick questions. The first one is
21 have you thought about validating the testing
22 program and when I said "validating" it would

1 be kind of in a crude way, but at least it
2 wouldn't be as subjective, more objective,
3 having a third party reproduce what you have
4 done on the testing program. Have you thought
5 about that at all?

6 And then the second question I
7 have is regarding this whole sales effort.
8 Have you thought about also medical educators
9 going out with the sales reps and educating
10 the physicians, the patients and others as
11 well instead of just the FPI piece.

12 DR. HADDOX: Well, let me answer
13 that one first and, Richard, you can come up
14 to answer the first question.

15 We would obviously have more than
16 just the FPI. We have right now a complete
17 suite of education materials that are
18 unbranded that don't relate to any one
19 particular product that are available through
20 our medical education resources catalog. We
21 have medical liaisons that are available to
22 answer some of these more detailed questions.

1 We have our medical services who man the toll-
2 free number if the practitioner or patients
3 have questions.

4 We are also working as part of our
5 risk map, the part that I didn't go into, on
6 a low literacy medication guide to get to the
7 consumer level, the patient level or the
8 immediate caregiver level. As you probably
9 are aware, people who sit around the table and
10 try and write a low literacy document usually
11 don't do very well and, in fact, we tried
12 mightily with Palladone and that was one of
13 several package inserts and medication guides
14 that were analyzed in an article a few years
15 ago and we had done our best estimate to get
16 it to about an eighth grade reading level and
17 turned out we were 10.5 grade. So we were way
18 off. So we have now retained a health
19 literacy expert who is working with us as we
20 speak on a low literacy medication guide to
21 address that aspect.

22 Richard, do you want to talk about

1 the external validation question please?

2 DR. MANNION: I can tell you that
3 it's not something we've done so far but it's
4 something we would be prepared to consider.

5 DR. HADDOX: And I know that
6 Richard and other colleagues, we, have
7 discussed our rationale and our thinking
8 behind the tamper protocol at academic fora
9 with other investigators both academic and
10 industry as well as regulators and so it
11 wasn't just something we sat around and dreamt
12 up on our own. You know, we were really
13 trying to interact and come up with what we
14 thought was a reasonable starting standardized
15 protocol. Then we may as we learn more add
16 new elements to that because we use this not
17 only for our internal development but also to
18 evaluate opportunities that might present
19 themselves to us from another company that
20 wants to license a technology to us or
21 something like that. So we have a number of
22 reasons to have sort of a battery that we can

1 run a formulation through to get an idea of
2 how it might perform in the real world.

3 CHAIR FARRAR: Dr. Kosten.

4 DR. KOSTEN: Thank you. This is
5 just a follow-up on a question that's nice
6 that you have the first slide I'm interested
7 in. That's what you would propose about it in
8 slide number 27. Is that right?

9 DR. HADDOX: That's what we have
10 proposed in the NDA and obviously when we get
11 around if the FDA gets close to approval,
12 we'll get into labeling discussions and we'll
13 go round and round on that.

14 DR. KOSTEN: That's fine. Just to
15 go through the data that you have then. Thank
16 you.

17 Slide 43 as I look at that it
18 talks about thermal extraction. In the
19 thermal extraction, you're talking about a
20 formulation that's releasing 21 percent to 48
21 percent less than corresponding strength.
22 That means 80 percent to the formulation is

1 about there. Now again, I realize you don't
2 provide us with means. So it's very hard to
3 know what that data would mean.

4 Then you also when you talk about
5 the time for it in slide 41 you're talking
6 about it taking five minutes for the physical
7 manipulation to dissolve essentially your
8 OxyContin as it exists now. But in about ten
9 minutes you dissolve you get about half of the
10 release from, and this is with simple just
11 crushing, for the controlled release
12 formulation. So have you done studies in
13 which you've applied a thermal extraction that
14 is heating it up somehow, looked at that in
15 terms of your ground-up formulation because it
16 appears that that would release quite a bit of
17 the OxyContin?

18 The other question about that
19 related is then on slide 42. You're talking
20 about tampering for IV abuse, while in this
21 picture you do show that it's a gelatinous
22 mass that's there and this question has

1 already been arisen about how you would get
2 that into a syringe.

3 But more to the point would be
4 when you heat this up and then you then have
5 this extraction what the extraction is is the
6 drug is then in that liquid that's being
7 extracted out of. That's very easy to draw up
8 into a syringe. And I just wonder if you
9 could, in fact, address what appears to me a
10 fairly simple procedure of heating this thing
11 up and then heating up your little pebbles or
12 whatever you want to call those little granule
13 things and then just dissolving it. The
14 OxyContin would come out. I haven't seen that
15 data and perhaps how would this gelatinous
16 stuff, does that disappear when you heat it
17 up? I mean, what happens with that?

18 DR. HADDOX: Well, I let our
19 laboratory expert address most of that.

20 DR. MANNION: This doesn't
21 disappear. It actually forms. It gets more
22 concentrated as you heat it up. So it's not

1 an oil or a wax or something that's going to
2 melt. It's something that's really a viscous
3 gel.

4 DR. KOSTEN: So then how did you
5 extract 80 percent of it out of that?

6 DR. MANNION: You're thinking of a
7 different type of test. The way that the --

8 DR. KOSTEN: You heat it up first.
9 Let me make sure I understand. You grind the
10 tablets up. You heat them up. Eighty percent
11 is extracted. That's what your materials say.

12 DR. MANNION: The data shows the
13 difference between the current formulation and
14 the new formulation and that test was done in
15 much larger volume of water. It wasn't done
16 in a volume suitable for injection. It was
17 done in a volume several orders of magnitude
18 larger than could be injected.

19 DR. KOSTEN: And then this
20 gelatinous thing doesn't form?

21 DR. MANNION: Not to the same
22 extent. It's a viscosity phenomenon. So the

1 greater the concentration of the polymer the
2 most viscous the material is.

3 Was there any other questions I
4 need to address from your earlier statements?

5 DR. KOSTEN: Well, it just doesn't
6 seem to match with what you're presenting in
7 slide 27.

8 DR. MANNION: Okay. Is everybody
9 clear what this slide shows in terms of -- The
10 slide on the right shows that when you do it
11 with the current product you can draw the
12 material into a syringe. When you do it with
13 the new formulation, you get material that
14 cannot be drawn into a syringe.

15 DR. KOSTEN: And it's not
16 temperature dependent.

17 DR. MANNION: It's not temperature
18 dependent. This material has been heated.

19 CHAIR FARRAR: Dr. Gardner. I'm
20 sorry.

21 DR. KOSTEN: I don't think that
22 answers the question, but that's fine.

1 CHAIR FARRAR: Dr. Gardner.

2 DR. GARDNER: In a few minutes,
3 we're going to have to be working on a risk
4 map discussion and I wanted to clarify with
5 you on your slide 56. You say that the goals
6 of a risk map for OxyContin include (1) to
7 minimize the abuse of OxyContin and I think
8 that's been what we've been seeing today, (2)
9 to minimize the diversion of OxyContin and (3)
10 then to minimize exposure to OxyContin among
11 those under age 18.

12 I don't see that what you've
13 provided with us as a risk map plan addresses
14 the third one at all and my question to you is
15 with respect to the diversion. Are you basing
16 that on the assumption that if it is less
17 abusable it will be less likely to be diverted
18 or are we also not addressing the diversion of
19 OxyContin in the risk map that you've talked
20 about?

21 DR. HADDOX: Thank you for that
22 opportunity. The slide that I tended to focus

1 on here and showed was focusing on the
2 epidemiological study. In fact, there are
3 other tools for each of these goals and other
4 objectives.

5 Part of what you said about the
6 diversion is correct. If we are, in fact,
7 successful introducing the desirability of
8 this formulation, if you think about drug
9 diversion, which I do a fair amount, drug
10 dealers basically are a just-in-time, cash
11 only, rapid through-put business model and
12 they are not going to carry on them what is
13 not being desired on the street. So that will
14 be one element if we are successful.

15 But in addition to that, we
16 provide a number of educational programs to
17 help both prescribers prevent, recognize a
18 potential diversion and also pharmacy safety.
19 The work by the Pain and Policy Studies Group
20 at the University of Wisconsin has
21 demonstrated that a significant source of
22 divergent material doesn't come from doctors,

1 doesn't come from patients' homes. It comes
2 from pharmacy theft and we have a number of
3 things that we have put in place there.

4 But again, when we start talking
5 about diversion, there are two levels of
6 diversion. There's the diversion that I can
7 control, the diversion from my factory, the
8 diversion in my transit from my factory to the
9 first node in the supply chain and in that
10 area we have an excellent record with the
11 controls that we've put in place and I can go
12 into that detail if you're interested.

13 And then there's diversion once it
14 leaves our control and at that point we do
15 things to try and facilitate, educate, inform
16 and help the other people downstream in the
17 supply chain manage diversion. But once it's
18 out of my control, it is, in fact, out of my
19 control. Rx Patrol, for instance, is one of
20 the elements that we have which is an
21 internet-based, pharmacy theft data
22 clearinghouse that we've set up that people,

1 pharmacists and law enforcement officers, can
2 interact with and, as a result of that, being
3 linked with a Crimestoppers Reward Program
4 that has resulted in several arrests since its
5 inception of people who are doing pharmacy
6 theft. So it's a multi-tiered answer.

7 We also have a dedicated Law
8 Enforcement, Education and Liaison Group sort
9 of analogous to a medical science liaison
10 group for law enforcement officers and for
11 healthcare professionals to teach them about
12 pharmacy security, things they can do to
13 prevent diversion and techniques that are
14 being used by diverters as well as for the law
15 enforcement officers how to investigate
16 diversion cases.

17 As far as the exposure to
18 OxyContin -- Did you want to stop me?

19 CHAIR FARRAR: I was going to say
20 I'm not sure this is different between the
21 formulations and so it's not going to help us
22 to understand.

1 DR. HADDOX: Okay. All right.

2 CHAIR FARRAR: Is that fair? I
3 don't want to cut it off. It's just that we
4 have other --

5 DR. HADDOX: Okay.

6 CHAIR FARRAR: Dr. Vocci.

7 DR. VOCCI: Given what we heard
8 today with the parents and also with the
9 clinical pharmacologist talking about the
10 interactions with alcohol and the fact that an
11 in vitro study may be misleading, have you
12 considered doing some clinical pharmacology
13 studies where you would give old and new
14 formulation with alcohol to see is there was
15 any dose dumping or any differential
16 absorption?

17 DR. HADDOX: Steve, can you
18 address that since we have our pharmacology
19 head there?

20 DR. HARRIS: Yes, we have as many
21 of you, most of you know, experience with the
22 interaction between ethanol or alcohol and

1 some controlled release formulations. It was
2 mentioned earlier that there's not a complete
3 correlation apparently between in vitro test
4 results and in vivo results in the limited
5 number of studies that have been done.

6 We have examined carefully the
7 ethanol sensitivity of both the original
8 OxyContin formulation and the new ORT
9 formulation and neither one is susceptible to
10 in vitro increases in release. In fact, with
11 higher ethanol concentration, the rate of
12 dissolution of oxycodone actually decreases
13 and the reason we believe this is the case is
14 oxycodone itself is less soluble in ethanol
15 than it is in water and the polymer used to
16 control the release in the new formulation is
17 not sensitive to ethanol.

18 So we believe in this case there
19 would be a good correlation, an excellent
20 correlation, between the in vitro behavior and
21 the in vivo behavior. So we have not proposed
22 to do an ethanol study.

1 DR. VOCCI: In your epidemiology
2 study, you've essentially set up what I would
3 call a one-tailed study because your null
4 hypothesis has both no change and an increase
5 in the number of people reporting a high
6 versus a decrease. I'd like to know why you
7 did that. Even though your P value, you set
8 it up like one-tailed P 0.025 as opposed to
9 two-tailed 0.05. Why would you do something
10 like that?

11 DR. HADDOX: Well, I'm not the
12 epidemiologist. I have one here with me and
13 we also have a consultant, Dr. David Banks,
14 who helped us design that. So I can't really
15 answer that question for you, but perhaps Dr.
16 Smith can come up and address that question.

17 This is Dr. Meredith Smith who
18 works with me in Risk Management and Health
19 Policy and she's an epidemiologist.

20 DR. SMITH: Thank you for the
21 opportunity to talk. As Dr. Harris mentioned,
22 we have been working closely with Dr. David

1 Banks who used to work at the FDA and has
2 extensive credentials as a biostatistician.
3 This was a suggestion on his part. It's -- At
4 0.025 level it's quite a rigorous level and in
5 the absence of any data before doing the study
6 to indicate what direction we might expect, we
7 opted for posing the hypothesis in this
8 manner.

9 CHAIR FARRAR: So you're
10 suggesting a two-tailed test. So it's 0.025
11 in each direction. Is that my understanding?

12 DR. HADDOX: Right.

13 CHAIR FARRAR: That's a standard.

14 DR. VOCCI: One more. Since
15 you're only -- According to your presentation
16 here, you're only going to ask about what the
17 primary drug and what the primary opiate is.
18 Will you be able to differentiate individuals
19 who come in saying they're heroin dependent
20 but had switched from OxyContin or oxycodone
21 to heroin because that is one of the patterns
22 that is emerging.

1 DR. HADDOX: So if I understand
2 you correctly, you're saying if OTR, in fact,
3 has some abuse resistance and because of this
4 behavioral economics they switch from that to
5 heroin.

6 DR. VOCCI: Yes.

7 DR. HADDOX: That's not the
8 primary analysis. We do have a checklist as
9 I mentioned here of all the drugs they've used
10 to get high in the past month and then what
11 their preference is, their primary drug. So
12 we might be able to do that. I would have to
13 go back and look at the collection instrument
14 and see. I'm just not sure.

15 DR. VOCCI: Okay.

16 CHAIR FARRAR: So I'm going to
17 move that the last question be from Dr.
18 Fleming and then we're going to move into
19 consideration of the questions from the FDA
20 and we'll talk about that in a minute.

21 DR. FLEMING: Thanks. I have even
22 greater concerns than just the 0.025. Let me

1 explain and they're motivated by this issue of
2 the false sense of security.

3 There are going to be many
4 challenges to interpreting the data from the
5 epi study. There's lack of randomization.
6 There's lack of blinding. There's dissecting
7 the OxyContin from the other oxycodone use.
8 There's the surrogate here of changes in the
9 proportion of OTP enrollments representing
10 changes in hospitalization rates and
11 mortality.

12 There is also another issue here
13 that makes it complicated. The way this is
14 being designed, it's being designed to
15 determine whether you get a reduction in the
16 proportion of these OTP enrollments that have
17 oxycodone or OxyContin history and you're
18 saying it's a positive result if you're
19 statistically significantly less.

20 Let me use another example here.
21 In HIV prevention, vaccines, microbicides,
22 offer an opportunity to reduce risk. But when

1 we study those interventions, we realize that
2 in a trial in which people don't know if this
3 is an effective intervention, they haven't
4 altered their risk behavior. If they think
5 the interventions are shown to be effective,
6 there is something called disinhibition.
7 They're going to increase their risk behavior.

8 So we don't study those
9 interventions ruling out equality. Those are
10 only defined to be useful if they're so
11 effective that you can rule out even moderate
12 reductions. So that when disinhibition occurs
13 effectiveness is still positive.

14 You're assessing this in a setting
15 where no claims are being made about abuse
16 resistance. Then you're going to rule out
17 equality. You could rule out equality with a
18 relatively modest reduction. Then when you
19 then proceed to advertise abuse resistance and
20 you set up this false sense of security, your
21 effectiveness could be in the wrong direction.

22 My concern is much greater than

1 you're just using an 0.025 ruling out equality
2 to declare benefit. Why are you not required
3 to show sufficient reductions that you're
4 ruling out even moderate decreases such that
5 when disinhibition or false sense of security
6 occurs you're still at a positive scenario?

7 DR. HADDOX: Well, those are very
8 good points and I think you articulated that
9 very, very clearly. This is a proposal that's
10 before the FDA right now. We will obviously
11 be happy to engage in discussions with them if
12 they get close to approve on this as to what
13 the post marketing commitment will be and I
14 think those are very valid considerations that
15 you raise.

16 Obviously, I don't want to have a
17 drug with an abuse resistant claim unless it,
18 in fact, is effective and so right now I think
19 we can argue that we have met some degree of
20 tamper resistance, but the abuse resistance is
21 yet to be determined, if any.

22 And I think that what you raise is

1 actually a good point. Is it a meaningful
2 increment, I guess, if I could paraphrase what
3 you're saying in abuse resistance? And we'll
4 have to discuss that and we'll see what the
5 data tells.

6 CHAIR FARRAR: Okay. I'm going to
7 bring the question period to a close.

8 The next order of business, in
9 fact, that last order of business, although
10 it's a sizable one is to review the questions
11 for the Committee that have been put forward
12 by the FDA. You have a copy of them in your
13 book and handout.

14 Just a note, we've not been asked
15 for a vote and we're not going to conduct it
16 that way. We're being asked to provide our
17 opinions and suggestions with regards to these
18 questions specifically.

19 While it's not mandatory that
20 everybody provide an opinion, it's clearly in
21 the interest of the agency to get opinions
22 from as many people as are willing to provide

1 them. And as such, I want to be sure that
2 everyone gets a chance to provide their
3 opinion.

4 What I would ask is that as you do
5 this process, as you provide your opinion,
6 that if you agree with something that's been
7 said before that you don't necessarily repeat
8 all of it but simply indicate that you agree.
9 If you disagree, please state that
10 specifically. We do want to try and be clear
11 about what we're trying to address here to
12 come up with some conclusions.

13 And before I get started, Dr.
14 Rosebraugh.

15 DR. ROSEBRAUGH: I just wanted to
16 have a point of clarification because I heard
17 people talk about approval of this as if the
18 formulation and the indication are a package
19 deal and that might have just been my
20 interpretation of it. So I just wanted to be
21 very clear that they are not tied together.
22 So, in other words, if the sponsor would have

1 come to us and said, "We have this new
2 formulation that's bioequivalent and we just
3 want to use this new formulation," we may have
4 said okay.

5 We are here because they want
6 specific labeling for this new formulation and
7 they want labeling that says that this
8 formulation is potentially better in some
9 aspect. So we could potentially say, "Your
10 formulation is okay. We're going to approve
11 it" and not give them labeling. So you can
12 disassociate those two things in the way you
13 approach these questions. I just wanted to
14 make sure everybody understood that.

15 CHAIR FARRAR: And I wonder if I
16 could ask Bob Rappaport. You started out the
17 day by indicating why we're here at all and
18 perhaps you could just restate the issue about
19 the fact that we're interested, I think, in
20 developing tamper resistant -- At the end of
21 the day, we're trying to find a silver bullet
22 which won't kill anybody but will do everybody

1 who takes it, who needs it, some good and if
2 you could just define that for us so we can
3 put it into the context here.

4 DR. RAPPAPORT: I guess I'll just
5 build a little on what I said this morning
6 which is we don't know where the line is and
7 that line that will allow us to make a
8 difference in abuse and diversion and the
9 results of that and the line that is just
10 going to actually perhaps make things worse
11 because there's an implication that the
12 product is now safer, particularly in those
13 arenas, than it was before.

14 And it will be perhaps abused more
15 than it was or at least available for more
16 abuse in spite of the fact that it's a product
17 that is useful in patients who are and
18 legitimately prescribed the product, there is
19 this public health crisis related to its abuse
20 and the consequences thereof, some of which
21 we've heard about today that was very moving
22 testimony.

1 But we don't know where to draw
2 this line and we need your help in telling us
3 which of these features -- is there a way,
4 first of all, to measure whether there is some
5 aspect of the change in formulation that will
6 make it less tamper resistant or abuse
7 resistant, whatever you want to call it. If
8 there is a way to measure that, has Purdue
9 done an adequate job of it and, if they have
10 done an adequate job of it, what did it show?
11 And then based on your answers to all those,
12 is there anything that we should put on the
13 label that isn't going to cause more problems
14 than good?

15 CHAIR FARRAR: Okay. So just with
16 that definition, I guess the way to do is
17 just, you know, let's go around the room and
18 we'll start with you, Dr. Wolfe.

19 I'm sorry. I made a mistake here.
20 Although Bob stated it very clearly, let me
21 read the question.

22 DR. WOLFE: Okay.

1 CHAIR FARRAR: Discuss the
2 adequacy of the tools we have to assess the
3 impact of a novel opioid formulation on abuse,
4 misuse and diversion of the product in the
5 community. Do the available data suggest that
6 the reformulation of OxyContin will likely
7 reduce its abuse, misuse and diversion?

8 DR. WOLFE: Okay.

9 CHAIR FARRAR: Just -- I'm sorry.
10 I'm being asked to do one more thing. Are
11 there any questions about the question or is
12 it pretty clear?

13 (No verbal response.)

14 DR. WOLFE: As I was listening and
15 watching Dr. Kashoki's review historically
16 this morning, it was chilling in some way
17 because I think the problem that has arisen
18 starting back ten, whatever, years ago with
19 this drug is due to a false sense of security.
20 There is amongst the elements that were the
21 grounds for this \$630 million criminal
22 penalty, felony, whatever, was the

1 understating of the abuse potential.

2 I mean, according to the documents
3 that the U.S. Attorney released just about a
4 year ago, focus groups were held with doctors
5 and doctors were worried about the abuse of
6 this and the response was to essentially
7 manufacture some false sense of security about
8 its abuse potential, less abuse potential,
9 more prescribing.

10 So here we are fast forward ten
11 years later. There has been a lot of
12 prescribing, more than I think there would
13 have been if the abuse potential had been
14 studied and known and even just speculated
15 knowing what we knew about the pharmacology of
16 this and we're being asked again to say "Yes,
17 it's okay to say that this is tamper
18 resistant, that if it shows it it's going to
19 have less abuse potential."

20 If I had to bet and other people
21 have reflected this, perhaps more articulately
22 than I can, I would bet that the total amount

1 of abuse of this drug will significantly go up
2 if this formulation is approved for several
3 reasons. One, there's this interim problem of
4 the 80 mg. So the smaller doses are "tamper
5 resistant" or at least to some extent tamper
6 resistant. The bigger one isn't and there is
7 a certain amount of confusion there.

8 But even aside from that, we have
9 these huge ranges of how much you can get out
10 of these newly formulated dosage forms and if
11 the label says at some level in some way or
12 other that it has less abuse potential there's
13 going to be more prescribing and that one pie
14 chart that was broken up that we saw this
15 morning showed that the single doc that
16 accounts for a huge amount of the prescribing
17 of drugs that eventually get into the abuse
18 channel.

19 So just to summarize, I think that
20 if this is approved, this newly formulated
21 one, it will lead to much more abuse of this
22 drug and I would strongly oppose it for that

1 reason. I don't think that the data are in
2 the least bit reassuring. If anything, they
3 decrease the amount of assurance I might have
4 had before I saw them all presented today.

5 CHAIR FARRAR: Thank you. Ms.
6 Aronson.

7 MS. ARONSON: My concern is in the
8 presentation of how the drug may be abused or
9 milled or changed. There was less of a focus
10 on the snorting which in some communities is
11 higher than injecting and, as well, I'm a
12 little confused about a population that might
13 hear that this is more tamper resistant,
14 there's a bigger coating on it, so that they
15 may feel they need to take more to get a high
16 with oral ingestion.

17 CHAIR FARRAR: Go ahead.

18 MS. KRIVACIC: My concern is, as I
19 had mentioned earlier, just the validation of
20 the testing program that that has not been
21 done by a third party along with some issues
22 about the epidemiological survey that will be

1 forthcoming.

2 I also believe that the abuse
3 potential is not -- It's just too unclear at
4 this stage. It's like we're sort of talking
5 in theory what's going to happen and I think
6 that's a real concern I have.

7 CHAIR FARRAR: Dr. Vocci.

8 DR. VOCCI: Yes. Since the drug
9 is bioequivalent, I don't see any problem with
10 it going out. I actually think that since the
11 epidemiology study and some other parts of the
12 risk management plan will determine the impact
13 of this new formulation that the labeling
14 should be deferred until we actually have data
15 on the impact of the formulation.

16 Also, you know, OxyContin and
17 oxycodone are probably no different in some
18 ways than a lot of other opiates and that is
19 when we look at the data that was presented
20 today, 70 percent of the people obtained these
21 opiates and used them in nonmedical fashion
22 from either a friend or a relative or they

1 bought them from a friend or a relative.

2 They're not getting them from drug
3 dealers. The drug dealers are four percent.
4 So this is not the classical heroin dealer
5 that we're dealing with. We have an issue
6 with too much OxyContin and oxycodone and
7 other narcotics that are in people's medicine
8 chests and I think that not only should Purdue
9 Frederick but possibly all the other opiate
10 manufacturers consider some sort of take back
11 programs for the pharmacies so that the people
12 could turn in unused medication. I think
13 that's something that needs to be done. Also
14 individuals need to be educated about this,
15 when they get a prescription, and that if it
16 is an adolescent or a young person, they also
17 need it.

18 And then finally, the other data
19 that we've seen today suggest that you can
20 predict fairly easily who might become an
21 abuser of these drugs because they often times
22 use other drugs. And I think a history should

1 be taken where the doctor should talk to
2 someone and if they've had alcohol problems or
3 if they're currently using illicit substances,
4 something like that, that should also be
5 strengthened and again, not just for OxyContin
6 but possibly for all the opiates. It's a
7 similar issue.

8 CHAIR FARRAR: Dr. Nussmeier.

9 DR. NUSSMEIER: I would agree with
10 others who have said that there has been no
11 evidence presented that this formulation makes
12 it abuse-proof. But I have been somewhat
13 convinced that it's most likely going to be
14 tamper resistant and that it is an improvement
15 if it replaces the current formulation. If it
16 replaces the current formulation, I think the
17 abusers who are looking for the easiest route
18 will be somewhat frustrated.

19 I'm still very uncomfortable with
20 leaving the 80 mg original formulation on the
21 market at this point in time.

22 CHAIR FARRAR: Dr. Nelson.

1 DR. NELSON: I still don't think
2 we've addressed the innocent misuse of these
3 drugs whether it was unintentional or the
4 first pill or the good kid and all of the
5 other issues that we've kind of alluded to.
6 I still feel that we really should require
7 ironclad pre-marketing data before we put
8 something like this out on the market because
9 it seems to me that post marketing
10 surveillance is going to fail because it often
11 does. For all the right reasons, it's very
12 difficult to do.

13 And just to follow up on Dr.
14 Wolfe's point, even if this is tamper
15 resistant and they get one-third of the drug
16 out that they normally would expect to get
17 out, it's not that difficult to imagine that
18 they would just use three times the amount of
19 drug. Right. We're not talking about a
20 fraction of a percent coming out. We're
21 talking about one-third or one-half or
22 whatever it is which does raise even a bigger

1 issue which is the unpredictability of the
2 amount that comes out. Because if somebody
3 thinks they're getting one-third out, but
4 they're really getting one-half out and they
5 use three of these pills, they're actually
6 getting 1.5 times more than they expected to
7 get. So not only may abuse go up as Dr. Wolfe
8 pointed out. I think that mortality and other
9 adverse effects of this might actually go up.

10 CHAIR FARRAR: Dr. Kosten.

11 DR. KOSTEN: Just to go through
12 your questions the way you have them, as far
13 as the first question, no, I don't think. I
14 think extraction is relatively easy with
15 heating and there is 50 percent that comes out
16 within ten minutes when you do a simple
17 extraction. The heat itself appears to
18 release up to 80 percent of the oxycodone,
19 although again the range is 50 to 80 percent.
20 That makes an extraction followed by an
21 accidental overdose quite a bit more likely.
22 So since I treat mostly drug abusers in terms

1 of their safety, this is, in fact, making it
2 less safe.

3 As far as the second question
4 goes, the misconception that a higher non-
5 reformulated strength will also provide a
6 decreased abuse, I think that's a tremendous
7 risk and misconception that's going to go on.
8 I think physicians are going to switch to
9 giving out the higher dose. This will result
10 in its greater abuse and overdose in abusers
11 who take the old non-reformulated 80 mg doses.
12 So you need to have all these doses available
13 before release on the market or withdraw the
14 old dose, the high doses, of the old
15 reformulation by a buyback program or some
16 other sort of thing.

17 As far as number three goes, as
18 far as manipulation of the extended release
19 properties and --

20 CHAIR FARRAR: I'm sorry. You're
21 on a good roll here. I hate to interrupt but
22 actually the first two I think if we can stick

1 to those and then we'll go back around for the
2 rest.

3 DR. KOSTEN: Fine.

4 CHAIR FARRAR: Okay. Thank you.

5 Dr. Anand.

6 DR. ANAND: Slide 47 of the
7 sponsor's presentation says that the in vitro
8 testing was rigorous and extensive. I beg to
9 differ. The current data do not support the
10 sponsor's claim that this formulation will
11 reduce its ability to be tampered with or its
12 likelihood for abuse, misuse or diversion.

13 There is real concern that the in
14 vitro testing may mean nothing for in vivo
15 ingestion or the other methods that are
16 available to drug abusers. For example, if
17 this product was refrigerated, if it was put
18 in the freezer, and then put in a mechanical
19 mill, would it powder just as easily as the
20 currently available formulation? Things like
21 that have not been tested.

22 I also have a major concern that

1 if a child ingested multiple tablets or a
2 ground-up version of multiple tablets it would
3 form a bezoar in their stomach and would make
4 even extraction using washouts and things like
5 that very, very difficult. Concerning -- that
6 even when a diagnosis is made in time to save
7 the child's life, we may not still be able to
8 save that patient's life.

9 So at this time, I have very
10 serious concerns.

11 CHAIR FARRAR: Dr. Bickel.

12 DR. BICKEL: I think that the
13 testing thus far has been interesting but has
14 not been parametric enough. I think we need
15 to know how this new formulation behaves in a
16 whole range of different circumstances that
17 have not been fully explored because I know
18 that an addict, sooner or later, will explore
19 them. And the fact that the water extraction,
20 you know, with larger volumes is troubling to
21 me because once it's a large volume there's a
22 lot of ways to get into a smaller volume.

1 I'm of the opinion that the label
2 change shouldn't be changed until there's
3 evidence that suggests that this is, in fact,
4 less abusable and certainly the 80 milligram
5 tablet being currently available in the non-
6 new formulation I think is just asking for
7 trouble.

8 CHAIR FARRAR: Dr. Prough.

9 DR. PROUGH: Most of my reasoning
10 has already been gone into. I do not think
11 it's likely to reduce abuse, misuse and
12 diversion and I think the misconception that
13 the non-reformulated strengths will also have
14 decreased risk is inevitable.

15 CHAIR FARRAR: Dr. Paulozzi.

16 DR. PAULOZZI: I agree with many
17 of the previous comments. I'll just add a few
18 other things. I think that the rates of non
19 medical routes of administration are somewhat
20 overstated by the selection of the data that
21 was presented here. A study of deaths from
22 Oxycontin in 2002 found that only two percent

1 of the deaths had injected or inhaled,
2 snorted, the Oxycontin and this is very
3 different from the large percentages that were
4 cited here for people in drug abuse treatment
5 which I think is a different group.

6 Secondly, I think the rationale
7 for informing physicians about the changes in
8 formulation in the package material seems kind
9 of hollow to me. I think it's really been put
10 there for marketing purposes.

11 And thirdly, I think the
12 epidemiologic study is a large national
13 community trial with an intervention arm and
14 no comparison arm and interpreting the results
15 will be hopeless. And I am concerned that
16 increased use will lead to increase in
17 overdoses and deaths from the drug.

18 CHAIR FARRAR: Dr. Kirsch.

19 DR. KIRSCH: I strongly agree with
20 the comments made by Dr. Anand and Dr. Nelson.
21 So I won't repeat them. I'm fascinated with
22 the poor scientific rigor that was used to

1 obtain the data that was presented to us
2 almost to the point of being insulting.

3 I'm against the label, changing
4 the label, as tamper resistant. I strongly
5 feel that the data does not support that
6 conclusion and I think it would be a huge
7 mistake to unlink the higher doses from the
8 lower doses.

9 CHAIR FARRAR: Dr. Day.

10 DR. DAY: I'm pleased that the
11 sponsor has risen to the charge to try to have
12 a tamper resistant or reduction product,
13 that's good. On the other hand, the data
14 collection method still disturb me. I've
15 voiced some of those before and agree with
16 others as well, even in terms of the number of
17 tablets per test, etc.

18 I'm very concerned about -- So
19 there may be good data, but we can't see here.
20 The data presentation I would not allow in an
21 honors undergraduate thesis to go forward.

22 CHAIR FARRAR: Dr. Soriano.

1 DR. SORIANO: My answer is no to
2 question one based on the fact that I think
3 the methods and the data presented would not
4 withstand a peer review. And also the
5 secondary issue here too is that the risk of
6 exposing more people to abuse and morbidity
7 and mortality of this drug far outweigh the
8 benefits of the potential decrease yield that
9 purportedly hasn't been proven yet. So my
10 answer again is no.

11 CHAIR FARRAR: Dr. Lesar.

12 DR. LESAR: My answer to question
13 one is that I don't believe that there's
14 enough evidence to suggest that it will reduce
15 abuse potential. I will say though that this
16 product may be useful in producing mistakes
17 during standard administration such as
18 crushing of a tablet and putting it down a
19 tube. That said, I also believe that the 80
20 milligram, all the dosage forms, would have to
21 be included if it was to be marketed.

22 CHAIR FARRAR: Dr. Zuppa.

1 DR. ZUPPA: I agree with the
2 statement that abuse of the this drug will
3 increase with this formulation regardless of
4 what's on the product label and I don't want
5 to repeat this, but I think the point that
6 there's very unpredictable release of this new
7 product and that is going to lead to increased
8 mortality for the very reason that at one
9 point, someone will get high off of two and
10 then take two next time and more has been
11 released and will die.

12 I agree with the point that a
13 third party should be involved with the pre-
14 marketing testing. I agree with the point
15 that all doses on the market should have the
16 same rigor and the same formulation.

17 Something that has not really been
18 brought up that I have significant concerns
19 about that you brought up is that I feel like
20 if someone wants to inject this, they may very
21 well inject this gelatinous material into
22 their body and besides overdose potential,

1 what is the risk of this gelatinous material
2 being in the bloodstream? Stroke? Embolic
3 phenomenon? Coronary? Coronary stroke?
4 Pulmonary embolus? The list goes on. So
5 there's a significant risk there as well and
6 I think if someone has the will to go through
7 the process of trying to inject it, they may
8 very well inject this material.

9 I'm sorry that I'm going on. I
10 think that if the new product ever does come
11 to market, it should be very distinct in its
12 new formulation and a new appearance. I think
13 that was not a good idea to have it look the
14 same.

15 And with the testimonies that were
16 brought forth today, I think that overall as
17 we look at Oxycontin regardless of the
18 formulation, I think that the lack of
19 pediatric pharmacokinetic and safety trials
20 really needs to be readdressed for many
21 obvious issues.

22 And then my final point is in the

1 post-marketing surveillance we said that it
2 would be four quarters, and I think that there
3 will be a learning process if this new
4 formulation comes to market for the people
5 that want to abuse it and it may take them a
6 quarter. It may take them two quarters. It
7 may take them three quarters to figure out how
8 they can overcome some of the new properties
9 and really abuse it and maybe the post
10 marketing surveillance time should be more
11 than four quarters to account for this
12 learning process that I think will happen.

13 Thank you.

14 CHAIR FARRAR: Dr. Cortinovic.

15 DR. CORTINOVIS: I think that the
16 controlled release Oxycontin is an extremely
17 useful agent in a certain select group of
18 patients suffering from severe pain. From
19 what I've heard, this new formulation is not
20 likely to reduce or decrease in any way abuse
21 or diversion.

22 The only thing that I've seen

1 today is that the new formulation will likely
2 decrease ingestion, illicit ingestion, by
3 chewing. Nothing else has been demonstrated
4 to me and if the sponsor wants to put the
5 chewing version into their new label, that's
6 fine.

7 I think that we have seen that
8 rather easy physical and chemical manipulation
9 can still lead to 50 percent absorption of
10 this product. Well, 50 percent absorption of
11 a 40 milligram or an 80 milligram or a 60
12 milligram dose is a pretty good whack of
13 oxycodone. So I don't think that this is
14 something that should be ignored and I'm not
15 in favor of the proposed label by the sponsor
16 that has been presented today.

17 CHAIR FARRAR: Dr. Fleming.

18 DR. FLEMING: Regarding the second
19 part of the question about available data
20 regarding reduction and abuse, misuse and
21 diversion, there is a striking lack of
22 clinical data, data from clinical trials, data

1 from observational studies, focus group data,
2 even as was discussed, data in animals
3 reflecting the safety risks for injection of
4 this as fluid. The aggregate information at
5 this point, as my colleagues have said,
6 certainly doesn't rule out at all the reality
7 that abuse could go up.

8 A false sense of security is real.
9 Disinhibition is real. The interim problem of
10 the 80 milligram dose. The fact that risk
11 remains with circumstances that arise without
12 manipulation. That there are these potential
13 new risks from injection of this as fluid.

14 Regarding the first part of the
15 question, adequacy of tools, even the proposed
16 epidemiology study leaves a great deal that
17 would be unknown. There are many issues that
18 would make the interpretation of such a study
19 difficult and it would be important to
20 understand more than the impact of this
21 formulation on the proportion at OTP sites
22 that report Oxycontin exposure. It will be

1 important to have more direct insights about
2 the more serious ultimate risks of emergency
3 or hospitalization and death.

4 CHAIR FARRAR: Dr. Gardner.

5 DR. GARDNER: I share my
6 colleagues' concerns about the potential for
7 increased abuse and can't support having the
8 80 milligram in its existing formulation while
9 there's a new formulation out there. I'll
10 speak to the risk minimization program when we
11 get there.

12 DR. MAXWELL: I think if their
13 label were to be changed it would just simply
14 throw down a gauntlet for every bright addict
15 to go out and find out another way. Please
16 remember that the TEDS data and all the other
17 data that have been presented talk about the
18 administration as injecting, snorting, oral or
19 "other" and without getting in polite company,
20 there are other orifices where some of this
21 could go very quickly.

22 I also think that in doing these

1 studies it needs to be contracted to an
2 independent study group where the links to
3 Purdue are not so blatantly obvious now. The
4 advisory council, whoever is going to do it,
5 doesn't need to be composed of former Purdue
6 employees or people to whom Purdue is
7 currently giving grants. I think there's a
8 tremendous conflict of interest in any data
9 that comes out of these studies.

10 DR. PASSIK: I'm not certain that
11 if introduced you'd see increases, but I'm
12 also not certain that you'd see decreases in
13 abuse and diversion of the product. I think
14 the existing studies have taken this to a
15 certain point that's useful, but for my money
16 I would like to see now also going to outside
17 labs that do likeability testing and take this
18 drug and manipulate it and then give it to
19 recreational users, see how much they like it,
20 see how much they like it compared to
21 manipulated Oxycontin, see how much they like
22 it compared to immediate release oxycodone.

1 I think that if those studies were done, I
2 would be a lot more sanguine about the
3 possibility that this would influence abuse of
4 this particular product and move people away
5 from it.

6 And I also agree that I think it
7 would be very confusing to have more than one
8 formulation of the drug around at the same
9 time and I think that would confuse
10 prescribers and I don't think that would be a
11 very good idea.

12 And finally, I would like to see
13 and have a lot more detailed discussion about
14 the RiskMAP and specifically about the
15 education to physicians that will place abuse
16 deterrents in a context and in a package and
17 I know that Purdue does have a track record in
18 trying to educate on this topic, but put it in
19 the package so that abuse -- that the
20 prevention of abuse, misuse and diversion
21 doesn't end with the prescribing of an abused
22 deterrent opioid. It is part and parcel of a

1 whole range of interventions that they have to
2 know how to include particularly with higher
3 risk patients.

4 CHAIR FARRAR: Dr. Sang.

5 DR. SANG: You know, I'm a
6 practicing pain management physician and I
7 actively prescribe Oxycontin where I believe
8 it's appropriate. But having said that, I'm
9 basically disappointed to see that the data
10 are focused primarily on BA and
11 bioavailability and bioequivalence and really
12 not clinical data. And echoing what we've
13 heard, the lack of internal validity, the
14 potential for bias, the unblinded nature of
15 the data, that they were internally conducted,
16 small numbers, really was a great
17 disappointment to me.

18 And the clinical data, I agree
19 completely with the idea that you should
20 consider liking studies and really move
21 forward to looking at patients, if not,
22 animals or visa versa.

1 The 80 milligram pills, I really
2 have concerns over marketing the old-fashioned
3 80 milligram Oxycontin in the presence of 10
4 to 40 milligram OTR where physicians, you
5 know, the vast majority of prescribers as
6 you've shown are internists and primary care
7 physicians and I think it's just too easy for
8 them, for anybody, to pseudo-extrapolate the
9 safety of the 10 to 40 milligram strength to
10 80 milligram particularly before you present,
11 you apply, you present those data if that
12 should happen. And I do think the volume of
13 prescriptions even including the 80 milligram
14 pills will likely go up and I think it's a
15 slippery slope and I'm quite concerned about
16 that.

17 So I actually would ask you -- I
18 actually propose to withdraw the 80 milligram
19 pills if the 10 to 40 milligram OTR is
20 approved. I think it's very difficult. There
21 are other options. Certainly, two 40
22 milligram pills if you can show that. Perhaps

1 you could even show that the variability in
2 the amount of drug that's released following
3 a variety of extraction methods is lower than
4 with the 80 milligram. That's certainly
5 possible based on some of what we've seen and,
6 if that's the case, then I think that's
7 something that should be considered.

8 CHAIR FARRAR: Dr. Yesenko.

9 DR. YESENKO: This is for the
10 sponsor. In answer to question one, I do not
11 think the tools are adequate to address
12 diversion or the use of any reformulation to
13 prevent any problems with children or
14 pediatrics. That really wasn't addressed
15 today.

16 And in answer to number two, I'm
17 not -- you may want to consider having like
18 active drug addicts on your staff because this
19 is very scientific and wonderful, but if you
20 haven't worked with drug addicts, you know,
21 what I heard so far today is that you made
22 this huge batch of viscous substance and I

1 think someone on the Committee asked what
2 about a smaller amount. You didn't have an
3 answer. You know, what about a smaller amount
4 for a syringe? You didn't have that answer.

5 And as far as the implication for
6 a safe product labeling, I have to agree with
7 it. I think Dr. Nussmeier mentioned not even
8 having 80 milligram on the market. That
9 really is a way to minimize your risk by not
10 having the higher dosage on the market. If
11 you're planning to have something that is
12 tamper resistant, abuse resistant, then have
13 only tamper resistant, then have only abuse
14 resistant, on the market because then if you
15 have 80 -- You know, if you have the 80
16 milligrams still on the market, aren't you
17 still implicit in something then? I'm not
18 saying what that is, but aren't you implicit
19 in something? You decide.

20 Thanks.

21 CHAIR FARRAR: I'm going to
22 actually apologize to Dr. Kosten because you

1 were the only one who actually did it question
2 by question. Everybody else just skipped the
3 questions and answered them anyway. We'll
4 come back to you in one second.

5 I want to -- I obviously have to
6 express an opinion here and what I'd like to
7 do is just summarize the data that we've heard
8 so far. But before I do that, I want to be
9 sure that we hear from Steve Passik in terms
10 of the other two questions because I know he
11 has to leave a little bit early and I want to
12 be sure that we get the full committee
13 opinion.

14 With regards to -- could the
15 inclusion of data on the physiochemical
16 attributes of the new formulation potentially
17 mislead prescribers, I think you may have
18 answered all that already. I just wanted to
19 be sure I understood your points and make sure
20 if there was anything you wanted to say.

21 DR. PASSIK: You know, I think the
22 problems with -- I mean I just think there has

1 to be a lot of clear education that this will
2 -- that no abuse to turn formulation that
3 comes forward from here on. I mean, there are
4 still pain pills and they're still meant to
5 deliver drug and they always will when taken
6 whole.

7 So I think there really has to be
8 just a really clear educational piece that
9 will address some of the issues that are
10 raised in number three. I mean, the only way
11 to deal with anything that might be misleading
12 is to make sure that people understand that
13 nothing deters injection of whole tablets.
14 And I don't think that any abuse deterrent
15 related labeling should happen until we have
16 the studies that come up later.

17 I think if there are liking
18 studies that are done and substance abusers
19 don't like the product as much as manipulated
20 Oxycontin or even IR then I think those kind
21 of data could be presented. I would need to
22 see those data first.

1 CHAIR FARRAR: Dr. Rappaport.

2 DR. RAPPAPORT: Before you

3 summarize, I think we have a clear indication,

4 a unanimous opinion, that the 80 should not be

5 on the market at the same time as reformulated

6 lower strengths. But should the company be

7 able to reformulate the 80 so they have all

8 strengths reformulated to the tamper resistant

9 formulation, I only got a sense from about

10 half of the people on the panel. I think

11 everybody said that the labeling should not

12 change. But I only got a sense from about

13 half the people on the panel whether we should

14 approve it without a label change, whether

15 there is an advantage to approving this tamper

16 resistant formulation without any label change

17 and I would like some discussion on that if

18 possible and is there a reason not to do that

19 and being explicit about what that reason is.

20 CHAIR FARRAR: So what I'd like to

21 do is we're actually going to start here and

22 go back and in answer to that specific

1 question building essentially on question
2 number two, the issue of whether -- I agree
3 that I heard a unanimous vote for not
4 promoting two different formulations, leaving
5 the 60 and 80 without change and approving the
6 10 through 40.

7 But I think we should try and
8 answer Dr. Rappaport's question. The issue is
9 if the 60 and 80 are done should this
10 formulation be approved without a label
11 change, I think, is what you said. Correct?

12 (No verbal response.)

13 Why don't we start with Mr.
14 Yesenko.

15 DR. YESENKO: My concern about
16 leaving the labels the way they are is that
17 doctors and patients, everybody who has used
18 this medication thus far will just assume it's
19 the same and will have really no understanding
20 of what's at stake. I would rather not see
21 them on the market.

22 CHAIR FARRAR: Dr. Sang.

1 DR. SANG: Well, if you're -- If
2 the proposal is slide number 51, then I think
3 that -- Is that right? Slide 51, I think, is
4 too broad and doesn't address the wide
5 variability of the data that we've seen. I
6 mean, we have on a prior slide, data that
7 showed that up to 100 -- This may be with the
8 80 milligram OTR pill, but 103 percent,
9 there's really very little difference at one
10 end of the spectrum.

11 And so I would say that at best
12 the proposal for the new label is misleading
13 and I would vote to not change the label.

14 CHAIR FARRAR: The question though
15 is if the formulation is changed for the 60
16 and 80 into the OTR formulation and they
17 proceed without a label change is that okay
18 from your perspective?

19 DR. SANG: Proceed without a label
20 change.

21 DR. ROSEBRAUGH: Let me see if I
22 can help you out a little bit here just to

1 kind of clarify things.

2 DR. SANG: I think my answer
3 addressed your question.

4 DR. ROSEBRAUGH: With a label
5 change, they would then be able to advertise.
6 That's the reason to do the label change. And
7 so a lot of the concern that we heard here is
8 that the advertising may be such that people
9 don't realize the limitations of the testing
10 or the limitations of the formulation or that
11 sort of thing.

12 Do you think that the change that
13 they made in this formulation is such that it
14 might be valuable to be on the market, but we
15 wouldn't really change the label at all so
16 that they couldn't really advertise it?

17 DR. SANG: That's right.

18 DR. ROSEBRAUGH: That's right
19 what?

20 (Laughter.)

21 DR. SANG: The answer is yes.

22 Yes. That is the answer which I think was my

1 long-winded answer.

2 CHAIR FARRAR: Thank you. Okay.

3 No problem. Steve.

4 DR. PASSIK: The answer firstly
5 would depend on do all strengths do they all
6 perform equally well under the various
7 extraction techniques. If they do, then
8 they're all released simultaneously with no
9 label change and I get the liking data and
10 whatever that I think I need to see to really
11 be convinced that there is a bit more of a
12 resistance here. Then I would say yes that I
13 think it could go forward. I think what we've
14 seen today is sort of the preliminaries
15 leading to the way you would start conducting
16 those further studies.

17 CHAIR FARRAR: Dr. Maxwell.

18 DR. MAXWELL: I guess my concern
19 and maybe it's more of a question. If the 10,
20 20, 30 and 40 were adopted or implemented
21 right now without a label change, would that
22 not lead to save lives, and should that not

1 happen immediately, and I think Dr. Sang's
2 proposal of withdrawing the 60 and 80 as
3 currently formulated and then when they come
4 back later look at it. I just would hate to
5 put off the 20, 30, the smaller pills, hoping,
6 you know, because we don't like the others,
7 waiting on the 60 and 80 if we can do the
8 lesser ones now and save some lives.

9 CHAIR FARRAR: Dr. Gardner.

10 DR. GARDNER: I think people have
11 raised enough concerns about other issues such
12 as inadvertent or -- assumptions about -- I
13 think you have raised enough other issues that
14 were not tested that I would like to see more
15 data before we went that way even if all the
16 strengths were in the same formulation.

17 CHAIR FARRAR: Dr. Fleming.

18 DR. FLEMING: I find this a very
19 difficult question to answer. There is, as my
20 colleague has just noted, still issues that
21 haven't been resolved such as the safety of
22 injection of viscous fluid, etc. There still

1 is the possibility of clinical data to feel
2 confidence that this change can be
3 implemented.

4 If it were implemented, it would
5 be critical that from the time it was
6 implemented there was a very careful
7 scientific plan in place to proactively obtain
8 the most insightful scientific evidence about
9 benefit to risk, particularly safety and there
10 are many critical features that we don't
11 discuss until Section Five on the Risk
12 Management that I would also want to have an
13 assurance in place to justify that.

14 DR. CORTINOVIS: First, I don't
15 believe there should be any label changes.
16 Secondly, the proposed formulation is to me as
17 a non-chemist, but as a clinician, appears to
18 be so radically different from what is
19 currently marketed, I have not seen any safety
20 studies done in animals, much less humans.

21 Do we really know what we're
22 getting into with this new formulation? Yes.

1 We know it's bioequivalent by FDA criteria and
2 that, of course, is good. But is it still a
3 safe product in the same context that
4 controlled release oxycodone is when used
5 appropriately in the selected patient
6 population?

7 CHAIR FARRAR: Dr. Zuppa.

8 DR. ZUPPA: I agree that there
9 should not be a change in the label for the
10 obvious reasons. And I feel pretty strongly
11 that the data that was presented today was
12 inadequate to support bringing the new
13 formulation to the market at this moment in
14 time. I think -- and of too -- and wide
15 ranges, no medians, no really standardized way
16 of evaluating how this drug is acting,
17 requires more studies before it would be
18 brought to market and if those studies did
19 happen and they were in a rigorous way and
20 were reliable, I think it should be brought to
21 market at that point.

22 CHAIR FARRAR: Dr. Lesar.

1 DR. LESAR: I also do believe that
2 this is actually a better sustained release
3 form assuming the toxicology studies work out.
4 However, I think from the standpoint of the
5 downside, it won't be long before people
6 realize this is a different dosage form and
7 that there are some unintended consequences
8 that should be followed up by post-marketing
9 monitoring of use or other types of follow-up
10 on what happens after it's marketed.

11 CHAIR FARRAR: Dr. Soriano.

12 DR. SORIANO: I'm most concerned
13 of the fact that there's no scientific data on
14 the safety of this new formulation, the
15 matrix, the polymer that's being used. So
16 indeed there should be a label change and that
17 is what is the effect of this new polymer and
18 this new formulation.

19 CHAIR FARRAR: Skip Dr. Day.

20 DR. KIRSCH: So I'm not in favor
21 of allowing it to be changed with or without
22 a change in the printed material. I'm very

1 concerned about the safety data that's
2 nonexistent or not presented.

3 CHAIR FARRAR: Dr. Paulozzi.

4 DR. PAULOZZI: I still believe
5 that we need to work on developing tamper
6 resistant formulations of opioid analgesics.
7 But I'm not in favor of a labeling change and
8 I think we have to do some more study before
9 approving the formulation change.

10 CHAIR FARRAR: Dr. Prough.

11 DR. PROUGH: I'm not in favor of
12 the labeling change. I think the tamper
13 resistant properties of the product are
14 interesting, but I think additional data are
15 necessary on safety and efficacy.

16 CHAIR FARRAR: Dr. Bickel.

17 DR. BICKEL: I agree. I think
18 it's an interesting line of study. We need to
19 know more about how to make things tamper
20 resistant. It's very important data to have,
21 but we need to understand also how safe it is
22 not only for the addict who may try to inject

1 it but as Dr. Anand pointed out what if kids
2 take a whole bunch of these orally. What
3 happens to that gelatinous mass?

4 CHAIR FARRAR: Dr. Anand.

5 DR. ANAND: I do not feel
6 comfortable with this product being brought to
7 market even in the 10 to 40 or even if the
8 highest strengths could be reformulated. I
9 would not support -- If that happens, I would
10 not support a label change based on that. I
11 agree that safety and efficacy needs to be
12 done.

13 I also believe that in the RiskMAP
14 that the genetic variance and their linkage to
15 mortality must be included. Without that or
16 if that is not done within a specified period
17 of time, then I think even the currently
18 available Oxycontin should be pulled off the
19 market.

20 CHAIR FARRAR: Dr. Kosten.

21 DR. KOSTEN: In spite of having
22 answered this before, thank you for the

1 invitation to answer it again.

2 Yes. No.

3 CHAIR FARRAR: Dr. Nelson.

4 DR. NELSON: I still stand by my
5 original statement that this new product
6 doesn't bring any solution to the vast
7 majority of deaths that occur from this
8 product which are in people who are taking
9 this pill with relatively more benign intent
10 than those who are injecting it, in other
11 words, those who are taking a pill, a single
12 pill, ingesting it orally.

13 And I just think no to both
14 bringing the product to market and to the
15 labeling change obviously. And in a way I
16 think we've said this already before, but this
17 is a little bit like the devil that you know
18 and until we know a little bit more about the
19 other devil with some more pre-marketing data
20 about its abuse potential and kinetics, etc.,
21 I would not want to see this on the market.

22 CHAIR FARRAR: Dr. Nussmeier.

1 DR. NUSSMEIER: Well, I remain
2 relatively convinced that the new formulation
3 is likely to be an improvement and I actually
4 think it is important to continue to encourage
5 pharmaceutical companies to work to develop
6 novel formulations.

7 I also think that getting rid of
8 the original 60 and 80 milligram formulations
9 quickly might confer an almost immediate
10 safety advantage for the public. So I'm in
11 favor of allowing them to continue but without
12 a label change.

13 CHAIR FARRAR: Dr. Vocci.

14 DR. VOCCI: I think they should
15 one minor label change and I don't know where
16 they would want to put it but possibly in the
17 house applied section to say that Oxycontin is
18 a registered ER, is a registered trademark, of
19 Purdue. It is the following strengths which
20 are in a such and such matrix, something like
21 that, you know, something very -- just a
22 statement of fact without making any kind of

1 claim to that and I think that is truth in
2 labeling right there because we don't know
3 anything else.

4 As far as -- I disagree that you
5 need to do safety and efficacy on this because
6 it's bioequivalent and the safety and efficacy
7 of Oxycontin as in the directions for use in
8 the labeling have been established. So if you
9 bioequivalent product, I don't think you need
10 to do more studies on safety and efficacy.

11 CHAIR FARRAR: Can I just clarify
12 one point? Do you feel that there's adequate
13 toxicology data on this formulation or did the
14 issue of the gelatinous form other pieces of
15 it that are different? Do you feel that
16 there's adequate data for that?

17 DR. VOCCI: I mean, I don't know
18 if people are going to ingest multiple
19 tablets. You know, if an abuser does that,
20 they might be able to get away with it. But
21 what the concern is really is what we heard
22 today. You can have several drinks and one

1 Oxycontin and die. And so that's something
2 that will not be changed by this formulation.

3 But I think that the only way
4 you're going to get at those kinds of issues
5 is really through education of the physicians,
6 the patients and the parents. Those people
7 have an underestimated of the risks of opioids
8 in opiate non-tolerant populations. I think
9 the people in the United States do not
10 understand this.

11 DR. ROSEBRAUGH: But let me just
12 jump in quickly. I see you looking around.
13 It's over here.

14 Just so that this isn't something
15 people have to worry about, you can assume
16 that the preclinical stuff is clean and that
17 there is adequate animal data and human data
18 to support this formulation. We wouldn't be
19 at this stage if there wasn't. So that's not
20 something you have to worry about.

21 CHAIR FARRAR: Okay. Thank you.

22 Ms. Krivacic.

1 MS. KRIVACIC: I am all for having
2 tamper resistant opioids. I'm not sure what
3 we've seen today is really kind of ready for
4 prime time with these products. So I'm not
5 real convinced that they should be out on the
6 market given the data that we've seen today
7 and no to the label change.

8 CHAIR FARRAR: Ms. Aronson.

9 MS. ARONSON: I find it
10 challenging to answer the question about label
11 change in relationship to the safety data and
12 benefit versus risk in what we've heard today.
13 Also just a question about what happens with
14 the sales force when they go out with the
15 product. That's confusing to me as far as not
16 having specific label information.

17 CHAIR FARRAR: Dr. Wolfe.

18 DR. WOLFE: Do you want to go
19 first?

20 CHAIR FARRAR: No, go ahead. Let
21 her catch her breath. Go ahead.

22 DR. WOLFE: Whereas technically

1 you can't do advertising if it's being
2 enforced by the FDA, that doesn't comport with
3 the label. Not changing the label would
4 obviously stop any kind of formal advertising
5 on this point.

6 But having said that, everyone
7 knows now and will know via the buzz whether
8 it comes from here or there that the whole
9 intention of this product was to try and
10 reduce the abuse potential and I think that
11 whereas it would be much worse if the label
12 were changed particularly in light of how
13 little we know about too many things now and
14 advertising were allowed, it would still be
15 bad and I think would increase the prescribing
16 even if the label were kept the same way. So
17 I would strongly oppose it coming to market.

18 And I agree with the other list of
19 things that really have been undone here. I
20 mean, let us assume because we were just told
21 that the animal studies are done. This is not
22 the same. I mean, someone said as long as

1 it's bioequivalent. If we're talking about a
2 generic equivalent of a brand name drug,
3 bioequivalent is more than enough.

4 This is very different because the
5 whole nature of the change is to try and do
6 something about reducing tampering and so
7 forth and it just doesn't look like that's
8 worked.

9 CHAIR FARRAR: Dr. Day.

10 DR. DAY: As I understand it, we
11 are discussing whether if all of the dosages
12 came to market at the same time with the
13 reformulation, then would we be in favor of
14 changing the label to make some statement
15 about its tamper resistance? I have not
16 benefitted from my colleagues' comments. So
17 I would say that based on the current data,
18 no. If additional data are collected and
19 analyzed in appropriate fashion, that could be
20 revisited.

21 Going forward with the formulation
22 without a label change, as Dr. Wolfe was just

1 saying, the buzz would be out there anyway.
2 But for those who -- But, if not, then it's
3 like doing a clinical trial on the population
4 without their knowledge. So I would have some
5 reservations.

6 CHAIR FARRAR: What I'd like to do
7 is to try and summarize some of the comments
8 that have been made here and then we've been
9 asked to look at question five specifically.

10 My understanding is that there is
11 data on the bioequivalence and safety that the
12 FDA has seen although we have not, that would
13 indicate that this is safe and bioequivalent.
14 But there's a general sense amongst the
15 population that constitutes the Committee,
16 that there is not adequate data either in
17 vitro or in vivo to adequately support the
18 reduction in abuse potential with this drug.

19 In particular, we think that it's
20 very important that we get a group of people
21 who are skilled at breaking these codes, at
22 breaking this method of trying to make it

1 abuse resistant, and getting them to see if
2 they can do it prior to actually releasing the
3 drug on the market and then there are
4 questions about how this drug will actually be
5 used and I think we need to be concerned that
6 people put into dire straits may actually try
7 and inject. There is a suggestion that they
8 may try and inject the gel directly into their
9 system and how would that affect them in terms
10 of potential risk.

11 There was a clear sense that the
12 10 to 40 should not be released without the 60
13 to 80 and that could be accomplished either by
14 withdrawal of the 60 to 80 while the data is
15 being presented and reviewed by the FDA, or
16 waiting for the release of the 10 to 40 until
17 the 60 to 80 are available.

18 There's a clear sense that no
19 label change ought to be made other than to
20 describe that there's a new formulation until
21 there are clinical studies that go on to
22 support the fact that it's tamper resistant,

1 meaning that we're all worried that somebody
2 out there is going to figure out a really easy
3 way to get around all this and that that would
4 be a problem.

5 The one exception to that which
6 was mentioned by one, but I think would get
7 agreement from all, is that it would probably
8 inhibit the chewing of the Oxycontin tablets
9 and it's very unlikely that anybody would be
10 able to chew it to adequately small sizes to
11 be able to ingest a large dose.

12 There's a very strong sense that
13 the new product ought to be different in color
14 and/or shape or size so that it could actually
15 be differentiated from the old one and
16 therefore identified by the very -- the
17 systems that we have which are not poorly
18 designed but simply suffer because of the
19 paucity of data that comes from medical
20 records in the current state of the way in
21 which we collect them.

22 We clearly feel that there's a

1 need for a more clear definition of how the
2 surveys and the methodology that would be used
3 in order to understand how this product would
4 be assessed once it's released into the public
5 in terms of understanding whether it actually
6 decreases or increases abuse given the
7 concerns of the panel that a drug that appears
8 to be reduced -- to have a reduced abuse
9 potential may lead to increased use and
10 increased availability leading to more
11 accidental deaths from direct oral overdose.

12 So I think that's a reasonable
13 summary of what we've heard and what I'd like
14 to do is to move on unless there is anyone
15 that thinks I missed something. Oh, I missed
16 the bezoar. I'm sorry. And actually that's
17 a good point. I did miss the issue that
18 there's a strong sense that there ought to be
19 studies in pediatric populations and I'm
20 sorry. I did miss that. And that that should
21 be conducted no matter what happens with the
22 current formulations.

1 Did I miss anything else?

2 Okay. So just moving on to

3 question five, I'm going to read the question

4 and we'll see how it interprets. You can help

5 us, Bob, if we don't understand it. If you do

6 recommend any of these data to placed in the

7 product label, are there any risk minimization

8 strategies that need to be put in place to

9 support the appropriate use of this product,

10 i.e., additional language in the labeling?

11 Please specify. Education information that

12 will describe proper use and the potential for

13 misuse and abuse of the product, special

14 education requirements, training for the

15 prescribers, limitations on which patients

16 should be treated with the product, formal

17 agreement between the prescribers and patients

18 for proper use, registries for prescribers.

19 I'm assuming that this is if the

20 formulation were released. Are there anything

21 that we can do in a label to try and prevent

22 the perception that it's a completely safe

1 drug and that it can be used more widely? Is
2 that fair?

3 DR. RAPPAPORT: Not just in the
4 label. This is looking at a full risk
5 minimization plan, what today under the new
6 law is being called a REMS that could
7 encompass all kinds of different things from
8 labeling changes to restricted distribution,
9 restricted prescribing. There are a variety
10 of things.

11 So what I'd like to hear is since
12 we didn't seem to get the sense today that
13 people think we should put anything, most
14 people think we should put anything in the
15 label at this time even if we were to approve
16 the product. But at the time that we were to
17 approve this type of product, what in your
18 wildest imagination can you come up with that
19 would be good ways to mitigate risk.

20 CHAIR FARRAR: Okay. Sid, do you
21 want to start?

22 DR. WOLFE: Well, I just really

1 want to raise a procedural question. The way
2 I read question five, Bob, correct me if I'm
3 wrong, is that if we were to agree with (a)
4 there being something put in the label and at
5 least (b) incline in the direction of the
6 product coming on the market, then (c) we
7 would want to give you some input on risk
8 minimization and so forth.

9 Since we haven't really, at least,
10 most people haven't gone with (a) and (b) on
11 those grounds alone I would think that it
12 would be sort of premature to talk about risk
13 minimization because we just don't know enough
14 about this product, particularly from the
15 standpoint of risk. We just don't know
16 enough. I mean, that was I think the genesis
17 of the answer to the first two questions.

18 And I can imagine that this group
19 were we to be much more satisfied than we are
20 now at some possible date in the future might
21 have a very different answer to what sort of
22 risk minimization strategies we would go for

1 based on one set of facts as opposed to
2 another. So for myself I can't answer that
3 question because it's too abstract. There
4 aren't enough data available.

5 DR. RAPPAPORT: And that's a
6 reasonable -- I think that's a reasonable
7 answer. If that's the way people feel today,
8 that's what I'd like to hear. However, I'm
9 also using my opportunity here of having you
10 all here and having heard the general
11 discussion over the day to see if you can come
12 up with some ways that would help us as we
13 approach not only this product but other
14 products.

15 DR. WOLFE: Let me just read.
16 This is a sentence from a memo that was in our
17 CDs from Mary Willy and the Division of Risk
18 Management and she's talking -- this is a memo
19 on risk management and what she said is "we
20 recognize there are many challenges to
21 monitoring abuse particularly when there are
22 multiple versions, generic, old formulation,

1 brand and now new formulation and expect the
2 evaluation of the introduction of a newly
3 formulated Oxycontin creative efforts."

4 And here is her key sentence which
5 I am very persuaded by. "The proposed metrics
6 include new evaluation strategies that have
7 not been validated and in our opinion are not
8 likely to provide clear evidence of
9 effectiveness of the proposed risk management
10 strategy." I would agree with that and again
11 to go beyond that, I would have to know much
12 more than I do about the nature of the risk,
13 the reduced rates, how much it's reduced, and
14 so forth.

15 CHAIR FARRAR: Okay. Ms. Aronson.

16 MS. ARONSON: I'm feeling
17 similarly. If we really did have information
18 that there was less manipulation and less
19 abuse, then we could move to the risk
20 minimization strategies and there's a list
21 here in question five that are really
22 terrific. But absent the information that I

1 just mentioned, all these risk management
2 strategies would only promote an increased
3 marketing because there just would be
4 incredible opportunities through each of these
5 avenues for marketing.

6 CHAIR FARRAR: Ms. Krivacic.

7 MS. KRIVACIC: I agree as well. I
8 do think though, moving forward, if it does
9 get to the point where it is tamper resistant,
10 all the data is here, everything looks clear,
11 maybe one idea at least in terms of slowly
12 rolling out this program would be sort of an
13 idea of compassionate use for non-cancer
14 opioid people, I mean, people that do need
15 Oxycontin pain medication.

16 CHAIR FARRAR: Dr. Vocci.

17 DR. VOCCI: Yes. I actually think
18 that all of the opioids should be considered
19 in terms of some type of general advice that
20 the FDA should consider formulating to
21 prescribers in the United States in terms of
22 what the risks are and who might be a possible

1 abusers. So I think there are things that can
2 be done now, you know, minus this issue and
3 whether people agree that this goes on with or
4 without labeling, I think you should consider
5 this as a class because the issue is very
6 complex and I liken this to pushing on a
7 balloon. If you can do something that you
8 would essentially depress the prescribing of
9 one opiate, it's just going to pop up
10 somewhere else.

11 And I think you have to consider
12 this in a global fashion so that you consider
13 prescribing of opiates and most of the
14 physicians that you talk to say, "You know, I
15 never got much of this. I got one lecture in
16 medical school." Some places have more than
17 that now and there is very little -- The
18 doctors basically learn through their
19 residencies or whatever they do, but then they
20 don't learn to manage chronic pain in their
21 residencies which is where we are having the
22 problem in the United States. So I think that

1 something like this would be of great public
2 health import, to actually consider what the
3 FDA should be saying to physicians who
4 prescribe opiates.

5 CHAIR FARRAR: Dr. Nussmeier.

6 DR. RAPPAPORT: Can I just get a
7 clarification? He was talking about outside
8 of the label.

9 DR. VOCCI: Yes.

10 DR. RAPPAPORT: Okay.

11 DR. NUSSMEIER: Yes, I agree with
12 some of what's been said. I mean, essentially
13 we're talking about another full two-day
14 meeting. The horse is already out of the
15 barn. I mean, all these doses of oxycodone
16 are currently marketed except for the 160
17 milligram formulation and any of these
18 suggestions in question five may be valuable.
19 Special educational requirements/training for
20 prescribers, limitations on which patient
21 should be treated with the product, formal
22 agreements between prescribers and patients

1 for proper use, registries for prescribers,
2 all of those may be good ideas. But I don't
3 think we can solve it this afternoon.

4 CHAIR FARRAR: Dr. Nelson.

5 DR. NELSON: Given the proven
6 problems that we've had with this drug in
7 every venue, I think that we should probably
8 back up and take a look at this the way we
9 looked at another drug that came to market not
10 that long ago that got a very comprehensive
11 risk management and a fairly aggressive one
12 that in my mind has a much, much lower lethal
13 risk than Oxycontin does and that is a drug
14 named Xyrem which is sodium oxybate which
15 really came with for those who have followed
16 that quite a full blown prescriber education.
17 You need to get licensed. You need to order
18 your drugs through a specific pharmacy that
19 can be delivered only when you're done with
20 your previous dose of drugs.

21 I think there is way too much of
22 this drug on the market. It gets to people

1 through relatively illegal channels. The only
2 way we're probably going to really reign this
3 in is to make it availability quite minimal
4 and I know that's going to go over poorly with
5 many people in this room. But it's probably
6 the safest thing to do.

7 DR. RAPPAPORT: Can I just ask you
8 to follow up with after we acknowledged that
9 Xyrem is indicated for a very small, narrow
10 population and oxycodone and other pain
11 medications are indicated for millions of
12 people in this country.

13 DR. NELSON: Well, you didn't ask
14 me that question. You said what would be my
15 ideal. You know, that's a problem that has to
16 be worked out and maybe it will reduce the
17 prescribing a bit. You know, I guess in -- my
18 perspective on this is maybe different than
19 those that practice pain management.

20 But there are many, many
21 analgesics on the market out there of which
22 this is one. This probably is the one that

1 seems to be most associated with abuse. You
2 compare it to MS-Contin. You compare it to
3 other. You know, even -- I don't want to get
4 -- fentanyl patches, these other things that
5 people are out there using. This one really
6 seems to have a unique position in the market
7 or in the, I guess, the down -- the black
8 market, so to speak, you know the downside of
9 drug use.

10 And I just think it's something
11 that we really need to look at given the
12 amount we've heard, these terrible stories in
13 the public session. You can open up any
14 newspaper any day of the week and you can read
15 about these problems and I think we need to
16 take a fresh look at this drug and put a
17 fairly comprehensive and aggressive program
18 around it.

19 CHAIR FARRAR: Dr. Kosten.

20 DR. KOSTEN: I'd agree that we
21 need to have an example somewhere. I think
22 the example that we might have is how a

1 program was developed around the use of
2 bupinorphene or Suboxone for treating opiate
3 dependence in office based practices, an
4 alternative to methadone, that has a whole
5 post-marketing program developed around it.

6 You might argue to a certain
7 extent that that's what Purdue is trying to do
8 now. That is develop the medication that
9 could be given to people who potentially have
10 had abuse problems with it, but that doesn't
11 mean that their pain is anywhere gone. That
12 is, that it's a tamper resistant. It's in
13 many ways might go into a high risk population
14 because that's what we're all thinking about
15 right now. That is, if you say that this is
16 easily prescribed that you should -- the
17 doctor is not going to worry. He's going to
18 start prescribing a lot of this.

19 So I would just suggest all these
20 things are of course very useful. I would
21 review the risk management plan which Purdue
22 did present parts of it around what's been

1 used with Suboxone already and that is an
2 example.

3 CHAIR FARRAR: Dr. Anand.

4 DR. ANAND: I think any
5 consideration of risk minimization, Dr.
6 Rappaport, must include good evidence on
7 opiate-induced hyperanalgesia, on tolerance
8 versus addiction, with studies perhaps using
9 functional MRI to look at the nucleus
10 accumbens in other reward pathways that would
11 predict addiction versus tolerance.

12 And then to minimize risk, we need
13 to define a length of treatment, a maximum
14 dose that can be used, and clear patient
15 selection in terms of which are the patients
16 that would benefit from this kind of a
17 preparation. So I think those are all
18 important issues to minimize risk.

19 CHAIR FARRAR: Dr. Bickel.

20 DR. BICKEL: I want -- First going
21 on by what Frank said, I think this is a
22 global problem with opioids and to treat it as

1 we're just dealing with this one little part
2 of the puzzle doesn't make a lot of sense.
3 What I found challenging in listening to lot
4 of the presentations and responses to
5 questions today is what would you do if you
6 found out there was a lot of abuse and all I
7 heard was you would look at some data and
8 think about what we would do.

9 I'd like to see a decision tree.

10 I would like to see if we see this much abuse
11 we're going to engage in these ten actions or
12 these five actions. If we see this type of
13 abuse, this population, we're going to see a
14 different set of actions. I'd like to see the
15 drug company think through how they're going
16 to control access to the medication, how
17 they're going to educate the population, how
18 they're going to track it to protect us from
19 the adverse consequences of having this
20 medication available in the marketplace.

21 CHAIR FARRAR: Dr. Prough.

22 DR. PROUGH: Well, whatever the

1 solution is, I agree it can't be a one drug
2 solution. I think the troubling thing is that
3 if you look at the data that suggests that an
4 awful lot of the folks who abuse oxycodone get
5 it from primary care physicians, there is
6 obviously a fair number of people who give out
7 oxycodone prescriptions in quantities that
8 folks even feel comfortable sharing.

9 On the other hand, you have
10 physicians who are reluctant to treat their
11 real chronic pain adequately and I think one
12 of the most difficult things is going to be to
13 put together a program that discourages use by
14 people who currently over-prescribe and
15 doesn't place further barriers to the use by
16 people who under-prescribe.

17 CHAIR FARRAR: Dr. Paulozzi.

18 DR. PAULOZZI: Yes, I agree with
19 treating this as a class. I think that today
20 there are probably more deaths related to
21 methadone being used as an analgesic than
22 there are deaths related to oxycodone or

1 Hydrocodone. And it is like a balloon. If
2 you push in one place, prescribing will
3 increase in another.

4 So I would agree with many of
5 these recommendations and other things. It's
6 like guidelines for chronic non-cancer pain
7 use which include a maximal daily dose and
8 particularly guidelines for use in acute pain
9 because 40 percent of opioids are prescribed
10 in emergency departments in the United States.

11 CHAIR FARRAR: Dr. Kirsch.

12 DR. KIRSCH: I don't have anything
13 to add to the excellent comments that have
14 been made.

15 CHAIR FARRAR: Dr. Day.

16 DR. DAY: I do agree. It would be
17 very worthwhile to consider the whole class
18 and treat the whole class together and then
19 specify some of the parameters for treatment
20 that people have brought up. But at this
21 point now perhaps something about some
22 educational information about over-prescribing

1 and under-prescribing might be useful to the
2 general public and to the prescribers.

3 CHAIR FARRAR: So I'm going to
4 take my turn this time around. I just want to
5 echo one thing which is as a physician who
6 takes care of pain patients I am strongly in
7 favor of having adequate pain medication to be
8 able to deal with those patients. I'm also
9 very strongly in favor of some efforts towards
10 educational programs that could be
11 substantially larger than they currently are
12 to help physicians understand how to progress
13 with the prescribing of opioids in a safe way.

14 And we tried this at the
15 University of Pennsylvania. We get as
16 detailed as talking about writing it like a
17 check so that they don't write more numbers
18 in. We tell them to get a safe at home
19 because their kids wouldn't steal it but their
20 kids' friends might steal it. I mean, there's
21 a process that some of us have learned over
22 time and if there was a way to provide that in

1 some sort of very comprehensive way, dealing
2 with the group of opioids as a class because
3 I agree absolutely that it's like a balloon.

4 But I just wanted to emphasize the
5 fact that I'm very much in favor of trying to
6 find new formulations that are less abusable
7 as well as to allow formulations available to
8 treat our pain patients. I think the problem
9 here is that we don't have the data. But in
10 terms of the educational program, I think it's
11 very important that we try and focus on that
12 and how the FDA can play into that, I'm not
13 sure. But it's an important issue.

14 Dr. Soriano.

15 DR. SORIANO: It's hard for me to
16 imagine that there are no studies looking at
17 reformulation of a drug, not necessarily
18 opiates, but any drug classes can lead to
19 decreased morbidity. I think we should really
20 look into the pharmacological literature and
21 see what's worked and try to apply it in this
22 application.

1 CHAIR FARRAR: Dr. Lesar.

2 DR. LESAR: You know, just from
3 the public comments, there is certainly a
4 problem out there that needs to be addressed.
5 It's very difficult to sit here though and ask
6 for risk map, things, again not knowing what
7 works and what doesn't work.

8 But on the other hand, not doing
9 anything is probably just as futile perhaps.
10 But I would definitely encourage trying the
11 development of targeted education and to try
12 to counter act any negative potential from
13 this dosage form.

14 CHAIR FARRAR: Dr. Zuppa.

15 DR. ZUPPA: I don't have much to
16 add to the comments that were already made.
17 It's just again hearing the public speak.
18 It's remarkable to me that it's everywhere and
19 a 15-year old, a 12-year old, can get it so
20 readily and so repeatedly is concerning.

21 CHAIR FARRAR: Dr. Cortinovis.

22 DR. CORTINOVIS: I don't have the

1 data to feel comfortable to make specific
2 answers to these questions that are posed in
3 number five. The information that Purdue has
4 submitted is really observational and
5 descriptive and certainly not scientific.

6 In an ideal world since Dr.
7 Rappaport said, "Well, in an ideal world, what
8 could be done," one may argue that this agent
9 could be restricted to centers or
10 practitioners who have active surveillance
11 protocols in place. I'm not proposing that.
12 I think there are a lot of suffering people
13 who get adequate relief from controlled
14 release oxycodone and I don't want to see that
15 restricted for these individuals.

16 The reality is I would love to see
17 Purdue Pharma show us real data, real
18 information, done properly to say that this
19 stuff works because this is a step in the
20 right direction and I'd love to see them have
21 us review this data and have us say that this
22 is a labeling change that they propose that I

1 could live with.

2 CHAIR FARRAR: Dr. Fleming.

3 DR. FLEMING: For opioids overall
4 and specifically for Oxycontin, I think
5 implementation of many of the features that
6 are listed in Section Five here under Risk
7 Minimization Strategy should be carefully
8 considered. I think it is time for enhanced
9 education, regulation and accountability and
10 that includes enhanced FDA oversight over
11 marketing procedures, and enhanced
12 understanding and pursuit of the use of these
13 agents, particularly Oxycontin, in proper
14 settings, settings such as restricting to
15 severe chronic pain settings.

16 CHAIR FARRAR: Dr. Gardner.

17 DR. GARDNER: I think we may be
18 premature on some of the more extensive risk
19 minimization programs that some of which have
20 been discussed today. But with respect to
21 this particular formulation, I have about
22 three things that have occurred to me today.

1 First is that the health
2 professional I haven't heard mentioned here at
3 all today is the pharmacist. We're going to
4 educate all these physicians. But I'd like to
5 talk a lot about -- I mean, I'd like you to
6 think about what you already know about how
7 pharmacists can help with this including
8 educating physicians, but more importantly,
9 educating patients and the public and that is,
10 I think I heard Dr. Vocci talk about one of
11 our big problems being that these things are
12 in drug cabinets all over America and some of
13 that is no longer needed by the people for
14 whom it was prescribed.

15 And the public education campaigns
16 and the pharmacists' education campaigns that
17 can get those brought in to be disposed of
18 when they are no longer needed as opposed to
19 the chronic pain meds that we are so concerned
20 -- make sure that people have I think can help
21 a lot. I'd like to see Purdue Pharma take
22 some positive action in initiating some of

1 those programs and gain some positive PR about
2 it.

3 Secondly, back to the pharmacist
4 and patients, I disagree with Dr. Harris that
5 changing the color or size or look of these
6 products would be a problem for patients.
7 People are very used to having things change
8 now by substitution of generics and
9 therapeutic equivalence in their pharmacy
10 because their health plan changed. And
11 pharmacists who know that they're dealing with
12 something different than they had before, a
13 new formulation, regardless of that the label
14 says are able to then explain to patients why
15 they look different and it may turn out to be
16 a better way to control. This is something
17 else. We have to pay attention to it
18 differently.

19 So I think that there are things
20 that can be done even in thinking about moving
21 these formulations ahead, before we then move
22 onto the next phase which is with more data

1 perhaps we would have a different risk
2 minimization program.

3 CHAIR FARRAR: Dr. Maxwell.

4 DR. MAXWELL: At this time it's
5 very interesting in terms of looking at the
6 entire opiate training. I was lucky enough
7 to be at both ASAM and the pain conference
8 last month. CSAT is putting together with
9 these organizations continuing education
10 programs. I sat through the one at the
11 Cleveland Clinic recently.

12 We have a lot of work to do in
13 educating GPs on how to prescribe and how to
14 translate doses and things that could be done
15 regardless of what drug it is. And I think
16 Purdue could gain a lot of very favorable
17 publicity by jumping into this. Suboxone goes
18 off patent in October. So they are
19 discontinuing a lot of the training they've
20 been supporting.

21 And if we talk about training
22 doctors on how to prescribe all the opiates,

1 it's the same thing in terms of contracts with
2 patients and urines and all these other things
3 that certainly this would be something Purdue
4 could do that would be very, very helpful.

5 CHAIR FARRAR: Dr. Sang.

6 DR. SANG: I agree that risk
7 minimization strategies need to be broad and
8 comprehensive and across the class of, in this
9 case, opioids. But we have to start
10 somewhere.

11 I mean, part of my dilemma today
12 actually is that it's not clear at all what
13 tamper resistance really means to the
14 potential for abuse. It's a methodologically
15 difficult to show and I think that actually in
16 the end, I think that we may get much better
17 handle by using a broader perspective, a
18 broader set of data.

19 And I agree completely that
20 education is critically important, but I'm
21 also -- I think that registries are critically
22 important and surveillance is critically

1 important.

2 CHAIR FARRAR: Mr. Yesenko.

3 DR. YESENKO: In answer to number
4 five, I agree we need to treat the class of
5 opioids together.

6 Education is key in dealing with
7 opioids. And I think, Purdue, this is a
8 wonderful opportunity for you as a sponsor to
9 get an educational packet together, not only
10 about Oxycontin but opioids as a whole. And
11 this could create some type of educational
12 format for docs, prescribers and patients.

13 And if this does work, I think
14 somebody else mentioned that the data could be
15 renewed and hopefully give the families of
16 people who have lost loved ones hope, because
17 nobody has even really acknowledged the fact
18 that there are people in this room who have
19 lost people to this drug, specifically
20 Oxycontin, the one you produce. So this is
21 really an opportunity for Purdue to look at
22 what they've created and look at opportunities

1 for education, not only for the docs but also
2 for patients.

3 CHAIR FARRAR: So if I could
4 summarize the answers for that portion, I
5 think there is general agreement that
6 continued effort towards working on tamper
7 resistant or reducing the risk of taking
8 opioids is a clear goal that's worthwhile, but
9 that so is good pain management and that we
10 can't lose sight of that and it's a balance
11 between those two that we're struggling very
12 hard to do.

13 It seems pretty clear from
14 everything that was said that there was clear
15 understanding that the risk management plan
16 for Oxycontin is too narrow and that like a
17 balloon pushing on Oxycontin is going to cause
18 problems elsewhere and that a broader view of
19 that would probably make some sense.

20 There was a mention that FDA
21 needed to oversee some aspects of the
22 marketing and how that's all done, although

1 I'm not sure how that -- It wasn't specified
2 as to how that would occur.

3 The educational process or the
4 process of training needs to include all
5 people involved in the process of
6 manufacturing and dispensing the drugs
7 including pharmacists, patients, as well as
8 physicians and nurses and others involved.

9 And that in general there was a
10 sense that there's an opportunity here to make
11 a favorable impact on perceptions about the
12 whole industry that might be worth picking up.

13 With that, Dr. Rappaport or any of
14 the other FDA folks, are there questions that
15 you wish to keep us here for?

16 (Laughter.)

17 DR. RAPPAPORT: No, I want to
18 thank you all. I mean, this was an extremely
19 helpful, extremely enlightening, discussion
20 today and we really appreciate that and, this
21 last question, I'm glad we did go around and
22 discuss this because I think for those of you

1 who are going to be here tomorrow it's sort of
2 a good segue into the next discussion. So
3 again, thanks everybody and a particular
4 thanks to our colleagues from SAMHSA for
5 coming in and presenting today and to Dr.
6 Farrar.

7 CHAIR FARRAR: Thank you all.
8 Have a good night.

9 (Whereupon, at 5:10 p.m., the
10 above-entitled matter was concluded.)

11
12
13
14
15
16
17
18
19
20
21
22