

1 these non-cancer breakthrough pain patients
2 will have a greater number of co-morbidities.
3 And associated with those co-morbidities will
4 be the aberrant behaviors that we expect will
5 likely result in non-compliance, as Dr. Vocci
6 just indicated. They're more likely to lose
7 their medication, have trouble with their
8 medication. There may be greater abuse.

9 And my question is as we go
10 forward and we expect that there's going to be
11 greater non-compliance in this patient
12 population that you're seeking the indication
13 for, what particular parts of your RiskMAP
14 plan will be designed to prevent that
15 particular portion of risk?

16 DR. MESSINA: So just to clarify,
17 all the patients who would be on Fentora, the
18 intent is for them to be opioid-tolerant,
19 which means they're on around-the-clock
20 opioids, and in all of those cases those
21 patients will already be receiving
22 supplemental opioids as it is, so whether it's

1 oxycodone combinations or hydrocodone
2 combinations, et cetera.

3 As far as our tools that we have
4 with regards to educate, it primarily focuses
5 on education both from the patient and
6 understanding about the medication, the risks
7 associated with that, making sure the
8 medication is secure, as well as educating the
9 physician and educating the physician on the
10 appropriate patient selection. We do that
11 through a number of different venues. I
12 touched on some of them, which was the
13 Emergent Solutions in Pain program that we
14 sponsor, as well as our speaker programs where
15 we talk about, through educated speakers,
16 educate prescribers on appropriate patient
17 selection, documentation, monitoring for
18 aberrant behaviors, these types of things.

19 DR. BICKEL: I think that's
20 helpful, but I guess, if I could push you just
21 a little bit more. A very wise fellow in my
22 field once said that, you know, knowledge is

1 the solution to the extent that ignorance is
2 the problem. And it's often the case that,
3 with people with psychiatric co-morbidities,
4 which you showed a fair number, that it's not
5 the knowledge that's the problem, it's the
6 problem that they have behaviors that
7 interfere with appropriate therapy outcome.
8 They have made choices that are seemingly
9 irrational. And in that context, knowledge is
10 not going to be an adequate protection from
11 risk. So is there anything else that you
12 would --

13 DR. MESSINA: What I would like to
14 do is call Dr. Heit up who actually has helped
15 us. He's key in the ESP program, as well as
16 the speaker training. He can discuss about
17 specific tactics that he advises on.

18 DR. HEIT: Howard Heit, Georgetown
19 University. The one thing I want to make
20 clear as a practicing clinician, I practice in
21 northern Virginia and my patient population is
22 patients who have 100 percent pain or patients

1 who have 100 percent addiction, and I will see
2 the patient who has both addiction and pain if
3 they're willing to work a program for both.
4 So I'm sort of like the caboose at the end of
5 the train of seeing patients that most
6 physicians don't want to see.

7 The idea is that if you have a
8 medicine that is approved by the FDA for non-
9 cancer pain, that doesn't mean that I have to
10 prescribe that particular medicine to a
11 particular patient. I evaluate the patient,
12 and this medicine may be contraindicated
13 secondary to my evaluation of the patient, and
14 I wouldn't prescribe it to someone who is in
15 recovery from addiction.

16 This medicine is reserved for
17 someone who has failed therapeutic trials with
18 other breakthrough medications, whether it be
19 hydromorphone products, oxycodone products,
20 hydrocodone products, and now they have been
21 in my practice for probably months,
22 demonstrated that they're staying within the

1 boundaries that I set up before writing the
2 first prescription for them. And although
3 they have a co-morbid condition of addiction,
4 psychiatric disorders, et cetera, I have
5 gotten them the proper help during that period
6 of time, brought in the proper consultation
7 for them to work a program.

8 What I'm saying, in private
9 practice, is prescribing rational
10 pharmacotherapy is just one piece of the pizza
11 pie. If I don't deal with the bio-psycho-
12 social issues of the patient, I could give
13 the patient a bath of opioids. So it's not
14 the molecule of the opioids that's causing the
15 problem, it's the patient could have poor
16 coping skills or have co-morbid conditions.
17 And if I don't address it, it doesn't make any
18 difference what opioid I use. I'm going to
19 have an adverse outcome with that particular
20 patient.

21 In relationship to a risk
22 mitigation program, I just participated in

1 developing a slide set with Steve Passik from
2 Sloan Kettering as a form of slide deck which
3 goal is to educate the attendees, the
4 attendees' form of speaking programs in the
5 area to recognize and prevent addiction,
6 abuse, diversion of controlled substance.

7 It's made up of four separate slide decks:
8 patient assessment, re-assessment, regulatory
9 issues, clinical practice and boundary
10 settings, and reducing risks through
11 appropriate pain management. That's the
12 formal slide deck.

13 But anybody knows when you go to a
14 program to learn is there's an informal
15 session, and the informal session is the Q&A
16 afterwards, the face-to-face meeting, and more
17 important to me in my learning curve of
18 learning to do pain management, and I feel
19 addiction medicine also, is that the education
20 that goes on after the program, independent of
21 the formal program and independent of the
22 pharmaceutical company sponsoring the program,

1 meaning the exchange of telephone numbers, the
2 exchange of e-mail, the networking of the
3 attendees, the speakers, and the attendees
4 together, all to help to improve the practice
5 of pain management. So the purpose of the
6 risk management program is to facilitate
7 positive changes in somebody's practice that
8 will better the life of the patient, provide
9 information to the healthcare provider, and
10 also protect the community of abuse,
11 addiction, or diversion of these valuable
12 medicines.

13 So in conclusion, just because we
14 have the medicine to prescribe it doesn't mean
15 that we need to prescribe it to every
16 individual. Each individual has an
17 evaluation, and then we decide what is the
18 proper rational pharmacotherapy, in addition
19 to what else should be part of their treatment
20 program.

21 ACTING CHAIR SORIANO: Thank you
22 for your comments. We have time for two more

1 questions from the panel. The first one is
2 from Dr. Paulozzi, and Dr. Anand will have the
3 last question.

4 DR. PAULOZZI: Thank you. I
5 believe you said, and this is a question for
6 the sponsor, that, as part of the RiskMAP, if
7 a patient has evidence of an overdose or drug
8 abuse that you would get in touch with the
9 physician who prescribed the Fentora to them,
10 talk with them about their prescribing
11 practices and, if they didn't improve by some
12 measure, cut them out of the program. And you
13 said that you would be able to identify these
14 patients, I believe through your surveillance
15 measures. Could you explain how you're
16 getting the names of patients and their
17 doctors through the surveillance system?

18 DR. SCHMIDER: We would not be
19 getting the names of the patients with the
20 surveillance system. We would be getting
21 reports from individual patients that are
22 participating, spontaneous reports. And from

1 those, we are able to get the information
2 about their healthcare provider.

3 DR. PAULOZZI: So the patient who
4 has the abuse or overdose would be reporting?

5 DR. SCHMIDER: These are
6 spontaneous reports, so either healthcare
7 professionals or the consumer, the patient,
8 reports about adverse events and about adverse
9 outcome or any other question to the company.

10 DR. PAULOZZI: Doesn't it seem
11 unlikely that a patient who had an adverse
12 event like this, an overdose or abuse, would
13 report that back?

14 DR. SCHMIDER: We get reports from
15 patients that report that after the fact, and
16 we get reports from healthcare professionals,
17 more likely, to your point.

18 DR. PAULOZZI: I would imagine it
19 would be a substantial underestimate of the
20 true incidence.

21 DR. SCHMIDER: That's correct.
22 It's well known that voluntary reports don't

1 reflect the true incidence of these events.

2 ACTING CHAIR SORIANO: Dr. Anand?

3 DR. ANAND: I have a

4 recommendation for the FDA that, when studies

5 are being presented in advisory committees

6 that the sponsors should be required to put

7 out the consort guidelines which account for

8 every patient that was entered in those

9 studies. I'm pretty clearly concerned over

10 here with this pivotal study that was done

11 that recruited 941 patients. And then when

12 you look at the data that is being presented,

13 we have one graph showing placebo and Fentora,

14 which includes only 79 patients, another set

15 of graphs showing Fentora 453 episodes and

16 placebo 226 episodes. It's very confusing to

17 see where did the rest of the patients go.

18 All the other graphs, you know, contain less

19 than a hundred patients. So if 941 patients

20 were recruited, what happened? Where is the

21 data for the rest?

22 DR. MESSINA: The 941 patients is

1 the cumulative exposure, the cumulative number
2 of non-cancer patients that had entered four
3 clinical trials. So it's the safety data,
4 when we analyze that, is all the safety data
5 for all of those clinical trials. The graphs
6 you're referring to with the numbers are from
7 the individual efficacy study, which had a
8 smaller number of patients for which, when we
9 did the pivotal time point of assessment,
10 that's the number you're referring to. That's
11 why the numbers are going to change, because
12 we talk about either cumulative studies or
13 independent studies, individual studies.

14 DR. ANAND: So simply a chart
15 showing that, you know, the data is from this
16 study which included this many patients and so
17 many were withdrawn, which follows the normal
18 consort guidelines for which we're required to
19 present when we publish our randomized trials.
20 We have to show that chart of how every
21 patient is accounted for. So I would
22 recommend that as a uniform requirement for

1 all studies presented to committees.

2 ACTING CHAIR SORIANO: Thank you,
3 Dr. Anand. We will now begin the panel
4 discussion to address the charge that Dr.
5 Rappaport and the FDA has given us this
6 morning. That is five questions. And
7 Commander Watkins will be giving us the ground
8 rules for this discussion.

9 DR. WATKINS: The first four
10 questions that we'll be approaching today for
11 discussion, only there won't be a formal vote.
12 The fifth question, however, will. And if the
13 committee members will notice on their
14 microphone there's a series of buttons. When
15 we get to the fifth question, I will ask you
16 to first push the attend button. Once
17 everyone has done that, then I will ask you to
18 commence with the voting, and I'll go back
19 over that at that time.

20 ACTING CHAIR SORIANO: Okay. The
21 first question that's being posed to this
22 committee -- would you mind putting this

1 question on the slide -- is do breakthrough
2 pain episodes experienced by patients with
3 chronic pain that is not related to cancer
4 usually require treatment with potent opioids
5 such as fentanyl, or can they be adequately
6 managed with less potent opioid or non-opioid
7 analgesics? What we'll do is go around the
8 room, starting with Dr. Wolfe. Does anyone
9 have any issues about clarification about this
10 question?

11 DR. RAPPAPORT: Dr. Soriano, it's
12 me over here. You know, it's okay if people
13 want to have a more general discussion about
14 this first before having to sort of go around
15 and ask everybody.

16 ACTING CHAIR SORIANO: Thank you.
17 Point taken.

18 DR. KOSTEN: Just the question of
19 it's got this thing, usually require
20 treatment. It makes a big difference if we
21 say usually or can or sometimes. I mean,
22 usually means most of the time, so can

1 somebody clarify the question?

2 DR. RAPPAPORT: Well, I'll just
3 simplify it. What we're just asking is do
4 patients with non-malignant chronic pain who
5 have breakthrough episodes, are there any of
6 those patients who should be treated with
7 potent opioids? And if so, you know, what
8 portion of that population? We're just trying
9 to get at whether this is a legitimate use of
10 the medication.

11 DR. KOSTEN: Can I ask a follow-up
12 on that? It's a legitimate use for two
13 percent of the patients that have chronic pain
14 is a very different question than asking is it
15 for 98 percent of the people with chronic non-
16 cancer pain that this could, in fact, be a
17 useful thing. In other words, the mechanisms
18 for how you would do this physician judgment
19 seems perfectly reasonable to do off-label
20 things. I'm sorry. Can you hear me okay?
21 Okay. So, again, I apologize if I'm hitting
22 a nail too hard, but I'm just trying to figure

1 out what -- if you want to know the answer,
2 usually the answer I think is going to be no.
3 If you want to know the answer that says ever,
4 then the answer is going to be yes.

5 DR. RAPPAPORT: Well, I don't
6 think you need to answer either usually or
7 ever. I think you need to give us a sense for
8 how frequently this would be appropriate, how
9 common is it. If you have numbers, we'd like
10 to hear numbers. You know, there's some
11 literature out there that says that there is
12 a population of non-malignant chronic pain
13 patients who do have breakthrough episodes
14 that require opioid treatment. But we also
15 hear from people who disagree with that
16 literature, and we're trying to get a sense
17 from the people here who are experts in this
18 area as to what the real patient population is
19 like. Is it a tiny patient population? Is
20 there a significant patient population? We're
21 not looking for an all or none, we're looking
22 for a quantitative assessment of the problem.

1 ACTING CHAIR SORIANO: Dr. Day has
2 the first question.

3 DR. DAY: So this is a question
4 about frequency of occurrence, not criteria or
5 conditions under which it would happen; is
6 that correct?

7 DR. RAPPAPORT: Yes, that's
8 correct.

9 DR. DAY: So we're not to define
10 parameters, what types of patients, and so on
11 but, on average --

12 DR. RAPPAPORT: You can certainly
13 do that, as well, if you feel it would be
14 helpful to answering the question.

15 DR. DAY: Well, I think it would
16 be easier to talk about frequency if we define
17 the parameters under which it happens a little
18 bit.

19 ACTING CHAIR SORIANO: Dr.
20 Gardner?

21 DR. GARDNER: Since this is a
22 joint committee meeting, I'd like to give a

1 little perspective. Those of us who work on
2 risk management usually, often are not
3 clinicians, and so I'd like to ask my
4 clinician colleagues to be very forthcoming
5 about their clinical experience and
6 perspective on these issues so that we can
7 understand what the range of alternatives and
8 options for these patients are. If you were
9 only talking to each other, you might be less
10 likely to do that. But since you have some of
11 us who are not familiar with this, would you
12 be more expansive? Thanks.

13 ACTING CHAIR SORIANO: Well, maybe
14 a member of the committee who deals with pain
15 treatment. Dr. Anand, would you like to make
16 the first set of comments?

17 DR. ANAND: Certainly. I don't
18 practice in a pain clinic regularly, but I'm
19 called more often than I would like to to deal
20 with some of these complex issues. I do think
21 that there is a significant proportion of
22 patients who have chronic non-cancer pain that

1 do have severe episodes of breakthrough pain.
2 And those are unpredictable and, for those
3 patients, carefully selected patients and
4 conditions, it would be a reasonable thing to
5 treat them with a preparation like this or
6 another drug with similar pharmacokinetic
7 properties.

8 I don't think that of the 30
9 million or 76 million people who live with
10 persistent pain that there is a huge, sort of,
11 market out there. This is a patient
12 population that is fairly carefully selected
13 and will have gone through the other
14 modalities that are available in terms of
15 biofeedback and behavioral cognitive
16 approaches and are already on around-the-clock
17 opioids. So I think there is a significant
18 population out there.

19 DR. CORTINOVIS: Having treated
20 non-cancer chronic pain patients for a number
21 of years; I'm both an anesthesiologist and a
22 board-certified occupational medicine

1 physician, I'm just not sure what breakthrough
2 pain is in this population. It's very clear
3 to me what breakthrough pain is in the cancer
4 patient population. It tends to be agonizing,
5 searing, severe, and most of the time I found
6 it to be related to ongoing tissue
7 destruction.

8 But when you deal with the chronic
9 non-cancer pain population, when you ask them
10 how they're doing, they typically describe a
11 waxing and waning of their symptoms. The most
12 common response is, I have good days and I
13 have bad days. Typically, they describe their
14 good days as being all day and their bad days
15 as being all day. And when you get into more
16 specifics, these individuals will often
17 describe their acute on chronic discomfort as
18 a catch, an ache, a jab, which really implies
19 an increased pain of very short duration.

20 Many of these people, as the
21 studies have shown, I have found to have
22 concomitant psychopathology. I'm not sure

1 whether it's the result of their chronic pain
2 or perhaps a partial cause of their chronic
3 pain. But I don't feel that opiates in this
4 situation would be effective. And listening
5 to the data and the studies, I think, in my
6 mind, what it all boils down to is, how many
7 people are we going to help with the release
8 of this product, and how many people are we
9 going to hurt with this product?

10 I've heard numbers of
11 approximately 13 to 18 million people would be
12 potential candidates to receive this agent.
13 In my mind, that's very scary to release this
14 amount of very, very potent opiate into the
15 American population. I'm sure that there are
16 some people, we've heard some today, who would
17 benefit from this. But I think that this
18 number in the population would be very, very,
19 very small. I don't have specific numbers.
20 It's just what I've observed, having started
21 my first residency in 1976. I won't tell you
22 how old I am, but I've seen a lot of these

1 types of patients over the years. And the
2 number that would truly benefit from this very
3 potent rapid-acting opiate would be very
4 small, whereas we really have to consider do
5 we want to flood the United States with this
6 amount of product that is as potent as it is.

7 ACTING CHAIR SORIANO: Dr. Kosten?

8 DR. KOSTEN: Again, as another
9 clinician who's a couple of years shy of your
10 years of experience but not many,
11 unfortunately, I see a very special group of
12 patients. I'm an addiction specialist. I see
13 the chronic pain patients who come to me
14 because the primary care doctors or whatever
15 have given up on them. The number of patients
16 who have breakthrough pain is vanishingly
17 small in that group. The number of patients,
18 on the other hand, that have, in fact, under-
19 treated opiates, that is they, in fact, are
20 getting pain because the first thing that goes
21 with opiates is how long it lasts, and so
22 they're getting a medication twice a day that

1 they need to be on four times a day. They
2 have legitimate pain, and they're being called
3 substance abusers by their doctor who's very
4 tired of them.

5 I think that the education of
6 physicians around how you treat pain is so far
7 behind, meaning lagging, the group of patients
8 that we're hearing described here, which, in
9 my experience, have been very, very small. I
10 would agree that the patients who are going to
11 get this by GPs and stuff just seems to me is
12 going to be, yes, in the millions, and it's
13 not in the millions of patients that have this
14 kind of breakthrough pain outside of the
15 cancer field. So I completely concur that, if
16 you're talking about frequency, this is an
17 infrequent problem. And if this needs to be
18 treated by off-label use in those infrequent
19 cases, you don't get arrested for off-label
20 use.

21 ACTING CHAIR SORIANO: I have an
22 observation that, not being a pain specialist

1 myself, but an observation on the studies that
2 were presented today, particularly the
3 efficacy studies. Many of these efficacy
4 studies have compared Fentora with either
5 placebo, I think hydrocodone, and the lollipop
6 solution, Actiq. However, I know for a fact
7 that there are other drugs that are being used
8 for breakthrough pain, so it's my sense that
9 not enough efficacy studies have been done
10 comparing this new preparation, this Fentora,
11 to other modalities of pain treatment. Am I
12 correct in assuming this? Dr. Anand?

13 DR. ANAND: I just want to sort of
14 follow up on my comments earlier. The patient
15 population that I'm most familiar with, and
16 maybe my colleagues have seen some of those,
17 are the geriatric patient population who will
18 have acute breakthrough pain simply, you know,
19 transferring from a wheelchair into a bed, and
20 that pain lasts for an hour or so and then
21 they get comfortable. And I've frequently
22 been called to address, because there are

1 concerns amongst the geriatric specialists who
2 are treating them, that these patients are
3 addicted, that they're doing this in order to,
4 you know, get a high. And that's not the
5 case. You can examine these patients, and
6 they're clearly in pain. Some of them have
7 some degree of dementia, which makes it harder
8 for the clinician to diagnose their
9 breakthrough pain, simply because most adult
10 physicians are accustomed to getting self-
11 report, whereas dealing with the patient
12 population I normally deal with, I look for
13 other signs that are not dependent on self-
14 report. So I do think there is a significant
15 population out there that does have severe
16 breakthrough pain, even when their baseline
17 pain has been taken care of.

18 ACTING CHAIR SORIANO: The issue
19 is where it's warranted to have Fentora to
20 treat this type of pain.

21 DR. ANAND: And this is, Fentora
22 is not this silver bullet. There are, you

1 know, several other approaches. But there are
2 subpopulations of these patient groups that
3 will require acute management of their
4 breakthrough pain.

5 ACTING CHAIR SORIANO: I'm going
6 to solicit comments from each member of the
7 panel. We'll start with Dr. McLeskey at the
8 far end.

9 DR. MCLESKEY: Was there a
10 question?

11 ACTING CHAIR SORIANO: Just
12 addressing this question.

13 DR. MCLESKEY: No, no comment.
14 Thank you.

15 ACTING CHAIR SORIANO: Dr. Wolfe?

16 DR. WOLFE: I was persuaded by
17 both the editorial by John Markman and his
18 presentation this morning relevant to this
19 first question. He said in the editorial,
20 empirical support for breakthrough pain and
21 chronic non-cancer pain has serious
22 limitations, and he pointed out this morning

1 in some of the slides that there are other
2 alternatives, both pharmacologic and
3 behavioral/non-pharmacologic. And when you
4 look at the reduction in BTP intensity for two
5 hours in the presentation of the company this
6 morning, you see that there's a very powerful
7 placebo effect, not surprisingly. We know
8 that that happens with pain. But somewhere
9 between a placebo, which is nothing, and a
10 whole host of other kinds of things, which I
11 don't believe have been adequately studied in
12 randomized trials, are a variety of things
13 that are likely to be much less risky, subject
14 to abuse than this drug.

15 So I think that the overall
16 statement that we lack empirical support for
17 this entity, we have it, I think, for cancer
18 pain, but when you see 80-whatever percent of
19 the prescriptions for this going for non-
20 cancer pain, off-label, you see a massive
21 amount of prescribing which would be increased
22 several, if not tenfold, if it actually got

1 approved for this. So I think that we just
2 don't know is the answer to that first
3 question. Usually require treatment with
4 potent opioids, I would say probably doesn't
5 usually require treatment, and there are a
6 number of other alternatives that have not
7 been subject to randomized control trials.

8 ACTING CHAIR SORIANO: Ms.
9 Aronson?

10 MS. ARONSON: I see that Dr. Wolfe
11 and I both pulled out this editorial, which I
12 found very helpful in the information about
13 the definition, the lack of real specifics
14 about breakthrough pain. As well, I found
15 compelling the presentation of Dr. Love as far
16 as the potential for abuse and the DAWN
17 emergency department visits, that it's more
18 dangerous than other opioids. So I just feel
19 incredible caution about just the broad
20 definition of non-cancer patients.

21 ACTING CHAIR SORIANO: Ms.
22 Krivacic?

1 MS. KRIVACIC: Having been a
2 cancer patient myself 20 years ago and part of
3 a large support group, I understand the
4 breakthrough cancer pain. And having recently
5 gone through some rehab for an ACL tear with,
6 sort of, the pain that you experience from
7 nerve damage, sort of the neuropathic pain,
8 it's a very different pain. I could basically
9 rip out the tumors myself if I had the chance,
10 if I didn't have the drugs. That's how bad
11 the pain was with tumors. With neuropathic
12 pain, it's a burning, it's an irritation. You
13 know, maybe part of my issue is I'm pretty
14 pain-tolerant as a person overall, but I do
15 know just from my support group, friends that
16 I know, people that I know that have gone
17 through other rehab-type of therapy, the
18 breakthrough pain is very different. It's
19 short. It's not this horrible pain that you
20 feel with cancer breakthrough pain, at least
21 in, you know, the limited experience I have
22 with various people.

1 I do think when you think about
2 the elderly in the nursing home situation,
3 that's a whole other issue that even in these
4 clinical trials they talked about the elderly
5 on so many concomitant meds. And if you were
6 to give somebody who's non-opioid-tolerant
7 this drug for breakthrough pain it could be
8 very lethal within 15 minutes. So we don't
9 really have a good understanding, either, of
10 how many people out there in the U.S. are non-
11 opioid tolerant, and that could be pretty
12 fatal. I wish we had the proteomics component
13 of that to determine that with this trial.
14 That would be great. Then we could
15 selectively find the right patients. But,
16 unfortunately, we're not there yet. Thank
17 you.

18 ACTING CHAIR SORIANO: Dr. Vocci?

19 DR. VOCCI: Leaving aside the
20 issue of the definition of breakthrough pain
21 in non-malignant populations, I don't think
22 we've been given data that would really

1 address this question. The studies that were
2 done by the sponsor really determine whether
3 or not the drug produces a differential signal
4 relative to placebo. They did not address the
5 issue of the relative merit of using fentanyl
6 versus lesser opioids or non-opioids. I think
7 you'd have to have a different trial design to
8 get at that. The kind of trial design I would
9 be thinking of would be an adaptive trial
10 design where you actually had a population
11 with chronic pain, non-malignant chronic pain,
12 that had breakthrough, and then you would
13 start them out on non-steroidal anti-
14 inflammatories. And whatever percentage were
15 helped by that, you'd keep them on that, and
16 then you'd keep moving up the WHO ladder to
17 the point where your last drug was fentanyl
18 and you'd see what percent of the population
19 actually you could treat without having to
20 resort to fentanyl. If you got 50 percent
21 that you would treat without fentanyl, then
22 you would know that maybe one patient out of

1 two would still require one of these more
2 potent opioids. So I don't think you've
3 actually got the data to answer this question.

4 ACTING CHAIR SORIANO: Dr.
5 Nussmeier?

6 DR. NUSSMEIER: Well, it is likely
7 that there are some patients with severe non-
8 cancer pain syndromes who could be enrolled in
9 some sort of compassionate use program with
10 some very strict controls. I'm not a chronic
11 pain specialist, so I can't, although I'm an
12 anesthesiologist, but I couldn't really define
13 that population. But neither of the vast
14 majority of family practitioners or internists
15 are chronic pain specialists in any sense of
16 the word, so it's very scary to think that up
17 to 30,000 physicians, what one of the sponsors
18 called a core group of physicians, 30,000
19 isn't exactly a core group, would be able to
20 prescribe the most potent, fastest-acting
21 narcotic to up to 31 million chronic pain
22 patients, potentially for life, and we have no

1 data or guarantees regarding eventual
2 development of tolerance in the patients who
3 do receive these prescriptions. So I think
4 it's also very likely that prescriptions would
5 be forever increasing dosages, and that whole
6 scenario, going forward five or ten years, is
7 very, very scary.

8 I know we'll get to it in a later
9 set of questions, but I think some very tight
10 controls and risk mitigation rules will be
11 necessary with regards to whatever is decided
12 today.

13 ACTING CHAIR SORIANO: Dr. Nelson?

14 DR. NELSON: I'm not sure we were
15 really given the data either to answer this
16 question, but I'll give you just my clinical
17 perspective. I'm an emergency physician. I
18 practice at a university hospital in New York
19 City and at a public hospital in New York
20 City. My general sense on breakthrough pain
21 patients is that we don't really see them in
22 emergency rooms, at least I don't see them in

1 emergency rooms, which makes me think about,
2 you know, several possibilities. One of them
3 is that they're so well treated that they
4 don't need to come to the emergency
5 department, and I tend to doubt that's
6 probably true; or that perhaps they're afraid
7 to come to the emergency department because
8 they think it's going to be too much of a
9 hassle; or perhaps the pain goes away in short
10 enough order that they don't need to come into
11 the hospital. I'm not specifically sure why
12 we don't see them. We tend to be, kind of,
13 the last resort for so many other types of
14 patients, it's a little bit, kind of,
15 surprising that we don't see them.

16 That doesn't mean we don't see
17 chronic pain patients because certainly they
18 make up a substantial portion of our patients,
19 but the reason they come in is typically for
20 perhaps the pseudo-addiction type of problems
21 that Dr. Kosten referred to or, you know, for
22 more typical exacerbation of their chronic

1 pain syndrome, as opposed to a breakthrough
2 pain syndrome, or, you know, unfortunately, we
3 also see them when they overdose or somebody
4 else overdoses and all of the dark side of
5 medication use that we've discussed today.

6 But my answer to the question,
7 which is obviously long-winded already, is
8 that I am not convinced, based on my medical
9 toxicology and clinical pharmacology
10 background, that this drug is really going to
11 be the right answer, given the clinical
12 pharmacology of the drug. I just am not
13 convinced that a three or four-minute peak
14 pain syndrome needs to be treated with a drug
15 that doesn't peak for 30 minutes. It might
16 start to work a little earlier.

17 If we can give them something
18 intravenously at the moment the pain occurs,
19 that would be quite a different issue.
20 Obviously, I'm not saying we should be doing
21 that. But it doesn't totally make sense
22 clinically and pharmacologically that this

1 would be the right answer.

2 ACTING CHAIR SORIANO: Dr. Kosten?

3 DR. KOSTEN: Well, I think I
4 already got my chance, but I agree with Frank
5 Vocci that I think that would be a very nice
6 design that might give you useful information.

7 ACTING CHAIR SORIANO: Dr. Anand?

8 DR. ANAND: I'll keep my comments
9 short since I've opined on this issue before.
10 I think there is data out there in terms of
11 doing a medical effectiveness kind of study
12 where chronic non-malignant pain has been
13 treated with this preparation. And for all
14 the patients who have been treated, it may be
15 worthwhile analyzing that data and looking at
16 which populations those patients were drawn
17 from and what are the characteristics that
18 make them eligible for this kind of therapy.
19 So that's certainly something a health
20 services researcher can easily do.

21 ACTING CHAIR SORIANO: Dr. Bickel?

22 DR. BICKEL: I concur that we

1 don't have sufficient information from the
2 sponsor to answer this question. I think the
3 trial suggested by Dr. Vocci makes a lot of
4 sense.

5 ACTING CHAIR SORIANO: Dr. Prough?

6 DR. PROUGH: I'm troubled by the
7 fact that, the way the question is worded, it
8 seems to elicit answers that are broader than
9 it seems to me the question ought to be. I
10 think if the question is do breakthrough pain
11 episodes experienced by opioid-tolerant
12 patients with chronic pain that is not related
13 to cancer, but requires around-the-clock
14 opioid treatment, do they experience
15 breakthrough pain episodes, I think that they
16 do and it appears that that population, when
17 they get breakthrough pain, respond to the
18 drug as it was used. I don't think that the
19 population of patients with around-the-clock
20 opioids for chronic non-malignant pain is
21 huge, but I think it's a very tough population
22 of patients to manage, and I think this is

1 potentially a tool that can provide them with
2 a great deal of benefit.

3 ACTING CHAIR SORIANO: Dr.
4 Paulozzi?

5 DR. PAULOZZI: Well, just sticking
6 to the question as worded, I don't think we
7 were shown any data comparing Fentora to other
8 analgesics, and I have no clinical experience
9 relevant to this, so my answer is I don't
10 know.

11 ACTING CHAIR SORIANO: Dr. Kirsch?

12 DR. KIRSCH: Nothing really to add
13 beyond what everyone else has already said,
14 but I would like to emphasize a key feature
15 here, which is we don't really have effective
16 risk mitigation programs. It would be awfully
17 nice if what the sponsor has suggested, it
18 would be nice to know whether it's actually
19 going to work before we approve a new
20 indication, assuming that their hypothesis is
21 correct without being tested.

22 ACTING CHAIR SORIANO: Dr. Day?

1 DR. DAY: I think this request is
2 premature. I think it's an interesting one.
3 It may turn out to be valid, but, without the
4 appropriate data that's already been
5 discussed, it's difficult. And aside from how
6 to define breakthrough pain, the types of
7 patients and health conditions and so on would
8 be very useful. And it's just very difficult
9 at this time also with the risk mitigation
10 plans looking wonderful but not really put in
11 place. We don't know if they work. So that's
12 my comment.

13 ACTING CHAIR SORIANO: Dr. Lesar?

14 DR. LESAR: My only comment is
15 that there's data lacking to give us
16 information related to the incremental benefit
17 of this drug over other drugs, and I think
18 that's a critical point in trying to balance
19 efficacy versus safety considerations.

20 ACTING CHAIR SORIANO: Dr. Zuppa?

21 DR. ZUPPA: Trying to stick to the
22 question, I believe that patients with chronic

1 pain that's non-cancer-related do have
2 breakthrough pain episodes. How to best treat
3 these episodes has not been well defined or
4 presented here today, and I agree with Dr.
5 Vocci that those studies are indicated. While
6 we're trying to figure that out, there are
7 alternatives that are immediate-release
8 formulations such as oxy-IR, which peaks in 45
9 minutes, that can be used while we try to
10 figure these questions out.

11 ACTING CHAIR SORIANO: Dr.

12 Cortinovis?

13 DR. CORTINOVIS: Nothing to add.

14 ACTING CHAIR SORIANO: Dr.

15 Gardner?

16 DR. GARDNER: I don't know.

17 ACTING CHAIR SORIANO: Dr.

18 Maxwell?

19 DR. MAXWELL: I don't know.

20 ACTING CHAIR SORIANO: Mr.

21 Yesenko?

22 MR. YESENKO: I know. The reason

1 I know is, like Ms. Krivacic, I'm a current
2 chronic pain sufferer. And in answer to the
3 question, I will try to stick to the question.

4 I think I agree that -- one of the panel
5 members said there are good days.

6 Unfortunately, the good days come between bad
7 months and bad years for me. I have cluster
8 headaches. And I think any treatment that
9 makes those bad months into good days I'm all
10 for. I'm going to have a neurostimulator
11 implant done at Hopkins mid-May, and this is
12 after treatment of acupuncture, treatment of
13 opiates, treatment of oxygen.

14 The cluster headaches I have are
15 also known as suicide headaches. The
16 headaches are called suicide headaches
17 because, oftentimes, patients who have cluster
18 headaches have attempted suicide. And the
19 pain is similar to that of an ice pick slowly
20 being pushed into my temple. There's no way
21 to manage chronic pain such as the headaches
22 I have with the non-opioid analgesic. In

1 fact, it's an insult to suggest I could treat
2 my cluster headaches with an aspirin or a
3 Tylenol.

4 I don't think we have, to answer
5 the question, I don't think we have the data,
6 though, to answer the question that chronic
7 pain can be managed with less potent opioids
8 or non-opioid analgesics at this time.

9 ACTING CHAIR SORIANO: Just to
10 review the comments brought up by the panel,
11 breakthrough pain is real. It's recognized
12 and it's well defined. There's an issue how
13 large this population is. Many members of the
14 panel have suggested it's a small population,
15 small enough not to warrant the release of a
16 drug that has such high abuse potential.
17 However, they deserve to have this type of
18 therapy available to them.

19 The other point that seems to
20 resonate through the panel is that the
21 efficacy studies that have been shown so far
22 really have compared only placebo to Fentora.

1 There's a whole list of other alternative
2 drugs, as well as therapies, that comprise a
3 spectrum, and none of these individual
4 therapies have been tested against Fentora and
5 perhaps more studies will be needed to
6 validate whether or not Fentora is a better
7 drug or not.

8 Members of FDA, is this sufficient
9 for you? Okay. We'll move along to the
10 second question. That is, can Fentora be
11 prescribed to a broad non-cancer opioid-
12 tolerant patient population cared for by a
13 variety of specialists and primary care
14 physicians without a significant increase in
15 morbidity or mortality related to mis-
16 prescribing or misuse of the product? Does
17 anyone need a clarification of this question?
18 Any discussion?

19 DR. KOSTEN: It seems like we
20 answered it already.

21 ACTING CHAIR SORIANO: Just for
22 the record, can you repeat the answer to this?

1 No? All right. Any other discussion points
2 from the panel?

3 DR. BICKEL: I would just like to
4 say that I was impressed with their risk
5 management plan. It seemed like it was very
6 thought through and very comprehensive, and it
7 was a breath of fresh air. And I would like
8 to see, you know, when there's an occasion
9 that there's an appropriate medication, I
10 would like to see that plan rolled out and
11 tested for the extent that it does manage
12 risk.

13 ACTING CHAIR SORIANO: Dr. Kirsch?

14 DR. KIRSCH: I agree. I was also
15 very impressed. I would just like to see the
16 hypothesis tested to see whether it does work.

17 ACTING CHAIR SORIANO: Any other
18 discussion points? Dr. Gardner?

19 DR. GARDNER: I agree with that
20 completely, having watched a lot of risk
21 management plans get rolled out and some of
22 them not work. And I wonder if the question

1 that was raised earlier today with the FDA is
2 whether they would consider the existing
3 Fentora for a similar risk management plan
4 since apparently the one that's going on isn't
5 working as they would like to have it do, and
6 perhaps the additional elements of this one
7 that were proposed today could be tested on
8 the existing Fentora, which I assume would
9 happen if the formulation were approved to go
10 forward.

11 ACTING CHAIR SORIANO: Dr. Zuppa?

12 DR. ZUPPA: I don't think we've
13 talked enough about it, but the idea of it
14 being used on a compassionate-use basis for
15 non-malignant pain is something that warrants
16 further discussion.

17 DR. RAPPAPORT: Can I just make a
18 clarification that there's no restrictions to
19 physicians prescribing this at this time for
20 any patient that they choose to prescribe it
21 for.

22 ACTING CHAIR SORIANO: Dr.

1 Gardner?

2 DR. GARDNER: So that was the
3 question: could there be under the recent
4 legislation?

5 DR. ROSEBRAUGH: The legislation
6 that we have does give us new authorities to
7 do things. I would just say that you may
8 think that when we get new legislation we know
9 exactly what it means. We really don't until
10 we get a trial case to use it against. And so
11 we certainly appreciate your comments and it
12 is something we will take under advisement.
13 However, to follow up on what Dr. Rappaport
14 has said, what I'm hearing from the committee
15 is, is that we do think there are some
16 patients out there that do not have cancer
17 that are opioid-tolerant that would benefit
18 from this medication, and that's the key about
19 any physician can use it for anything they
20 want. We wouldn't want to hamper those
21 patients from getting it also by having too
22 restrictive of a risk management plan, so we

1 always walk a tight rope when we implement
2 those, and we have to be very judicious in how
3 we do it.

4 ACTING CHAIR SORIANO: Mr.
5 Yesenko?

6 MR. YESENKO: I just want to
7 clarify the 6,000, almost 6,000 physicians
8 currently prescribing Fentora, is that from a
9 training program that they participated in
10 with the sponsor? I think the FDA mentioned
11 that any physician can prescribe Fentora. I
12 just want to clarify that.

13 ACTING CHAIR SORIANO: This is a
14 question to the committee, and Dr. Messina
15 will answer it.

16 DR. MESSINA: Yes. John Messina
17 from Cephalon. The answer is that those are
18 physicians who have prescribed Fentora. They
19 have not been through any special training
20 program. They have just prescribed it since
21 it's been out on the market.

22 ACTING CHAIR SORIANO: I will not

1 go through the panel for their individual
2 answers unless someone else wants to bring
3 something up on question two. Dr. Paulozzi?

4 DR. PAULOZZI: I do think that you
5 would have significant increase in morbidity
6 and mortality, but I have an additional
7 concern in that I'm not sure that we would
8 detect that increase in a timely way in this
9 population. Fentanyl is a fairly stealthy
10 drug, and an opioid-tolerant patient who comes
11 into an emergency department looking like they
12 have an opioid overdose may have some alcohol
13 on-board and a benzodiazepine and the pill has
14 dissolved; and fentanyl is not picked up on
15 routine screening for opiates in emergency
16 departments. It takes a special test. So
17 increase in emergency department visits or
18 even deaths may not be detected in a timely
19 way due to Fentora, given the drugs that this
20 patient population is likely to have on-board
21 in the first place.

22 Interestingly, in Cook County,

1 when fentanyl was contaminating heroin and
2 cocaine, they had a substantial increase in
3 their emergency department visits, but they
4 weren't aware that it was due to fentanyl for
5 at least a month or so. The medical examiner
6 wasn't specifically looking for fentanyl in
7 the population that came in with heroin
8 overdoses, and they didn't realize that it was
9 probably the fentanyl that was killing the
10 people.

11 ACTING CHAIR SORIANO: Any other
12 discussion points from the panel? From my
13 assessment of what the panel's responses to
14 this question, question number two, the direct
15 answer is no. However, they feel that
16 compassionate care should be applied to the
17 patients with real breakthrough pain and be
18 able to use under the laws that are associated
19 with that and best practices.

20 There are other comments, as well,
21 applauding the sponsor for their risk
22 management plan. However, this hasn't been

1 proven yet, and perhaps one way to do this is
2 to apply this risk management plan to the
3 existing indication for Fentora, that is the
4 indication for Fentora for cancer pain, and
5 apply this risk management plan to those
6 patients.

7 Does this satisfy the FDA? Okay.
8 The next question that we'll entertain, it's
9 question number three, and it reads, "Fentora
10 has attributes that make it particularly
11 attractive to abusers and attributes that make
12 it particularly dangerous for those who abuse
13 it. In light of the increasing abuse of
14 prescription opioids and the specific
15 attributes of this particular product, would
16 a widely increased availability of Fentora
17 likely lead to widespread abuse and the public
18 health consequences of that abuse?"

19 Anyone want clarification for this
20 question? It's fairly straightforward.
21 Anyone want to bring up any discussion points
22 to this? Dr. Vocci?

1 DR. VOCCI: I'd like to hear from
2 Dr. Comer, who's done some abuse liability
3 studies, whether or not she thinks that
4 fentanyl has any kind of differential abuse
5 liability relative to other Schedule II
6 opiates. And I'd like to hear from Dr. Heit,
7 who's a clinician, I'd like to hear his
8 viewpoint on that.

9 DR. COMER: My name is Sandy
10 Comer. I'm at Columbia University, and I do
11 abuse liability testing in humans. So we
12 conducted a NIDA-funded trial to examine the
13 relative abuse liability of several
14 prescription opioids, including fentanyl,
15 oxycodone, morphine, buprenorphine, and we
16 compared the abuse liability with heroin. So
17 we measured both drug-taking behavior and
18 subjective responses, and we found that
19 fentanyl, oxycodone, morphine, and heroin
20 showed no difference in abuse liability.

21 DR. HEIT: Howard Heit, Georgetown
22 University. The disease of addiction is based

1 on a triangle: a genetic predisposition, a
2 social environment disposition, and a
3 neurochemical disposition. And it's a
4 combination of these three that produce
5 addiction with physical dependence. In the
6 addiction literature, the rate of rise of
7 dopamine or the faster onset and faster fall
8 of dopamine is associated with likeability of
9 a particular drug, but the key in the
10 addiction literature is in genetically-
11 susceptible individuals. So the point being
12 is here, is the likeability or this area of
13 this particular medication in genetically-
14 disposed individuals can raise a risk, but
15 those are the patients where the risk
16 management program that we would not prescribe
17 this particular medication to.

18 There's evidence in the animal
19 literature but not the human literature that
20 pain attenuates the likeability of medicines
21 that go through the ward and withdraw pathway
22 called the mesolimbic dopamine system. And so

1 if a patient has pain, the likeability of a
2 drug, even with a genetic predisposition, is
3 much less. So the key in prescribing this
4 particular medicine, we talk about broad-base
5 use, this breakthrough medication is only
6 going to be used for a select population that
7 is much, much, much narrower, much, much less
8 numerically, in numbers, than that was
9 discussed with the committee. This is a
10 medicine that will only be used, let's say, as
11 a clinician, in a very select population,
12 individually evaluated, and prescribed this
13 medicine to help improve their quality of life
14 given the reality of their clinical condition.

15 ACTING CHAIR SORIANO: Dr. Vocci,
16 I'd like for you to make your assessment of
17 these statements.

18 DR. VOCCI: Well, it seems like,
19 from the available data in the clinical
20 pharmacological study, that fentanyl does not
21 have any kind of differential abuse liability
22 relative to oxycodone and heroin. So, you

1 know, I would suggest that it should be
2 considered much the same as those. It may
3 have a slightly more rapid onset, but, aside
4 from that, you know, in terms of its abuse
5 liability, the abusers essentially rated it
6 the same as oxycodone and the same as heroin.
7 So, you know, it doesn't seem to have any kind
8 of a differential abuse liability, so I don't
9 think there's any additional risk beyond that
10 of the other opioids.

11 ACTING CHAIR SORIANO: How about
12 your response to the second part of this: lead
13 to widespread abuse and public health
14 consequences of that abuse?

15 DR. VOCCI: I think there's some
16 data that suggests that the availability of an
17 opiate and the amount that's available has a
18 direct relationship to the abuse. It's not a
19 one-to-one correspondence, but there's a
20 certain percentage of individuals, and I think
21 Dr. Heit is correct, that are probably
22 genetically predisposed who will abuse

1 opioids. And if they wouldn't abuse fentanyl,
2 they might abuse something else.

3 What I would be more concerned
4 about with this drug is the diversion of the
5 medication rather than the patients themselves
6 abusing it. I think if people were actually
7 using it for breakthrough pain, I don't know
8 that there would be all that much abuse by the
9 patients. But, again, the concern here is
10 that you have a potent opioid that's now in
11 your medicine cabinet and the diversion of
12 this could be uniformly fatal in someone who
13 is non-opioid-tolerant.

14 ACTING CHAIR SORIANO: Thank you.
15 Dr. Wolfe?

16 DR. WOLFE: With the limitations
17 that we have heard repeatedly over the last
18 couple of days, it's still worth looking at
19 the data that we were presented this morning
20 by Dr. Shibuya on emergency department visits.
21 And for fentanyl, it was about twice as
22 frequently, adjusted per number of retail

1 prescriptions, as it was for oxycodone; and
2 about six times more frequent than hydrocodone
3 and combination. So I think that there is,
4 that's all fentanyl, to be sure, but I think
5 there is some concern out there. And these
6 are non-medical use, which is exactly what
7 you're talking about.

8 I mean, the more stuff that's in
9 there -- I remember the pictures of people,
10 older people who would go in, get a
11 prescription filled for whatever opioid, and
12 they were so poor they would sell it to
13 someone. They themselves were not the usual
14 kind of drug dealers, but I think it's part of
15 this the more that's out there. And there is
16 no question that if this were ever approved
17 for non-cancer breakthrough pain the amount
18 out there would be enormous. I mean, the fact
19 that so much has gotten out there already even
20 with it not approved is extremely worrisome,
21 and we've seen what has happened even with the
22 20,000 patients or 23,000 patients, depending

1 on which estimate you use, the adverse events
2 that have already been reported.

3 ACTING CHAIR SORIANO: Any other
4 discussion points? Yes, Dr. Cortinovis?

5 DR. CORTINOVIS: I am very
6 impressed with the sponsor's risk management
7 paradigm. It really seems to be a very novel
8 program. But as one of the members of the
9 public said or somebody said today, there's
10 often a disconnect between either clinical
11 trials or risk management programs and how the
12 actual activity plays out. So we wouldn't
13 know how it would play out if this were
14 approved and the product dispensed in the
15 number of people for what's predicted.

16 One of the items of the clinical
17 trials that somewhat bothers me is that the
18 patients that were selected were specifically
19 excluded if they had any tendency to substance
20 abuse. And even so, there was over a four-
21 percent number of people who were noted to
22 abuse this drug. And all of the people likely

1 to abuse it had already been cherry-picked
2 away, and you still see approaching 1 in 20 of
3 these people abused it. That's a significant
4 number when you say five percent of 13 to 18
5 million people in the American population who
6 may be getting this stuff, and that's
7 disconcerting to me.

8 ACTING CHAIR SORIANO: Dr.
9 Paulozzi?

10 DR. PAULOZZI: I just want to make
11 one additional epidemiologic point about this
12 particular drug. If you look at the
13 distribution of deaths involving prescription
14 opioids or prescription drugs in general, it's
15 roughly normal with the peak rates in people
16 40 to 49 years of age. The rates drop off
17 dramatically after that and drop off way down
18 after 65. So it looks like a normal
19 distribution. If you look at emergency
20 department visits for drug overdoses, the
21 rates are also highest in the middle age
22 group. If you look at abuse rates, self-

1 reported, they're higher in the middle age
2 group and people 18 to 24. If you look at
3 prescribing, it's probably equal in the middle
4 age group for opioids and for older people.

5 My point is that the cancer
6 population is probably somewhat older.
7 Somewhere, I would guess, the population using
8 drugs for breakthrough pain for cancer is
9 somewhere north of 60 years of age, on
10 average. But this group we're talking about
11 with chronic pain from other causes is much
12 likely to be younger, much likely to have many
13 more people who are already abusing opioids,
14 and I think that that fact will contribute to
15 a greater likelihood of misuse.

16 ACTING CHAIR SORIANO: Dr. Nelson?

17 DR. NELSON: We saw yesterday some
18 slides that showed that the majority of the
19 OxyContin that was available on the street or
20 at least available for abuse was, in some way,
21 derived from doctors' offices and
22 prescriptions. And although I, too, think

1 that the risk management plan that has been
2 proposed will have some issues with it,
3 overall it seems like a reasonable, you know,
4 initial start. It doesn't really change the
5 fact that the patients, that some people who
6 will still be able to get this drug for not
7 necessarily personal medical use, and that
8 might still keep that drug available on the
9 street.

10 ACTING CHAIR SORIANO: Any other
11 comments? Dr. Kirsch?

12 DR. KIRSCH: I have a question for
13 the researcher from Columbia. I'm sorry, I
14 forgot your name. I, like many people at the
15 table are anesthesiologists, and most
16 anesthesiologists realize that fentanyl is a
17 very high-risk drug in our environment. I've
18 been practicing for 25 years now and have had
19 a number of cases of providers diverting
20 opioids, and they always seem to divert
21 fentanyl, and I've never seen someone divert
22 one of the other narcotics. So how does that

1 relate to your study? I was just now looking
2 on the Internet to see if I could find a quick
3 publication to support my contention. But it
4 is commonly held that within anesthesiology,
5 fentanyl is the preferred drug, and I'm
6 wondering how that relates to your
7 observation.

8 DR. COMER: I'm familiar with the
9 thinking that anesthesiologists prefer
10 fentanyl. We conducted our study in heroin
11 abusers who were maintained on morphine
12 throughout the trial. So they were maintained
13 on 120 milligrams per day of morphine, and
14 they lived in a hospital setting, and all the
15 doses were given under double-blind
16 conditions, and so they didn't know exactly
17 what they were getting. IV, sorry. They were
18 all given intravenously. And so, you know,
19 these are a very experienced opioid-using
20 population, and I mean I kind of consider them
21 the connoisseurs of opioids, and they couldn't
22 tell the difference between fentanyl and the

1 other agonists, except for buprenorphine.

2 That was different.

3 DR. HERTZ: Can I ask a question,
4 just to follow up on that? Given that, in
5 your study, individuals were dosed
6 parenterally so the pharmacokinetic profile
7 would have been fairly similar for the
8 different opioids, do you think that there
9 would be any impact of the different
10 pharmacokinetic profiles from oral versus
11 transmucosal ingestion in terms of likeability
12 of a product?

13 DR. COMER: The data seem to
14 suggest, in general, that the rate of drug
15 onset is an important factor in the abuse
16 liability of a drug, and that's been
17 established pretty clearly, I think, for
18 stimulants and for benzodiazepines. For the
19 opioids, the story is a little bit less clear.
20 Dr. Bickel actually performed one of the best
21 studies on this particular issue, and there
22 was another study that was done at Hopkins.

1 Mary Abru was the lead author in that paper,
2 and the Abru article actually showed that they
3 gave an intravenous dose of hydromorphone to
4 drug abusers, and they infused it over rates
5 of 2, 15, and 60 seconds and found no
6 difference in the abuse liability of
7 hydromorphone, whereas cocaine given under
8 these infusion durations did show a difference
9 as a function of route of administration.

10 Dr. Bickel's study, he looked at
11 infusions of two different doses of morphine
12 compared to placebo. The infusion durations
13 that he used were 2, 15, and 60 minutes, and
14 he showed that, actually, there is a
15 difference between 2 and 15 and 60. But I
16 thought it was interesting, actually, in your
17 study that there was really no difference
18 between 2 and 15-minute infusions. So it's
19 somewhat consistent, but it's not entirely
20 clear that for opioids there's a rate of onset
21 effect.

22 DR. SCHNOLL: Sid Schnoll from

1 Pinney Associates and Medical College of
2 Virginia. I'd like to answer Dr. Kirsch's
3 question because I did a major study several
4 years ago looking at abuse of various
5 compounds by impaired health professionals.
6 The abuse of fentanyl is almost exclusively
7 confined to anesthesiologists. You don't find
8 it in any other group of impaired health
9 professionals except, you know, nurse
10 anesthesiologists may be involved also. If
11 you look at the drugs that are primarily
12 abused by impaired health professionals,
13 hydrocodone among the opioids is number one
14 and, of course, alcohol far exceeds all the
15 other drugs.

16 ACTING CHAIR SORIANO: The comment
17 I have with that is it's a matter of access,
18 as well. How would you respond to the
19 availability of fentanyl to anesthesiologists?

20 DR. SCHNOLL: Access does play a
21 role because the anesthesiologists do have
22 access to fentanyl in the OR, and so that's

1 part of the problem.

2 ACTING CHAIR SORIANO: Dr. Kirsch?

3 DR. KIRSCH: And so my point is
4 that with this particular drug, Fentora,
5 everyone would have access to this drug, which
6 apparently has a high addiction problem.

7 DR. SCHNOLL: I'd like to sort of
8 go back over the risk management plan, because
9 I think that not everybody will have access.
10 I think, as was described, one, only about
11 6,000 physicians in the first year will be
12 detailed, and those are the physicians who are
13 already prescribing the drug.

14 But, in addition, any new
15 physician who prescribes the drug will be
16 required to have that access number to get
17 into the system. And not every physician is
18 going to have a card with the number that will
19 allow them to get into the system to
20 prescribe. So there is a limitation on that,
21 and it's not just going to be any physician
22 who wants to being able to prescribe the drug.

1 And that's very critical here. And so there
2 is limited access in terms of the number of
3 physicians who will be able to provide the
4 drug, and only those physicians will have the
5 cards, the access cards, to give to patients
6 who can then get into the system. So I think
7 that point seems to be overlooked in the
8 discussion, and I just wanted to emphasize
9 that.

10 ACTING CHAIR SORIANO: Mr.

11 Yesenko?

12 MR. YESENKO: So the access cards
13 are not, the access numbers and cards are not
14 in place currently; correct? That's
15 frightening. Thanks.

16 DR. SCHMIDER: This is a system
17 that we're currently developing. It is based
18 on our two pilot programs, NotifyRx and the
19 safety activation card, but they're not in
20 place currently.

21 ACTING CHAIR SORIANO: Dr.

22 Maxwell?

1 DR. MAXWELL: Don't sit down. I
2 have a question. Given the large number of
3 physicians prescribing off label, and I think
4 you've heard our concerns both about the large
5 numbers and also wanting to make sure that the
6 drug is available for patients who need it, it
7 would seem to me -- and our concern about not
8 perhaps opening the gate and then finding out
9 later on that the risk management plan doesn't
10 work; is there any reason the company cannot,
11 under the current way in which it's being
12 handled, institute that risk management plan
13 now so that you can come back to us in the
14 future and say we put it in and were able to
15 prevent additional GPs prescribing to prevent
16 the diversion and things that we've talked
17 about so that you would have tested it. Now
18 it's a hypothetical but very, very attractive
19 plan. You could bring us the data?

20 DR. SCHMIDER: We have designed
21 the system in conjunction with the expanded
22 indication for which we seek approval. Any

1 other consideration would be subject to
2 negotiation with the FDA.

3 ACTING CHAIR SORIANO: Dr. Nelson?

4 DR. NELSON: Yes, can I ask you
5 one more question, as well? Oh, go ahead.

6 DR. HEIT: Okay. As a clinician,
7 as long as I have a Schedule II registration
8 with the DEA, I could prescribe Fentora to any
9 patient that I think is appropriate, which I
10 do after proper evaluation. Now, let's say
11 I'm not knowledgeable about how to prescribe
12 it. Where am I going to get my education for
13 this? I'm either going to prescribe it with
14 good consequences or adverse consequences.
15 But to me, as a private clinician, you're
16 cutting off an area of education for me by not
17 having this approved by the FDA where they
18 could come into my office and educate me of
19 the proper use of this if I am not doing it in
20 the proper manner, consistent with state and
21 federal regulations and in the best interests
22 of my patient and the community.

1 So if you want the pharmaceutical
2 company to come in, which we've all stated,
3 with a good risk management program, they have
4 to be allowed to do that. But they're not
5 allowed to come into my office and give me any
6 education because I don't do palliative care
7 or cancer pain, and they'd be coming in an
8 repping it for off-label use, which would be
9 a violation.

10 ACTING CHAIR SORIANO: You've made
11 your point. Thank you. Dr. Nelson?

12 DR. NELSON: Well, my question is
13 about the risk management plan, and I don't
14 know if you want to discuss that now or if you
15 want to hold it for the next question. But
16 Dr. Schnoll just made a comment, and it's
17 certainly possible I misunderstood. Dr.
18 Schmider, can I ask you a question? The
19 numbers that we heard before, the 6,000,
20 6,000, 30,000, that's the number of people
21 that are going to be detailed about the drug,
22 not the number of people that are going to be

1 permitted to get a number; is that correct?
2 Can anybody get a number or only the people
3 that have been detailed adequately by the
4 company?

5 DR. SCHMIDER: Well, there are two
6 issues. Primarily, yes, only physicians that
7 have received a number can access the system,
8 so you have to have a number there. That's
9 one of the scenarios that we're currently
10 exploring. It's a very plausible scenario.

11 DR. NELSON: That I agree with.
12 But who gets a number? Only the people that
13 are detailed by the company, or can anybody
14 apply to get that number?

15 DR. SCHMIDER: In theory, anybody
16 can apply to get the number. We want only
17 skilled and educated physicians in our
18 database that prescribe Fentora. So anybody
19 applying to get a number will then have to
20 learn about Fentora, will be visited by our
21 field force, will receive educational
22 materials. We have the situation also that

1 patients will be referred from the pain
2 specialist to their primary care doctor. The
3 primary care doctor will have to continue
4 prescribing the medication. That is a
5 situation that we want to accommodate.

6 Now, a physician can apply for
7 that in that situation, may be enrolled in the
8 system. However, if the physician may choose
9 eventually to enroll additional patients, he
10 will not be able to do so if we don't provide
11 the physician with a patient kit, which
12 contains the safety activation card that he
13 needs to give to the patients so the patient
14 can enroll in addition to that.

15 So even if we enrolled a
16 physician, let's say a primary care physician,
17 who has received a patient from a pain
18 specialist for further care, decides to enroll
19 other patients will not be able to do so
20 unless we provide this physician with the
21 patient kit that contains the safety
22 activation card that can be given to the

1 patient.

2 DR. NELSON: And that doctor
3 number 6,001 won't be able to get that kit
4 until the end of the first 12-month period is
5 what you're saying? If this new physician is
6 not one of your original 6,000?

7 DR. SCHMIDER: This is an
8 approximate number. Our experience, from what
9 so far we've seen, is that there is not such
10 a tremendous uptake of physicians. Eighty
11 percent of the Fentora prescriptions are being
12 done by approximately 1,100 physicians out of
13 these approximate 6,000 physicians. So
14 Fentora has been now on the market for more
15 than 15 months, and it's not our experience
16 that this is what is occurring.

17 Now, should we observe that there
18 is a tremendous request for that, a
19 tremendous, well, let's say a request from
20 physicians to be enrolled, of course we would
21 assess the situation. We'll discuss it with
22 the FDA and see what we need to do to manage

1 this situation appropriately. But we are able
2 to maintain or keep, for lack of a better
3 word, a lid on it until we have a better
4 solution.

5 ACTING CHAIR SORIANO: Okay. Just
6 a quick summary for the panel's answer to
7 question number three, we heard from a
8 researcher from Columbia saying that fentanyl
9 is equivalent to other drugs of abuse, other
10 narcotics of abuse. However, the issue about
11 availability will increase should Fentora be
12 approved for this indication and may also
13 increase the abuse potential, and that's
14 certainly been shown in the anesthesiology
15 world. Diversion is still an issue given the
16 increased availability of this drug, and
17 certainly the panel encourages that the
18 sponsor apply this risk management plan that
19 they've suggested for this new application to
20 their current application of Fentora.

21 Dr. Rappaport, do you need more
22 confirmation from members of the committee?

1 Okay. We'll move on to question number four.

2 If there is substantial risk for
3 increased abuse of this product due to greater
4 availability, can this risk be effectively
5 managed? And if so, what specific risk
6 management tools would be necessary to
7 mitigate this risk while still ensuring
8 reasonable access for patients who meet the
9 conditions of labeling? Any discussion from
10 the panel?

11 Certainly, the suggestion that
12 they apply this risk management plan they have
13 for this application be applied through the
14 current labeling for Fentora is one answer or
15 response to this question. Dr. Gardner?

16 DR. GARDNER: The reason I asked
17 the FDA earlier about the experience with
18 existing risk management plans was because
19 access sometimes may be significantly reduced
20 when these plans are put into effect. And so
21 if anything is to be done by the sponsor,
22 there's a huge list of items in your risk

1 management plan. And as we look at it, we
2 think this is wonderful detail and we really
3 appreciate all of it. But my concern for you
4 is that, for all of us, is that if we are to
5 go ahead and a plan is to be implemented that
6 the plan will fall completely if it isn't
7 absolutely well thought through and ready to
8 go from day one.

9 And, specifically, a place where
10 there's always a disconnect or often a
11 disconnect is in the pharmacy. And I see that
12 there's a lot of dependence on pharmacy and on
13 hard stops and things like that, which can
14 just stop a pharmacy dead. Hard stops are not
15 easy to deal with, as you know. I'm hoping
16 that you have good retail pharmacist
17 representation on your RiskMAP advisory
18 committee. And if you don't, I'm asking you
19 now to get them, get them now, and get them
20 started. And the second thing is to be sure
21 that all the pieces of your registry work
22 together and that they are kept up-to-date.

1 And if you hire a vendor to help you do this,
2 get them started and get it to work because it
3 can't work if the pharmacist is on the phone
4 or on the computer for 45 minutes to find out
5 that really they don't have that patient
6 registered yet.

7 I know you know these points, but
8 we have been down this road before with other
9 risk management plans, and yours has a lot of
10 really good pieces. And if you're going to
11 implement it either now or later, please have
12 them ready to go and involve the pharmacists
13 at the outset.

14 The other thing I think that was
15 brought up today is that piece of yours you
16 mentioned about buyback. And, again, today,
17 as yesterday, we've talked about having a lot
18 of unused extraneous no longer necessary
19 medication in medicine cabinets, and I would
20 like to see you proactively, I don't know what
21 your current buyback plan is, you didn't go
22 into detail today, but I would like to see

1 you, in managing risk, get very proactive
2 about getting extraneous Fentora out of
3 medicine cabinets and take responsibility for
4 that, not just try to educate through some
5 other group. So those are my thoughts about
6 your risk management plan.

7 ACTING CHAIR SORIANO: Dr. Day?

8 DR. DAY: I'd like to echo what
9 Dr. Gardner just said with one minor
10 correction. I think it should be ready from
11 day zero, not day one. But it's very
12 interesting, I think we all, especially those
13 of us with a drug safety and risk management
14 background, are very positively impressed with
15 your risk mitigation plan. Would this just be
16 applied to the non-cancer patients, or would
17 this be for everyone? Would this affect the
18 people who are already receiving it for
19 cancer-related breakthrough pain?

20 DR. SCHMIDER: That would be for
21 all patients on Fentora.

22 DR. DAY: All right. And then the

1 final question is the NotifyRx I'm still very
2 taken with. Would this be a proprietary
3 system that only would be available for this
4 drug through your company, or is this
5 something that's been developed elsewhere that
6 might be shareable across the industry?

7 DR. SCHMIDER: This is something
8 that we have contracted with a vendor, so it
9 might be available to others, as well.

10 DR. DAY: You said you've
11 contracted. Has it been written and tested in
12 any way? You said it was going to get started
13 in pharmacies --

14 DR. SCHMIDER: Yes, there are
15 precedents already.

16 DR. DAY: All right. Thank you.

17 ACTING CHAIR SORIANO: Dr. Zuppa?

18 DR. ZUPPA: I had brought this up
19 before, but I just want to bring it up again
20 in the context of this question. Just for the
21 COVERS program again, addressing the
22 outpatient setting. There are obvious holes

1 with inpatient settings and other places, just
2 to have those issues addressed.

3 ACTING CHAIR SORIANO: Dr. Anand?

4 DR. ANAND: This is sort of to my
5 fellow committee members. It seems we're sort
6 of being asked to make a change in the
7 indications for this drug. And in order for
8 the risk management to be put into place,
9 otherwise it would be illegal, the labeling
10 change needs to occur because Dr. Heit's sort
11 of comment just a few minutes ago points out
12 that if the labeling is not changed to its use
13 in non-cancer pain there would be no legal way
14 in which the company could go and talk to
15 these 6,000 doctors who have prescribed
16 Fentora in the past. So is that --

17 DR. WATKINS: May I interrupt to
18 allow Dr. Rosebraugh to comment on that?

19 DR. ROSEBRAUGH: I'm not real sure
20 where this misconception has come up that this
21 risk management plan would be illegal for them
22 to apply to the indication they have right

1 now. We at the Agency would be more than
2 happy to talk to them about it.

3 DR. ANAND: Because, by its
4 description at least, and I'm hearing this
5 from every committee member who has commented,
6 is a very attractive risk management plan. It
7 would certainly set the standard compared to
8 various other drugs that are being used and
9 that are at similar risk for diversion,
10 misuse, and abuse. So I think what would be
11 really good to hear is a plan to move forward
12 and to have something like this available and
13 to test its efficacy in the field without
14 changing the label, if that's possible.

15 ACTING CHAIR SORIANO: Dr. Bickel?

16 DR. BICKEL: I'm glad I'm
17 following up the comments of Dr. Anand and the
18 prior comments because I just want to paint a
19 scenario or lay out a couple of issues and ask
20 the company to answer a question about the
21 risk management plan, which I generally like.
22 So they had this initial indication and they

1 had a risk management plan and then they find
2 that 80 percent of their prescriptions are for
3 non-indicated use. And they also indicated
4 they're not allowed to talk to those docs to
5 try to stop them from using it in that
6 fashion.

7 Now we're going to have another
8 plan with another indication, and what I'd
9 like to hear from the company with risk
10 management plan are they prepared through
11 their COVERS program to stop any non-indicated
12 use through that mechanism? So let's say the
13 docs say, "Oh, now that I've tried it for
14 breakthrough non-cancer pain, I'd like to use
15 it just for pain patients in general," and
16 they find that 80 percent of their market has
17 gone there, will they say, well, we need an
18 indication there to lay it out? So will the
19 COVERS program actually lock it in? That is,
20 any non-indicated use would be stopped through
21 that program? That's the question I'd like to
22 ask the sponsors.

1 DR. ROSEBRAUGH: I'm going to
2 interrupt just for a second, and then I'll let
3 the sponsor speak. It is not illegal for them
4 to alert physicians about inappropriate use of
5 their medication. That's what warning letters
6 are all about when we send those out. That's
7 the first issue.

8 The second issue is is that the
9 reason why I've been hedging a little bit
10 about this program they have presented is that
11 if you look at, at least my understanding, we
12 have not had a chance to look at this program.
13 This was proposed for the first time at this
14 meeting. But if you were to apply it to their
15 indication now, then it would be difficult, it
16 seems, the way they have proposed it for off-
17 label use to occur. So for those people that
18 had non-cancer breakthrough pain, like some of
19 the folks we heard at the open public session,
20 I would be reticent to not have a mechanism
21 for those folks to be treated with a physician
22 in an appropriate fashion to get their

1 medication, and I would want to make sure that
2 their risk minimization plan took that into
3 account.

4 DR. RUSSELL: If I could just
5 clarify for your question, which I think was,
6 gosh, what next I think is what you were
7 really trying to say. I think the goal of the
8 COVERS program is very clearly to ensure that
9 only opioid tolerant patients with chronic
10 persistent pain get this drug. Its goal is to
11 absolutely try and avoid anyone who isn't
12 opioid tolerant getting the drug because the
13 physician, as he engages and registers into
14 the program, attests that he's going to follow
15 the prescribing information. So the real goal
16 is to ensure use in appropriate patients for
17 which this expanded indication would be
18 clearly opioid tolerant patients with chronic
19 pain. With chronic persistent pain, you also
20 have breakthrough pain.

21 ACTING CHAIR SORIANO: Dr. Wolfe?

22 DR. WOLFE: The comment we just

1 heard about one of the main purposes of this
2 COVERS program to try and ensure that only
3 opioid tolerant people get the drug, I mean
4 the data that was presented today said that
5 that percentage currently is getting worse.
6 It was either, I think if I remember it was 28
7 percent of the people currently getting the
8 drug under the existing approved indication
9 are not opioid tolerant. So I would just
10 slightly refrain question four that reflects
11 some of the things other people have said.

12 There is a substantial risk for
13 increased abuse of the product with current
14 availability. All these presentations by Dr.
15 Love and others this morning indicate that,
16 despite the relatively small number of
17 patients that have gotten it so far, most of
18 whom are not cancer patients, there is a
19 substantial increased risk. And I would just
20 reiterate that those parts of that risk
21 management program that are not defacto
22 promoting what would be an off-label use,

1 namely for non-cancer patients, could be put
2 into place and I think should be put into
3 place because if it isn't capable of deterring
4 the current substantial risk of increased
5 abuse that's going on right now that's been
6 documented, measured, and so forth, there's no
7 way that it would ever work for a much
8 expanded group of patients and doctors.

9 ACTING CHAIR SORIANO: Dr.
10 Cortinovis?

11 DR. CORTINOVIS: When I heard the
12 presentation of the sponsor's risk management
13 plan, I said, "Now, how in the world did I
14 miss that in the briefing documents that were
15 presented to me?" The comment that I have is
16 it is my understanding that these advisory
17 committee meetings are supposed to be open and
18 fair, and I was very enthusiastic when I heard
19 you presentation on the risk management
20 program. But why wasn't this presented to the
21 FDA staff prior to this meeting so that they
22 may have had an ability to evaluate the

1 information and perhaps comment on it?

2 DR. SCHMIDER: During our
3 preparation over the past months, we met with
4 many advisors. We had a number of discussions
5 with our external RiskMAP advisory board and
6 other advisors, as well. It became more and
7 more apparent that with our current tools,
8 with the tools that we're currently using for
9 Fentora, that this is not adequate enough to
10 address the risk of overdose particularly.

11 This was also then very apparent from the FDA
12 briefing book that you've all received. That
13 triggered now going forward and presenting to
14 you this new proposal.

15 DR. FLOYD: Hi. Eric Floyd,
16 Regulatory Affairs. There's one other
17 component that you need to be aware of. As
18 we've been working very diligently to try to
19 get the two pilot programs up, you all have to
20 realize that there's a time frame that's
21 required for the sponsor to provide a briefing
22 package. And at the time that we provided the

1 briefing package, we had went forward and
2 proactively initiated the two pilot programs.
3 There are also additional contractual
4 agreements that have to be put in place for us
5 to be able to link those programs. And at the
6 time that the briefing package was due, we did
7 not have those contracts in place so we were
8 not able to share the information of our final
9 program until such time as we had that in
10 place. When we realized that we were able to
11 move forward and initiate this, we did
12 proactively contact the Agency and made them
13 aware of this.

14 ACTING CHAIR SORIANO: Dr.

15 Gardner?

16 DR. GARDNER: I have a question
17 about what the alternatives are here. We've
18 heard that the group of chronic non-cancer
19 pain patients that would fit these criteria is
20 probably very small. We've heard that some
21 people who have been in studies are already
22 helped by this. We've heard a lot of things

1 today, and people keep throwing out the
2 treatment IND or Subpart H, and I wonder if we
3 could have some understanding of is it an
4 option for the company to initiate their
5 COVERS program on the current indication while
6 simultaneously continuing on and making the
7 product available through treatment IND?
8 That's not something this committee needs to
9 recommend, but I just wonder. There seem to
10 be a lot of confusing alternatives. Is that
11 one?

12 DR. ROSEBRAUGH: I'm not sure I
13 really understand that question. Their
14 product is available right now. If they
15 wanted to come in and initiate a different
16 risk management plan, we would be happy to
17 talk to them about it. Their product would be
18 available during those discussions. And, you
19 know, we would be more than willing to listen
20 to their proposals and work with them.

21 DR. GARDNER: So their risk
22 management plan could go beyond the current

1 indication to any physician that is -- could
2 they register in their risk management plan a
3 physician who was not prescribing for cancer
4 patients under the current situation?

5 DR. ROSEBRAUGH: Well, it's a
6 little tough to get into all the nuances of
7 what we would do with the risk management
8 plan, but we have a lot of leeway to discuss
9 things with them.

10 ACTING CHAIR SORIANO: Dr. Zuppa?

11 DR. ZUPPA: Forgive me if this is
12 clear to everyone else, but I'm just trying to
13 avoid misconceptions. For a physician that is
14 prescribing a drug for non-cancer pain at this
15 moment in time, exactly what interaction can
16 that physician have with the pharmaceutical
17 company in terms of education, in terms of
18 inappropriate dosing? You had said before
19 that it was a misconception that there can be
20 no education process there. Am I not being
21 clear?

22 DR. ROSEBRAUGH: Well, what I was

1 trying to clear up was someone had mentioned
2 that it would be illegal for the
3 pharmaceutical company to talk to prescribing
4 physicians about inappropriate use of their
5 medication, and that is not correct.

6 ACTING CHAIR SORIANO: Any other
7 discussion points from the panel? Dr. Vocci?

8 DR. VOCCI: In, I believe it was
9 Jeanine's presentation she actually went over
10 the quarterly report data for the risk, what's
11 been sent into FDA on the RiskMAP, and over 80
12 percent of the patients that are being
13 prescribed this drug are non-cancer patients.
14 And I think this may be driving Cephalon's
15 program to try to get this indicated. You
16 know, if I worked in a pharmaceutical company
17 and I were faced with the same data, you know,
18 you have a conundrum then. You're actually
19 selling a drug for a population that you have
20 not done studies in. Furthermore, they then
21 did three studies, placebo-controlled studies,
22 and I may be wrong about this but I'm trying

1 to make the sponsor's case that the FDA
2 believes that the three studies actually show
3 efficacy in breakthrough pain. If that's the
4 case, then I think that what else, the other
5 things they're doing here and what the
6 argument should pivot on is can the risk
7 management plan, if the safety and efficacy
8 data are acceptable to FDA, can the risk
9 management plan actually be performed in such
10 a way that it really does minimize the risk of
11 abuse and diversion?

12 It seems to me that the FDA has
13 given us a preliminary signal that they
14 believe this works in this indication and that
15 the company is doing something that I would
16 say is the responsible thing to do. I think
17 if a company markets a drug and finds out that
18 the primary indication is only treating 20
19 percent of the patients it's prescribed for
20 and 80 percent are being treated off label,
21 then they should do studies that actually look
22 at that population. And I think that's what's

1 happened here.

2 I'm not an expert on risk
3 management plans. I was impressed with the
4 breadth of this plan. You know, we didn't
5 hear a whole lot about the depth of it and, of
6 course, the devil is always in the details.
7 And I thought Jeanine had some great
8 recommendations that could even strengthen the
9 plan. I think those are the kinds of things
10 that are subject to negotiation between the
11 company and the FDA.

12 ACTING CHAIR SORIANO: Dr.
13 Paulozzi?

14 DR. PAULOZZI: I just want to make
15 a comment about post-marketing surveillance.
16 In terms of risk management, I'm concerned
17 that the measures described for tracking the
18 results of the change in indication are not
19 adequate to detect a problem. The systems
20 mentioned sound like they depend heavily on
21 the RADARS system and slide seven in their
22 presentation shows four figures for RADARS

1 results for rates of prescription opioid
2 abuse. And if you look at that figure, the
3 blue line shows methadone and looking at the
4 three out of the four panels of that slide, it
5 would help if somebody could put that up, you
6 don't see any increase in the methadone line
7 during this time period. The problems that
8 methadone deaths have risen four or fivefold
9 between 1999 and 2005 in the United States and
10 DAWN Live data shows increases in emergency
11 department visits related to non-medical use
12 of methadone during the period of 2004 and
13 2007.

14 Looking at the four RADARS panels,
15 the line for methadone is fairly flat except
16 with the possibility of an increase in the
17 drug diversion panel in the upper left.
18 Methadone has a lot more people making use of
19 it. I think you'd have a lot less power to
20 see a difference in incidents for a drug like
21 Fentora, so I'm concerned that an increase
22 would not be detected with RADARS. I'd prefer

1 that they say that they also would follow the
2 DAWN Live data as an addition to their post-
3 marketing surveillance. I think mortality
4 data is not going to be helpful.

5 MR. DASGUPTA: My name is Nabarun
6 Dasgupta. I'm at the University of North
7 Carolina at Chapel Hill in the Department of
8 Epidemiology. I also work for the RADARS
9 system and specifically with regard to
10 methadone in the RADARS system. We presented
11 a paper at the American Public Health
12 Association last November and that's in review
13 at the Journal of Pharmacoepi and Drug Safety
14 now, which showed that there are other ways to
15 use RADARS data to detect signals, and we
16 actually looked at mortality from methadone
17 with poison center human exposure calls, and
18 I think you have 14 or 17 states, and we were
19 able to detect the association that you
20 described that we both know very well. And
21 those same techniques can be used for the
22 surveillance data going forward. That level

1 of nuance analysis is not presented on this
2 slide. This is very rough rates, you know.
3 But there is a sensitivity to detect
4 methadone.

5 With regard to detecting fentanyl,
6 I think that's a more difficult question, and
7 I'd be happy to look through the sensitivity
8 analyses that would be involved in that. And
9 I believe the company has agreed to look at
10 Dawn Live on a continuing basis, as well.

11 ACTING CHAIR SORIANO: Dr.
12 Gardner?

13 DR. SCHNOLL: Oh, I'm sorry.

14 ACTING CHAIR SORIANO: Dr.
15 Gardner?

16 DR. GARDNER: Another database we
17 were interested in, several of us are
18 concerned about children, and I think there
19 wasn't any mention of the, I'm probably not
20 going to get this right, but NIDA's survey
21 data on Monitoring For the Future. And I
22 would like us to see if this were going to go

1 more broadly available. That would be one of
2 the places that would be explored for looking
3 for increases.

4 DR. SCHNOLL: Monitoring the
5 Future unfortunately does not include fentanyl
6 in the questions. And in order to add a new
7 drug to the Monitoring the Future survey,
8 because they don't want to extend the survey,
9 you have to remove a drug from the system.
10 And so it becomes very difficult to add a new
11 drug to the Monitoring the Future study.

12 ACTING CHAIR SORIANO: Dr.
13 Rappaport?

14 DR. RAPPAPORT: Could you put
15 question four back up, please? I'd like to
16 just go back and ask you to focus back in on
17 this question, specifically to the second part
18 of it. What Dr. Vocci was talking about the
19 fact that there is a population that they've
20 established this, there's a difference between
21 showing that there's off-label use in
22 thousands of patients and then expanding the

1 population to hundreds of thousands or
2 millions of patients and changing the
3 risk/benefit ratio just based on that.

4 So granted that may be something
5 that's worth doing, and let's say we go in
6 that direction but in order to do that we have
7 to have a tight risk management plan, and I
8 think you've all expressed that opinion. But
9 in order to do that, is it going to limit
10 access to patients? Is there a way to do both
11 at the same time, to have such a quality risk
12 management plan that it's going to avoid the
13 pitfalls but it's also going to allow adequate
14 access to the patients? Remember, you're
15 talking about millions of patients treated by
16 generalists across the country in small towns.
17 How are they going to get their medications
18 with the types of restrictions that are being
19 put into place? I'd just like to hear some
20 comments on that.

21 DR. WATKINS: Would you like us to
22 individually poll each member?

1 DR. RAPPAPORT: Not necessary. I
2 mean, if nobody has anything to say, that's
3 fine.

4 DR. WATKINS: Okay.

5 ACTING CHAIR SORIANO: Dr. Nelson?

6 DR. NELSON: That's kind of what I
7 was getting at before with my question about
8 6,000 physicians perhaps, and maybe that's
9 part of it. If you're going to limit this to
10 6,000 physicians then clearly you're not going
11 to cover the vast majority of patients if they
12 have to go to one of those 6,000 doctors.
13 Obviously, if you make it so unrestricted that
14 everybody can prescribe that's going to be a
15 problem.

16 It's very hard to scale up from
17 the, you know, the 1150 current, 80 percent,
18 prescription rate, and the relatively small
19 amount of drug that's given out now by
20 prescription to the population as a whole.
21 And, you know, you can't predict what numbers
22 of physicians are going to ultimately be

1 involved in this, but it would just seem to me
2 that if you don't keep it restricted to a
3 certain knowledgeable group of physicians
4 you're going to run into problems that we run
5 into now with medication-related errors and
6 other things like that. And, of course, if
7 you open it up too much I think you're going
8 to run into problems with widespread, you
9 know, public health issues in terms of, you
10 know, the availability of the test drug that
11 I mentioned before. So it is definitely a
12 two-edge sword and, obviously, it's something
13 that's going to have to be considered.

14 DR. RAPPAPORT: Right now,
15 patients with cancer breakthrough pain don't
16 have limitations on their access. But if you
17 expand this to the entire breakthrough pain
18 population, to many, many patients, but with
19 a lot of restrictions, the cancer patients are
20 going to have restrictions on access.

21 DR. NELSON: Well, the 1150
22 doctors who are servicing the, you know,

1 presumably in that group are the doctors who
2 are servicing the majority of these cancer
3 patients, right? So we're looking at a
4 different group of physicians who have to now
5 get certified and involved, so you can't limit
6 it to the 6,000 doctors. You have to open it
7 up to many more. You have to maintain a
8 certain quality control it would seem because,
9 otherwise, when you open it up to the
10 generalists and to the others who are going to
11 be giving it out, just like you did when we
12 spoke the other day about Xyrem and we spoke
13 before about buprenorphine and these other
14 mechanisms, which obviously involve much
15 smaller numbers of patients but also a much
16 less onerous type of system in terms of
17 getting the drug to the patient. You know, it
18 would still be available in most pharmacies
19 with the proper certification of the pharmacy
20 and all.

21 But, yes, I mean, it's going to be
22 hard to scale it up directly. It's not going

1 to go that easily.

2 ACTING CHAIR SORIANO: Any other
3 comments? Dr. Gardner?

4 DR. GARDNER: I'm having trouble
5 finding in my material how many prescriptions
6 we're talking about. It seems that all the
7 fentanyl has been collapsed in the five and a
8 half million that I can see, and so we've
9 become accustomed today to talking about 80
10 percent off label, but I don't know how many
11 that is.

12 DR. MESSINA: John Messina with
13 Cephalon. In 2007, there were 204 million
14 prescriptions for opioids. Actiq, oral
15 transmucosal fentanyl, and Fentora represented
16 0.2 percent of those prescriptions, or
17 332,000. So of the fentanyl products, generic
18 Actiq, OTFC, Actiq represented about 73
19 percent of those 332,000, and Fentora was
20 about 27 percent, which calculates out to
21 about 70,000, approximately 70 to 80,000
22 prescriptions, somewhere in there. So just

1 proportionately it's very small. And, again,
2 as we pointed out, 80 percent of the patients
3 who received it had the non-cancer indication.

4 ACTING CHAIR SORIANO: Any other
5 points to be made by the panel on question
6 four? So as just a review, I think the panel
7 does recognize a substantial risk of abuse for
8 this drug. However, they still suggest that
9 the risk management and mitigation plans be
10 applied and also be proven, as I said before,
11 to be proven with the current labeling for
12 Fentora.

13 Any members of the FDA have any
14 additions to this? So we'll move on to
15 question number five, and Commander Watkins
16 will let us know how to handle this question.
17 Well, the question is, "Considering your
18 responses to the earlier questions, do you
19 recommend approval of the expansion of the
20 indication for Fentora to opioid tolerant non-
21 cancer chronic pain patients with breakthrough
22 pain?" The members of the panel are asked to

1 vote yes or no using the touch pad here.

2 DR. WATKINS: All right. If each
3 voting member of the committee, I'm sorry, Dr.
4 McLeskey, this excludes you, will touch the
5 button on their microphone that says attend.
6 Just working with the technology. Bear with
7 us.

8 ACTING CHAIR SORIANO: There are
9 also follow-up questions to this. If you
10 voted yes, I guess the FDA would like us to
11 discuss means to mitigate the abuse and
12 diversion that can potentially occur with the
13 approval of this request. And if you voted
14 no, what are some of the additional studies
15 that the sponsor should conduct to address the
16 reasons that you think the drug should not be
17 approved?

18 DR. VOCCI: Did we vote already?

19 DR. WATKINS: No, not yet. Just a
20 minute. Okay. At this time, would all
21 members select your choice of either yes, no,
22 or abstain? It's okay? Everyone has voted?

1 Okay.

2 ACTING CHAIR SORIANO: Let's make
3 that a trial run because this is new
4 technology, so I think we should let that be
5 a dry run. And now we'll do the real voting,
6 okay?

7 DR. WATKINS: Okay. One more
8 time.

9 DR. NUSSMEIER: Do we press the
10 attend button again first?

11 DR. WATKINS: Not yet, but
12 probably. So we're ready to vote? Okay.
13 Everyone again please make your selection.
14 Has everyone locked in their vote? Okay.
15 Ready? So I can go ahead and display? Okay.
16 Here are the results.

17 ACTING CHAIR SORIANO: Okay. The
18 results are: for yes 3; for no 17.

19 DR. WATKINS: Okay. Sorry for the
20 confusion. Now we'll address the second part
21 of the question. For the three of you that
22 answered yes, if you could describe what means

1 to mitigate abuse and diversion the FDA should
2 consider requiring; and do you require any
3 additional studies?

4 DR. ANAND: I'm very impressed by
5 the fact that this preparation has indications
6 outside the cancer population for prolonged
7 pain. I think it's a small population, but
8 one that needs a product of this kind. I'm
9 also reasonably assured by the risk management
10 plan that the company has provided. The
11 systematic ramp-up of 6,000 physicians in the
12 first 12 months and 6,000 physicians every
13 year after that to a maximum of 30,000
14 physicians that this would allow a phased-in
15 introduction of this product to the patient
16 population that benefits most from it where
17 other therapies have not been effective or
18 have not worked.

19 I do recommend additional studies,
20 and I think the monitoring of those patients
21 and those physicians who prescribe this drug
22 should be intensive. There should be all of

1 the parameters to qualify physicians and
2 patients for using this product should be in
3 place and that there should be a clear process
4 that is followed, which will allow the
5 collection of data and some measure of how
6 this product is being used. This is in
7 comparison to its current use where 70,000
8 patients are getting prescriptions for this
9 product, of which only 14,000 have the
10 indication for which this product was
11 licensed. So I think the company has
12 presented a plan but should continue to
13 collect data that would allow the monitoring
14 about the abuse and diversion and misuse of
15 this product after its label has been changed.

16 ACTING CHAIR SORIANO: Dr. Prough?

17 DR. PROUGH: I think the RiskMAP
18 put together by the sponsor is quite good. I
19 do think that Dr. Bell's recommendations merit
20 consideration. What bothers me is that, in
21 effect, the proposed RiskMAP, plus some
22 variant of Dr. Bell's recommendations, would

1 really represent a model program for
2 controlling the utilization of one narcotic,
3 but there still would be 200,000 prescriptions
4 a year for other narcotics that would not be
5 similarly-controlled. And I think that it
6 actually would limit the utilization of the
7 current product in favor of other unapproved
8 drugs.

9 ACTING CHAIR SORIANO: Dr. Vocci?

10 DR. VOCCI: I think one of the
11 things that could be done if a physician who
12 was prescribing this to an opioid tolerant
13 non-cancer patient suspected abuse that I
14 would start running urine drug screens on that
15 individual because, if you get a positive
16 urine drug screen for another drug of abuse,
17 most likely they are abusing and/or diverting.
18 I think that that would be a very strong
19 signal, and I would make that part of the risk
20 management plan.

21 Also, since it suggests to me
22 that, the way they want to set this up, that

1 there will essentially be a closed
2 distribution system, at least from company to
3 pharmacy, pharmacy to doctor, and patient to
4 doctor, that if a physician again suspected
5 that something was going on you could write
6 the prescription such that even though you
7 gave them a 28-day supply it could be
8 dispensed seven days at a time, something like
9 that, so that you could limit the amount of
10 available drug.

11 And, thirdly, one of the things
12 that could be done would be to suggest if you
13 have blister packs there is a technology that
14 allows you to know when a tablet was actually
15 punched out of a blister pack. And so that
16 would give you an idea of the timing of the
17 doses that the individual took. If somebody
18 punches out 28 doses all at once, then you
19 know something is going on. It would give you
20 an idea as to whether or not appropriate use
21 was going on or misuse. Even if they punched
22 out two at a time, that might be considered

1 misuse, something like that. So I think that
2 there are some studies that could be done that
3 could get at certain things.

4 Also, I think you better define
5 who might abuse the drug, and I think there
6 are hints from the literature on this in terms
7 of people that, there are individuals who have
8 abuse histories of various types. Even if it
9 is an alcohol history, they may be more
10 susceptible to abusing a narcotic than someone
11 who doesn't have an alcohol history. So I
12 think there's some things that could be done
13 to sharpen some things up, but I actually
14 think that the drug could be approved. I
15 would recommend that these be post-marketing
16 studies rather than studies for approval. I
17 still feel that the drug ought to be marketed.

18 ACTING CHAIR SORIANO: We will now
19 hear from the no. Ms. Aronson?

20 MS. ARONSON: I guess I would feel
21 better about having some more clinical trials
22 with comparators, as well as a better

1 understanding about the company's COVERS risk
2 management program, you know, just questions
3 about whether these cards could be reproduced
4 or, you know, where would the checks and
5 balances be in the system.

6 ACTING CHAIR SORIANO: Dr. Bickel?

7 DR. BICKEL: I'd like to see more
8 efficacy data comparing to other different
9 agents that are not as high up as on the WHO
10 ladder of interventions and see how
11 efficacious they were and get a better sense
12 of the magnitude of this population in that
13 context.

14 ACTING CHAIR SORIANO: Dr.
15 Cortinovis?

16 DR. CORTINOVIS: I don't know of
17 any particular studies that I would recommend
18 that the FDA follow up. I think that I'm
19 still not sure what breakthrough pain is in
20 these patients, but to somewhat paraphrase one
21 of the Supreme Court Justices who didn't know
22 the definition of pornography but knew it when

1 he saw it. I think that there are patients
2 who, a very small number of patients, who
3 would benefit from this agent. I think that
4 the only way to really, really control
5 distribution, abuse, misuse would be to
6 restrict it to non-cancer patients who are
7 attending defined pain management centers
8 where there are specific protocols in
9 existence to limit abuse and diversion.

10 I think that Dr. Rappaport has a
11 very good point that there are people who live
12 in rural areas, restricted areas, that don't
13 have access to these fancy centers who would
14 benefit from this agent. In those
15 circumstances, off-label use may be
16 appropriate. In other words, if the label use
17 said you can only use this in non-cancer
18 patients in defined pain centers, it would
19 still be available to people who really need
20 it, although I still feel that that number of
21 patients is probably very, very small.

22 ACTING CHAIR SORIANO: Dr. Day?

1 DR. DAY: I've nothing to add to
2 my previous comments. I've made all my
3 concerns known.

4 ACTING CHAIR SORIANO: Dr.
5 Gardner?

6 DR. GARDNER: I agree with Dr.
7 Bickel. I'd like to see more comparative data
8 coming from positive comparators. And then
9 I'd like to see, as I said before, the risk
10 management program started with the existing
11 formulation and indication and see if it does
12 anything to help control the off-label use
13 that we're seeing now, which seems to be a
14 cause of great concern for everyone.

15 ACTING CHAIR SORIANO: Dr. Kirsch?

16 DR. KIRSCH: As I said before, I'd
17 like to see some cohort of patients subjected
18 to the risk mitigation program and demonstrate
19 that it actually works. If it works, it would
20 be terrific and it would be a big advance in
21 the area.

22 ACTING CHAIR SORIANO: Dr. Kosten?

1 DR. KOSTEN: I agree with all the
2 things that have been said. I still think
3 that the adaptive design that Dr. Vocci
4 suggested would be quite helpful to have.

5 ACTING CHAIR SORIANO: Ms.
6 Krivacic?

7 MS. KRIVACIC: I also agree with
8 the comparator studies. I think one thing,
9 you know, in thinking about this whole area of
10 opioid abuse and misuse that we've heard over
11 the last two days, one thing we haven't talked
12 about is really more of a public health
13 initiative to get people, younger people,
14 aware of what is going on with these drugs,
15 what these drugs can do to them. And, I mean,
16 I don't see anything on TV. I don't see, you
17 know, what is out there right now is just in
18 public health announcements on leaflets or
19 maybe on some websites. But I think, you
20 know, young people think that they're
21 invincible and, you know, go back to the 60s
22 and 70s and it was marijuana and, you know,

1 heroin that some could get a hold of, but it
2 was a real controlled, you know, at that time,
3 controlled substances were really controlled.
4 They are not controlled now, not your Class
5 IIs, in the real sense of the term
6 "controlled" where you can only get them at,
7 you know, the clinics.

8 So I think we really need a real
9 public health effort, and whether that's
10 through maybe the pharmaceutical companies
11 doing some PR, I don't know, or the government
12 to get the information out to young people.
13 I think that's why we're so concerned about
14 getting a lot of these drugs out to patients
15 that need them. Thank you.

16 ACTING CHAIR SORIANO: Dr. Lesar?

17 DR. LESAR: I only wanted to add
18 to, my major concerns were that the core
19 definition or the lack of consensus of what
20 breakthrough pain in non-cancer patients are,
21 so how do we educate and how do we measure
22 something that we don't agree upon? And the

1 second was that there really needs to be both
2 a technical and functional assessment of the
3 RiskMAP before they should really go forward.

4 ACTING CHAIR SORIANO: Dr.
5 Maxwell?

6 DR. MAXWELL: Very quickly, in
7 thinking back over what has happened the last
8 two days, I think we are seeing a paradigm
9 shift in that with the great increase in
10 opioid abuse among both young and old, I think
11 we've given a message to the manufacturers
12 that perhaps we expect a much more positive,
13 aggressive stance, rather than a reaction to
14 a problem. We'll see what happens the next
15 time the committee meetings.

16 ACTING CHAIR SORIANO: Dr. Nelson?

17 DR. NELSON: Although I'd like to
18 see more clinical efficacy studies, I'm less
19 concerned about putting an ineffective product
20 out or a product that has a very limited
21 audience. I'm much more concerned about
22 putting a safe product out there, one that has

1 tremendous public health implications, one
2 that is particularly abusable and associated
3 potentially with a fairly high mortality rate,
4 as well. So I think that the risk management
5 program needs to be better defined and
6 potentially needs to be trialed before we
7 implement it.

8 ACTING CHAIR SORIANO: Dr.
9 Nussmeier?

10 DR. NUSSMEIER: Well, I remain
11 very concerned regarding the problem of
12 widespread access to the most potent narcotic
13 available, so I would like to see
14 implementation of the described plan or,
15 preferably, an even stricter plan in cancer
16 and carefully-defined non-cancer patients.
17 The neediest of that group needs to be defined
18 for, you know, this initial implementation of
19 the plan. And then I'd like a report back to
20 this committee regarding the results of
21 implementation of the plan.

22 I'd also like to see at some point

1 some long-term data regarding patient use,
2 whether dosages need to be increased with
3 long-term use, whether there's eventual
4 ineffectiveness because that leads us down a
5 different path over the years to come.

6 ACTING CHAIR SORIANO: Dr.
7 Paulozzi?

8 DR. PAULOZZI: I'm not sure this
9 is possible, but what I'd like to see is a
10 community trial where the company has an
11 opportunity in a state with a prescription
12 drug monitoring program to register
13 physicians, pharmacies, do everything it said
14 it was going to do, and then give it some time
15 and track the prescribing patterns of the
16 physicians enrolled in the system through the
17 state prescription drug monitoring program
18 looking at their use of this particular drug,
19 other fentanyl products, other opioids, and
20 you could even do a comparison in a state
21 without the community trial to see what
22 happens with transfer of Fentora prescribing

1 there. I think, with a large enough
2 population, it could be powered enough to get
3 a sense of what the impact would be on doing
4 what the company originally proposed doing.

5 ACTING CHAIR SORIANO: I agree
6 with my colleagues and the committee, and I
7 have nothing to add. Dr. Wolfe?

8 DR. WOLFE: I would just like to
9 commend the FDA staff for all the work they
10 did. I was quite persuaded by a number of the
11 analyses that were done in terms of the almost
12 certainty of significant increased abuse if
13 this were approved. And I guess one of the
14 reasons why it was much easier for the FDA to
15 approve the breakthrough pain in cancer
16 patients is that part of the definition is
17 objective. You do or you do not have cancer.
18 And having cancer, the occurrence of tissue
19 damage pain and so forth is known, whereas
20 when you start with non-cancer breakthrough
21 pain it is, as Dr. Markman pointed out and as
22 I think other people have agreed, it is very

1 vague. And so if, you can't define the target
2 population, it's even more difficult to do the
3 kinds of comparative studies that would look
4 at alternatives to fentanyl or opioids for
5 treatment of that group. And, again, as I've
6 said several times before, I think that most
7 of the elements of this risk management
8 program need desperately to be put in place
9 with the existing indication because of what
10 is already occurring in terms of abuse.

11 ACTING CHAIR SORIANO: Mr.

12 Yesenko?

13 MR. YESENKO: This is giving the
14 sponsor an opportunity to hear what the FDA
15 and our panel has said. I think it's
16 important, as Dr. Maxwell said, to have an
17 action in place, rather than a reaction. It
18 would have been nice today to see a
19 registration system update rather than a
20 registration system preliminary plan. In this
21 fashion, we don't have this yet. I mean, I'm
22 concerned about something like that. When

1 we're talking about abuse, misuse, and
2 diversion, and we don't have something like
3 that in place from the sponsor, that concerns
4 me.

5 My second concern came from the
6 FDA, Dr. Shibuya. He mentioned there's been
7 no comparative data, as many other members
8 have mentioned, and some of the safety issues
9 were not addressed and they were not
10 straightforward. So it does give the sponsor
11 an opportunity for some correction and, again,
12 maybe that can be addressed in another
13 meeting.

14 ACTING CHAIR SORIANO: Dr. Zuppa?

15 DR. ZUPPA: I agree with a need
16 for comparator studies. I feel pretty
17 strongly that if the risk management plan is
18 instituted for patients with cancer-related
19 chronic pain that it does not limit access for
20 those with non-cancer chronic pain that
21 currently have access to it. And speaking to
22 public health policy, I'm a pediatric critical

1 care doctor. I don't manage chronic pain.
2 But when these kids do take these overdoses,
3 I take care of them. And I really think that
4 there is a false sense of security in these
5 kids that these drugs are safer than some of
6 the drugs that are illegal because these are
7 drugs that their mothers are using, their
8 aunts are using, their brothers are using.
9 And I think a shift or a new emphasis in
10 public awareness is absolutely indicated.

11 ACTING CHAIR SORIANO: Dr.

12 Rappaport, I believe that the panel has
13 adequately addressed the five questions you
14 posed to it, and perhaps you can make some
15 closing comments?

16 DR. RAPPAPORT: I think you've
17 given us some very helpful information both
18 today and yesterday in dealing with this
19 difficult issue. As I think you've seen
20 yourselves, it's a struggle. There's no easy
21 answer to any of these questions, and it's
22 been very difficult for us to sort through

1 this. But your thoughts and your discussion
2 and this vote and the comments following it
3 have been extremely helpful, and we are very
4 appreciative of this. And we will come back
5 to you with some information regarding our
6 decision, and we will likely come back to you
7 with questions related to this in the future.
8 And, again, thank you very much for your help.

9 ACTING CHAIR SORIANO: I'd like to
10 thank the FDA for inviting this panel, and I'd
11 like to thank my fellow panel members for a
12 real lively discussion and the audience for
13 being patient with us and listening to what we
14 had to say. Thank you very much, and now we
15 adjourn the meeting.

16 (Whereupon, the foregoing matter
17 was concluded at 4:23 p.m.)
18
19
20
21
22