

1 so back in the days when I tried to do this -- I  
2 mean, the GI compartment volumes can vary  
3 widely. Yes, they can. So -- and -- less so  
4 than others. So back in my day when I did these  
5 types of simulations, I really could get  
6 whatever answers you wanted. And I did a lot of  
7 work to look up physiological volumes. And they  
8 are quite variable.

9 DR. YU: Well, I agree with you.  
10 They're quite variable. For example, in test  
11 averages, small intestine transit time is 199  
12 minutes plus/minus 78 minutes. Indeed, they are  
13 very variable, but when we look at those datas,  
14 we should look at from population perspective,  
15 from average. And so, not one individual.  
16 Yeah, I agree with you. Individuals, they  
17 indeed vary quite a lot.

18 And we did indeed -- actually, Rob  
19 and many of our students indeed use the  
20 mathematical model which I, 10 years ago --  
21 early days -- you know -- we do have a  
22 software, Gastro Polaris (?), we utilize them

1 in our simulations in our studies. So that's  
2 why you can see continual publication from us  
3 in modeling simulation.

4 And whether we use those modeling  
5 simulation become regulatory standards, I  
6 guess we have to further investigation,  
7 further considerations.

8 And particularly -- and I'm  
9 hesitant to say that the model I developed  
10 become standard. So what you company has to  
11 used, which probably is --

12 DR. MORRIS: You don't seem that  
13 hesitant to me.

14 Let's -- Jessie, you had a comment.  
15 And if possible, we need to wrap up on  
16 question 1 so we can finish question 2. So  
17 let's try to summarize after Jessie.

18 So please.

19 DR. AU: Jessie here. I want to  
20 respectfully disagree with you, Liz. Because  
21 those that do simulation in this setting knows  
22 that you fix your boundaries. So if you're in

1 the middle, you can change something. But you  
2 really can't change transit time. You may have  
3 plus or minus -- there's going to be plus or  
4 minus for your reference compound anyway.

5 So -- all right, so that's -- I  
6 think you can do it. It's doable.

7 We've done it, we use it to design  
8 clinical trials with it. So it can be done,  
9 yeah.

10 DR. MORRIS: And -- go ahead, Marv.

11 DR. MEYER: These questions are  
12 difficult, but I'm focusing, just as we should  
13 be, on locally acting drugs. My view is, I  
14 think to be locally acting somewhere along the  
15 line they have to go in solution. With  
16 exceptions of drugs like chlorestyramine, and  
17 sucralfate, which don't go in the solution. But  
18 there are alternate in vitro ways to look at  
19 chlorestyramine, and sucralfate we have to use  
20 clinical.

21 So and I'm thinking of, well, let's  
22 take three cases. No systemic availability

1 that's measurable. And no dissolution by any  
2 reasonable sense -- you know. Hydrochloric  
3 acid in a Waring blender is probably not a  
4 reasonable surrogate. I think, in that case,  
5 you have to do the clinical. I don't see a  
6 way around that.

7           If there's no systemic  
8 availability, and you believe that whatever  
9 drug it is has to be in solution to be -- to  
10 have a therapeutic effect, then I think  
11 there's a chance for us to -- with a  
12 reasonable panel of in vitro methods -- to  
13 have a dissolution test that will serve as a  
14 reasonable surrogate. And by reasonable  
15 panel, we may have to go to some of the ones  
16 Jim Polli put in his list. We may have to do  
17 4 pHs, 2 apparatuses, 2 rotation speeds, et  
18 cetera. Because you might say, well, that's  
19 extreme.

20           But ask a firm whether they want to  
21 do a 600 patient clinical trial or 25  
22 dissolution tests. I think -- you know,

1 which they'll pick. So I think dissolution  
2 will have a role in that -- role to play.

3 Now, in terms of if there is  
4 systemic availability, although low. And the  
5 numbers were 4 and 2. I think you could  
6 probably still get away using in  
7 vitro -- sorry. Using PK data. If there is  
8 a different scene. Now, that's probably  
9 difficult to achieve, but again compared to a  
10 600 patient clinical trial, a 4 percent AUC  
11 versus a 2 percent AUC of absorbed dose might  
12 still be a reasonable thing to do. And if  
13 you have that systemic availability, then you  
14 can look at in vitro dissolution and you have  
15 something to correlate it with without just  
16 taking on faith that drug must be in solution  
17 and therefore dissolution's going to be okay.  
18 So that's kind of the way I like to look at  
19 it.

20 DR. MORRIS: That's a nice summary as  
21 well. Well, if we could, could we -- let me try  
22 to summarize our question 1 consensus -- that

1 may be a densification of what we have here.  
2 But let's try and then we can modify it and then  
3 move on to question 2.

4           So basically if we start from the  
5 premise on both questions that we're starting  
6 with -- now, I'm talking about the process  
7 itself. Dissolution -- and normally we would  
8 go through absorption then it would go to the  
9 site of action. Systemically, here we're  
10 taking out the compartment in the center, as  
11 Liz says, although there still has to be  
12 absorption at the site. So with that as our  
13 backdrop, the consensus, I think, is that  
14 biorelevant dissolution in certain cases  
15 would be subcategorized, as Marv was just  
16 saying.

17           But might well take on a different  
18 scope than dissolution as we do it today in  
19 the sense that it might be a panel of  
20 biorelevant dissolutions, dissolution media,  
21 and -- which somebody would have to develop  
22 or at least adopt in conjunction with

1 external advice and sources.

2           That the combination of this with  
3 simulations of one variety -- whether these  
4 are true constitutive relationships or  
5 simulations that come from more statistically  
6 based modeling or other types of model would  
7 be the ultimate goal. If you could then draw  
8 correlation that way, supported by the  
9 physical data, and that if we categorize it a  
10 little further, as Marv was just saying, that  
11 obviously if it's no dissolution then it's no  
12 dissolution and if that's your first  
13 criteria, your first constraint, you  
14 can't -- there's no other constraints.  
15 That's it. So you have to find another way  
16 and that's probably clinical.

17           In the other cases where it's  
18 dissolution, well, you got dissolution but  
19 with limited systemic -- or, no systemic  
20 involvement -- then dissolution is the proper  
21 mech. And then it would fall back to our  
22 panel of -- our new panel of biorelevant

1 dissolution. And there'd probably have to be  
2 a new division in FDA, so biorelevant  
3 dissolution.

4 And finally, if there is systemic  
5 absorption yet it still is locally acting,  
6 that a combination of PK with the advanced  
7 or, let's say, amplified dissolution scenario  
8 would be the consensus of the panel.

9 Are there any other comments  
10 anybody would like to make before we go to  
11 question 2? Yes, please, Art.

12 DR. KIBBE: I think we've taken care  
13 of question 2.

14 DR. MORRIS: I think we -- pretty  
15 close. But let --

16 DR. KIBBE: If we look at a holistic  
17 answer to the issues that they're looking at,  
18 you use PK when you have systemic absorption and  
19 you're wanting to see if the different dosage  
20 forms are giving you higher systemic --

21 DR. MORRIS: Right, actually -- yeah.  
22 And actually, if we can come back -- if we can



1 go to question 2 and then have you just start  
2 with that point, just so we get it on the record  
3 in that direction. Because that's exactly where  
4 we should start. I think you're right, yeah.

5 So if we can go to question -- so,  
6 question 2 is, what role should systemic  
7 pharmacokinetics play in developing BE  
8 recommendations for low solubility locally  
9 acting drugs that treat GI conditions?

10 And Art, would you mind sort of  
11 starting that? Because I think that's a good  
12 place to start.

13 DR. KIBBE: For me, PK in this  
14 situation is since it's after the fact as it  
15 were in terms of where the drug is acting, is  
16 really a measure -- am I not close enough?

17 DR. MORRIS: Move closer to your mike.  
18 Yeah.

19 DR. KIBBE: I'm sorry.

20 I'm not as tall as Marv, I can't  
21 reach it. I'm vertically challenged.

22 (Laughter)

1 DR. MEYER: You can sit on my lap.

2 DR. KIBBE: Thank you, that's good.

3 It is, for me, a safety answer. At the back  
4 end, you say to yourself, are these two dosage  
5 forms giving rise to the same amount of drug  
6 getting in systemically. And if -- and I think  
7 we have to be careful. If you go from 2 percent  
8 to 4 percent, that's a doubling but that's not  
9 significant, okay?

10 I mean, unless there is some  
11 clinical reason to think that there's a  
12 threshold of 3 percent that therefore now  
13 gives you all sorts of toxic, that's not what  
14 you're looking for. What you're looking for  
15 is some dramatic change which would affect  
16 not only how much is systemically and  
17 therefore might give toxicity, but how much  
18 is lost from the site of action that should  
19 have been there. Okay?

20 And I think when we start talking  
21 about modeling -- and Lawrence's model system  
22 is very good and I'm sure Jessie has some

1 things that she could tell him that would  
2 improve it, and we'd have a really good  
3 model. But if you look at modeling and you  
4 can take that into a play -- into account  
5 with the PK numbers in the model and get a  
6 real good understanding of what's at the site  
7 or at the biophase over a period of time, I  
8 think you're way ahead of the game.

9 DR. MORRIS: Other? Yeah, I think  
10 that's spot on. I sort of had couched what we  
11 had said in terms of dividing it into safety  
12 issues versus performance issues. And in terms  
13 of safety issues, the reason in fact that in new  
14 drug development, the companies do so many BE  
15 studies. I can't remember what the average is,  
16 but it's way higher than you would think. It's  
17 like 8 or 12 or something like that.

18 DR. YU: I think it's -- on the  
19 average, is six.

20 DR. MORRIS: Six, yeah, that's -- that  
21 would be low from my experience. But, yeah.  
22 You have more -- But that's the number they turn

1 in, yeah. We won't go there. But at any rate.

2 But the reason that you do that  
3 along the way is that they want to be sure  
4 that the formulation changes that are made,  
5 in fact, don't affect safety negatively.

6 So in that sense, changes in  
7 excipients that might -- whether or not these  
8 excipients are actually activating  
9 transporters or changing membrane  
10 permeability, whatever it is, it should be  
11 manifest in the PK. And that's the safety  
12 issue. I fully agree.

13 But for performance, as we were  
14 discussing earlier, as Liz said, since the  
15 site of action doesn't depend on being  
16 systemically absorbed, then by definition the  
17 PK studies would be of limited use other  
18 than -- yeah, go ahead.

19 DR. KIBBE: I'm sure you were going to  
20 go there, but except for the fact that a high  
21 absorption relative would draw down from the  
22 site of use and shorten the duration --

1 DR. MORRIS: No, right --

2 DR. KIBBE: Of effect --

3 DR. MORRIS: Right. Except for the  
4 fact that you want the drug to get -- you can't  
5 sink the putt if it doesn't get to the hole, is  
6 the scientific analogy, I think. Yeah.

7 And then finally, I guess if I'm  
8 catching everything and not necessarily in  
9 succession but completely is, to Marv's  
10 point, is that when there is a systemic  
11 absorption that does correlate to the site of  
12 action locally, then that might be of use to  
13 do a PK study. Is that your point, Marv?

14 DR. MEYER: I can't deny that, but my  
15 question is how are you going to correlate the  
16 systemic availability with the arrival of the  
17 site of action? So I don't think you'll ever --

18 DR. MORRIS: Right. Presumably --

19 DR. MEYER: Know that.

20 DR. MORRIS: That would be a  
21 clinical -- you know, determination. You know,  
22 somehow -- you know, to use an unfortunate

1 analogy -- you know, when it used to be that you  
2 would count the number of legs in the air and  
3 divide by four? You know, I mean, so there's  
4 got to be some assay for response to whatever  
5 the disease you're treating is, I'm assuming.  
6 So I'm assuming that that would be a clinical  
7 determination. Not a routine determination.

8 DR. MEYER: I mean, the fundamental  
9 question to me is, if I do a PK study and  
10 I -- albeit of small values of systemic  
11 availability -- and I use those numbers, to what  
12 extent am I missing the boat? Am I coming up  
13 with the wrong answer, that one formulation  
14 that's actually better than another gives a  
15 lower systemic availability. I don't have the  
16 answer to that, but if it -- if that's true,  
17 then the systemic availability of a poorly  
18 systemically available drug product doesn't work  
19 very well.

20 DR. MORRIS: No, that's right. Yeah.  
21 I was thinking the other direction, but yeah.

22 So yes, Liz?

1 DR. TOPP: I just have a question. So  
2 if really systemic absorption of locally acting  
3 drugs is sort of a side effect compartment, do  
4 we want to do complete PK just because we can?  
5 Or would it be sufficient to say, let's  
6 check -- spot check some time points to  
7 demonstrate that the innovator and the generic  
8 product really have identical absorption and  
9 that we really don't care if we have enough data  
10 to do full AUC elimination rate constant,  
11 absorption rate constant, whatever PK analysis.

12 I mean, would it -- so that's a  
13 question, that's not an answer. I mean, do  
14 we do complete PK just because we can, or do  
15 you say, no, in this case a complete PK  
16 profile isn't even relevant.

17 DR. MORRIS: Yeah. Maybe. Can we get  
18 a comment from Lawrence or Gary?

19 DR. YU: Well, actually, Gary and I  
20 were discussing. It's -- when you -- I'm not  
21 trying to -- we're seeking advice at this  
22 meeting for poly soluble drugs. So we're

1 probably not defending what we're going to do or  
2 not.

3           One of the key issues, what does a  
4 PK use -- you know, based on Jessie's talk  
5 and Liz, your talk at the beginning, you do  
6 want the sandwich (?) in terms of what's  
7 happening. And with dissolution and the  
8 pharmacokinetics. We want the simulation.  
9 If you don't want to do simulation, and then  
10 I from, as a scientist, you have to have a  
11 completed PK profile because otherwise you do  
12 not know what to do your simulation for.

13           And then, certainly, for safety  
14 reasons even -- hypothetically, it's for us  
15 to say, for example, you get a 2 percent  
16 absorbed or 3 percent absorbed, when you look  
17 at a 2 percent absorbed versus 4 percent  
18 absorbed, even though difference is a  
19 percent, not much. But in reality are -- is  
20 that you do not know what percentage get  
21 absorbed. Because those poly soluble drugs  
22 usually do not have absolute viable data



1 available. So therefore, you're really don't  
2 have a -- I'm not saying you always, but many  
3 cases you do not really have an idea what  
4 percentage get absorbed. And does this  
5 scenario, seems to me, you may want to go to  
6 the regular PK.

7 DR. M. MORRIS: Marilyn Morris.

8 DR. MORRIS: Marilyn, then Marv.

9 DR. M. MORRIS: Okay, sorry. So in  
10 thinking about this, then, what if we  
11 don't -- we're not able to detect drug in  
12 plasma. So then we have only dissolution data  
13 and it may be similar. And similar in some  
14 aspects, maybe not similar in all aspects.  
15 Where do we go then? Maybe that's the point  
16 where we have to consider doing a efficacy  
17 study, a PD -- some PD endpoint study.

18 DR. MORRIS: Marv?

19 DR. MEYER: I just want to talk about  
20 2 percent, 4 percent briefly. If we assume that  
21 in the site of action, one formulation has  
22 4 percent of the drug released in solution and

1 another formulation has 2 percent released, and  
2 the other 96 or 98 exits in the feces, is it  
3 still not relevant to be looking at 2 percent  
4 and 4 percent resulting blood levels?

5 DR. MORRIS: Anybody want to comment?  
6 Gary, you want to?

7 DR. BUEHLER: I'll take a shot. Gary  
8 Buehler. No, this is -- this is what Lawrence  
9 and I were discussing. I mean, if we're going  
10 to ask for PK, usually in the Office of Generic  
11 Drugs we're going to apply bioequivalence  
12 standards to the PK. So the 2 percent 4 percent  
13 question would be an issue for us.

14 If we're looking at very, very,  
15 very small amounts absorbed and we know that  
16 the drug has very, very, very small amounts  
17 and we're concerned about some small  
18 differences -- you know, then we can possibly  
19 look at it for safety.

20 But if we're looking at measurable  
21 amounts where we can apply bioequivalence  
22 criteria, we probably will. Especially if we

1 can use those criteria in combination with  
2 some dissolution information and make a  
3 decision on bioequivalence in that way. Kind  
4 of using the --

5 DR. MORRIS: And I -- oh, sorry --

6 DR. BUEHLER: Subtraction method.

7 DR. MORRIS: No, and I think that  
8 actually -- or maybe you were going to say the  
9 same thing I was, probably. Because I was going  
10 to say, you're -- that really speaks to Art's  
11 point. I think what we -- what the consensus  
12 was -- stop me if I misquote you, but the  
13 consensus sort of was that if there was no  
14 absorption then -- you know, why bother.

15 If there is absorption, for the  
16 reasons of safety, of course, but also for  
17 the reasons that the availability might be  
18 affected by prior absorption, it would still  
19 be prudent to do PK study on it. Is that  
20 what you --

21 DR. KIBBE: Art Kibbe. That -- I  
22 agree with you, that's exactly my point. And

1 we've seen drugs where their window of  
2 absorption is higher up in the GI tract and  
3 their affect is locally lower in the GI tract.  
4 And depending on the formulation, if it releases  
5 sooner or later, they could change the amount of  
6 drug available during the absorption window and  
7 that would affect the load of the dose that  
8 actually got to the fluid in front of the  
9 biophase. That's what I was -- that's the  
10 second part of what I was concerned about.

11 I think that if the drug has no  
12 measurable absorption from the GI tract,  
13 dissolution is the thing that we should use  
14 and the pH profiles, dissolution or -- is  
15 enough to assure us that there is a  
16 sufficient load of drug in solution in front  
17 of the tissue it's supposed to affect. And  
18 then we're done in terms of the dosage form  
19 delivering the therapy.

20 DR. WEBBER: Just to clarify, are you  
21 comfortable with a zero tolerance on -- this is  
22 Keith Webber, yeah -- a zero tolerance on

1 absorption for making that decision? I mean,  
2 like, zero absorption?

3 DR. KIBBE: I'm comfortable with  
4 measurable.

5 DR. BUEHLER: Well, and especially if  
6 you're not concerned about the toxicity of the  
7 active ingredient. If -- you know, you have  
8 other data in hand that show you that very  
9 little amounts of this drug won't hurt you.

10 DR. KIBBE: Sure.

11 DR. MORRIS: Okay, any other comments  
12 before I try to corral this? So -- yeah.

13 If not, it seemed to me that our  
14 consensus, again, is very consistent with  
15 what we discussed before. But that if the  
16 compound has absorption -- significant  
17 absorption and that level can be something  
18 that we'll leave to further discussion, but  
19 obviously considerations of toxicity would  
20 certainly enter into it. Then, from the  
21 standpoint of presenting the material to  
22 the -- the same amount of material to the

1 site of action in the GI tract would dictate  
2 that PK study would be advisable.

3 If there's not measurable  
4 absorption, however, there's no real logic  
5 that would teach us to do a PK study. With  
6 the exception of the -- of a change in  
7 formulation that would include something that  
8 was known or suspected to be an absorption  
9 enhancer.

10 And I think that's really all I had  
11 in terms of the general consensus. Did I  
12 miss anything? Anybody would like to add to  
13 that? Of course, we like to model.

14 Carol, yeah.

15 DR. GLOFF: Yeah, you didn't miss  
16 anything from my point of view, but I'd like to  
17 add one thing looking forward.

18 DR. MORRIS: Sure.

19 DR. GLOFF: I think we're in the right  
20 place right now with the information that we  
21 have available. I think as additional  
22 information on biorelevant, dissolution media,

1 et cetera and additional data become available,  
2 we may be able to move more toward more  
3 circumstances where we would just need the  
4 dissolution data.

5 But for right now, I second, third,  
6 fourth, whatever the question about, if it's  
7 measurable, should -- in the bloodstream --  
8 should we also be looking for if the  
9 concentration in the bloodstream is changing.  
10 Not only from a safety perspective but also,  
11 although it's more theoretical in my mind,  
12 from an efficacy perspective as well. For  
13 the local concentrations.

14 DR. MORRIS: Yeah. No, actually  
15 that's a really good point. Obviously, the  
16 whole -- we're talking about biorelevant  
17 dissolution media and panels as if we can go  
18 order them from -- you know, someplace and we  
19 can't right now.

20 So but with that, is that it? Do I  
21 have to read something? Ah, yes. Oh, the  
22 next item on the agenda is lunch. So we will

1 now break for lunch. We will reconvene  
2 again, in this room, in one hour from now at  
3 1:43 p.m.

4 Please take any personal belongings  
5 you may want with you at this time. The room  
6 will be secured by FDA staff during the lunch  
7 break. I don't think they're armed. You  
8 will not be allowed back into the room until  
9 we reconvene.

10 So thank you.

11 (Whereupon, at approximately  
12 12:31 p.m., a luncheon recess was  
13 taken.)

14

15

16

17

18

19

20

21

22





1 discussed at today's meeting, there are often  
2 a variety of opinions, some of which are  
3 quite strongly held. Our goal in today's  
4 meeting will be a fair and open forum for  
5 discussion of these issues, and that  
6 individuals can express their views without  
7 interruption.

8           Thus, as a gentle reminder,  
9 individuals will be allowed to speak into the  
10 record only if recognized by the Chair. We  
11 look forward to a productive meeting. In the  
12 spirit of the Federal Advisory Committee Act  
13 and the Government in the Sunshine Act, we  
14 ask that the Advisory Committee members take  
15 care that their conversations about the topic  
16 at hand take place in the open forum of the  
17 meeting.

18           We're aware that members of the  
19 media are anxious to speak with the FDA about  
20 these proceedings. However, FDA will refrain  
21 from discussing the details of this meeting  
22 with the media until its conclusion. Also,

1 the committee is reminded to please refrain  
2 from discussing the meeting topics during  
3 breaks or lunch. Thank you.

4 And with that, if Dr. Dorinsky can  
5 begin?

6 DR. DORINSKY: Thank you very much.

7 I'll go ahead and move to the  
8 regular way -- there we go. Thank you very  
9 much. I'll start this again.

10 Just by way of brief introductory  
11 comments. Inhaled corticosteroid containing  
12 products are quite voluminous: An estimated  
13 \$31 million prescriptions per year,  
14 accounting for approximately \$7 billion  
15 annually. And therefore, we agree and think  
16 it's very important that guidelines be  
17 established for generic drugs that are  
18 clinically and scientifically robust, but  
19 also achievable in the clinic.

20 Just briefly, I'm going to spend a  
21 moment or two just setting the stage as far  
22 as background, that I'm going to briefly

1 overview. Some of the dose response data  
2 that is available in the literature from  
3 inhaled corticosteroid use. The implications  
4 that that flat dose response actually has for  
5 evaluating inhaled corticosteroid  
6 bioequivalents, and then suggest an alternate  
7 proposal for evaluating bioequivalents of  
8 steroids.

9 In general, the approach has been  
10 based on a test dose of drug -- that two  
11 different dose levels -- with the reference  
12 dose of the same drug, by comparison. With  
13 the attempt being to establish dose response  
14 using, in general, Finney bioassay. It's  
15 important to recognize -- and we recognize  
16 that this is -- the study's done in this way.  
17 You have internal study validity and that the  
18 dose response itself establishes assay  
19 sensitivity, and also, unequivocally  
20 establishes the dose relationship between the  
21 test and the reference drug.

22 However, it's important to

1 recognize that most of the steroids available  
2 on the market have had dose ranging studies  
3 in which they've generally been conducted in  
4 distinct populations with a small range of  
5 steroids, rather than single population  
6 receiving the entire range of steroids. So  
7 it is dose-response, but in a somewhat  
8 limited way. And it has also been shown that  
9 even the lowest dose of inhaled  
10 corticosteroids have very significant  
11 efficacy that's near the maximal effect of  
12 the drug.

13           This was first pointed out, or well  
14 pointed out, in a study by Szeffler, and all  
15 of the mice study in which Beclamethasone (?)  
16 and Fluticasone were evaluated at a variety  
17 of doses that you see. And one of the things  
18 that was established in that was that the  
19 near maximal efficacy with a variety of  
20 endpoints, including FEV1 and PC20, occurred  
21 at very low doses. And that nearly  
22 80 percent of the effect occurred at the

1 lowest dose.

2           The highest dose did not  
3 significantly increase the efficacy for these  
4 and other parameters across the range of  
5 doses that were studied. And the dose  
6 response seen in these studies was extremely  
7 shallow.

8           There have been numerous studies  
9 done, and I'm not going to get through all of  
10 them and only show this to point out a few  
11 things, looking at various steroids, various  
12 sample sizes ranging from 6 to 10, to as many  
13 as 250 patients per arm. (inaudible) cross a  
14 variety of endpoints from A&P challenge to CL  
15 nitric oxide, FEV1, allergen challenge, late  
16 phase response, and oral corticosteroid.

17           And one of the themes that emerged  
18 from most of these studies, when looking at  
19 actual dose responses in these studies,  
20 several of which were crossover studies, was  
21 that the dose response, when it was  
22 established, was actually very small in terms

1 of actual clinical differences between the  
2 dose. Again, establishing the dose response  
3 was quite flat.

4 And for many endpoints, that are  
5 listed over here, for example, Sputum Eo  
6 measurements of lung function. FEV1 in this  
7 study, Allergen Challenge, and oral steroids  
8 sparing. There was no dose response noted at  
9 all, in the course of these studies.

10 There have been several studies  
11 that have been done where a dose response was  
12 observed. Probably the one that was most  
13 prominently noted was the study by Busse, et  
14 al, in a group of patients evaluating CFC and  
15 HFA BDP at cross doses from 100 to 800  
16 micrograms per day. And this did in fact  
17 establish a dose potency ratio between the  
18 lowest and the highest dose.

19 It was significant only between the  
20 lowest dose and the highest dose, an  
21 eight-fold difference. And when looking at  
22 end doses in between the highest and lowest

1 dose, for adjacent doses, I guess, the actual  
2 differences were small.

3 Romain Pauwels, a number of years  
4 ago, did a year-long exacerbation study in  
5 patients with asthma, comparing 200 and 800  
6 micrograms a day of Budesimide (?) with  
7 Formoterol. And the primary outcome of that  
8 study was exacerbations. They did establish  
9 that there was a significant dose response  
10 between the highest and lowest dose of  
11 steroid, with or without the addition of beta  
12 antagonist Formoterol in the study.

13 But, again, it was a very large  
14 study -- approximately 1000 patients -- and  
15 was a year-long study looking at exacerbation  
16 rate. And there was no dose response  
17 established for FEV1, symptoms of Albuterol  
18 use.

19 Eric Bateman et al. a number of  
20 years ago, published the results of the gold  
21 study, which was, again, a very large study  
22 with a fairly complex design over a period of



1 a year in which patients were escalated to  
2 sequential doses of either Fluticasone alone  
3 or Fluticasone plus Salmeterol. After a  
4 period of 12 weeks, and over the last 8 weeks  
5 or each treatment period, asthma control  
6 algorithm based on peak flow symptoms,  
7 nighttime awakenings, and rescue Albuterol  
8 use was evaluated.

9           There were improvements in both  
10 treatment groups with or without Salmeterol,  
11 being greater in the Salmeterol plus  
12 Fluticasone, group. However, all the dose  
13 response was observed, between sequential  
14 doses, was relatively small or was small,  
15 especially at the top end of the dose range.  
16 And there were a significant number of  
17 patients that remain on control at the end of  
18 the study, indicating that regardless of what  
19 was done, there was going to be no additional  
20 response to treatment.

21           So to just briefly summarize this  
22 point, although some of the studies were able

1 to discriminate between ICS dose levels, no  
2 design, and in particular no endpoint, has  
3 been able to reproducibly be used for  
4 establishing ICS dose response. And even  
5 when those studies that did evaluate -- were  
6 able to demonstrate a dose response,  
7 oftentimes the magnitude of response was  
8 quite small and the differences, though  
9 statistically significant, were clinically  
10 quite small.

11 Now, just to turn it for the last  
12 couple of minutes, there is an implication in  
13 terms of assessing bioequivalence, using the  
14 Finney bioassay, for example, based solely on  
15 the fact that the slope of dose response is  
16 shallow. This is just a hypothetical drawing  
17 showing two different levels of dose  
18 response. One that's approximately .45 and  
19 one that's substantially less than that. And  
20 these are the 90 percent confidence intervals  
21 around that. As the dose response flattens  
22 by just pure mathematics, the slope of the

1 dose response will be associated with a  
2 larger confidence interval.

3           Given the fact that the confidence  
4 interval that's generally accepted for  
5 bioequivalence is .08 to 1.25. It has very  
6 significant implications for powering of  
7 studies, which has shown, again,  
8 hypothetically, on this slide. This is a  
9 series of power calculations where sample  
10 size is on the X-axis, power on the Y. Based  
11 on the established dose response slope -- and  
12 this could be for whatever endpoint is used.  
13 And what is hopefully clear from this is that  
14 this is a dose response slope of .06, and  
15 this is a dose response slope of 1.0.

16           Even at the highest dose response  
17 level, in order to get 80 percent power in a  
18 confidence interval of .08 to 1.25, assuming  
19 a constant level of intrasubject variability  
20 a sample size of approximately 175 patients  
21 is needed. With a dose response of .06,  
22 again same intrasubject variability

1 assumptions, in order to achieve a confidence  
2 range of .08 to 1.25, approximately 500  
3 patients are needed.

4 To put this a bit more into  
5 perspective, dose response slope, for  
6 example, in the mice study for BDP, and for  
7 FPs in Methacholine response, was quite a bit  
8 less than this. Values of .18, and .07,  
9 respectively.

10 So because of this and because the  
11 dose response is flat. Because it would be  
12 extremely difficult to do studies and achieve  
13 the very tight confidence intervals, we  
14 propose a somewhat different way or an  
15 alternate way of evaluating bioequivalence.  
16 Trying to retain two key features, namely the  
17 ability to have assay sensitivity in the  
18 study itself and to definitively establish  
19 the relationship between a test and reference  
20 drug, while providing an adequate assessment  
21 of safety and efficacy in the relevant  
22 patient populations.

1           So specifically, once the in vitro  
2     characteristics have been established for  
3     505(j) products, we propose that this be  
4     composed of three parts: Clinical  
5     pharmacology study, crossover study in  
6     healthy volunteers of patients for each dose  
7     in order to establish an equivalence for AUC  
8     and Cmax, with this traditional 90 percent  
9     confidence interval limits of .08 to 1.25.

10           Instead of relative dose response  
11    efficacy, we would propose that randomized  
12    parallel group studies of 12 weeks or longer  
13    be proposed. One study for strength, with  
14    the inclusion of either a placebo comparator,  
15    or an active comparator to establish assay  
16    sensitivity within the study. And then, in  
17    order to establish the relationship between  
18    the test and reference ICS, to have it  
19    powered for non-inferiority with appropriate  
20    assessment of safety and adverse events.

21           And I know I've run out of time, so  
22    I think with that, I'll stop.

1 DR. MORRIS: Thank you. And, Ken, are  
2 there any questions? Clarifications, for our  
3 speaker?

4 I have just one brief one -- Ken  
5 Morris. I couldn't quite tell from the  
6 table, there were a lot of data there. Does  
7 the -- is FEV the only endpoint that was  
8 used, or, I mean, was spirometry used in any  
9 of that stuff?

10 DR. DORINSKY: FEV1 was used -- well,  
11 some of them are derived from FEV1, like  
12 late-phase response, which was FEV1 based  
13 measure. A&P challenge with doubling doses was  
14 used for the -- not to call it an A&P  
15 challenge -- and in several of the others,  
16 exacerbation rate and this complex definition of  
17 asthma control. Some of which, of course,  
18 include -- not the exacerbation, but some of  
19 which, of course, include measures of lung  
20 function, as well. ENO was also evaluated in a  
21 variety of (inaudible).

22 DR. MORRIS: Thank you. Any other

1 questions? If not, thank you very much.

2           Where's my script? So that  
3 concludes the open public hearing, and both  
4 the Food and Drug Administration and the  
5 public believe in a transparent process for  
6 information gathering and decision-making.

7 To ensure such transparency at the open  
8 public hearing session, the Advisory  
9 Committee FDA believes that it is important  
10 to understand the context and this is -- I  
11 read the wrong one at the beginning. I read  
12 the wrong one before, so we have to start the  
13 meeting over.

14           So Lead in Pharmaceuticals, I  
15 think, is the -- yeah, at the conclusion. So  
16 the open public hearing portion of this  
17 meeting is now concluded and we will no  
18 longer take comments from the audience. The  
19 committee will now return its attention to  
20 address the task at hand, the careful  
21 consideration of the data before the  
22 committee, as well as the public comments.

1 Thank you.

2           So with that we move on to the  
3 second topic of the day. This is Drug  
4 Classification of Orally Disintegrating  
5 Tablets, or ODTs. And we are going to start  
6 with Frank Holcombe's presentation. He's the  
7 associate director for chemistry of OGD. And  
8 with that, I'll turn it over to you, Frank.

9           DR. HOLCOMBE: Okay, thank you. Thank  
10 you all for coming here to listen to this and to  
11 potentially help us in trying to decide how to  
12 provide guidance for this particular class of  
13 products.

14           The issue here is that we've had  
15 orally disintegrating tablets as a distinct  
16 dosage form for approximately 12 or 13 years  
17 now. And the dosage form includes an  
18 expected functionality, but the definition is  
19 fairly general, so there are questions that  
20 remain about the extent and the scope of  
21 products falling into the dosage form.

22           The development of guidance is



1 important for characterization and  
2 evaluation. In evaluation because the review  
3 staff has to decide whether or not it's the  
4 right dosage form and whether or not it meets  
5 the -- what we expect from that dosage form.  
6 However, because of the general nature of the  
7 definition -- because of some level of  
8 disagreement about its particular  
9 characteristics, we've had difficulty in  
10 coming up with what we considered to be an  
11 appropriate guidance -- or appropriate  
12 guidance, both for industry and for  
13 ourselves.

14           A little bit of history. Well,  
15 more than a little bit of history because  
16 there's several people on this current  
17 committee who were not on the committee when  
18 this issue was addressed some years ago in  
19 the context of dosage form nomenclature. But  
20 the initial products that were submitted as  
21 NDAs were produced by lyophilization. They  
22 were actually formed in the packaging, which

1 was a blister cavity.

2           They were cake-like, meaning they  
3 were porous. They had a glassy state. They  
4 were quite fragile. In fact, some of the  
5 initial products could not withstand being  
6 picked up from the sides, by the fingers,  
7 because they would either hydrate or  
8 collapse.

9           They were low weight because, after  
10 all, we're evaporating stuff from a blister  
11 cavity in a package. And they were actually  
12 designed to dissolve or disintegrate on  
13 contact with saliva.

14           They were intended as a treatment  
15 advantage. I think that was clear; they were  
16 a treatment advantage for target populations.  
17 This target population included people who  
18 had trouble swallowing, and these could be  
19 for a number of reasons. Pediatric  
20 populations, because they just didn't want to  
21 do it. Geriatric populations because they  
22 had trouble swallowing or because they had,

1 on compliance issues, general compliance  
2 issues of people who just didn't want to  
3 swallow it, or had some physical problems  
4 with swallowing a tablet. And, also, for  
5 convenience.

6           The definition says that you can  
7 just put them in your mouth and they go away,  
8 essentially. And so, this basically means an  
9 anytime, anyplace kind of administration  
10 availability.

11           They were considered a new and  
12 distinct dosage form, which is why they were  
13 called orally disintegrating tablet. Because  
14 the administration -- I'll start at the  
15 bottom here. Administration and use was  
16 distinct from previous products. The  
17 physical form was a tablet, even though it  
18 was more like a wafer than a tablet. It was  
19 considered to be a tablet -- and because the  
20 manufacturing technology to produce this  
21 product was somewhat different from the other  
22 kinds of tablets that were available at the

1 time.

2           The definition that you read here,  
3 it says: Solid dosage form containing  
4 medicinal substances which disintegrates  
5 usually -- usually -- within a matter of  
6 seconds when placed upon the tongue. Rapidly  
7 is another word in there which is a little  
8 bit big, but I think everybody knows what we  
9 mean by rapidly. That means pretty fast.

10           To show that this wasn't some kind  
11 of an arbitrary thing, there are a lot of  
12 other tablet forms that are based on the  
13 method of use. And some of them are listed  
14 here. Altogether, there are about 20-some  
15 different tablets in our data standards  
16 manual. Some based on the method of use,  
17 some based on the method of administration,  
18 and some based on formulation.

19           Well, that would have been fine  
20 had, you know, the world not marched on. And  
21 I've titled this set, Technology Development.  
22 Really, what it means is that people found

1 other ways to make this kind of a product.  
2 And, primarily, they moved into direct  
3 compression technology, for a number of  
4 reasons. It's a much simpler process than  
5 lyophilization. It's a much shorter process  
6 than lyophilization, generally. It's way  
7 less expensive than lyophilization, and it  
8 avoids patented or licensing issues in the  
9 use of the technology itself. It's also,  
10 pretty much -- lyophilization is pretty much,  
11 you set up your plant or you hire somebody to  
12 do it for you because you don't want to  
13 devote your plant to simple lyophilization,  
14 when there aren't that many products.

15           The move to direct compression  
16 allowed some things. It allowed common  
17 tablet excipients, which everybody had in  
18 their warehouse. It led to a larger tablet  
19 than a lyophilized product, but it also led  
20 to a more robust product, which means you  
21 could touch it. If you were careful about  
22 your packaging, you could package it in a

1 bottle instead of a blister pack. You could  
2 do a number of things physically with it,  
3 which you could not do with the original  
4 products.

5           Because you no longer had this  
6 tiny, little, fragile wafer, you had to do  
7 some things with your formulation. But the  
8 disintegration was aided in the newer  
9 technologies by the use of soluble binders,  
10 the use of effervescence, which are like Alka  
11 Seltzer, basically, and  
12 superdisintegrants (?), which absorbed a  
13 little bit of water -- a little bit of  
14 saliva, a little bit of moisture -- expanded  
15 tremendously and, basically, broke the tablet  
16 up through physical forces.

17           I'd like to say that, because of  
18 the use of these aids, you could pretty much  
19 control the disintegration time that you were  
20 after. You run into some challenges, though,  
21 because not everybody controlled the  
22 disintegration time through the use of these

1 technologies. And orally disintegrating  
2 tablet, in our minds, should represent a  
3 dosage form that's easily and readily  
4 distinguishable from other tablets. And, you  
5 go back to the definition, part of that is  
6 that it disintegrates rapidly, usually in  
7 seconds.

8           Also under challenges here is the  
9 trend to compress tablets led to larger  
10 tablets because, if you need to put in  
11 additional binders, if you need to put in  
12 disintegrants, if you need to put in sodium  
13 or potassium carbonate, you need a bigger  
14 tablet. You need to compress it. You often  
15 lead to longer disintegration times which, in  
16 itself, can lead to potential compliance  
17 issues, particularly with the people who have  
18 trouble swallowing in the first place and  
19 people who have either mental or physical  
20 reasons for not wanting to swallow a tablet.

21           This growth in tablet size and  
22 disintegration and technology leads us to a

1 question, which is: When is a tablet no  
2 longer an ODT?

3           This is an important issue in  
4 product labeling, but it's a critical issue  
5 for 505(j) products, what we commonly call a  
6 generic or an abbreviated application,  
7 because one of the requirements, as you saw  
8 on a couple of presentations this  
9 morning -- Lawrence's, specifically, I  
10 believe -- is that there's requirement that  
11 the product be the same dosage form as the  
12 reference listed drug.

13           You can't really tell whether or  
14 not it's the same dosage form. Then there's  
15 the question about whether or not it ought to  
16 be a NDA, a generic product. So in an effort  
17 to put together guidance for both the  
18 industry and ourselves, we've been through  
19 any number of drafts, any number of versions.  
20 Early considerations included things like  
21 disintegration times up to 60 seconds, which  
22 probably doesn't fit "rapidly," in a matter



1 of a few seconds. But applications that had  
2 come through or we were looking at, with the  
3 newer technologies, we were looking at 25,  
4 30, 45, 50 second disintegration times. And  
5 so we felt early on that perhaps we shouldn't  
6 go below that level.

7           And some versions of our early  
8 guidance also included labeling descriptions  
9 of the product characteristics and  
10 instruction for characterization against  
11 preference listed drug, or against the  
12 definition.

13           I say these are early  
14 considerations, they went away as we worked  
15 through some of the issues and we wound up  
16 with the current draft guidance, the one that  
17 was provided as background, which basically  
18 has two things in it. It has a general  
19 discussion of the intention of the dosage  
20 form, which says it should disintegrate or  
21 dissolve rapidly in the saliva without  
22 additional liquids. And then there's the

1 general discussion of expectations for dosage  
2 form. And that includes general product  
3 development considerations. It includes a  
4 recommendation for in vitro disintegration  
5 time of no more than 30 seconds. It  
6 recommends the use of USP<701> disintegration  
7 test method as the approved or acceptable  
8 method. There are some other things like, as  
9 you heard from USP yesterday.

10 Methods that are equivalent or  
11 better can be used but we didn't know about  
12 any of those. Because this was important to  
13 us to have a standardized method because one  
14 of the things we discovered early on was that  
15 the disintegration time was both formulation  
16 dependent and method dependent.

17 You had a dynamic method, such as  
18 the USP method, which basically takes the  
19 tablet and does this to it in water. Then,  
20 some formulations that erode, disintegrate  
21 faster that way than if you just put them in  
22 a test tube. Or if you put them on an

1 apparatus that is more static than the USP  
2 method.

3 Applications had submitted static  
4 methods, they had submitted USP method, they  
5 had submitted variations of the USP method,  
6 and ranges of dynamic methods. And we  
7 discovered that when we ran these same  
8 products in our laboratory, using different  
9 methods, we got different numbers, as you  
10 might expect.

11 We also suggested a tablet weight  
12 limitation of 500 milligrams, not as a limit,  
13 but as a consideration because the bigger the  
14 tablet, unless other things are done to the  
15 tablet, the longer it takes to disintegrate.  
16 You can control most of this stuff by  
17 formulation, but if you got a gram and a half  
18 tablet, it takes a lot of stuff to blow it  
19 apart in a few seconds just with the saliva  
20 that's in your mouth.

21 Back to the USP method for just a  
22 second. The other thing that we were

1 concerned about was the use of proprietary  
2 methods. USP method is a public standard.  
3 It is available for anybody who has the USP  
4 and it also is something that people are used  
5 to using. All of the other methods that we  
6 saw were either patented methods or were  
7 dosage -- actually, product-specific methods  
8 that were developed for a particular NDA, or  
9 ANDA. And we are not -- we could not  
10 recommend those methods openly.

11 Well, we could not recommend them  
12 at all openly, or covertly, to other  
13 companies to use.

14 So we put out the document as a  
15 draft. And we got comments that are about  
16 24 -- the document, it's on our website and  
17 was distributed for background, dated April  
18 2007. And we got back approximately 24  
19 comments on this, which really isn't all that  
20 many. And some of them were duplicates,  
21 which always happens when you seek public  
22 comments because companies submit comments to

1 their trade associations, who then submit  
2 them to the docket, and the companies submit  
3 their own comments to the docket. And  
4 sometimes it's a little difficult to know  
5 except for the fact that the wording is  
6 identical whether or not these are the same  
7 comments.

8           But at any rate, the comments that  
9 we received back covered the three basic  
10 issues that we had addressed, not counting  
11 the product development considerations aside.  
12 One was a tablet weight, and comments said,  
13 several ODC products are already larger than  
14 500 milligrams. There are also a few  
15 applications that have been approved that are  
16 above 500 milligrams.

17           Comment was made that this  
18 limitation would restrict use for high dose  
19 drugs. The general example was oral  
20 antibiotics that was because 250 to 500  
21 milligrams is often a dosage form that  
22 used -- a dosage level that's used. By the

1 time you've built a tablet around that, that  
2 would disintegrate in your mouth, you're up  
3 in the 700, 800, 1000 milligram range for the  
4 tablet. With nothing you can do about  
5 that -- it's just what it takes.

6 Several of the comments emphasized  
7 that all of the problems that we were  
8 anticipating could be resolved by proper  
9 formulation work in the product development.

10 The second area that was commented  
11 on was the disintegration time. And this is  
12 sort of a combination of all of them. And  
13 I've just said it should not be 60 seconds.  
14 Some people said it should be higher, some  
15 people said it should be lower. Some people  
16 said, we don't care. And that, anyway,  
17 that's not the point. Several people said  
18 USP<701>, the disintegration method that's in  
19 the pharmacopeia was not an appropriate  
20 method. And that ranges -- and the rationale  
21 for that ranges from the fact that the USP is  
22 the dynamic method of putting something on

1 your tongue. It is not, particularly, a  
2 dynamic mechanism unless you then chew on it  
3 or roll it around in your mouth for a while.  
4 And that's not what the instructions say.

5 Others said that the USP method was  
6 actually designed to let you tell whether  
7 something was disintegrating in a few minutes  
8 or several minutes, not in a few seconds or  
9 several seconds. And so it just wasn't an  
10 appropriate mechanical design for that kind  
11 of a measurement.

12 And the other comments on  
13 disintegration time involved the fact that  
14 there are no good in vivo/in vitro  
15 correlations for the disintegration time.  
16 There are some correlations. They're not  
17 general, they're product specific. They are  
18 formulation specific and they work really  
19 well when you have very low weight. Highly  
20 soluble from tablets, but as you start  
21 getting larger tablets, higher doses, the in  
22 vitro/in vivo correlations fall apart in many

1 case, assuming you're using the same  
2 disintegration method.

3           The fourth comment about  
4 disintegration time is that in vitro criteria  
5 are not relevant to successful use of this  
6 product. And while this, I think, is  
7 probably pertinent, it doesn't keep us from  
8 measuring in vitro characteristics for most  
9 other products. Probably every other product  
10 and most of those have not a lot to do with  
11 successful use of the product except,  
12 perhaps, the assay.

13           There were several comments on in  
14 vivo evaluation, which said it should be  
15 required. Including a century evaluation and  
16 palatability study. And I think many of the  
17 NDAs do actually address this kind of thing  
18 because they looking for focus -- for panels  
19 to decide whether people are going to like  
20 their product or not.

21           There were also other comments that  
22 said, in vivo evaluation before palatability



1 has nothing to do with whether the product  
2 should be approved for medical use. So  
3 basically, we had comments that said, we like  
4 your guidance. We think it's a good idea to  
5 have a guidance, but the things that you are  
6 talking about are wrong. And so we're back  
7 here, looking for some help in trying to  
8 decide where we want to go with this kind of  
9 a product.

10           Let's see here, that goes to the  
11 question, so really the issues that we have  
12 are that we believe there ought to be some  
13 guidance that identifies this product. We  
14 ought to be able to write some guidance that  
15 identifies this product. But there's a lot  
16 of discussion and non-agreement on whether  
17 those criteria ought to be specific criteria  
18 or whether they ought to be general criteria.  
19 We evaluate everything when it comes in the  
20 door, which, really, isn't guidance to  
21 anybody.

22           And how can move from where we are

1 now with a few particular recommendations and  
2 some general discussion about how to go about  
3 developing and building the products, which  
4 we called orally disintegrating tablets.

5 From the draft guidance that we have to  
6 that -- to some verification of that guidance  
7 or, perhaps, some other type of guidance.

8 Internally, we have discussed that  
9 a guidance for this type of product, it  
10 doesn't set some specific criteria is not  
11 really a guidance really for the industry or  
12 our own staff. And would be better addressed  
13 with general papers on product development in  
14 the literature.

15 And so we're here to ask, you know,  
16 for comments and opinion and guidance on how  
17 to build a guidance for this kind of a  
18 product. Thank you.

19 DR. MORRIS: Thanks, Frank. Are there  
20 any clarification question for Frank? So I'll  
21 start with Harriet, and go to Marv, and then  
22 back to me.

1 DR. NEMBHARD: Harriet Nembhard.  
2 Thank you for this background. I just have one  
3 further background question. Without being  
4 specific about names of drugs, in general, are  
5 there drug products that are of orally  
6 disintegrated tablet form that don't have a  
7 different tablet form, or alternate form. That  
8 is, is only comes in the OPT formulation? Is my  
9 question clear?

10 DR. HOLCOMBE: There may be. For a  
11 couple of years, there were, because of  
12 exclusivity issues, that you could not have a  
13 generic product because the NDA had some  
14 marketing protection. To my knowledge right  
15 now, I don't believe there is an NDA product  
16 that doesn't have a non-lyophilized -- that  
17 isn't either an non-lyophilized product or  
18 doesn't have an ANDA that is a compressed  
19 tablet. So the answer to the question is, most  
20 of them are --

21 DR. MORRIS: Can I interrupt for a  
22 second? I think I heard you weren't

1 distinguishing whether it was generic or  
2 innovative. You're just saying, was there an  
3 ODT that wasn't in a conventional tablet or  
4 other formulation, whether it's a generic or  
5 not.

6 DR. HOLCOMBE: Early ODTs were all  
7 wafers. They were all lyophilized. Subsequent  
8 ones have been compressed. That's not the  
9 question?

10 DR. MORRIS: No, actually, Gary, you  
11 may want to step in here.

12 DR. BUEHLER: I think we're not sure.  
13 I mean, normally the ODT comes after the  
14 normal -- the regular compressed tablet or  
15 capsule is approved. We're not sure -- I mean,  
16 I'm not sure if there could be some dosage form  
17 developed initially as an ODT, but I have to say  
18 we've not had that question before, and so I'm  
19 not really sure.

20 DR. HOLCOMBE: I don't know of any  
21 that were initially an ODT that were application  
22 based.

1 DR. MORRIS: Pat, do you want to  
2 comment?

3 DR. TWAY: Yeah, I can only speak from  
4 my own experience, where we have several ODTs  
5 and they were always, initially, standard  
6 tablets. So the first registration was the  
7 standard tablet, and then the ODT came in as a  
8 second generation or something more convenient  
9 for the patient or so that both existed.

10 DR. NEMBHARD: Okay, it just strikes  
11 me that it might -- it might matter in the  
12 wording of the guidance if there was already a  
13 non ODT form or not. It just depends on how you  
14 want to write it. It just occurs to me as a  
15 starting point, it might matter whether the form  
16 already existed without ODT or not.

17 DR. HOLCOMBE: In the context of  
18 changes from the original product?

19 DR. NEMBHARD: Exactly, depending on  
20 how you may want to make the definition to  
21 distinguish the ODT form from the previous form.

22 DR. MORRIS: And yeah, Marv?

1 DR. MEYER: A couple of questions.

2 If, when these ODTs disintegrate, do they also  
3 subsequently dissolve in the mouth? Or some do  
4 and some don't? Do they swallow?

5 DR. HOLCOMBE: Some do, and some  
6 don't.

7 DR. MEYER: So the particles are  
8 swallowed?

9 DR. HOLCOMBE: The particles are  
10 washed down. Whether they're actually -- when  
11 they're swallowed, either voluntarily or  
12 involuntarily, they're swallowed by saliva  
13 buildup in the mouth.

14 DR. MEYER: So if I took a Bayer  
15 aspirin tablet and put it on my tongue and just  
16 let it sit there, it would ultimately  
17 disintegrate, probably.

18 DR. HOLCOMBE: It would -- a Bayer  
19 tablet would disintegrate. In fact, that's how  
20 I take them.

21 DR. MEYER: But that's not an --

22 DR. HOLCOMBE: But it's not a ODT,

1 right.

2 (Laughter)

3 DR. MEYER: Which brings me to maybe a  
4 revolutionary idea. Do we really need that  
5 classification? It's a tablet. Some tablets  
6 can act like it. Some ODTs can act like a  
7 tablet, in that they have to be swallowed and  
8 then start to further disintegrate and dissolve.  
9 I don't see that we need the category.

10 DR. HOLCOMBE: We have talked about  
11 that. I don't think we have come to any  
12 conclusion about whether we can get rid of the  
13 form, or not. Now that we have it -- now that  
14 we have products in the market, I'm not sure  
15 that we can get rid of the form.

16 DR. MORRIS: So can I -- my question,  
17 actually -- and then, Pat, I'll come back to  
18 you -- but was actually a follow-up in part to  
19 what Marv's saying. Are there any ODTs that are  
20 intended to be absorbed bucklely? I mean, are  
21 there differences in routes of administration  
22 that are -- or do we not know, I guess is the

1 question?

2 DR. HOLCOMBE: The bioequivalence  
3 requirement for ODTs is that they are  
4 systemically absorbed through the GI tract, not  
5 through the oral cavity.

6 DR. MORRIS: Right, so --

7 DR. HOLCOMBE: So the answer to your  
8 question is it will for the IR products, falling  
9 back for the products that -- they should not be  
10 buckle absorbed.

11 DR. MORRIS: Which then, I guess,  
12 brings us back to -- actually, do you --

13 DR. WEBBER: I'm just going to say, I  
14 recall seeing dextromethorphan orally  
15 disintegrating tablet. I don't recall  
16 specifics, but I know that that product is  
17 generally locally absorbed.

18 DR. MORRIS: Pat, did you want to?

19 DR. TWAY: From an industry  
20 perspective and, at least we thing for the  
21 patient --

22 DR. MORRIS: Could you talk a little



1 more into your microphone?

2 DR. TWAY: Oh, there is a desire to  
3 have a category of orally disintegrating tablets  
4 because it's really geared, as Frank pointed  
5 out, in many cases, to people who can't swallow  
6 a tablet, that can't take water. People who  
7 have, potentially, migraine headaches, so  
8 they're nauseous, so the last thing they want is  
9 to drink a glass of water. And so you really  
10 want to be able to put it on the tongue and have  
11 it disintegrate. And I personally agree,  
12 frankly, there should be a time in your  
13 guidance.

14 You know, quickly. Rapidly, is the  
15 term. And so it meets a medical need for  
16 certain classes of patient, not I just put it  
17 on my tongue and sit there and see if my  
18 Bayer aspirin ever dissolves. So in the  
19 labeling, it would tell you to put it on your  
20 tongue and it dissolves rapidly. And that it  
21 gets -- you know, it addresses needs of some  
22 patient classes. People that chemotherapy --

1 that have problems, that type of thing.

2 DR. MEYER: Kind of following up on  
3 that, if -- probably not as good as an  
4 (inaudible), but if I take --

5 DR. MORRIS: Please, can we make sure  
6 to state your names again?

7 DR. MEYER: Mark Meyer. Perhaps not a  
8 good analogy. If we take a sprinkle capsule, a  
9 control release capsule, that's called by the  
10 FDA a capsule or control release capsule. Now,  
11 if it's recommended you sprinkle that on apple  
12 sauce, is that a new dosage form that we say,  
13 this is an applesauce administered sprinkle? Or  
14 is it still a capsule?

15 DR. TWAY: Pat Tway. We do have  
16 sprinkles, and they're called sprinkles, for  
17 children.

18 DR. MEYER: Where are they in the  
19 orange book? Are they under "sprinkles" or --

20 DR. TWAY: I don't know the orange  
21 book. They're not -- well, ours aren't in  
22 capsules. Ours come in a sachet and they're

1 sprinkles, so it is a unique dosage form.

2 DR. MORRIS: And Keith's here.

3 DR. WEBBER: Thank you. I just wanted  
4 to clarify your comment, Marv, that, well, you  
5 were saying -- you were questioning whether we  
6 needed to have that specific dosage form. But  
7 were you questioning whether we need to have the  
8 dosage form or whether we need to have --

9 DR. MORRIS: I think classification  
10 is.

11 DR. WEBBER: Do we need the  
12 classification or do we need to have products  
13 that have those characteristics?

14 DR. MEYER: I think we need to have  
15 the products, but I think the FDA could probably  
16 regulate them by just calling them tablets. I  
17 may be wrong, I haven't given it a lot of  
18 thought, but it sounds to me as if it had many  
19 of the same characteristics as a tablet.

20 DR. MORRIS: Anne's first, then Art  
21 can go.

22 SPEAKER: Thanks.

1 DR. ROBINSON: Anne Robinson. Yeah, I  
2 think from both -- you know, one could argue  
3 about how they're classified and I think that's  
4 what we're getting to, but I think there's  
5 certainly a need for these kinds of products.  
6 And to make sure that their -- both patients and  
7 physicians understand and can identify those  
8 differences is really critical.

9 I had a separate question,  
10 actually, besides that, which was, do  
11 the -- I'm not sure what the right term is,  
12 but the -- when you're talking about  
13 compressed, this is more of a clarification  
14 question. When you're talking about  
15 compressed tablets versus Lyophilized, is  
16 that what I think of as the strips?

17 DR. HOLCOMBE: No. The compressed  
18 tablets are just your conventional -- you put  
19 powder or melt into a cavity and you stomp on it  
20 and make a hard tablet out of it.

21 DR. MORRIS: Art, I think you're next,  
22 then.

1 DR. KIBBE: Thank you. Art Kibbe to  
2 disagree with Marvin Meyer.

3 DR. MEYER: You like it.

4 DR. KIBBE: I love it. First, I think  
5 if the industry is going to promote a product,  
6 even though it is a tablet. Everybody looks at  
7 it and says it's a tablet and they call it a  
8 special kind of tablet, then the public ought to  
9 have somebody help define what that term means.  
10 And I think you're it. You know, tag, you're  
11 it. The FDA does those kinds of things. So  
12 that the companies won't be making claims  
13 diverse -- over a wide range and the public not  
14 understand what those claims mean.

15 It's just like the term that we  
16 throw around all the time, lite. You know,  
17 lite beer, lite this, like that. And it has  
18 no real good definition. So what I think we  
19 need to do is establish when a company can  
20 legitimately claim that they have made a  
21 tablet which could conveniently be used by a  
22 patient, disintegrate rapidly on their tongue

1 so that they don't have to take it with a  
2 glass of water, or whatever.

3 I would recommend an old fashioned  
4 test for rapid disintegration, where you take  
5 the tablet, drop it into the top of a 100 mil  
6 cylindrical (?) graduate and it disintegrates  
7 before it hits the bottom.

8 DR. MORRIS: Well, that's a good point  
9 and I think -- let's try to make sure we get  
10 clarifications from Frank before we go into the  
11 discussion. So with that, the next -- who's  
12 next?

13 Oh, was Carol? Carol, you are  
14 next.

15 DR. GLOFF: I guess -- I didn't have a  
16 clarification question. I actually was going to  
17 side with Art, rather than Morris. So I'll hold  
18 that until later.

19 DR. MORRIS: So I think we have -- oh,  
20 you were going to -- okay, yeah. Go ahead.

21 DR. KOCH: I guess it's -- now enough  
22 clarification but on --

1 DR. MORRIS: Mel Koch, right.?

2 DR. KOCH: Mel Koch. On slide seven,  
3 where you list the classification of orally  
4 disintegrating tablets, and on the list you have  
5 the orally disintegrating delayed release which  
6 is a bit confusing, if it's rapid before it hits  
7 the bottom of the cylinder.

8 DR. MORRIS: Your mic's not on, Frank.

9 DR. HOLCOMBE: Usually you can hear  
10 me, so. But the -- there's no requirement that  
11 it be dissolved. It's orally disintegrating and  
12 so there -- you may have residue after the  
13 tablet has come apart. In fact, USP  
14 disintegration test even allows you to have a  
15 mass of powder, after the test is complete.

16 There's no intention in the  
17 definition, or the products, to require that  
18 everything be dissolved. In fact, most of  
19 the initial products, as you're heard this  
20 morning, what probably 40 to 60 percent of  
21 today's product -- or drugs that are being  
22 studied are insoluble or are virtually

1 insoluble.

2           So what you wind up with  
3 is -- depending on the formulation and the  
4 particular tablet product, some stuff that's  
5 dissolved and some level of residue that's  
6 left. For the early products that were five  
7 milligram micronized products. And you would  
8 never know that that was there. For some of  
9 the later ones, with Sipe (?) that were  
10 manufactured with methylcellulose, you  
11 obviously would have some kind of residue  
12 that would be swallowed.

13           DR. KOCH: Maybe a follow up on that  
14 is, if you have a product like that, then you  
15 have additional labeling with regard to alcohol.

16           DR. HOLCOMBE: We probably would, for  
17 this case now.

18           DR. MORRIS: You're pro-alcohol, I  
19 take it. Are there other clarification  
20 questions for Frank before we start? If not,  
21 thank you, Frank. I suspect you shouldn't go  
22 far, but --



1           So if we can put the questions up,  
2 I think that the consensus, in terms of  
3 whether or not we should the classification  
4 is sort of been addressed.

5           So the first question is, given the  
6 constraints that we talked about with respect  
7 to the disintegration, not necessarily  
8 dissolution. The non-buckle absorption and  
9 the tablet denotation. What properties in  
10 vivo or in vitro, do you consider critical to  
11 this dosage form? And keep in mind, for  
12 those of you who don't have the questions  
13 memorized, like me, there are several  
14 questions that are going to play into this.  
15 The next one is should physical or functional  
16 properties be a primary factor?

17           So it's the -- now we're talking  
18 about either I in vitro or in vivo properties  
19 at this point as opposed to the materials  
20 property specifically. We can get to that, I  
21 don't think there's any danger in overlapping  
22 there.

1                   So with that, let me open for  
2   discussion the question, what properties in  
3   vivo or in vitro do you consider critical to  
4   this dosage form?

5                   Oh, that was easy. None. Okay --  
6   no, no -- yes, Anne?

7                   DR. ROBINSON: I mean, I think the  
8   obvious one which Frank talked about is the  
9   dissolution time.

10                  SPEAKER: Disintegration.

11                  DR. ROBINSON: Disintegration time.  
12   Thank you.

13                  DR. TOPP: I think there's --

14                  DR. MORRIS: Let's remember to state  
15   our names.

16                  DR. TOPP: I'm sorry, Liz Topp. I  
17   think there are actually, in my opinion, there  
18   are actually two and one of them is  
19   disintegration time, of course. But the other  
20   one, I think, is size. That -- you know, I  
21   would be willing to have a rapidly  
22   disintegrating tablet the size of a golf ball if

1 I could guarantee that it would disintegrate in  
2 a short amount time in my mouth. But I'm not  
3 going to have an orally swallowable  
4 tablet -- even if I can say that -- tablet  
5 that's the size of a golf ball.

6           You know, so I want much more rapid  
7 disintegration time than I would want in a  
8 tablet intended for oral use. But I would be  
9 willing to tolerate much larger sizes or  
10 conceivably tolerate larger sizes than I  
11 would in a tablet that I needed to swallow.

12           DR. MORRIS: Okay, and you're speaking  
13 of volume, not dose, when you size?

14           DR. TOPP: Yes, right. Physical size.

15           DR. MORRIS: Not mass, but volume.

16           DR. TOPP: Right.

17           DR. MORRIS: Right, physical size.

18 And what about dose? I mean, the 500 milligram  
19 dose that was in the graph guidance I think is,  
20 in part, supposed to be getting at that but it  
21 also has the element of the solubility of the  
22 drug itself.

1 DR. TOPP: Can I jump back in again?  
2 This is Liz Topp again. I said what I said,  
3 previously, a little bit flippantly, but I thin  
4 there really is a safety issue involved here.  
5 Because, you know, there's the issue of a  
6 choking hazard. This is a patient -- we're  
7 talking about patient populations who are not  
8 able to swallow. And so I think, you know, the  
9 combination of size and disintegration time, you  
10 know, we really do need to insure a fairly rapid  
11 disintegration time or, you know, an elderly  
12 person or a child that's got this larger device  
13 in their mouth may well try to swallow it,  
14 intentionally or inadvertently, and then there  
15 really may be safety issues associated with  
16 that.

17 So I think the issues of size, of  
18 volume of the tablet, and that, combined with  
19 disintegration time, are critical.

20 DR. MORRIS: Art?

21 DR. KIBBE: Art Kibbe. Just to agree  
22 with you, one other small factor is that I think

1 we ought to look at the size of the particles it  
2 disintegrates into because if it just breaks  
3 apart in two or three big hunks --

4 DR. MORRIS: Well, if it --

5 DR. KIBBE: No, I'm serious. It's a  
6 convenience for the patient. I mean, if you  
7 really want to get down to those specifics, the  
8 critical issue is how quickly it disintegrates.  
9 Most tablets that we've made over the last 30 or  
10 40 years disintegrates into relatively small  
11 granuals. And I think the size is pretty well  
12 where they go.

13 So I don't want to get too worried  
14 about it, but if you want to put in size  
15 constrictions, that's the next step in the  
16 size constriction.

17 DR. MORRIS: And to follow up, so when  
18 you say the granual size it disintegrates into,  
19 is this for functionality or for just  
20 consistency?

21 DR. KIBBE: Just easier to swallow.

22 DR. MORRIS: Just easier to swallow.

1 And I guess the other thing I was thinking about  
2 when I was reading the background material is  
3 whether or not there isn't some sort of combined  
4 variable -- whether it's dimension-less or not,  
5 I don't know -- but combined a variable that  
6 includes the particles, the solubility and the  
7 granual size -- the resulting granual size in  
8 the sense that you could have -- or  
9 disintegration time, in the sense that you could  
10 have a golf ball if it was massively soluble in  
11 a heartbeat, whereas if the golf ball is  
12 composed of materials that are insoluble, and  
13 even if they break down into relatively small  
14 particles, it may be harder to swallow because  
15 of the mass of particles that persist would be  
16 significant.

17 Gary? I don't know who was first,  
18 Frank or Gary? Well, obviously Gary -- he's  
19 your boss, right?

20 DR. BUEHLER: Gary Buehler. I just  
21 wanted to provide a little perspective into this  
22 discussion and kind of why we're here. I mean,

1 this is -- actually, as Frank said -- has been  
2 going on for a very long time. I don't know how  
3 many years ago we brought this to the committee,  
4 about the time frame.

5           And we've kind of batted around,  
6 and poor Frank has drafted I don't know how  
7 many guidances on it. And one -- I agree  
8 with many of the comments, but I'm in the  
9 business of generics, you know, making  
10 low-cost alternatives available for people.  
11 Many of the really nice mechanisms for  
12 creating ODTs are patented and especially the  
13 one that's the wafer-type dosage form that  
14 sort of just kind of goes away, right away,  
15 and would pass, I think, Art's test of  
16 dropping it down a cylinder.

17           And so with them being patented,  
18 that leaves one company having that  
19 mechanism. And so if that should become a  
20 rigid requirement for an ODT, there would be  
21 no other ODTs for that particular dosage form  
22 or that particular product, for however long

1 the patent lasts.

2           And so the reason we're dealing  
3 with these other, maybe -- I don't know how  
4 you want to characterize them, as maybe less  
5 elegant dosage forms or the ones that take  
6 longer to dissolve and create, maybe, a  
7 little bit of a slush in your mouth when you  
8 finish, is because companies have attempted  
9 to formulate these products in a different  
10 manner and not using the patented technology  
11 that, you know, they're basically trying to  
12 design around.

13           And so our question here is, you  
14 know, these products are important to a lot  
15 of people. There are a lot of people who  
16 cannot swallow tablets. They just absolutely  
17 cannot swallow them.

18           And to make convenient dosage forms  
19 available for these people, the question is,  
20 how strict do we make this limitation on the  
21 ODT? And if we take a really hard line, that  
22 will wall out pretty much many of the other



1 products.

2           And you know, the question is, will  
3 you take a tablet and kind of create a little  
4 slush in your mouth. It maybe takes 30  
5 seconds or 45 seconds to dissolve, but it  
6 costs you a dollar instead of five dollars.

7           And so that's what we're dealing  
8 with in OGD, and that's probably why we keep  
9 bringing this topic to you folks. Because  
10 it's a difficult decision.

11           DR. MORRIS: Yeah, thanks. And  
12 just -- let me just -- if I can couch just what  
13 Gary -- I think what we're -- at least with  
14 question 1, we're just talking about what  
15 properties would be critical. Not necessarily  
16 the magnitude of the property. So -- but at any  
17 rate. I think Art and then Carol, or Carol and  
18 then Art? Who is it?

19           DR. KIBBE: I'll give it a shot. Art  
20 Kibbe. I wasn't suggesting that particular test  
21 as the be all and end all, but that particular  
22 test was a advertisement for a Bayer aspirin

1 tablet. So that was a tablet that was intended  
2 to be swallowed, and yet it could disintegrate  
3 in that time frame. So I think the issue really  
4 is safety for the patient. That is, the tablet  
5 can be reasonably large, if it disintegrates  
6 rapidly into easy to swallow, small particles,  
7 okay?

8           And I didn't say, you know, 100  
9 micron, but you can -- we'll pick it. The  
10 number that the FDA can come up with. And I  
11 think to give that designation to something  
12 that takes a minute or two to disintegrate  
13 isn't doing justice to it. So if you agree  
14 on 15 seconds, fine, but, you know, I'm not  
15 saying what that is, either. But those are  
16 the criteria.

17           DR. MORRIS: And Carol?

18           DR. GLOFF: Thanks. Carol Gloff. I  
19 think what Gary had to say was very helpful. I  
20 have been sitting here thinking, I think that  
21 the important property is disintegration.  
22 Disintegration time, it needs to be rapid. I'm

1 not personally very concerned about the volume,  
2 if you will. I recognize that a larger volume  
3 of the tablet or the wafer or whatever for some  
4 people might be more problematic than others.  
5 And then, to be perfectly honest with you,  
6 they'll -- their doctor will have the choice of  
7 not prescribing that for them, then. Or they  
8 prescribe it once and then it just doesn't work  
9 well for them.

10 But I think if it's going to be an  
11 orally disintegrating tablet, I don't want  
12 somebody -- I want a guidance that doesn't  
13 leave the person with something -- a big blob  
14 sitting there in their mouth.

15 Also I think it's perhaps beyond  
16 the scope of this question, but if their  
17 reference was to the USP disintegration test,  
18 I don't remember the specifics of that test,  
19 but there must be some requirements as to  
20 what is defined as disintegration. I doubt  
21 you can have two or three big chunks sitting  
22 there and that's considered disintegration.

1 But I could be wrong and please feel free to  
2 correct me.

3 DR. MORRIS: No, actually -- and it's  
4 Ken Morris -- so actually in the disintegration  
5 test there's a cylinder with a screen in the  
6 bottom.

7 DR. GLOFF: Yes, okay.

8 DR. MORRIS: And it's the screen size  
9 that will determine the smallest particle that  
10 will be retained. And everything has to  
11 disappear within -- depends on the -- it could  
12 be six seconds. I mean, it could depend on the  
13 dosage form.

14 DR. GLOFF: So with that said -- and  
15 again, I recognize we're not quite at that point  
16 in the questions yet, but something like that  
17 seems to me would be appropriate because then at  
18 least it's small pieces in somebody's mouth.

19 DR. MORRIS: I had one -- I'm sorry,  
20 Harriet, please?

21 DR. NEMBHARD: (inaudible)

22 DR. MORRIS: Well, it -- no, because

1 I'm going to change some little bit of  
2 direction.

3 DR. NEMBHARD: I wanted to add  
4 specifically to the question the property -- the  
5 in vivo property that I consider critical would  
6 be taste. Particularly, for children, I think.  
7 So I'm just speaking as a mom. I won't name  
8 products, but there are a couple that, you know,  
9 whereas I previously had a battle each morning  
10 getting my five year old to take. You know, I'm  
11 willing to go an pay twice as much, okay? For a  
12 product that disappears and has no taste. And I  
13 consider that even though the label is orally  
14 disintegrating tablet, I get that, but there  
15 seems to be also some implication about the  
16 taste -- at least from the consumer's  
17 standpoint -- with that label, as well, that I  
18 think could be almost as critical as the speed  
19 of dissolving.

20 So you know, if it dissolved  
21 quickly but, "Mommy, that tastes yucky." You  
22 know, that wouldn't satisfy my as a purchaser

1 of that product and have it, you know, be  
2 satisfied to have that label of orally  
3 disintegrating tablet.

4 DR. MORRIS: Ken -- go ahead, Mel?

5 DR. MEYER: I'm next.

6 DR. MORRIS: Marv?

7 DR. MEYER: I think, as far as taste,  
8 that's going to be very hard to regulate. You  
9 know, what would you put in the guidance? Must  
10 taste like -- and your kid might like peanut  
11 butter and somebody else might like a lemon. I  
12 don't know how you'd regulate that?

13 But my question was, many years ago  
14 we only had disintegration. And we abandoned  
15 it because we recognized it wasn't going to  
16 predict this bio availability because  
17 particles fell through the screen and then  
18 sat there. Now, I don't know, maybe these  
19 products are such that that's impossible.  
20 But if it isn't impossible, it's the  
21 particles themselves that are subsequently  
22 swallowed -- remain intact. I don't know why

1 you don't have a dissolution test?

2 DR. NEMBHARD: I understand.

3 DR. MEYER: There is a dissolution  
4 test.

5 DR. MORRIS: Frank?

6 DR. MEYER: Oh, I didn't see that.

7 DR. MORRIS: Frank?

8 DR. HOLCOMBE: I'm sorry, Frank  
9 Holcombe. There also is a dissolution test.  
10 These -- well, what we're talking about here  
11 today are the things about ODT. All of the  
12 things that you'd think about a regular tablet  
13 are already requirements.

14 DR. MEYER: Oh, okay. I'm sorry, I  
15 didn't see that listed.

16 DR. HOLCOMBE: No, it's not in there.

17 DR. MORRIS: No, it not. And if I can  
18 get -- Ken Morris, I'm sorry. Two things: One  
19 is that actually the taste masking issue  
20 is -- virtually all of these have some taste  
21 masking. So even though it's not something you  
22 can regulate, per se, but I've served on these

1 taste panels, so -- they're not pleasant panels  
2 to serve on, by the way, but there's a lot of  
3 effort that goes into taste masking. It's not  
4 always successful and it depends on the  
5 properties of the compound. And the more  
6 soluble it is, the worse it is, usually.

7           So the question I have is sort of  
8 tangentially to that, but it was in  
9 vivo -- or the comment I had. And that is,  
10 that when considering a disintegration test  
11 because, as Gary says, we don't want to be  
12 prohibitively restrictive but, on the other  
13 hand, it's got to come apart some time.

14           There is the differences in  
15 mechanical stress that applied to something  
16 that's in your mouth, as opposed to the  
17 disintegration. They're very different. And  
18 I know there have been other techniques  
19 tested in terms of something that's put  
20 pressure on and then you infuse water into it  
21 and look at the stress that it takes. So  
22 there are other sort of alternate testing



1 mechanisms.

2           But I don't think any of them are  
3 practical as a routine test right now. But  
4 that doesn't mean they couldn't be, if they  
5 were to be developed. So that's just an in  
6 vivo related comment I have. Is that there's  
7 really quite a different stress state that  
8 you're exposing the dosage form to when you  
9 put it in somebody's mouth.

10           Even if they not supposed to chew  
11 it, there's more mechanical stress.

12           Any other -- oh, I'm sorry, Liz.

13           DR. TOPP: Sorry -- Liz Topp -- I just  
14 have a quick rebuttal to Harriet's comment with  
15 regard to these in vivo things. With the area  
16 of these esthetic things, like taste and mouth  
17 feel, I think that we should be about the  
18 business of making sure the dosage form is safe  
19 and efficacious. And that it works.

20           And whether you like it or not, is  
21 not a regulatory issue, in my opinion. But  
22 if you don't like then patients shouldn't use

1 it or buy it, or should ask their doctors for  
2 a different prescription. But I think, from  
3 a regulatory perspective, our focus should be  
4 on whether it's safe and efficacious, and not  
5 on whether it's nice, or tastes good, or  
6 feels good.

7 DR. MORRIS: Yeah, I'm not sure if  
8 there's any patient compliance issue that ever  
9 arose that included regulating taste, but --

10 DR. WINKLE: Well, yes, and several of  
11 the drugs that we have for counter-terrorism,  
12 we've actually gone back and made sure that  
13 these had pleasant tastes, especially for  
14 children. Because when you want them to take  
15 potassium iodine or something like that, in case  
16 of an emergency, you've got to make sure that  
17 they're going to take it. So we have looked at  
18 some products, like I said, in counterterrorism,  
19 to make sure the taste was palatable.

20 DR. M. MORRIS: I may have missed  
21 this. This is a question for Frank.

22 DR. MORRIS: This is Marilyn Morris.

1 DR. M. MORRIS: Oh, sorry, Marilyn  
2 Morris. It -- you mentioned that in vitro/in  
3 vivo correlations for disintegration were not  
4 good. And the 30 seconds was an in vitro time  
5 for disintegration. About what does that mean  
6 in vivo?

7 DR. HOLCOMBE: It depends. That's a  
8 favorite FDA statement, but in this case it  
9 really does depend. Because if you're in the  
10 populations that these products were originally  
11 created for -- and I will say that the products  
12 are moving away from those populations as  
13 convenience products, primarily, or line  
14 extensions.

15 It might mean that the 30 -- let's  
16 say 30 seconds. It might mean 10 seconds in  
17 somebody's mouth that has a lot of saliva and  
18 it might be a minute and a half in somebody's  
19 mouth that doesn't have very much saliva.

20 I haven't looked at this -- I  
21 haven't collected this information recently,  
22 but the early studies that I looked at had

1 standard deviation, this is disintegration  
2 time in vivo, had standard deviations that  
3 were approximately the size of the main.

4 DR. M. MORRIS: And in most of the  
5 tests that you've done, is 60 seconds a  
6 reasonable time frame then for most of the  
7 products that you've seen?

8 DR. HOLCOMBE: Most of the products  
9 that we've seen are not that long. However, we  
10 have seen some depending on the size and  
11 depending on the early technologies -- early  
12 compression technologies that were that long.  
13 Companies have gotten better with their  
14 formulation efforts and the first 30 or 40 of  
15 these products that we saw were -- probably 60  
16 or 70 percent were down below 30, and all the  
17 rest were below 60. And that's basically where  
18 the 60 seconds came from. And the use of better  
19 explosion technologies, if you will, since that  
20 time is where the 30 seconds is coming from.  
21 The current 30 seconds.

22 DR. M. MORRIS: Thank you.

1 DR. MORRIS: Yeah, explosion  
2 technology, that may be an unfortunate, after  
3 just talking about the bioterrorism, but that's  
4 okay.

5 Well, if we can -- let me try to  
6 summarize this. In terms of what in vitro/in  
7 vivo considerations were, by consensus, the  
8 important number one -- maybe the number 1  
9 through 10 is disintegration time -- however,  
10 the other corollary to that is it's  
11 disintegration to suitably small particles.  
12 That is particles that would then facilitate  
13 being swallowed, as opposed to just creating  
14 a different geometry to choke on.

15 And also the size. That is the  
16 volume of the dosage form itself should not  
17 be necessarily excessive. I'm not sure how  
18 we'll put it, what excessive is on that, but  
19 it has to be some combination of factors  
20 including the size relative to how rapidly it  
21 will disintegrate and, perhaps, even the  
22 solubility of the API itself, given it's

1 load.

2           And I think those were really the  
3 big issues. The taste masking, if you don't  
4 mind, we'll defer that. That actually comes  
5 up in the last question, which is patient  
6 compliance.

7           Is that -- this is our -- we only  
8 have two discussion questions, the beginning  
9 and the end. And then we vote on the middle  
10 two, so I think these discussion will serve  
11 us well on the next two questions.

12           So if there's no more discussion,  
13 can we go to Question 2?

14           Excuse me, so the question is,  
15 should physical and or functional  
16 properties -- for example, size, formulation,  
17 and disintegration times -- be a primary  
18 factor in determining conformance to this  
19 dosage form? So we can open this up for  
20 discussion?

21           DR. KIBBE: Should we push the button  
22 first?

1 DR. MORRIS: I think, actually, we  
2 discuss it and then we vote and then we lie  
3 about why we voted. No, that should never --

4 DR. KIBBE: Art Kibbe. We had a -- I  
5 think a draft guidance yesterday that said we  
6 pushed buttons and then we discussed.

7 LCDR NGO: No, I think we discuss it  
8 first, actually. And then raising their hand  
9 was before.

10 DR. MORRIS: I think, yeah, I think we  
11 just neglected -- we got a little departure from  
12 protocol in that we didn't raise our hands  
13 before we did something.

14 LCDR NGO: Before we went around the  
15 table.

16 DR. MORRIS: Before we went around the  
17 table. So after we push the buttons, we raise  
18 our hands, and then you sluff off your sport  
19 coat and we tell everybody what we did.

20 But if there's no discussion to be  
21 had then we can go right to a vote.

22 But if there -- if anybody would

1 like to discuss, as I said, I think our  
2 discussion on the first question serves us  
3 well on this one, but certainly if anybody  
4 would like to add anything, now is the time.

5           So if not, then we can -- are we  
6 ready to vote? If we could vote? So the  
7 question again is, should physical and or  
8 functional properties -- for example, size,  
9 formulation, and disintegration times -- be a  
10 primary factor in determining conformance to  
11 this dosage form? And the choices are, yes,  
12 no, or abstain.

13           Okay, so we have all our votes in.  
14 So this will be an easy exercise. Will  
15 everybody who voted yes raise their hand,  
16 please?

17           Will everybody who voted no raise  
18 their hand?

19           And will everybody who abstained  
20 raise their hand? Thank you.

21           And so now we'll go around for the  
22 record and have you state your name and your



1 vote and any elaboration you'd like to add.

2 Let's start with Carol.

3 DR. GLOFF: Carol Gloff, yes.

4 DR. COLLINS: Jerry Collins, yes.

5 DR. GOOZNER: Merrill Goozner, yes.

6 Actually, I will elaborate because I was awful  
7 quiet this morning and I didn't have a chance to  
8 raise an issue. But I'm just fascinated once  
9 again by what Dr. Buehler said from the FDA,  
10 which was that essentially -- if I understood  
11 correctly -- this is about products coming in  
12 where people are trying to engineer around  
13 process patterns, in order to get a generic  
14 drug. And you know, I find that a whole lot of  
15 science gets discussed in order to essentially  
16 accomplish what is essentially and economically  
17 driven decision. And it was -- the same was  
18 true this morning, as I listened to a very  
19 complicated discussion which was fascinating to  
20 me.

21 You know, about whether or not we  
22 actually could get good data about what was

1    happening, you know, whether it be BK (?) or  
2    these dissolution studies and, you know, I'm  
3    a lot smarter now than I was then about it.  
4    But when you really got right down to it, it  
5    was because over around -- over in the Office  
6    of New Drugs there's a whole bunch of data  
7    that has all of that information already  
8    about the originator product.

9                    But we can't get access to that and  
10   so therefore, we have a company that has the  
11   right to come in and create a generic drug.  
12   At least by the patent laws of this country.  
13   But you know, we want to make sure that it's  
14   safe and efficacious and is doing the same  
15   thing in the body. And they can't get access  
16   to the data that they need in order to do it.  
17   So they have to reinvent the wheel and do it  
18   all over again.

19                   And we don't know if it's going to  
20   be done well, or not? So again, it was sort  
21   of driven by economic concerns rather than  
22   science, even though we need to help the FDA

1 have a science to do it because of their  
2 roadblocks that are in the way.

3 So I just thought I wanted people  
4 to know what was going through my mind this  
5 morning as I sat rather mute for the whole  
6 discussion.

7 DR. MORRIS: Thank you. Art?

8 DR. KIBBE: I forgot the topic at  
9 hand. No, I voted yes. I have to say my name?  
10 Arthur Hamilton Kibbe.

11 DR. MEYER: Marvin Meyer, I voted yes  
12 because I feel if you're going to insist on  
13 having this extra category of tablets, you have  
14 to define what they are.

15 DR. KOCH: Mel Koch, yes.

16 DR. NEMBHARD: Harriet Nembhard, yes.

17 DR. TOPP: Liz Topp, yes.

18 DR. M. MORRIS: Marilyn Morris, yes.

19 DR. ROBINSON: Anne Robinson, yes.

20 DR. MORRIS: Ken Morris, yes.

21 DR. AU: Jessie Au, yes.

22 LCDR NGO: For the record, that's 12

1 yes, 0 no, and 0 abstentions.

2 DR. MORRIS: Thank you. And that  
3 takes us to the next question. So this is  
4 Question 2A, promising a 2B. And the question  
5 is -- why, did I miss something? Oh, here it  
6 is. Oh, okay, it's a sub-question. Okay.

7 So now that we have voted yes that  
8 we should include physical and or functional  
9 properties, the question is, if so -- knowing  
10 the answer is yes -- so since we have  
11 approved that, how specific or restrictive  
12 should the criteria be?

13 This is a little bit of an  
14 open-ended question. So now we've said that  
15 we've agreed that there are certain things  
16 that we think are important to know to be in  
17 conformance with this dosage form. We've  
18 agreed that they should be determined. And  
19 now the question is, what sort of  
20 restrictions or how specific should we be in  
21 dictating these limits?

22 So we'll open this for discussion