

1 it is somewhat unlikely to represent a very, very  
2 narrowly selected prescribing to individuals with  
3 clearly labeled obesity indications over  
4 comorbidities. I see more reason to believe that this  
5 rapid growth involves a wider prescribing to people  
6 with varying degrees of obesity, many of whom do not  
7 have any comorbidity that is going with the obesity.  
8 So, that's just sort of a little bit of background  
9 information.

10 Now, the second topic I'd like to talk  
11 about is what do we know about intentional weight loss  
12 and its effects on mortality? There's only one large  
13 published study that I've been able to find. It's  
14 cited in the NDA, but it's not discussed. It's a  
15 study by Williamson and colleagues, a perspective  
16 study of intentional weight loss in mortality in never  
17 smoking, overweight, US White women, aged 40 to 64  
18 years. It was restricted to never smoking women to  
19 separate out the confounding effects of smoking from  
20 the others in the data.

21 This is based on the Cancer Prevention  
22 Study Number 1 of the American Cancer Society. It's  
23 a follow-up study of 43,400-plus women who had a BMI  
24 over 27. They were never smokers, aged 40 to 64  
25 years, who in 1959 to '60 filled out a detailed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 baseline questionnaire about their medical history,  
2 personal health practices and so on, including a  
3 history of weight loss practices. Vital records  
4 status for 91 percent of the population was determined  
5 through 1972. The mortality outcomes were all cause  
6 cardiovascular, all cancer, obesity-related cancer and  
7 diabetes related.

8 The questionnaire about weight at baseline  
9 included current weight and height, and a series of  
10 questions about weight change recently. Whether there  
11 had been a weight change, whether there had been a  
12 gain or a loss. If it had been a loss, had it been an  
13 intentional or unintentional, and what length of  
14 period of time it had occurred over. Analyses were  
15 then grouped by intentional weight loss in one to 19  
16 pounds and 20 pounds plus. Their potential  
17 confounding by pre-existing elements was controlled  
18 primarily by stratifying on the baseline history.

19 Now, this that I put up here refers to  
20 women who at baseline, reported obesity related health  
21 conditions. That is, they had at baseline heart  
22 disease, stroke, diabetes, high blood pressure, or a  
23 history of severe shortness of breath or chest pain.  
24 Persons with prevalent cancer were not included in  
25 this group. Now, the group with no pre-existing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 illness which we'll get to in a moment after I talk  
2 about these was no to all disease and severe complaint  
3 categories, and said they felt well at baseline.

4 Now, what this shows you is the all cause  
5 mortality rate. About a third of the patients, you  
6 see, 15 out of 43,000, reported that at baseline they  
7 had obesity related health conditions. This shows you  
8 their all cause relative risk of dying during the  
9 subsequent many years of follow-up through 1972. I  
10 draw your attention to the column -- let me find my  
11 little pointer -- labeled "fully adjusted". Now, the  
12 no change means they had no change in weight and  
13 that's used as the reference group. The unknown  
14 weight loss, unintentional loss, unintentional gain,  
15 and then intentional loss. So, what you see here is  
16 for people with clear obesity related comorbidity,  
17 there was about a 20 percent reduction in mortality  
18 over the subsequent year.

19 Now, this doesn't look very different by  
20 the amount of weight loss but this is a pretty wide  
21 interval. And remember, this is questionnaire based  
22 so I think it's more important simply to note here  
23 that there is in these data, for this group, with  
24 clear comorbidity -- now, these are not necessarily  
25 all the people who are taking appetite suppressants.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 This is a portion clearly needing medical treatment  
2 for various problems and obesity may be one of them.  
3 In further analyses, this is clearly accounted for by  
4 a reduction in the mortality from obesity related  
5 cancers. They were mentioned earlier: breast,  
6 endometrium, ovary, gall bladder, primary sites, and  
7 in diabetes related deaths. So, it made sense.  
8 Obesity related cancer, especially breast cancer, is  
9 well known in relationship with obesity and with  
10 endocrine alterations that are produced --

11 Let's go then to the next slide, next  
12 transparency, which has to do with the people who did  
13 not have any pre-existing baseline illness, okay?  
14 Now, they didn't report any baseline. That's two-  
15 thirds of the people. These people had uncomplicated  
16 obesity as most of the people in the large sibutramine  
17 trials had where people with NIDDM and hypertension  
18 and so on were excluded. They didn't have histories  
19 of heart disease and so on because serious illness --  
20 and this, I think, is probably a large part of the  
21 appetite suppressant using population. So, I just  
22 think there's a need to get down to earth about like  
23 what modeling means and so on with regard to large  
24 mortality savings.

25 I don't think that an appetite suppressant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006



1 drug necessarily has to accomplish those things to be  
2 worthwhile, but I did just want to bring some  
3 perspective on some of the things that have been said.  
4 There was no effect of intentional weight loss on  
5 subsequent mortality in people who didn't have obesity  
6 related health problems at baseline. Now it may have  
7 done them a lot of good in other ways, but it did not  
8 reduce their subsequent all cause mortality in this  
9 study.

10 Now, some of the strengths and weaknesses  
11 -- I think the study is strong in terms of controlling  
12 for potential confounding by variation in baseline  
13 health. They had a very good questionnaire on health  
14 status and I think they did a good job of controlling  
15 for that. I think it's strong in terms of having  
16 large numbers and having full ascertainment of  
17 mortality and having an endpoint of mortality that is  
18 quite firm.

19 However, on the other side, one of the  
20 problems with big studies like this is that it's a  
21 questionnaire based. You don't have a lot of  
22 measurements on people. You do have a certain amount  
23 of problems with coding data from questionnaires with  
24 unknowns and so on. So, you could have missed some  
25 small effect here in this intentional loss group here,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I suppose.

2 But I just draw your attention to the  
3 difference in findings here versus those on the  
4 preceding transparency where there was a clear  
5 association for people with obesity related  
6 conditions. And that's the only study I know of in  
7 the literature that looks in the large numbers at the  
8 consequences of intentional weight loss. I emphasize  
9 intentional because the models that have been  
10 discussed -- the Framingham study is a wonderful  
11 study, but it's looking at naturally occurring  
12 variations. It's not looking at drug induced  
13 variations.

14 Likewise, of the Nurses Health Study which  
15 has been -- not talked about much here today but has  
16 been talked about a lot in terms of obesity. It looks  
17 at over 100,000 women who in 1976 were enrolled and  
18 followed for 16 years. It classifies them by their  
19 weight at baseline and looks at their later mortality.  
20 Well, that's the relation of naturally occurring  
21 variations in body weight and mass with weight or  
22 mortality. I have no reason to question the data from  
23 that. But naturally occurring variations are not the  
24 same thing as intentional weight loss, whether it's by  
25 dieting or by drugs and so forth. The preponderance

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 of evidence is that intentional weight loss does not  
2 produce large changes in mortality -- large savings.

3 That's going to be important when we're  
4 talking about counterbalancing the pressor effect of  
5 this drug. The argument is that the effects of weight  
6 loss as reflected through their effects on lipids in  
7 your model -- but the argument is that the effects of  
8 weight loss are counterbalancing any risk related to  
9 pressor effect. I submit that that argument is not  
10 strong.

11 Before I go on to talk about the model --  
12 just on the Nurses Health Study, I'd like to make a  
13 quote from them. They had a small amount of data  
14 about weight change in addition to looking at the  
15 variations. They said that they examined the role of  
16 weight change during adulthood in relation to the  
17 overall and cost specific mortality which was later.  
18 Women who had lost weight did not have significant  
19 changes.

20 Now, in the sibutramine modeling that's  
21 been done, we're looking at -- I'll look at the one at  
22 the right. These are referred to as scenarios having  
23 been developed for coronary heart disease using the  
24 actual mean change of scene in the sibutramine  
25 studies. Now we tend to agree that there is a two to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 three millimeter mean change in both systolic and  
2 diastolic. Now this, of course, refers to eight years  
3 of follow-up which is kind of a long time I think to  
4 be talking about in terms of drugs that have been  
5 studied for one year. But in any case, I think that  
6 as a standard for trying to look at benefit/risk  
7 tradeoff, it's reasonable.

8 I agree with this. I have no question  
9 about the Framingham data themselves. I think we're  
10 all in agreement that there's a two to three  
11 millimeter mean increase in blood pressure. So, that  
12 would say "well, if you took the drug for eight years  
13 and it sustained that level, then you would expect  
14 these kinds of risks per million." This change from  
15 here in the before drug to the three millimeter here  
16 would come out -- it's hard to get that on a yearly  
17 basis because this would change with the age of the  
18 women. But if I do it just dividing by eight,  
19 basically, we'd come out into an increase in risk of  
20 about one in 6,000. You'd have an increase in  
21 coronary heart risk. And you'd have added on to that,  
22 whatever other vascular disease risk beyond coronary  
23 that was related to that. We'll try to get an order  
24 of magnitude of what this means down to numbers that  
25 are easy to think about.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   So, our big question is, okay, well that's  
2                   what happens when the blood pressure goes up from 80  
3                   to 83. Now, is the weight change, as reflected  
4                   through its lipid effects as shown up here -- are we  
5                   really confident that that counterbalances this blood  
6                   pressure risk? I submit that I am not. The reason I  
7                   am not is two-fold. One is, I don't see the other  
8                   evidence on intentional weight loss supporting the  
9                   idea that intentional weight loss, as it might be  
10                  reflected in lipids and so on, really produces these  
11                  changes in mortality. That's not what the other  
12                  evidence says to me.

13                  The other is that I don't see in the NDA  
14                  data, and reviewing Dr. Colman's review -- now I've  
15                  seen the later submitted material but, again, it has  
16                  not been submitted in detail for review under the NDA.  
17                  I don't think the evidence for the lipid effect has  
18                  the kind of consistent and pervasive nature here. I  
19                  think there clearly is a lipid effect in people who  
20                  lose a lot of weight. They're a relatively small  
21                  fraction of the total exposed population but all of  
22                  the population gets blood pressure effect. So, one --  
23                  weigh two different categories of information.  
24                  Whereas here, they're entered into the regression  
25                  models if they have the same weight. That's my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 understanding. That if this effect were  
2 counterbalanced by an effect the magnitude of which  
3 pervasiveness and statistical significance that these  
4 were the same, why then that's true in Framingham.

5 But I don't think that there is a  
6 pervasive effect shown up through lipids that's really  
7 a weight loss effect that is in studies of people  
8 without prior comorbidity. I don't think the  
9 preponderance of evidence supports the concept that  
10 this counterbalances this, so I'm left with this. And  
11 saying, "well, we could be talking about an increase  
12 in risk that would have a denominator in the tens of  
13 thousands as opposed to the kind of increase in risk  
14 the other drugs have been approved and have had a  
15 denominator in the hundreds of thousands." So, I  
16 remain concerned about the issue of the blood pressure  
17 effect in terms of the mean, but I think it's a  
18 potentially solvable problem.

19 If we could go on to the next  
20 transparency? Whoops -- let's skip that. This is the  
21 calculations that were made from the Nurses Health  
22 Study based upon the naturally occurring variations in  
23 weight. What would happen if intentional weight loss  
24 using the drug produced those changes and was  
25 maintained over the 16 years of follow-up in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 study? I just don't feel that there's a need to go  
2 into detail about that.

3 Okay, if we could go to the next slide?  
4 Yes -- well, you've seen this before. We obviously  
5 have a certain enthusiasm for these data. And there  
6 has been some questions about them. Maybe I can give  
7 my opinions on them anyway.

8 Why are we focusing on this group? Well,  
9 because they lost a lot of weight and they would  
10 therefore be likely to stay on the drug, okay? These  
11 people -- yes, they had changes in systolic, but they  
12 didn't lose weight so, they're not going to stay on  
13 the drug. They'd have a short-term risk related to  
14 the blood pressure but it wouldn't go on for a long  
15 time. That's why we focused on this group. The point  
16 here is that it almost seems like to be a dynamic  
17 relationship between the weight loss and the blood  
18 pressure increase, at least in this one cut. Now  
19 that's not entirely true. There's quite a few stars  
20 over here and only -- a few more crosses, but not too  
21 many more.

22 The point in pointing this out is not to  
23 draw some ironclad end of the road conclusion. In my  
24 opinion, what it says is that there needs to be more  
25 work done on screening criteria with regard to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 issue of there being some people that look like they  
2 have substantial pressor responses. There's a  
3 statement made in the NDA submission that they didn't  
4 think there was very many people who had clinically  
5 important blood pressure increases. I don't agree  
6 with that from what I've seen.

7 It looks to me like the existing database,  
8 large database, could be used to test blood pressure  
9 screening scenario. For example, simple ones that  
10 have potential for being clinically useful: baseline  
11 resting blood pressure, blood pressure at two weeks.  
12 Let's say if one sets some scenarios, say a criterion  
13 of over five diastolic increase and/or over 10  
14 systolic. If you made that cut and then you look at  
15 the residual population, are you able there to really  
16 get out a group of people? You have enough data to  
17 track that group. Say if you made that screening cut,  
18 would that strand out a group of people who really are  
19 having a clinically relevant pressor response?

20 Remember, you know, in blood pressure  
21 epidemiology, as I understand it, it's just as  
22 important what your rise is from baseline here to here  
23 as it is from here to here, in terms of the overall  
24 analyses. So, cut off the deal with things like  
25 diastolic over 90 and systolic over 140. Represent an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 older thinking about blood pressure than is currently  
2 state-of-the-art.

3 So I'd say if you take the database and  
4 you say, "okay, what's your baseline blood pressure?  
5 What's your blood pressure at two weeks?" Subtract  
6 them, run various scenarios that look at tradeoffs.  
7 Does that identify and screen out? It's clinically  
8 practical. I think there's a reasonable chance that  
9 using such data, one could identify a screening  
10 strategy that was practical and that cut a chunk of  
11 the blood pressure response out. That kind of thing  
12 then could possibly be tested in a short, large,  
13 simple study that looks simply at the effect at, say,  
14 eight weeks. How effective is that screening  
15 scenario? In other words, generate the hypothesis  
16 from the data that are available and test it. I  
17 personally think that sort of thing really needs to be  
18 done with this. That's my response to the data.

19 My last comments I really would like to  
20 address -- Dr. Spigelman and his colleagues had come  
21 and met with us and we had what I felt was an  
22 immensely productive discussion about the potential  
23 for a Phase IV trial were this drug to be approved.  
24 I thought the suggestions made were extremely good and  
25 I think that large simple trials have an enormously

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 valuable role in evaluating therapies including drugs.  
2 I do think, of course, a lot of details would have to  
3 be worked out. This discussion was August 30th, so  
4 it's really an end principle. But nevertheless, I  
5 think it represents an admirable coming forward in  
6 statement and principle towards a very valuable idea.  
7 I do think myself that this blood pressure issue needs  
8 to be sorted through more before then.

9           So, that's really the essence of my  
10 conclusions about this. I think we've got a rapidly  
11 growing marketplace for appetite suppressant drugs.  
12 At present, we've got a more -- concern about the  
13 pressor effect and its being pervasive than about  
14 there being a weight loss lipid effect that really  
15 confidently from a model -- that's enough and that  
16 counterbalances it. I think there is good reason to  
17 believe that with some more work on the existing  
18 database that a practical hypothesis could be  
19 developed for blood pressure screening which could be  
20 fairly rapidly assessed in a fairly simple study and  
21 might well get this then into shape to say, "if you do  
22 these things" and they're simple enough to be done in  
23 widespread practice, that the benefit/risk tradeoff  
24 would be considerably improved.

25           Lastly, were that to occur and then the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 drug were approved, I would, of course, greatly  
2 support the idea of a large Phase IV trial. Thank  
3 you.

4 CHAIRMAN BONE: Are there questions from  
5 the Committee for Dr. Stadel?

6 Dr. Kreisberg?

7 DR. KREISBERG: Dr. Stadel, it's my  
8 understanding that the Williamson study was an  
9 observational study and there was nothing -- it was a  
10 prospective study but it was not randomized. As a  
11 result of that, there could be confounding factors  
12 that lead to the observation that there was a  
13 reduction in mortality in those women with coexistent  
14 medical problems who intentionally lost weight, such  
15 as other healthier practices that they may have had.

16 I just wonder if you recall from reading  
17 that article, were all the confounding issues excluded  
18 as a possible explanation?

19 DR. STADEL: I thought they were pretty --  
20 it is an observational follow-up study. I guess in  
21 terms of hierarchy of rigor, I would say randomized  
22 trial first, observational follow-up study second, and  
23 synthetic model third. Yes, it's an observational  
24 follow-up study.

25 I think the way that that issue was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 approached was pretty good. There are two levels.  
2 One was stratification on baseline history with  
3 clearly different results in study using the same  
4 methodology for those two groups. That is, those that  
5 had a history of baseline were analyzed.

6 Baseline obesity related health conditions  
7 were analyzed as one group. Those that did not were  
8 analyzed in as a separate group. The results are  
9 different. The study methodology in the two groups  
10 was the same. The finding is specific to obesity  
11 related cancers and to diabetes related death. It's  
12 not pervasive across all causes of death which is what  
13 I might expect if there were uncontrolled residual  
14 confounding.

15 Also, in addition to the stratification on  
16 baseline history, there was a good deal of covariate  
17 data taken in the history that was used for some  
18 fairly extensive regression modeling. It didn't  
19 change much of the conclusions. It's always kind of  
20 comforting when you do these things -- if you take  
21 these kinds of studies, if you take the crude and you  
22 do regression modeling on possible confounders and it  
23 doesn't change much. It's always possible, but  
24 there's some point at which you get tired and you say,  
25 "well, it looks like that's probably true."

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   Lastly, after stratifying on the baseline  
2 history, they omitted the first three years of  
3 mortality follow-up precisely to get away from things  
4 that were related to uncontrolled confounding during  
5 that period. So, I think on a big brush stroke, on a  
6 big picture thing, I'm reasonably comfortable that  
7 it's a pointer in the right direction. I think like  
8 with all these big observational studies, you trade  
9 generalizability and size for precision. That's a  
10 tradeoff.

11                   CHAIRMAN BONE: Other questions from the  
12 Committee concerning the content of Dr. Stadel's  
13 presentation?

14                   The Chair has a question. It's a similar  
15 question to the one I've asked a couple of other  
16 times. I keep hearing this figure of two or three  
17 millimeters of mercury increase in blood pressure as  
18 the estimate of the pressor effect. But when I look  
19 at particularly the larger studies -- and particularly  
20 the 852 study which is by far the largest study. It  
21 also accounts for the long-term observation in the  
22 extension -- it looks to me as though the pressor  
23 effect may be somewhat larger if one confines oneself  
24 to the doses that are likely to be employed in  
25 clinical practice.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           Did you analyze this from that  
2 perspective, or has anyone else in the Agency made any  
3 kind of formal estimate of the pressor effect based on  
4 likely clinical dosage?

5           DR. STADEL: I think that the answer is I  
6 didn't. My focus here has been on -- you have a mean  
7 increase. It could be three millimeters. It could be  
8 four millimeters. It's more important to me to say is  
9 there a meaningful path towards a screening procedure  
10 that gets out of group. Then if you subtract them out  
11 and recompute the mean, you can play that against your  
12 model on the mean.

13           So, my focus in the time I've had  
14 available has been to try to say what can be done here  
15 to separate -- it looked pretty clear to me that there  
16 is a blood pressure responding group with all -- some  
17 people don't agree with that and that's what I see.  
18 So, that's where I put the focus of the attention. I  
19 guess I'd want to say if the mean in that study was  
20 four or five, again, the question to me becomes if you  
21 take those data and you run some screening scenarios,  
22 and you look at what if I strip out this response  
23 group? -- and there's still a group in which the drug  
24 is working well and it's a matter of, like with so  
25 many things, of screening out some people for whom a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 particular treatment isn't necessarily the right thing  
2 and saying for the residual group, are you getting  
3 that mean down somewhat? That is the direction I  
4 went.

5 So, I have not looked study-by-study to  
6 say was there significant variation in the mean  
7 because I personally think the more important issue is  
8 the other end.

9 CHAIRMAN BONE: It does have an impact on  
10 the magnitude of effect predicted in these models  
11 though.

12 DR. STADEL: I agree with that.

13 CHAIRMAN BONE: Thank you.

14 DR. STADEL: And it makes quite a bit  
15 difference if you go from two to four and you don't  
16 have any counterbalance. Then you're talking instead  
17 of one in 6,000 in here, you're talking one in 3,000.  
18 It's a big absolute risk -- I don't want you to think  
19 that I'm diminishing the point, I'm just trying to  
20 answer --

21 CHAIRMAN BONE: But you haven't addressed  
22 that systematically?

23 DR. STADEL: -- what I focused on.

24 CHAIRMAN BONE: Right. Thank you.

25 Okay, other questions from members of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Committee?

2 Fine. Then we'll go ahead. In addition  
3 to having presentations --

4 Thank you very much, Dr. Stadel.

5 In addition to having presentations by  
6 members of the Agency staff, we also have a guest  
7 member and consultant. Dr. John Flack, who is  
8 sitting here, as I mentioned, as a guest member and  
9 consultant with the Committee will make a presentation  
10 on the hypertension aspects of this problem as well.

11 DR. FLACK: Can you hear me in the back?  
12 Okay.

13 Can I have the first slide, please?

14 I want to clear up one thing before I  
15 start and that is, I'm not a surgeon. I'm not a  
16 person who goes in and tucks stomachs out and makes  
17 people lose weight or stuff like that. I'm actually  
18 an internal medicine doctor, cardiovascular  
19 epidemiologist and hypertension specialist. My  
20 perspective is going to be, really, taking one foot on  
21 the more global approach, thinking about the entire  
22 group of patients and risk in an overall group of  
23 people who have received this drug, as well as more  
24 high risk approach. Can you screen out individuals  
25 who might be harmed or might not receive benefit from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 the more clinical approach. I'll have a foot in both  
2 camps.

3 This is a tremendous problem. My talk  
4 today is really not to go back and rehash a lot of  
5 numbers. I'm going to really synthesize what's been  
6 said because virtually everything that you need to  
7 have seen to understand what I'm going to tell you,  
8 you've seen, maybe with the exception of one slide  
9 which I apologize for not having made.

10 I live in a region of the country where  
11 obesity is rampant. Seventy-two percent of African-  
12 American women in the Southeastern part of the United  
13 States in the stroke belt are overweight. I live in  
14 a state, North Carolina, where physical activity ranks  
15 last in the country. We're actually 49th. The only  
16 reason we weren't 50th is because Rhode Island didn't  
17 report. We're also maybe the vice capital of the  
18 world outside of Las Vegas too, because we make a lot  
19 of cigarettes.

20 So, I am very familiar with the clinical  
21 problem as well as the epidemiologic problems with  
22 obesity. Clearly, obesity influences hypertension and  
23 influences blood pressure, and affects certain  
24 populations more-so than others. As a clinician, I am  
25 very, very interested in the ability to treat obesity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 with safe and effective therapies with more than just  
2 behavioral modification, which does work but is tough  
3 to actually implement over the long-term.

4 Well, there's some major questions that  
5 got in my review of this extensive amount of data that  
6 was supplied to me. I actually consider it a  
7 privilege to have had the opportunity to do it because  
8 it was very -- it was a lot of information and it was,  
9 I think, a very important task.

10 The first question is, is the pressor  
11 effect of sibutramine clinically relevant? Certainly  
12 not for everyone. In a population, even a two or  
13 three millimeter mercury shift may be significant. In  
14 a clinical setting, that's not going to be relevant  
15 for all the patients. But for a subset of patients,  
16 people who are not necessarily at the central tendency  
17 of blood pressure change but are in the outliers, it  
18 may be very important.

19 If so, in what patient subgroups would you  
20 wish to avoid this effect? Some of what I'm going to  
21 say today really is predicated on the assumption that  
22 if the drug were to be approved, how would I like to  
23 see it used and labeled, and what I think is  
24 reasonable based on what we've seen. Because some of  
25 the decisions we're going to have to make, we simply

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 don't have information at this point in time on  
2 certain subgroups.

3           There's several manifestations of the  
4 pressor effect that you can demonstrate with  
5 sibutramine. The first is increased resting blood  
6 pressure, clearly a dose related phenomenon. Both  
7 systolic and diastolic pressure tend to go up. Now,  
8 there's been a lot of talk about the ambulatory blood  
9 pressure, problems with the machine, random error and  
10 problems with dependability of the machine that was  
11 used in the very small ambulatory blood pressure  
12 studies.

13           I would agree with Dr. Bone that random  
14 variability should simply affect both groups and not  
15 one group preferentially over the other. Therefore,  
16 it shouldn't really create systematic differences  
17 between groups. In fact, random variability in a  
18 study usually kills study power and blurs differences.  
19 And so, the differences that we saw in ambulatory  
20 blood pressure which in some hours of the day were in  
21 the double digits, higher on sibutramine, are a cause  
22 for concern and further study.

23           The amelioration or the attenuation of the  
24 nocturnal fall in blood pressure is as well an issue  
25 that was surfaced in the ambulatory blood pressure

1 monitor studies, again, with the stated problems and  
2 with the device and the study design. Again, this is  
3 something that did come out. When we're treating high  
4 blood pressure, certainly one of the things we want to  
5 do is control the pressure throughout the 24 hour  
6 period of time.

7 Now, there's data discussed by the sponsor  
8 -- are the blood pressure change distributions by the  
9 sponsor discussed and there's a talk that it's a shift  
10 of the distribution, a blood pressure change to the  
11 right, which would give you a small average increase.  
12 Certainly that does occur, but there's something else  
13 that happens with the drug that's going to have direct  
14 clinical and practical implications. And that is, not  
15 only is the distribution shifted but there's a dose  
16 dependent flattening of the distribution with an  
17 increasing size of the right tail. Basically meaning  
18 that if the distribution of the blood pressure change  
19 looks like this and this is a zero change and it's  
20 shifted over a couple millimeters of mercury, what  
21 we're basically seeing is that with giving the drug,  
22 the right part of the curve gets fatter and you get  
23 more outliers along with that, giving it a central  
24 tendency.

25 That gets you to the more high risk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 strategy that makes that important, and how do you  
2 identify these patients who are likely to have  
3 sizeable increases in blood pressure who you clearly  
4 up front, wouldn't even want to be exposed to the  
5 drug. People who it might be worth taking that risk,  
6 how do you identify them and monitor them once they're  
7 on therapy?

8           What are the implications of this right  
9 tail shift in the blood pressure change distribution?  
10 To me, what it really means is that the random  
11 variability of the blood pressure is occurring at a  
12 higher absolute level. That's not terribly exciting,  
13 but true. The variation in blood pressure from  
14 looking at the distribution curves or the change  
15 curves really is still random. But it actually is  
16 more often in an upward than in a downward direction,  
17 particularly as you push the dose up and flatten the  
18 central tendency and make the increase a part of the  
19 curve fatter.

20           In the material, the editors talk about  
21 outliers at three standard deviations --

22           CHAIRMAN BONE: Just a short intermission  
23 while we're correcting the microphone.

24           DR. FLACK: There's talk about three  
25 standard deviations. Probably a more routine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 definition of an outlier is two standard deviations.  
2 Two standard deviations away from the central  
3 tendency, you're pretty much sure that that kind of  
4 change -- whether it be an increase or a decrease --  
5 is not random and does not belong to what we call the  
6 zero change distribution. Those are people who are  
7 true outliers.

8 If you took everyone in here as they  
9 walked out of the room, measured their blood pressure  
10 today, brought you back a week, a month later and  
11 measured your blood pressure again, we would see a  
12 change distribution that would be bell shaped, okay?  
13 It would be greatest around zero, the zero change  
14 distribution. But if you were two standard deviations  
15 or more away from that zero change distribution from  
16 the central tendency of that, then we would call you  
17 an outlier. Certainly, this is an epidemiologic and  
18 statistical principle that the frequency of true  
19 outliers is related to the central tendency. There's  
20 also an exaggeration of the effect out in the tails  
21 where there's smaller numbers of people that are  
22 having larger changes that we would be concerned  
23 about.

24 The epidemiologic risk/benefit of the  
25 analyses, I think the models themselves, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Framingham data, is a very valid data set. The  
2 analysis is fine and is certainly the appropriate  
3 methodology to look at the overall impact. Not to  
4 identify high risk people, but to look at the overall  
5 impact. One shortcoming of the Framingham data is  
6 obviously they're not meaning minorities. The risk  
7 functions are likely to be different, but still, I  
8 believe it's a valid population to make estimates  
9 from.

10 I do though think that the information  
11 included in these models with the improvement in the  
12 lipid profile with weight loss, really, across the  
13 studies is not consistently observed. So, I would  
14 agree with the previous speaker than Framingham  
15 estimates really should be redone without the  
16 favorable changes in lipids included. Because there's  
17 clearly not a demonstrable benefit across the studies  
18 in the lipid profile. That will change some of the  
19 tradeoff risk estimates that were made.

20 Now, here's what I'm going to extrapolate  
21 because there's really not a lot of data based on the  
22 clinical trials to make these firm -- you can't go to  
23 the bank with this, but you can know from your  
24 experience as a clinician in understanding the  
25 pathophysiology of disease or people who are likely to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 not do well with a pressor effect from a drug or from  
2 activation of the sympathetic nervous system. You  
3 could really come across several disease categories  
4 that you'd be concerned about.

5 One, I would be definitely concerned about  
6 poorly controlled hypertension. The sponsor mentioned  
7 this and it's very appropriate. I would suggest that  
8 anyone with a systolic pressure over 160 or a  
9 diastolic over 100 or both who fit what we call stage  
10 two hypertension -- have anything above stage one  
11 hypertension -- this drug really should not be used in  
12 them. Patients with known coronary artery disease,  
13 angina pectoris. Certainly a lot of patients have a  
14 calcoronary disease. You're more likely to have it  
15 the older you get. But if you have known coronary  
16 artery disease, I believe that that is a very clear  
17 marker for caution, or perhaps even a  
18 contraindication.

19 Congestive heart failure is very prevalent  
20 amongst the elderly. So is obesity. Congestive heart  
21 failure is clearly a disease state where activation of  
22 the sympathetic nervous system is problematic.  
23 Mortality reduction in many studies is related to the  
24 sympathetic nervous system suppression. Does that  
25 mean that sympathetic nervous system suppression is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 causing it? No. But we do know that the more  
2 uncompensated congestive heart failure is, the higher  
3 the activation of sympathetic nervous system is. So,  
4 it would make sense in those patients not to overload  
5 the ventricle with the pressor response and/or an  
6 increase in sympathetic nervous system activity which  
7 is already high in this group.

8 Patients who have had stroke or TIA --  
9 again, I think would be a cautionary group. I'm not  
10 suggesting that every condition up here is an absolute  
11 contraindication, but I think these are the conditions  
12 that should be in the discussion when  
13 contraindications are derived and labelling is being  
14 decided. Cardiac tachyarrhythmias clearly are a group  
15 of people -- perhaps even atrial tachyarrhythmias as  
16 well as ventricular arrhythmias -- the drugs should  
17 either be avoided or used with extreme caution.

18 Now, diabetes -- said earlier didn't  
19 appear to be any specific harm with the drug in  
20 diabetic patients, but what was evident to me in the  
21 studies that were provided was that the efficacy  
22 appeared to be less in the diabetic population. Dr.  
23 Sherwin pointed out this morning to me that in  
24 diabetic patients, there's already a concern about  
25 sympathetic nervous system activation. So, you would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 not want to necessarily undertake the use of this drug  
2 if there's not a proven efficacy there. A select few  
3 patients with hyperthyroidism as well, you would not  
4 want to activate the sympathetic nervous system.

5 My final slide, in conclusion -- I maybe  
6 even going to go a little bit further and try to tie  
7 all this together. I think that the total daily dose  
8 of sibutramine should be 20 milligrams per day or less  
9 and 15 milligrams per day or less would be ideal or  
10 preferable to that. Because a lot of the things that  
11 you see with the blood pressure are dose related.  
12 There's a dose related flattening of the curve -- more  
13 extreme values are going to be seen at the higher  
14 doses. And yes, there is an increase in efficacy but  
15 it's a tradeoff. It's a balancing of making the drug  
16 available for people who have a very important  
17 problem. I'm not trying to say that all of the  
18 benefits have got to be cardiovascular, but trying to  
19 prevent harm from certain people who might experience  
20 cardiovascular problems.

21 The number of conditions for which this  
22 drug is contraindicated should really be expanded, in  
23 my view, based on what I've seen in the NDA  
24 submission. More investigation into the effects of  
25 this drug on ambulatory blood pressure should be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 performed. Future studies really should standardize  
2 blood pressure medication dosing, look at peak trough  
3 ratios, which are very important we believe in  
4 evaluating the efficacy of anti-hypertensive agents.  
5 I would echo the comment made earlier about studying  
6 African-Americans, Hispanics, and if possible American  
7 Indians because each one of those populations is  
8 disproportionately affected by disease. This is not  
9 about being politically correct or anything. This is  
10 about really providing clinicians with the kind of  
11 information that's needed for subgroups when these  
12 drugs come to market.

13 Because if you come to my practice in  
14 Winston-Salem, North Carolina, a lot of the people who  
15 are going to be asking for this drug -- yes, there  
16 will be White women and maybe a few White men, but a  
17 lot of African-American patients. I live in a town  
18 that's 40 percent African-American. I think we can  
19 make the same kind of statements for Hispanics and  
20 American Indians. I think for the sponsor, it was a  
21 very important market for them as well. So, I'd like  
22 to see that data and not just referred to, but  
23 actually presented to us where we can look at dose  
24 response cards and look at efficacy.

25 You know, for blood pressure drugs, there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 may be differences in dose response curves. It  
2 doesn't mean the drugs don't work across different  
3 groups, but there may be differences in dose response  
4 curves as well as modifying factors that influence  
5 dose response.

6 Finally, I'd like to make a strong pitch  
7 for more work to be done in older people. Older  
8 people are going to have a lot of conditions which I  
9 would believe that may cause us to at least use a drug  
10 with caution. And as well, older people in this  
11 society are becoming increasingly obese. There's a  
12 lot of overweight older people. Less than one percent  
13 of the available database in the submission that I saw  
14 was from people, I believe, over 65 years of age. I  
15 think beefing up the database there would be  
16 important.

17 So, what I tried to do was to really give  
18 you an overview as a clinician as well as a clinical  
19 researcher with one foot in both camps, and provide  
20 you a balanced view of what I really thought should  
21 happen with this drug. My impression of this drug was  
22 that it clearly lowers -- reduces weight. There are  
23 some issues though with blood pressure that are going  
24 to be much more magnified in subsets of patients. But  
25 it doesn't negate the fact that yes, even in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 overall population, the two to three millimeter  
2 mercury shift will be an issue but it's not the  
3 immediate clinical issue that it is in subgroups of  
4 patients that I described. I think we should focus  
5 there.

6 Thank you very much for your attention.

7 CHAIRMAN BONE: Are there questions from  
8 members of the Committee for Dr. Flack concerning his  
9 presentation?

10 Dr. Illingsworth?

11 DR. ILLINGSWORTH: Would you also  
12 potentially add peripheral vascular disease,  
13 recognizing that patients with coronary disease often  
14 have peripheral vascular disease?

15 DR. FLACK: Yes. Yes, your point is well  
16 taken. That was inadvertently left off. People with  
17 peripheral vascular disease could potentially be  
18 harmed by the pressor effect as well as by raised  
19 blood pressure.

20 CHAIRMAN BONE: Dr. Kreisberg and then Dr.  
21 Marcus.

22 DR. KREISBERG: John, you mentioned it but  
23 you passed over it pretty quickly. It seems to me  
24 that you've identified obvious cardiovascular risk  
25 factors but there are many people who are asymptomatic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 who actually are at very high risk for events because  
2 of multiple risk factors --

3 DR. FLACK: Right.

4 DR. KREISBERG: -- sort of on the  
5 threshold of an event, but not yet there. I just  
6 wonder whether you thought that you should expand your  
7 recommendation to include those?

8 DR. FLACK: Well, certainly you can say  
9 that the people who have these conditions and  
10 peripheral vascular disease are patients you'd want to  
11 avoid the pressor or the SNS effects of the drug.  
12 There are clearly people who have these problems that  
13 we don't really know in clinical practice.

14 I guess that's what you're getting at,  
15 people who maybe have multiple risk factors or high  
16 risk for vascular disease but yet have never declared  
17 themselves clinically. I think you have to use the  
18 drug there with more caution. I'm not saying you  
19 don't use it in those patients who haven't really  
20 declared themselves because ruling out something is  
21 probably one of the hardest things to do in medicine  
22 because there's always one more task you can do in a  
23 widespread, even a clinic population. Watch for  
24 screening of people, say, with echoes to make sure  
25 they don't have heart failure is probably not going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 be feasible to do.

2 So, I think that's where clinical judgment  
3 is really going to come in and I'm not sure that that  
4 can be well proscribed from here but should be  
5 discussed.

6 DR. KREISBERG: Well, it seems almost like  
7 a paradox that the people who are at the least risk  
8 are the best candidates and the patients who are at  
9 the greatest risk who might derive the most benefit,  
10 if there is health benefit of weight reduction, are  
11 the ones that you're less inclined to use it on.

12 DR. FLACK: Yes. There is a paradox. I  
13 guess the main benefit of the drug is weight loss.  
14 For all the psychological and feelings of well being  
15 and all that that brings -- produces discrimination  
16 and things like that. We focus on the cardiovascular  
17 effects but the cardiovascular effects are probably  
18 limiting the use of the drug in some of the higher  
19 risk people.

20 But you're right, the primary benefit for  
21 weight loss and where you're going to use the drug  
22 where the competing risk and benefits of the drug are  
23 going to get you into least trouble are those who are  
24 at the lowest risk.

25 DR. KREISBERG: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Dr. Marcus had a question.

2 DR. MARCUS: I didn't notice in the NDA  
3 materials. Has anybody looked at an interaction  
4 between smoking and the hypertensive effect? Do we  
5 know anything about that?

6 DR. FLACK: I don't know. I think you'd  
7 have to ask the sponsor about that. I honestly don't  
8 know the result on that.

9 Kind of as a follow-up to that, there also  
10 may be differences in blood pressure drugs which  
11 influence sympathetic nervous system activity in one  
12 direction or the other versus those that don't.  
13 Again, I don't know that information. Maybe the  
14 sponsor does.

15 CHAIRMAN BONE: I'm just going to ask Dr.  
16 Spigelman to specifically answer, if he has the  
17 information, on interaction with smoking. If you  
18 don't, you don't.

19 DR. SHERWIN: Or caffeine.

20 CHAIRMAN BONE: And what about with  
21 caffeine?

22 DR. SPIGELMAN: No.

23 CHAIRMAN BONE: The sponsor states that  
24 they have not looked at those interactions  
25 specifically.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1                   Are there other questions for Dr. Flack  
2 from the Committee?

3                   Thank you very much.

4                   DR. FLACK: I'd like to make one final  
5 comment.

6                   CHAIRMAN BONE: Oh, yes, please.

7                   DR. FLACK: I don't know if I said this or  
8 not but clearly, in addition to the subgroups I've  
9 proposed that ought to be looked at in further detail,  
10 the ambulatory blood pressure monitor studies  
11 definitely need to be reconstituted and redone as well  
12 because I think there are some issues that were raised  
13 that we're in limbo about.

14                   CHAIRMAN BONE: Thank you.

15                   Dr. Colman, can you tell us when -- or  
16 perhaps the sponsor can -- when was the ambulatory  
17 blood pressure monitoring study completed? When was  
18 that completed? All I need to know is the date.

19                   DR. SEATON: 1991 it was done.

20                   CHAIRMAN BONE: Thank you.

21                   Oh, Dr. Sherwin?

22                   DR. SHERWIN: Time, let's get to that,  
23 yes.

24                   CHAIRMAN BONE: Okay, the time has come  
25 for discussion for discussion amongst the Committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           Just to clarify one point, Dr. Flack and  
2 Dr. Zawadzki are both here to participate in the  
3 discussion but are not members of the Committee as it  
4 stands, so will not vote. But they are invited to  
5 participate in the discussion with the regular  
6 Committee members.

7           I'm going to just briefly summarize the  
8 four questions that the Committee will be asked to  
9 vote on. We're going to discuss for however long it  
10 takes amongst the Committee. Then we will vote on  
11 each of these questions in turn. The Committee  
12 members will be asked to vote yes or no on each of  
13 these questions based on the data in the NDA, based on  
14 the data that have actually been submitted and  
15 reviewed. The Committee members may wish to add  
16 additional comments about what additional data they  
17 would need or what might modify their position if the  
18 data became available but we will be voting the  
19 established data.

20           The four questions are firstly, does  
21 sibutramine meet the guidance criteria of  
22 effectiveness for weight loss? Secondly, is the  
23 pressor effect of sibutramine clinically important?  
24 Thirdly, do the benefits of sibutramine outweigh the  
25 risks? Fourthly, if sibutramine were to be approved

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for marketing, should there be a Phase IV study? And  
2 I presume that people will be asked to briefly comment  
3 on what they thought the elements might be in light of  
4 prior discussion.

5 I'm now going to open the floor for  
6 discussion and comments on any of these issues by  
7 members of the Committee. It seems that there is not  
8 a dispute about whether there is a pressor effect.  
9 That seems to be established through some discussion  
10 about the other implications here that we have before  
11 us.

12 Perhaps what we might do if the Committee  
13 is agreeable is just go around the table for comments  
14 to get the discussion going. Perhaps we'll start with  
15 Mr. Molitch.

16 DR. MOLITCH: You mean on question one?

17 CHAIRMAN BONE: No, you're not confined to  
18 the structure of the question. This is a general  
19 discussion at this point. You're certainly welcome to  
20 discuss any points that have occurred to you or you  
21 can pass if you want to and talk later.

22 DR. MOLITCH: I don't think there's any  
23 question, at least in my mind, about the effectiveness  
24 of the drug for a substantial portion of the  
25 population. I think the pressor effect is of concern.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           Actually, one thing that did occur to me  
2 as I was listening to this on one area that was really  
3 not addressed very much today but in some of the  
4 materials that we were sent were some of the  
5 comparison studies with dexfenfluramine. Looking at  
6 the weight loss studies, I was actually interested to  
7 know what happened to blood pressure in those studies  
8 in the placebo versus the sibutramine studies, versus  
9 the dexfenfluramine studies? Did the blood pressure  
10 rise in the dexfenfluramine treated studies in those  
11 comparison studies? Do we have that information?

12           CHAIRMAN BONE: That's a specific question  
13 for the sponsor which we'll ask them to answer very  
14 concisely.

15           Do you have the data and what was the  
16 result?

17           DR. KELLY: I don't have any data to show  
18 you but I can tell you that the blood pressure changes  
19 on sibutramine and the two dexfenfluramine studies  
20 were consistent with the overall blood pressure  
21 changes in the overall database. The patients on  
22 dexfenfluramine had small decreases in both systolic  
23 and diastolic blood pressure and in heart rate.

24           CHAIRMAN BONE: Thank you. Very concise.

25           Let's see, Dr. Zawadzki, perhaps you'd

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 like to comment in general at this point? Do you have  
2 anything that you'd like to introduce into the  
3 discussion?

4 DR. ZAWADZKI: I have a question I've been  
5 wondering about as we've been discussing here. This  
6 is a drug that potentially would be approved for  
7 chronic use, but we know that most people do not take  
8 medication indefinitely. One set of data that I have  
9 not seen is what happens to blood pressure after  
10 discontinuation of the drug, specifically to those  
11 individuals in whom blood pressure becomes elevated  
12 during the use of the drug?

13 CHAIRMAN BONE: I think it's a very  
14 interesting question. Can the sponsor specifically  
15 answer that exact question? In patients who  
16 experience an increase in blood pressure on drug, what  
17 happens to the blood pressure when it stops?

18 DR. SPIGELMAN: It goes down.

19 CHAIRMAN BONE: Thank you.

20 Does it go back to baseline?

21 DR. SPIGELMAN: We have variable periods  
22 of follow-up. By three months, certainly it's back to  
23 baseline. By one month, it was almost there. We'd  
24 have to go through the data to give you the details.

25 CHAIRMAN BONE: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Dr. Kreisberg?

2 DR. KREISBERG: Well, I don't know how  
3 much of the comments will actually relate to the  
4 questions or not.

5 CHAIRMAN BONE: It doesn't matter.

6 DR. KREISBERG: I have a question for the  
7 sponsor. That is, do we have any data on plasma  
8 catecholamines during the course of the administration  
9 of sibutramine acutely to patients to get a sense of  
10 the magnitude of the change, if any? Or urinary  
11 metabolites?

12 DR. SPIGELMAN: Could I just introduce Dr.  
13 Danforth whom we've asked to look at that specific  
14 question, or to look at that area and some of the  
15 diabetic related questions?

16 DR. DANFORTH: This is an interesting  
17 question. One might expect that a drug that causes a  
18 reuptake block of norepinephrine might actually  
19 produce an elevation of circulating concentrations of  
20 norepinephrine depending on the degree of the block.

21 The company has done five studies to look  
22 at this issue. If I could have the red carousel  
23 number 17?

24 CHAIRMAN BONE: Okay, please be extremely  
25 concise.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. DANFORTH: Well, the bottom line is,  
2 in the five studies -- concentrations of  
3 norepinephrine, epinephrine, dopamine were measured  
4 and were not different from placebo. And there were  
5 two studies in which urinary event mandelic acid was  
6 measured and in both of those studies the actual  
7 values were lower in the drug treated versus the  
8 placebo.

9 CHAIRMAN BONE: All right, thank you.

10 Urinary, epi and norepi as well, were they  
11 also measured?

12 DR. DANFORTH: They weren't measured.

13 CHAIRMAN BONE: Thank you.

14 DR. KREISBERG: I'd like to just modify  
15 the presentation of the sponsor. I think they used  
16 the modifying word "very effective" in talking about  
17 medication. I think it's mildly effective. I think  
18 it is comparable in its effect to dexfenfluramine  
19 which is also mildly effective contrary to what the  
20 press seems to think about dexfenfluramine.

21 I'm concerned about the issue of the Phase  
22 IV study. I think you said we could have the  
23 opportunity of commenting on what we think it ought to  
24 include. I think I can tell you what it should not  
25 include and I don't think it should include the study

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 as proposed by the sponsor. I have a great of  
2 difficulty with there comparing their drug to another  
3 mildly effective drug that is by no means the goal  
4 standard for promoting weight loss. That drug has  
5 never been demonstrated to have any effect on the  
6 clinical endpoints. It seems to me that that's more  
7 of a marketing strategy than it is a real interest in  
8 determining whether there's a difference or a benefit  
9 from weight reduction on cardiovascular endpoints.

10 CHAIRMAN BONE: Thank you.

11 Dr. Stadel, did you have something short  
12 to add to that?

13 DR. STADEL: Yes, I'd like to make a short  
14 comment in response to the evolving thing here. The  
15 only pressor that I've been involved with is the Phase  
16 IV trial of metformen where the comparison is the  
17 standard of care. You either add metformen randomized  
18 or you manage the patient as you would otherwise. To  
19 some degree, I think one can see this as along dose-  
20 wise. That is, what is required of a company in using  
21 a Phase IV trial to compare their drug to the safety -  
22 - I think there is an argument -- to the safety of  
23 currently approved therapies.

24 DR. KREISBERG: I thought we were looking  
25 at efficacy. And the question was whether lowering

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 body weight would reduce clinical endpoints?  
2 Therefore, we need a control group, don't we?

3 DR. STADEL: That's not the question for  
4 which the study was proposed to me. It was proposed  
5 to me in response to our ongoing concern about pressor  
6 effects and about whether this would convey a greater  
7 net risk in the population than existing proposed  
8 therapy. It was a response to that concept.

9 CHAIRMAN BONE: So, there's really two  
10 different objectives here. Dr. Kreisberg is really  
11 addressing the objective of the effect of on comorbid  
12 conditions and the overall health impact, and the  
13 other addresses, let's say, a more circumscribed  
14 issue.

15 Dr. Critchlow, did you have a comment at  
16 this point?

17 DR. CRITCHLOW: Just at this point, I  
18 wanted to concur with Drs. Flack and Stadel with  
19 respect to their analysis of the epidemiologic model.

20 Another quick question, given the  
21 titration schedule which I think is good in keeping  
22 patients on the lowest dose possible, is there any  
23 data to suggest that the approximately third of the  
24 patients who do not respond to the ten milligram dose  
25 in losing four pounds in four weeks, do they have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 same probability of responding when they go up to 15  
2 as those initially put on the 15 and then 20?

3 CHAIRMAN BONE: That's an interesting  
4 question. Comments from the sponsor were somewhat  
5 contradictory in the morning. One suggestion was that  
6 patients who were started on the drug and didn't lose  
7 four pounds in the first month should be discontinued.  
8 The other suggested that the dose should be increased.

9 Now, do we have specific information --  
10 specific information -- on the likelihood of a  
11 response as a result of dose escalation after four  
12 weeks?

13 DR. SPIGELMAN: I think the fact that I  
14 didn't come across clearly is a problem that I really  
15 would like to clarify just to make sure that the  
16 Committee understands what the position is, if I  
17 could?

18 CHAIRMAN BONE: Please.

19 DR. SPIGELMAN: The dose titration is  
20 geared both toward safety and efficacy. It probably  
21 wasn't picked up but one of the overheads that Dr.  
22 Seaton showed was that, in fact, if you look at  
23 elevation of blood pressure as measured by a rise of  
24 ten millimeters or more in two consecutive visits --  
25 which is perhaps arbitrary, but we feel more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 clinically relevant than a single visit -- the vast  
2 majority of those are detected within four weeks of  
3 starting therapy. Seventy-five percent of those  
4 patients who ultimately will have, during the course  
5 of the total therapy, two consecutive visits where  
6 blood pressure rises either systolic or diastolic by  
7 ten millimeters of mercury or more, can be detected  
8 within the first eight weeks of therapy. Over 50  
9 percent within the first four. That was the overhead  
10 that Dr. Seaton showed.

11 The titration schedule is geared around  
12 enhancing both efficacy and safety. So that, in fact,  
13 if a patient is noted to have an increased elevation  
14 that is clinically not acceptable to the treating  
15 physician -- and in the vast majority of cases, those  
16 will be detected early. Not at 12 months -- then that  
17 patient should be discontinued.

18 CHAIRMAN BONE: I don't think that was Dr.  
19 Critchlow's question though. Thank you for that  
20 information.

21 Dr. Critchlow's question was we've had two  
22 proposals about what to do with a person who doesn't  
23 lose at least four pounds in one month. One is to  
24 discontinue the patient and the other is to increase  
25 the dose. The information suggesting discontinuation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 seems to be clearer. The response rate, we were very  
2 convincingly shown, was very poor if patients don't  
3 lose four pounds in the first four weeks.

4 Is there any evidence that increasing the  
5 dose at that point is likely to result in a response?

6 DR. SPIGELMAN: The evidence comes from  
7 the response curves in the prospective study by  
8 subtracting what patients respond at ten versus five,  
9 at 15 versus 10. We do not have a titration study in  
10 which we have studied those patients who do go from 10  
11 to 15, but we have no reason to think that the data  
12 that gives subtracted differences -- there is a  
13 population who do not respond at 10. There is a  
14 population who do not respond at 15, similarly at any  
15 dose.

16 CHAIRMAN BONE: So, your assumption is  
17 that the response rate at 15 minus the response rate  
18 at 10 would be the incremental response rate?

19 DR. SPIGELMAN: That's correct.

20 CHAIRMAN BONE: But there's no actual  
21 trial of any kind to test that so far?

22 DR. SPIGELMAN: That's correct. It comes  
23 from the data that we presented.

24 CHAIRMAN BONE: Thank you.

25 Dr. Marcus, do you have questions or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1        comments?

2                    DR. MARCUS: Yes. Once again, it's on the  
3        issue of blood pressure. I think that I'm  
4        sufficiently concerned about that that I think a  
5        formal and good study of blood pressure as a primary  
6        endpoint needs to be undertaken. And I think it  
7        should be undertaken in a way that the usual sorts of  
8        anti-hypertensive big trials would endorse. It should  
9        have readings of supine sitting standing blood  
10       pressure. I don't know what the current status is of  
11       what they call random zero readings to get out -- ways  
12       to get out the bias of the interpreter in reading the  
13       results. To go into it in a formal and established  
14       method that the hypertension community would accept.

15                   Furthermore, there's many questions about  
16       interactions of this drug with such every day events  
17       such as alcohol, anti-hypertensive medication of  
18       various sorts, diuretics, caffeine, tobacco, probably  
19       a zillion others that simply have not been addressed  
20       and need to be. The final issue, once again, is to  
21       explore the interactions with blood pressure and  
22       efficacy in an ethnically representative population.

23                   Finally, I remember maybe a year-and-a-  
24       half ago when we had the first meeting to discuss  
25       guidelines. I remember Dr. Bray saying, "listen,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 folks, if you're looking for these markers of  
2 cardiovascular risk, that's not where the action is in  
3 the drugs we're asking you to consider for these  
4 patients." That if the patients we're talking about  
5 with profound obesity had those risk factors, they  
6 would have died. We're talking about a completely  
7 different set of risks. We're talking about sleep  
8 apnea. We're talking about the need to lose 40 pounds  
9 so that a patient can undergo surgery.

10 Dr. Bray made a very eloquent and powerful  
11 presentation to focus this Committee on that  
12 particular aspect of obesity. I haven't heard a  
13 single word about that aspect of it in the entire  
14 presentation ever since that meeting. It's kind of  
15 like that was it, you know? It's got my vote. Then  
16 ever since then, it was completely ignored. I would  
17 make a plea that we should consider also some of the  
18 aspects that were contained therein.

19 CHAIRMAN BONE: Dr. New, do you have  
20 comments or further questions?

21 DR. NEW: Perhaps my comments will seem  
22 like being perseverant or perhaps reflecting the fact  
23 that I take care of very young subjects in which blood  
24 pressure is extremely variable. It really depends on  
25 whether the child or adolescent is sitting, standing,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 supine, has rested for five minutes, has had an  
2 anxious episode because blood has been taken, the size  
3 of the cuff that's being used when the blood pressure  
4 is taken, whether the child is screaming, performing  
5 a Valsalva maneuver and other things.

6 I looked at the methodology for the  
7 measuring of blood pressure here and it says that they  
8 used the Krackoff sound disappearance as the diastolic  
9 and that the patient was seated for five minutes. I  
10 think that's a better description than I read in most  
11 adult literature but -- and because I work in  
12 hypertension all the time, these factors are extremely  
13 important.

14 Secondly, when I addressed Dr. Colman --  
15 and I seem to have lost my mind because I wanted  
16 something in sleep -- the fact of the matter is that  
17 the way I would plot this data if I were doing this  
18 study is I would plot the blood pressure and the  
19 several blood pressures at every monthly period or  
20 visit to show the variability and the tracking of that  
21 blood pressure. I don't know, for instance, whether  
22 the blood pressure was lower at ten months than at 12  
23 months. You really have to check every individual in  
24 the blood pressure and to show the centiles that he's  
25 in, and whether the centile comes up. Only then can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 you tell if you have a blood pressure effect of this  
2 drug which is consistent.

3 I said it before. I think we need some  
4 sort of indication of the standard deviation of the  
5 measurement and I don't see that.

6 CHAIRMAN BONE: Dr. Illingsworth?

7 DR. ILLINGSWORTH: I just echo the  
8 comments made concerning the proposed Phase IV trial,  
9 that I would also have reservations about a comparison  
10 with another drug, particularly if morbidity is going  
11 to be one of the endpoints. Because I don't think in  
12 two years in a patient without coronary artery  
13 disease, you'll get any difference in morbidity or  
14 mortality. I think although you could make a case for  
15 doing it with an active control, I think I would  
16 certainly endorse the need to do a placebo controlled  
17 trial and to see what happens long-term. That's the  
18 only way we'll find out what's the incidence of  
19 hypertension going up substantially in subsets of  
20 patients or provide this kind of a study.

21 CHAIRMAN BONE: Thank you, Dr.  
22 Illingsworth.

23 Dr. Colley?

24 DR. COLLEY: I would echo Dr. Marcus'  
25 comments as well in obtaining data with patients using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 other substances that would increase blood pressure,  
2 capping, smoking, other risk factors like smoking that  
3 will increase the rate of hypertension that we don't  
4 have data on. Again, although the subset may be  
5 proportionately small that people who have significant  
6 increases in blood pressure, it's clearly a definite  
7 subset that does. This is a drug that's likely to be  
8 used in much larger population than simply the BMI  
9 greater than 27 as is indicated. For that reason, I  
10 think the need to be vigilant as to the adverse  
11 effects is especially important.

12 CHAIRMAN BONE: Dr. Sherwin?

13 DR. SHERWIN: I think one of the comments  
14 earlier I liked because it really is -- I think it was  
15 Bob who said it's a paradox that the people who this  
16 drug probably would be best for, the people have the  
17 least problems. The people with the most comorbidity  
18 perhaps, are the poorest risk for this drug.

19 One of the problems with the people who  
20 have very few problems and have obesity is -- and the  
21 reason we think that they have higher risks of  
22 hypertension and diabetes and dyslipidemia relates to  
23 resistance. This is the underlying factor, we  
24 believe, that contributes to all these other  
25 complications. I haven't heard anything yet about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 insulin resistance, insulin action except for one  
2 study in some ob/ob mice which clearly don't relate to  
3 human problems. So, this is a unique animal model of  
4 obesity which probably doesn't relate to human disease  
5 at all, which is a disease of leptin deficiency.

6 So, my feeling is, number one, I was  
7 struck by that absence of information for me to assess  
8 people who were healthy and looking at long-term  
9 problems. With respect to people who do have problems  
10 currently, so far I haven't heard anything that  
11 diabetes has benefitted and I'm not sure that  
12 hypertension is benefitted. It seems to be equivocal  
13 about lipids, although I'm impressed that my gut  
14 feeling is that there may be some tendency in that  
15 direction. Those are just rambling comments.

16 The final point I'd like to make is if  
17 we're going to have a long-term trial, I do believe  
18 you need a control group.

19 CHAIRMAN BONE: What kind of control  
20 group?

21 DR. SHERWIN: I mean a control group with  
22 placebo.

23 CHAIRMAN BONE: Thank you.

24 DR. SPIGELMAN: Dr. Bone, if you do want,  
25 we do have some glucose insulin data that addresses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the issue. Obviously, we can't present everything  
2 within the time allotted.

3 CHAIRMAN BONE: Is this human data?

4 DR. SPIGELMAN: Yes, this is from the  
5 clinical trial in the study submitted in the NDA.

6 CHAIRMAN BONE: Okay, why don't you get  
7 that up very quickly while I'm making some additional  
8 comments --

9 DR. SPIGELMAN: Yes, okay.

10 CHAIRMAN BONE: -- and we can then respond  
11 to Dr. Sherwin's question or comment.

12 I have, I think, the same concern as  
13 everyone else about balancing risk and benefit here.  
14 Clearly, the drug does have a sufficient anorectic  
15 effect to result in a reduction in body weight. But  
16 I think we have uncertainties about the magnitude of  
17 the risk and the magnitude of the potential benefits.  
18 I think the model system that was presented on behalf  
19 of the sponsor took the most optimistic case on both  
20 sides.

21 It looks to me as though the magnitude of  
22 the risk, just based on the blood pressure  
23 measurements from the trials, is at least two to three  
24 millimeters of mercury and I don't think this has been  
25 systematically analyzed. But when one looks at the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 largest trial and the longest term experience, it  
2 appears that for the doses likely to be used  
3 clinically, the magnitude of blood pressure increased  
4 maybe as much as twice as that used in the assumptions  
5 which would substantially increase their risk from  
6 hypertension.

7 One worrisome aspect of this is, it's  
8 extremely difficult in the clinic to make much out of  
9 a five millimeter increase in blood pressure  
10 measurement when we know you've got a ten millimeter  
11 or so variability on an individual measurement. This  
12 is the sort of thing that you can't detect easily in  
13 an individual patient unless the magnitude is really  
14 larger than that. At the same time, the evidence is  
15 that changes of this magnitude do influence risk over  
16 time.

17 The other question has to do with the  
18 assumed favorable effect on comorbidities. I think  
19 the problem here is that there was no sufficient  
20 attention to the effect on these comorbid conditions  
21 as defined endpoints in the clinical trials. That  
22 data were not collected in a prospective and rigorous  
23 way and that may be part of the explanation for the  
24 fact that there's some considerable variability and  
25 uncertainty about that. Because we'd like to think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that weight loss would consistently improve some of  
2 these things, although the data are not consistent.

3 The assumption that was made in the model  
4 that was presented was that the reduction in the total  
5 cholesterol would be about ten milligrams per  
6 deciliter. Whereas, in the studies, actually, that is  
7 a little higher than what I read from the sponsor's  
8 studies. Even small differences may be important  
9 here. All of the comments that were made earlier  
10 about the extrapolation limitations from intentional  
11 weight loss -- and I would also say that we might very  
12 well see a different kind of extrapolation from weight  
13 loss induced by altered dietary practices and  
14 increased exercise and weight loss that was as a  
15 result of an anorectic agent -- to me make the  
16 calculation that the benefits would more than offset  
17 the risk of the increased hypertension no more were  
18 certain than a calculation showing that this would be  
19 a wash, or conceivably even going the other direction.  
20 It would depend on an equally tenable set of  
21 assumptions to support either case. So, those are the  
22 areas I have of residual concern here.

23 Is the sponsor ready with their data on  
24 glucose and insulin?

25 DR. WEINSTEIN: Yes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 Dr. Steven Weinstein, Knoll  
2 Pharmaceutical.

3 Dr. Mendel already mentioned this morning  
4 that in diabetic patients on sibutramine who lose  
5 weight, their fasting plasma glucose decreases. This  
6 slide shows mean fasting insulin parameters in  
7 sibutramine treated patients who lose greater than or  
8 equal to five percent of their initial body weight.  
9 These are in patients who are not taking insulin. I  
10 need to remind you that there were no patients in the  
11 placebo group who lost this amount of weight. Fasting  
12 insulin in the sibutramine treated patients who lost  
13 this amount of body weight decreased from a baseline  
14 value of 21.5 milliunits per liter at baseline to 13.5  
15 at week 12. This is a decrease of eight units.

16 The fasting glucose, the fasting insulin  
17 ratio which may be viewed as an index of insulin  
18 sensitivity -- and an increase in this ratio would  
19 indicate an increase in insulin sensitivity -- this  
20 parameter increased from baseline to week 12 by 5.2  
21 units. In contrast, in the all placebo group, fasting  
22 insulin as well as this glucose insulin ratio showed  
23 only a modest increase. These data suggest an  
24 increase in insulin sensitivity.

25 Can I have the next and last slide,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 please?

2 This slide shows mean glucose and insulin  
3 kinetic parameters during a test meal in subjects for  
4 this study. These are basically in the same subjects,  
5 sibutramine treated subjects not taking insulin who  
6 have lost this amount of weight. The insulin area  
7 under the curve was about 31,000 at baseline. This  
8 decreased to 24,000 at week 12. This is a change of  
9 about 7,000, a decrease of 7,000. The area under the  
10 curve for glucose remained about the same, actually  
11 with a modest decrease by week 12. The area under the  
12 curve for glucose divided by the area under the curve  
13 for insulin, which is, again, another measure of  
14 insulin sensitivity, actually increased from .18 to  
15 .23 at week 12, an increase of .05.

16 There were very modest changes in the all  
17 placebo group in this same time period so we believe  
18 that this does suggest an increase in insulin  
19 sensitivity in the sibutramine treated patients who  
20 lose weight.

21 DR. SHERWIN: Do you have data in non-  
22 diabetic individuals?

23 DR. WEINSTEIN: No.

24 CHAIRMAN BONE: I notice that you have  
25 groups of five and six --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SHERWIN: Yes, we're dealing,  
2 obviously, with small numbers of patients in a  
3 selected population who had -- the weight loss itself  
4 presumably would have this kind of effect. You might  
5 have seen a greater effect, for example, if they  
6 hadn't been on the drug and lost that same amount of  
7 weight.

8 DR. WEINSTEIN: Right. I think the point  
9 is though, if the patients are not on the drug, they  
10 don't lose the weight. There were no patients in the  
11 study who lost that amount of body weight.

12 DR. SHERWIN: Well, I think that's fair.

13 DR. WEINSTEIN: And indeed, the effect of  
14 the drug on the comorbidities is due to weight loss,  
15 not due to the drug itself.

16 DR. SHERWIN: I think when you look at  
17 that data on balance, it's really up in the air at  
18 this point.

19 CHAIRMAN BONE: Did you look at insulin  
20 levels in the isocaloric patients in the other study,  
21 where you've maintained weight on drug?

22 DR. WEINSTEIN: No, not to my knowledge.

23 CHAIRMAN BONE: Okay, thank you.

24 Dr. Molitch?

25 DR. MOLITCH: Yes, can we go back to this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006



1 last set of data? Did you try to stratify the placebo  
2 patients for the same amount of weight loss as the  
3 diabetes patients to see if there was any particular  
4 beneficial effect of drug or detrimental effect of the  
5 drug for the same amount of weight loss?

6 DR. WEINSTEIN: I'm sorry. Can you repeat  
7 that again?

8 DR. MOLITCH: To stratify the placebo  
9 patients for the same amount of weight loss, so that  
10 you have equal weight loss for placebo versus drug.

11 DR. WEINSTEIN: In this study?

12 DR. MOLITCH: Yes, in these last two  
13 slides you just showed us.

14 DR. WEINSTEIN: Right. There were no  
15 placebo patients who lost that amount of body weight.  
16 Twenty percent of the patients on sibutramine lost  
17 five percent of body weight by week 12. There were no  
18 patients in the placebo group who --

19 DR. MOLITCH: But you only had six insulin  
20 treated patients here -- six diabetic patients.

21 DR. WEINSTEIN: That group was the number  
22 of patients treated on sibutramine who lost that  
23 amount of body weight, approximately 20 percent of the  
24 entire sibutramine treated group.

25 CHAIRMAN BONE: Did you match groups with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 equivalent but lesser degrees of weight loss and do  
2 the same analysis?

3 DR. WEINSTEIN: We have not done that  
4 analysis.

5 CHAIRMAN BONE: All right.

6 Dr. Kreisberg and then Dr. Flack.

7 DR. KREISBERG: Well, I think if I'm  
8 hearing all of this right, what you've compared here  
9 in these slides is patients who lost weight with  
10 patients who did not lose weight. I don't think that  
11 gets to the question at all.

12 CHAIRMAN BONE: Dr. Flack?

13 DR. FLACK: On the ambulatory blood  
14 pressure side -- I'd like to shift gears a little bit  
15 -- I would like to re-echo the comment that was made  
16 earlier today about looking at blood pressures during  
17 exercise. Because if you think about it, these are  
18 patients who were talking about losing weight and  
19 they'll probably be enrolled in comprehensive  
20 programs, or at least they should be. Exercise will  
21 be a valid part of that. Many of them -- more than a  
22 handful may elect to even go do resistance training,  
23 or may not be cautioned not to do it at the health  
24 clubs and stuff. So, I would certainly want to echo  
25 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Dr. Kreisberg made a comment earlier and  
2 he started me to thinking. In the higher risk  
3 patients, particularly higher risk people with  
4 multiple risk factors for ischemia, it might be  
5 worthwhile in some of the future studies that are done  
6 to look at ambulatory ischemia along with ambulatory  
7 blood pressure because actually, there are monitors  
8 now that do both of those simultaneously. One of the  
9 major concerns about some of these patients with  
10 multiple risk factors, or even people with coronary  
11 disease, is that the blood pressure burden and the  
12 sympathetic nervous system activity may increase the  
13 risk of ischemia. You're probably not going to study  
14 enough people to actually count events.

15 So, ambulatory ischemia, along with the  
16 ambulatory pressure where you can actually even relate  
17 the ischemia occurrence to the change in pressure,  
18 whether it's followed or not, I think would be a  
19 consideration in the design of future studies.

20 CHAIRMAN BONE: Other members of the  
21 Committee?

22 Dr. Zawadzki?

23 DR. ZAWADZKI: I would just like a point  
24 of clarification. The guidelines that we have,  
25 granted, were written after submission of this IND,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 but there are two points raised here that I would just  
2 like to clarify to what extent we have the data.

3 One point is the demonstration that the  
4 weight loss is actually fat loss in humans. Number  
5 two, that we have data going beyond 12 months.

6 CHAIRMAN BONE: I think the sponsor did  
7 one study with dual energy x-ray absorptiometry, is  
8 that correct? And also, had a number of studies in  
9 which circumference or girth was measured.

10 DR. SPIGELMAN: That's correct. I think  
11 the waist/hip ratio data was presented. There is a  
12 DEXA study that is in the briefing packet that also  
13 showed reduction in fat. Again, we can show that data  
14 if you would like. I believe it is in the briefing  
15 document. Therefore, the weight does come off from  
16 the appropriate areas.

17 CHAIRMAN BONE: Actually, I think you  
18 showed a reduction in mean body mass but it was not as  
19 great as the reduction in fat mass, isn't that  
20 correct?

21 DR. SPIGELMAN: Yes, I --

22 CHAIRMAN BONE: Okay.

23 DR. SPIGELMAN: No. No, I'm sorry.

24 DR. SEATON: One specific measurement in  
25 one group showed that in the gynoid region, there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 a slight reduction in lean body mass. Overall,  
2 there's really no reduction in lean body mass.

3 CHAIRMAN BONE: Thank you.

4 Dr. Zawadzki, you had another part to your  
5 question. What was that?

6 DR. ZAWADZKI: The other point was  
7 extension of the data beyond 12 months.

8 CHAIRMAN BONE: Is there just the one 852  
9 extension that goes longer?

10 DR. SPIGELMAN: That's right. And that  
11 852 extension -- we have not discussed in detail. I  
12 think there were some misassumptions though that were  
13 made in the earlier discussions about that, however.

14 Specifically, that the doses that were  
15 used in 30 percent of those patients was 30  
16 milligrams. Over 100 patients were at 25 milligrams  
17 and that really has an impact on the interpretation of  
18 the vital signs of those patients. I'm not sure that  
19 was clear from some of the discussion earlier.

20 CHAIRMAN BONE: Yes, I actually was  
21 looking at it by dose in the table that was provided.

22 DR. SPIGELMAN: And also, there were  
23 breaks in therapy. Practically all patients stopped  
24 because of just the design of the trial. Those were  
25 pure safety trials. Or that was purely a safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 trial. This is not continuous data in terms of even  
2 beginning to try to interpret what was one of seven  
3 centers.

4 CHAIRMAN BONE: All right, thank you.

5 Dr. Kreisberg?

6 DR. KREISBERG: I wonder if somebody could  
7 clarify for me whether the Committee is asked to  
8 consider this drug for more than 12 months' therapy,  
9 or are we only considering it for 12 months' therapy?

10 CHAIRMAN BONE: Dr. Troendle, would you  
11 care to comment on the question of the duration of  
12 exposure?

13 DR. TROENDLE: Well, we would like to have  
14 longer studies but we don't have them to deal with.  
15 We'd like you to tell us what you think would be  
16 suitable.

17 CHAIRMAN BONE: I guess Dr. Kreisberg's  
18 question had to do with the proposed length of  
19 duration of use. As it stands, would it be  
20 indefinite?

21 DR. TROENDLE: No, I don't think so.

22 DR. SPIGELMAN: Our studies clearly have  
23 only been done out to 12 months in a randomized  
24 manner, and that's the data that we have at this  
25 point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: What claim do you plan to  
2 ask for?

3 DR. TROENDLE: And there's only one study  
4 that went to 12 months.

5 DR. SPIGELMAN: Two, the SB 1049 and the  
6 1047.

7 CHAIRMAN BONE: The question I have is,  
8 are you pursuing a claim for a year's treatment or for  
9 indefinite long-term treatment?

10 DR. SPIGELMAN: Again, similar to -- we  
11 believe that the data that was there on the drug  
12 dexfenfluramine, there was one study only for one  
13 year. The data obviously can only speak to one year  
14 as far as in labeling where there has been shown  
15 efficacy. That would clearly have to be reflected in  
16 the labeling, in my opinion.

17 CHAIRMAN BONE: Obviously, there's no  
18 practical control over the duration of treatment  
19 beyond the advice that's given in the labeling. A  
20 physician is free to prescribe for any term.

21 DR. MARCUS: Mr. Chairman, I'd like to  
22 move that we go on to voting. I don't want you to  
23 lose your quorum.

24 CHAIRMAN BONE: Yes, thank you.

25 I think we're ready, unless there are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 further comments or observations from the Committee  
2 members, to go through the questions. I certainly  
3 appreciate Dr. Marcus' concern.

4 We'll just go around the table in  
5 different directions and I'll vote last, I guess, each  
6 time. Perhaps we'll start with Dr. Zawadzki on --  
7 excuse me, Dr. Zawadzki is a participant but not a  
8 voter today. So, we can start with Dr. Kreisberg. As  
9 I mentioned earlier, I'm going to ask the Committee  
10 members to vote yes or no based on the data in hand.  
11 Then to make additional comments briefly if they think  
12 it is necessary to do so.

13 DR. KREISBERG: Yes.

14 DR. CRITCHLOW: Yes, based on the  
15 responder analysis but not by the other criteria of  
16 greater than five percent difference between placebo  
17 and drug.

18 CHAIRMAN BONE: Yes, Dr. Critchlow.

19 This is Dr. Marcus.

20 DR. MARCUS: Yes.

21 DR. NEW: Yes.

22 CHAIRMAN BONE: That was Dr. New and then  
23 this is Dr. Illingsworth.

24 DR. ILLINGSWORTH: Yes.

25 CHAIRMAN BONE: Dr. Colley?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 DR. COLLEY: Yes.

2 CHAIRMAN BONE: Dr. Sherwin?

3 DR. SHERWIN: Yes.

4 CHAIRMAN BONE: Dr. Molitch?

5 DR. MOLITCH: Yes.

6 CHAIRMAN BONE: The Chair votes yes.

7 The second question is, is the pressor  
8 effect of sibutramine clinically important?

9 Perhaps we'll start with Dr. Marcus for  
10 that.

11 DR. MARCUS: Well, I don't know the answer  
12 but I think I have to give it the benefit of the doubt  
13 and say yes.

14 CHAIRMAN BONE: Dr. New?

15 DR. NEW: I can not answer because I don't  
16 think I have sufficient data.

17 CHAIRMAN BONE: Dr. New abstains.

18 Dr. Illingsworth?

19 DR. ILLINGSWORTH: Potentially yes in a  
20 subset of patients.

21 CHAIRMAN BONE: Okay, that's a yes?

22 DR. ILLINGSWORTH: Yes.

23 CHAIRMAN BONE: Thank you.

24 Yes, go ahead Dr. Colley?

25 DR. COLLEY: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. SHERWIN: Potentially yes in a subset  
2 of patients. Therefore, yes.

3 CHAIRMAN BONE: Okay.

4 Dr. Molitch?

5 DR. MOLITCH: Yes.

6 CHAIRMAN BONE: Chair says yes based on  
7 the data at hand, just as we were talking about.

8 The third question is --

9 DR. CRITCHLOW: I vote yes.

10 CHAIRMAN BONE: Oh, excuse me, Dr.  
11 Kreisberg. I am very sorry.

12 DR. KREISBERG: That's okay. It doesn't  
13 make any difference what I vote, actually. But it's  
14 yes.

15 CHAIRMAN BONE: Dr. Critchlow, I'm sorry.  
16 I confused myself with my innovative order of voting.  
17 It just goes to show you.

18 Okay, I'm sorry. So, that's a unanimous  
19 yes except for one abstention.

20 The third question is do the benefits of  
21 sibutramine outweigh the risks? We'll start with Dr.  
22 Molitch answering this question.

23 DR. MOLITCH: I would have to say yes,  
24 barely.

25 CHAIRMAN BONE: Okay.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Dr. Sherwin?

2 DR. SHERWIN: Yes and no, because -- it  
3 has on the patients. I would say no. I don't have  
4 the data -- can I just comment because I'm not happy  
5 about saying that.

6 My gut feeling is that we've not been  
7 dealt a full card and that's making it very hard. You  
8 would like, if nothing else, better data on the  
9 ambulatory blood pressure. If I hadn't seen that  
10 ambulatory blood pressure readings, I might have  
11 weighed the other way. Because I think we could  
12 screen out more effectively, the individuals who might  
13 have a subtle change and I'm not sure that's so  
14 significant.

15 CHAIRMAN BONE: But unfortunately, we have  
16 to speculate about that, I think. It's a question of  
17 what we have.

18 Yes, Colleen?

19 DR. COLLEY: I'd say no based on the data  
20 that we have currently.

21 CHAIRMAN BONE: Dr. Illingsworth?

22 DR. ILLINGSWORTH: I'd say yes, given to  
23 appropriate patients with comorbid conditions. In  
24 other words, the patients need to be accepted  
25 appropriately.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Do you have a basis for  
2 that selection at this point?

3 DR. ILLINGSWORTH: I would say the  
4 criteria that we have discussed originally, a BMI of  
5 27 with diabetes or hyperlipidemia who are at risk --

6 CHAIRMAN BONE: Okay, but -- okay.

7 I guess we have a question here because  
8 that's not the indication that's being sought.

9 DR. ILLINGSWORTH: Correct.

10 I still would favor yes.

11 CHAIRMAN BONE: Okay.

12 Dr. New?

13 DR. NEW: Yes, barely.

14 CHAIRMAN BONE: Dr. Marcus?

15 DR. MARCUS: Barely yes.

16 CHAIRMAN BONE: Dr. Critchlow?

17 DR. CRITCHLOW: I'm going to have to be  
18 conservative and say no based on the pressor effect,  
19 the inconsistency in findings with respect to lipid  
20 reduction and weight loss, and the modest weight loss.

21 CHAIRMAN BONE: Dr. Kreisberg?

22 DR. KREISBERG: Dr. Bone, I would like to  
23 tell you that I have never enjoyed these questions and  
24 I still don't enjoy these questions.

25 CHAIRMAN BONE: I don't either.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. KREISBERG: I don't think they're  
2 right. I don't think these questions are framed  
3 properly. We're always stuck at this meeting with  
4 these types of issues about yes and no for the same  
5 question. I think we need to work better on the  
6 questions.

7 I'll have to vote no.

8 CHAIRMAN BONE: Have to vote no.

9 I take your point. The questions are --  
10 we're sort of to advise the Agency and these are the  
11 questions that the Agency has asked us. Perhaps we  
12 could have some further discussion with the Agency  
13 about the questions perhaps in the future.

14 On question number three, based on the  
15 available data, I would have to say no.

16 The fourth question is if sibutramine were  
17 to be approved for marketing -- now, this supposes at  
18 some point that the drug were approved.

19 I'm going to add one comment since other  
20 people made comments to my vote on number three. I  
21 would say that more information directly on the  
22 subject of comorbidities would be extremely helpful  
23 and might result in a different answer if the data  
24 were available.

25 Number four -- if sibutramine were to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 approved for marketing, should there be a Phase IV  
2 study? And the implicit question is then, very  
3 briefly, what would be your major comments on the  
4 character of that study?

5 Perhaps we'll start with Dr. New.

6 DR. NEW: I think there should be a Phase  
7 IV study and I would like more precise data on the  
8 variability of blood pressure, the comorbidities, and  
9 some idea of compliance.

10 CHAIRMAN BONE: One of the design issues  
11 that came up earlier had to do with whether this could  
12 be an open label study comparing with dexfenfluramine.  
13 There's been some discussion of different perspectives  
14 on that. Would you favor, oppose, or have no opinion  
15 on that particular question?

16 DR. NEW: I don't think a comparison is  
17 necessary. I think it should stand on its own.

18 CHAIRMAN BONE: Thank you.

19 Dr. Marcus?

20 DR. MARCUS: I agree that the appropriate  
21 comparator arm would be a true placebo arm. If the  
22 company wanted to go to the expense and have all three  
23 arms, that would certainly be acceptable to me. But  
24 I think the major comparison needs to be the placebo.

25 In addition to the things that Dr. New

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 asked for, I just reiterate a rigorous attempt to  
2 evaluate blood pressure.

3 CHAIRMAN BONE: Dr. Critchlow?

4 DR. REEDY: That's a yes?

5 CHAIRMAN BONE: That's a yes.

6 DR. MARCUS: Oh, yes.

7 CHAIRMAN BONE: Yes, with editorial  
8 comments.

9 Yes, Dr. Critchlow?

10 DR. CRITCHLOW: Yes, definitely. I just  
11 wanted to reiterate previous comments on increasing  
12 the ethnic diversity in the group, making some attempt  
13 to increase the people who stay on the drug for  
14 whatever period of time because it's very difficult to  
15 evaluate these data, or the validity of these data,  
16 given the approximate 50 percent completion rate.

17 The other concern is -- and I don't know  
18 if this is even possible -- given that probably at  
19 least half, if not more, of the patients taking the  
20 drug will be ones for whom are not according to the  
21 label. I don't know if it's possible to get safety  
22 data, for example, in young women who are not  
23 necessarily obese but on contraceptives, but there's  
24 certainly a large population of people who will be on  
25 it for whom we will have no other way to get data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIRMAN BONE: Thank you.

2 Dr. Kreisberg?

3 DR. KREISBERG: Well, I've previously  
4 spoken to this point and yes, I think a Phase IV study  
5 should be done. I think it should be a placebo  
6 control study. I would certainly accept Dr. Marcus'  
7 suggestion that the company could add another arm if  
8 they wanted to compare it to dexfenfluramine.

9 I'm a little bit concerned, based upon the  
10 issues that Dr. Flack discussed, as to whether or not  
11 the projections that the company has already made on  
12 the numbers of patients is likely to give important  
13 differences at two years, particularly if patients  
14 that are at high risk for events are going to be  
15 excluded because they are, in fact, risky patients.  
16 It would seem to me that their projections are wrong.

17 CHAIRMAN BONE: Let's see, Dr. Molitch?

18 DR. MOLITCH: Yes, I certainly agree with  
19 the Phase IV study or more than one Phase IV study  
20 that will get at some of these issues, especially the  
21 comorbidity issues. Because the drug, if it does get  
22 approved will, in fact, be used in patients who do  
23 have comorbidities. I think we need to find out that  
24 information sooner rather than later.

25 CHAIRMAN BONE: Let's see, Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 Illingsworth?

2 DR. ILLINGSWORTH: I would endorse a Phase  
3 IV study, ideally placebo controlled, so you can  
4 assess the efficacy, safety and comorbidity. I'd also  
5 suggest inclusion of patients with significant  
6 hypertriglyceridemia who have most to benefit from  
7 treatment of dyslipidemia. Triglycerides are a risk  
8 factor in women and in diabetics particularly, quite  
9 strongly. The population who have been studied with  
10 dyslipidemia didn't have significantly high  
11 triglycerides. So, it's not surprising that the lipid  
12 changes are not very profound because a weight loss  
13 doesn't really dramatically change LDL cholesterol.

14 CHAIRMAN BONE: Thank you.

15 Dr. Colley?

16 DR. COLLEY: Yes, and I would agree with  
17 the comments made previously that it should be placebo  
18 controlled whether or not it has dexfenfluramine as a  
19 comparison as well. And that it include the groups  
20 that have a higher rate of hypertension in Native  
21 Americans, Blacks, Hispanics, as well as older  
22 patients.

23 CHAIRMAN BONE: I think the need for Phase  
24 IV studies is manifest. It may well be, as Dr.  
25 Molitch has suggested, that the large simple trial may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 not be the answer to all of the outstanding questions,  
2 some of which are vague by the fact that they weren't  
3 addressed in the trials done to date, specifically  
4 comorbidities. I think some of the issues that my  
5 colleagues have suggested must be addressed in placebo  
6 control trials -- could be addressed in placebo  
7 controlled trials that were more narrowly focused and  
8 smaller in size. That would not necessarily require  
9 the scope of study that was initially suggested by the  
10 sponsor in their positive control trial.

11 So, there might be more than one way of  
12 getting at these issues. It might be that the large  
13 simple trial, accompanied by a program of more limited  
14 and focused studies, could be satisfactory.

15 Just a moment, please?

16 Oh, Dr. Sherwin. I'm very sorry.

17 DR. SHERWIN: No, that's all right.

18 CHAIRMAN BONE: I'm very sorry. I've very  
19 sorry, Dr. Sherwin. When I try to vary the sequence,  
20 occasionally, as everyone has noted, I get out of  
21 order. I apologize.

22 DR. TROENDLE: I would like to ask for  
23 opinions from Dr. Flack and Dr. --

24 CHAIRMAN BONE: Can't hear you.

25 DR. TROENDLE: I'm sorry. I wanted to ask

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 for opinions from Dr. Zawadzki and Dr. Flack on this  
2 last question in particular, even though they're not  
3 voting members.

4 CHAIRMAN BONE: Certainly. Thank you very  
5 much. We'll do that.

6 Dr. Sherwin has voted.

7 DR. SHERWIN: Yes. We've heard enough  
8 comment.

9 CHAIRMAN BONE: Okay. Then Dr. Flack and  
10 then Dr. Zawadzki.

11 DR. FLACK: On the issue of the Phase IV  
12 study, yes. What should the control group be? I  
13 would number one, endorse that it be a placebo  
14 control. If the sponsor wants to spend the money to  
15 add an active control, that would be fine but at the  
16 very minimum, a two arm study. One of them needs to  
17 placebo versus sibutramine.

18 Again, I'd just like to reiterate that  
19 there should be sampling procedures in place, or  
20 recruiting procedures up from, to ensure adequate  
21 subgroups of patients, non-White patients in the  
22 study. An additional thing I would do is encourage  
23 them to perhaps look at no higher than 15 milligrams  
24 a day, but that's up to them.

25 CHAIRMAN BONE: Thank you, Dr. Flack.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   Comments from Dr. Zawadzki?

2                   DR. ZAWADZKI: I agree that a Phase IV  
3 study may provide some very useful data, I think,  
4 particularly regarding some of the issues regarding  
5 hypertension induced by the medication. I think the  
6 comments that were previously made regarding careful  
7 measurements of blood pressure during clinical use are  
8 very important.

9                   I also agree that unless the studies are  
10 very, very carefully designed, we may not find some of  
11 the real final endpoints that we would be looking for.

12                   CHAIRMAN BONE: Thank you.

13                   Any additional questions from the Agency  
14 for the Committee? No?

15                   Thank you.

16                   All right, well, to summarize, in its 64th  
17 meeting, the Endocrinologic and Metabolic Drugs  
18 Advisory Committee has reviewed the presentation for  
19 sibutramine for the long-term treatment of obesity.  
20 The four questions were answered by the Committee with  
21 all the comments and the Agency has previously noted  
22 on a number of occasions that the comments are often  
23 more important than the vote because of the nature of  
24 that process.

25                   On the first question, the Committee voted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 nine members voting yes, that the sibutramine met the  
2 criteria for effectiveness and none voting no.

3 On the second question, is the pressor  
4 effect of sibutramine clinically important?, eight  
5 members voted yes and one abstained. Several of the  
6 members voting yes commented that that was based on  
7 the available information but that the clinical  
8 significance wasn't fully explored.

9 On the third question which was, do the  
10 benefits of sibutramine outweigh the risks?, the  
11 Committee was closely divided. Four of the Committee  
12 members voted yes, that the benefits outweighed the  
13 risks. Five voted no, that the benefits did not  
14 outweigh the risks and there were a number of comments  
15 to the effect that uncertainty about estimates of both  
16 benefits and risks made this question particularly  
17 difficult.

18 The fourth question was, if sibutramine  
19 were to be approved for marketing, should there be a  
20 Phase IV study? All of the Committee members, nine,  
21 voted yes with a variety of comments concerning  
22 different aspects that they felt should be considered.

23 I want to thank the sponsor for an  
24 outstandingly clear and cogent presentation, and for  
25 the timeliness and cooperative way in which this was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20006

1 handled. We really appreciate that very much.

2 I would like to thank the Agency for their  
3 presentations and close the meeting at this point.

4 (Whereupon, the meeting was concluded at  
5 3:18 p.m.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

**CERTIFICATE**

This is to certify that the foregoing transcript in the


matter of:                    ENDOCRINOLOGIC AND METABOLIC DRUGS  
                                  ADVISORY COMMITTEE  
                                  MEETING #64

Before:                        HENRY G. BONE III, MD

Date:                          SEPTEMBER 26, 1996

Place:                         BETHESDA, MARYLAND

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

  
\_\_\_\_\_  
IRENE GRAY