

1 would have made a decision to stop the trial on the basis of  
2 excess of adverse events.

3 DR. GILMAN: But again, how would you determine  
4 what was excess?

5 DR. PATHY: That really wasn't the role of the  
6 MMAG.

7 DR. HAEHL: The definition of excess, in order to  
8 decide whether the trial should continue was limited to the  
9 interim analysis. The safety information, the yearly safety  
10 information to the ethics committee was given in its  
11 totality to compare what was reasonable as an overall  
12 incidence, and then the ethics committees would intervene or  
13 not, and they did not.

14 DR. GILMAN: But, again, how do they determine  
15 what was reasonable?

16 DR. HAEHL: We, as the company, did not propose to  
17 them when they should intervene or not.

18 DR. GILMAN: There are a number of questions. So,  
19 Dr. Brooke had his hand up first, then, Dr. Califf, then,  
20 Dr. Grotta, then, Dr. Katz.

21 DR. BROOKE: I want to be absolutely clear that I  
22 understand this. A variable that was being used as an  
23 endpoint was also being used as a safety assessment?

24 DR. GILMAN: Could we hear a response?

25 DR. HAEHL: The incidence of the endpoint stroke

1 at the predefined interim analysis was used in a randomly  
2 allocated treatment group design to decide whether the study  
3 could continue or not.

4 DR. BROOKE: I don't want to be aggressive about  
5 this, but is it true, then, that a variable that you had  
6 planned on using as an endpoint was also used in an analysis  
7 of safety? Is it yes or no?

8 DR. HAEHL: The answer is yes.

9 DR. CALIFF: I am not saying this is easy, and I  
10 am not actually sure how to deal with it, this is a common  
11 problem, but my understanding then of what happened was that  
12 you had an ethical committee that was reviewing for safety  
13 on a regular basis, looking at the primary endpoint,  
14 admittedly not knowing which group was which, but with the  
15 option if they were concerned about something for unblinding  
16 and doing something, and that is not being called an interim  
17 analysis every time they looked, is that correct?

18 DR. STREET: That is correct, because there was  
19 the one decision point built into the protocol based--

20 DR. CALIFF: That was a built-in decision point,  
21 but every year when they looked, if they had been concerned,  
22 I guess the question I would raise as a matter of policy is  
23 if there is only place to make a decision, why would you  
24 look at the other times if you are saying there is no way  
25 they could make a decision based on that data.

1 DR. HAEHL: As we do in any of our large trials,  
2 that we inform the ethics committee about the conduct of the  
3 trial, and we would expect the ethics committees, both  
4 individually in the centers, but also if we have a central  
5 ethics committee, to interfere with the trial if they don't  
6 feel confident with the safety of the trial anymore.

7 It is I would say standard procedure, and as a  
8 matter of fact, in Europe, it is requested by the ethics  
9 committee that you inform them on a yearly basis.

10 DR. CALIFF: That may be another point to come  
11 back to among the committee and maybe with Bob Temple's  
12 help, but this is a major issue of multiple looking and  
13 including the primary endpoint in the safety, and I don't  
14 know how to deal with that.

15 DR. GILMAN: Dr. Grotta.

16 DR. GROTTA: Well, I mean I could understand if  
17 your safety is looking at death, and death is combined in  
18 your endpoint, and even strokes, that the safety committee  
19 needs to look at it. I guess what is bothering me is  
20 whether the company was aware of these differences among the  
21 groups, as well.

22 So, maybe you could clarify a little bit about how  
23 much information about group differences on these important  
24 endpoints, namely, death and stroke, was known outside the  
25 ethics committee and by whom.

1 DR. HAEHL: Dr. Bertrand, he has referred to the  
2 company and even not the statistician didn't know the  
3 treatment allocation for the safety updates.

4 DR. GILMAN: That didn't answer the question.

5 DR. GROTTA: Were you aware of the group  
6 differences?

7 DR. GILMAN: In other words, were you notified  
8 about the number of deaths and the number of strokes in  
9 these groups?

10 DR. GROTTA: In the different group, even though  
11 you didn't know the group identifications, the safety  
12 committee didn't know the identification of the groups  
13 either, they just knew that Groups A, B, C, and D had  
14 different rates of death or stroke.

15 So, my question is who besides the ethics  
16 committee or safety committee in the company knew that  
17 information?

18 DR. BERTRAND-HARDY: Yes. The answer yes, we were  
19 informed about the number of events in a group. I mean the  
20 person working in the study.

21 DR. GILMAN: That still didn't answer the  
22 question. The question was who in the company knew about  
23 the results of the ethics committees.

24 DR. BERTRAND-HARDY: Just the person involved in  
25 the studies, that means the little group working in

1 Brussels, and the ethics committee, and that's it, no more  
2 persons.

3 DR. GILMAN: Which little group in Brussels?

4 DR. BERTRAND-HARDY: I mean what we call the TSU,  
5 called the technical support. That mean myself and three  
6 other physicians, and, of course, the statistician, and the  
7 members of the coordinating committee.

8 DR. GILMAN: Dr. Katz, I think was next, then, Dr.  
9 Drachman.

10 DR. KATZ: I guess maybe the fundamental question  
11 we are sort of dancing around is--and we should ask the  
12 statisticians this question--does the fact that they were  
13 looking periodically under the rubric of safety at an  
14 efficacy endpoint, even though there were no formal stopping  
15 rules, the way there was for the one formal interim analysis  
16 for death and stroke, should we be concerned that the  
17 testing at .05 at the end of the study inflates the Type I  
18 error, the sort of thing that we usually worry about?

19 I mean does this maneuver affect how we ought to  
20 think about the results? I think this cuts right to the  
21 chase.

22 The concern is because the ethics committee on  
23 these safety analyses is blinded to the treatment group, and  
24 they are making assessments on death, on stroke, and on  
25 bleeding based on whether there appear to be extreme

1 differences, they don't know what the nature of that  
2 difference is, for all they know it can be higher in the  
3 placebo group than in the active treatment group, so they  
4 don't know it.

5           But I think if I understand the issues correctly,  
6 it surrounds the fact that the study went on for three  
7 years, so there were like three safety looks and one  
8 efficacy look, and under the sort of if you follow the Peto  
9 Haybittle rules, which it appears they did, with a p-value  
10 of .001 or more extreme for stopping, then, I think that my  
11 own view of this methodologically would be that there would  
12 be no necessity to adjust p-values at the end for multiple  
13 looks.

14           If this had been like a 8 or 10-year study, it  
15 would be a different story. So, it may be a tempest in a  
16 teapot from the perspective of statistical adjustment, and  
17 it certainly seems, in terms of knowledge of the outcome  
18 because of the safety monitorings, nobody knew which  
19 treatment group was which.

20           DR. GILMAN: Let's stick with the same point for a  
21 moment.

22           Dr. Grotta.

23           DR. GROTTA: What we are going to hear about in a  
24 moment is that the sample size was increased during the  
25 study from the original number by over 1,000 or more

1 additional patients, so decisions were made during the  
2 conduct of the study, and I guess the question then comes up  
3 is were those decisions influenced by the group differences  
4 and the magnitude of group differences that were being seen  
5 during the course of the study.

6 I suppose if no changes had been made in the  
7 study, it would be a little easier to accept the fact that  
8 group differences in the endpoints were known by the  
9 statisticians.

10 DR. STREET: It is quite correct. They did re-  
11 estimate the sample size, and the group rates were used to  
12 rerun the simulation program that had been originally used  
13 to design the study, and instead of a 35 percent reduction  
14 between the best and the worst treatments, and with the  
15 assumption of the other treatments being halfway between,  
16 they found a 20 to 25 percent difference between the best  
17 and worst treatments at the interim analysis.

18 They plugged those rates into the computer program  
19 without knowledge of which treatment group was which, but  
20 simply on the overall test of homogeneity of these  
21 treatments, and that was the principle.

22 After this was done, and it was also done in  
23 another fashion, again still blind to the exact identity of  
24 the groups, the statistician concluded that 7,000 patients  
25 would be required or 1,750 per group.

1 His recommendation to the steering committee  
2 following the interim analysis in November of '91, the  
3 steering committee acted on that and said they agreed with  
4 it.

5 Later, in March of the next year, this was  
6 presented to the ethics committee, and the ethics committee  
7 reviewed the existing data on aspirin. Again, from the  
8 meta-analyses, they concluded that the efficacy of aspirin  
9 in stroke and TIA patients had not been adequately proven.  
10 They agreed to continue the trial as originally constituted,  
11 and agreed at that time to increase the sample size to 7,000  
12 patients per recommendation of the statistician.

13 DR. GILMAN: Dr. Drachman had a question next.

14 DR. GROTTA: This is just in response to that  
15 response.

16 So, therefore, this really turned out to be a  
17 demonstration more than an experiment, and that you found a  
18 difference, and then you adjusted your sample size to show  
19 that that difference really was true.

20 I guess I need to know is there precedent in  
21 clinical trial design, an accepted clinical trial design, to  
22 adjust your sample size mid-trial, knowing that your  
23 differences weren't as great as you had initially  
24 hypothesized them to be as opposed to starting over again  
25 with a larger sample size.



1 DR. HAEHL: When we initiated ESPS-2, the  
2 situation was such that we had no information other than  
3 speculation what the contribution of dipyridamole in this  
4 four-arm setting would be, what the contribution of low-dose  
5 aspirin would be, and therefore, any assumption for sample  
6 size calculations were based on speculation.

7 And because this was the case, the statistician  
8 included in the protocol a planned interim analysis in order  
9 to prevent--that we are performing a trial which involves a  
10 large number of patients and exposes them to medication,  
11 however, because based on wrong assumptions, non-established  
12 assumptions, fails, just fails to show a true benefit.

13 I think that was the rationale for us how this  
14 trial was designed, and therefore, the interim analysis had  
15 to be in. The other consequence would be that we would have  
16 potentially discontinued the trial just falling short of  
17 showing an existing benefit, and we would not have the  
18 results as we have them on the table today.

19 DR. GILMAN: I think Dr. Grotta's question perhaps  
20 ought to be addressed by Dr. Katz, Dr. Temple, or both.

21 DR. KATZ: No, I mean you have just said that the  
22 plan to reestimate the sample size was prospectively  
23 designated in your plan for the interim analysis. That may  
24 be, and that is all well and good.

25 The question is, is it an appropriate thing to do.

1 The fact that it is prospective doesn't automatically make  
2 it so. So, I would ask the statistician, Dr. Van Belle, how  
3 you feel about what increasing the sample size on the basis  
4 of a look, which is blinded to some extent, but you do know  
5 A, B, C, D, and as Dr. Grotto suggests, that you could  
6 guess, for example, that the difference in Group C, if that  
7 had the largest difference compared to some other group,  
8 might have been the treatment you were interested in, the  
9 combination.

10 What does this maneuver do under these  
11 circumstances to the Type I error at the end?

12 DR. VAN BELLE: Let me make several comments. One  
13 is the issue of whether there was one interim analysis or  
14 more. I think that is really one of the key issues here.

15 I get the impression, and this is the impression  
16 that the ethics committee had its own rules for looking at  
17 the data without any kind of an interim analysis. I would  
18 think that if there had been an extreme imbalance, whatever  
19 that might mean, that they might have asked for an  
20 unblinding of the data, but as far as I can tell and from  
21 what I have heard today--and maybe the company can also  
22 explicate that--it seems that the ethics committee did not  
23 explicit rules for when to stop the trial or when to ask for  
24 unblinding, so that is one issue.

25 The other issue is the one that is raised now,

1 what about the interim analysis affecting the sample size.  
2 I think I have seen that done more than once, and it would  
3 affect the p-value undoubtedly in some sense, but I would  
4 not know how to actually model that. I think it is a very  
5 complicated question, and I don't think it is very fruitful  
6 either.

7 I think I would just take the added sample size as  
8 being reasonable and particularly in view of the results, I  
9 am not too concerned about that aspect. I am more concerned  
10 about endpoints, whether they were prespecified or not, and  
11 also I am concerned about the number of interim analyses  
12 that were actually done, and I get the impression that there  
13 was only one.

14 DR. KATZ: Can I have a follow-up question?

15 DR. GILMAN: All right, please.

16 DR. KATZ: As far as the first point you  
17 addressed, what increasing the sample size on the basis of  
18 this interim analysis does to the p-value, it might be  
19 fairly important in the analysis of this trial, because  
20 there is some question as to whether or not even the nominal  
21 p-value for the comparison of the combination of the  
22 components is significant by the usual rules.

23 I mean obviously we will get to that, but the  
24 point is if it does do something to the p-value, and we  
25 can't quantitate what it does, it might actually I think be

1 fairly--at least where they got the combined endpoint of  
2 mortality and stroke.

3 DR. VAN BELLE: I don't know. My impression would  
4 be that under any reasonable scenario, the effect on the p-  
5 value would be reasonably small.

6 DR. GILMAN: I would like to stick with this  
7 question for a moment. Dr. Temple, can you comment?

8 DR. TEMPLE: Well, it almost has to be relatively  
9 modest. Even a full Bonferoni, which would be very  
10 conservative in this case, would only double it.

11 My impression is that Gordon Land actually has  
12 looked at this question fairly recently and has published on  
13 this very question, because there is naturally a desire to  
14 expand the population sometimes.

15 I don't know those data--there may be people in  
16 the room who do--but the conclusion was that the correction  
17 is real but modest, fairly small for expanding it.  
18 Obviously, it isn't a whole separate study, most of the data  
19 are already locked in, so how much impact could it have.

20 We may need to look into that, but I believe it  
21 has been actually addressed, probably with modeling.

22 DR. GILMAN: When you say real but small, can you  
23 give us an order of magnitude?

24 DR. TEMPLE: Well, the most conservative thing you  
25 could do is say I have got two studies here, and if you did

1 that, you would say the nominal p-value has to be doubled.  
2 That is a Bonferoni. Well, that is obviously absurdly  
3 overconservative, because 80 percent of the data are already  
4 locked in, so they are not independent. So, it has to be  
5 considerably smaller than that, but I am well beyond my  
6 limits.

7 DR. HENNEKENS: If I may make a comment, I agree  
8 with Bob that Gordon Land and Dave DeMets are publishing on  
9 the small corrections that one can make at the end, however,  
10 it is not uniformly agreed upon in clinical trials. Peto's  
11 position is quite the opposite, that says that if you have  
12 an extreme enough p-value to start with in terms of safety  
13 and efficacy monitoring with one look at year for several  
14 years, there is absolutely no need to make any correction.

15 Having said that, as a chair of several data  
16 monitoring boards, we routinely in the middle give advice to  
17 the investigators about whether to increase the sample size  
18 or not, and we have not taken the view that the p-value  
19 needs to be corrected based on those interim looks, because  
20 then it becomes a catch-22, because what is the net gain if,  
21 in fact, you are telling them they have to correct for  
22 something, they have to get an even result.

23 But there is a big philosophical debate between  
24 Land and DeMets and Peto on this issue, but I think Bob's  
25 point is the most important one, that even if you did it,

1 the correction would be quite small.

2 DR. GILMAN: Dr. Drachman.

3 DR. DRACHMAN: I think you may have answered this,  
4 but maybe you would clarify. The ethics committee knew  
5 membership in groups, but did not know which group was which  
6 or did not know who belonged in which group?

7 In other words, they were told that these patients  
8 are Group A, but we don't know what drug it is, or they were  
9 just given all the patients without any assignment by group,  
10 which was it?

11 DR. GILMAN: I believe he said it was by group.

12 DR. BERTRAND-HARDY: By group.

13 DR. DRACHMAN: They knew the groups, but they  
14 didn't know what the drug was?

15 DR. BERTRAND-HARDY: They knew the groups. I mean  
16 they knew it was Group A, B, C, or D, they knew that.

17 DR. STREET: It needs to be pointed out that those  
18 A, B, C, and D were not uniformly applied across each event.  
19 They were randomly permuted in every table, so they were  
20 simply nominal levels, but you could not correlate from  
21 adverse events back to efficacy events using this.

22 In fact, I gave the study report to a  
23 statistician, I said can you decode this, and he said no. I  
24 said can you tell from the adverse events what the efficacy  
25 groups are, he said no, so I tested it myself.

1           So, this random permutation preserved the  
2 blindness to that degree, but they were grouped with nominal  
3 levels.

4           DR. GILMAN: The end results were stroke or death.  
5 Why could that not be done? If you have A, B, C, D groups,  
6 you know there are two deaths in A, three deaths in B, four  
7 deaths in C, five deaths in D, you can assume that you are  
8 looking at some endpoints, right? And therefore, you are  
9 looking at efficacy, as well as adverse events.

10          DR. HAEHL: In this respect, it is true.

11          DR. STREET: That is, of course, true, but you  
12 don't know which of the treatment groups. That's all we  
13 have.

14          DR. GILMAN: I understand. The point remains that  
15 the examination of safety also deals with the endpoint of  
16 the study.

17          DR. HAEHL: In a study like this, it does.

18          DR. GILMAN: Dr. Brooke.

19          DR. BROOKE: When one is wrestling with a problem,  
20 I was once taught that you put it in language that your  
21 grandmother can understand, and I have a question here,  
22 because I work in a lab, as well as in a clinic, and  
23 sometimes I have an experiment which I know should come out  
24 the way I want it to come out, and then my lab tech comes to  
25 me and says it is not working the way you wanted it to work,

1 and I say, well, put it back in the refrigerator until it  
2 does.

3 This change in number that is the result of an  
4 analysis, does that come under the same heading like the  
5 study isn't going quite the way we want it to, let's  
6 increase the numbers, because if that is in fact true, that  
7 is a bit of a problem.

8 DR. GILMAN: Is there a grandmother in the room?  
9 I mean can we hear a response from the company perhaps, the  
10 sponsor.

11 DR. HAEHL: My answer would only be that is a hard  
12 endpoint which we would not have impact on just by, to stay  
13 in your picture, to put it back in the refrigerator, and as  
14 we have mentioned, the majority of the data was locked, so  
15 it was the extension in order to get the power for a true  
16 result, but I don't think that the study and the measures  
17 here are able to change direction and influence the  
18 direction.

19 Dr. Easton, you wanted to comment on that.

20 DR. GILMAN: Stick with this point for a moment.

21 DR. EASTON: I was struggling with what you are  
22 struggling with and thinking that in the Canadian and  
23 American ticlopidine study, the trial was extended by adding  
24 additional months on to the trial on the recommendation of  
25 the DSMB. In the CAPRIE trial with clopidogrel recently, it



1 started out at 15,000 patients, and it ended up at 19,000  
2 patients based on a look at the blinded overall group event  
3 rate that was going on in the trial and adjusted upward.

4 So, certainly, those adjustments take place  
5 regularly.

6 DR. GILMAN: Let's stick with this point. Do you  
7 want to comment on this point, Dr. Califf?

8 DR. CALIFF: Yes. I mean Dr. Easton just made the  
9 point I was going to make. I mean there is a methodology to  
10 do this that has been pretty well worked out based on either  
11 control group event rates or blended event rates  
12 prospectively planned.

13 I sort of agree and disagree with Dr. Hennekens,  
14 that the penalty, if there is one, and having sat through a  
15 number of debates about this meaning specifically devoted to  
16 this topic, the penalty is not huge for doing this, and  
17 probably will not have a major impact on the interpretation  
18 of this particular data set, but as a matter of policy, I  
19 think there is almost unanimous agreement that this is bad  
20 policy to continue your experiment having looked at the  
21 results part of the way and then adjusting the size of the  
22 experiment to confirm a result that you have already seen.  
23 It is not good methodology.

24 DR. GILMAN: Dr. Temple, will you address that  
25 question?

1 DR. TEMPLE: I don't agree with that. I don't  
2 think that is true any more than taking interim looks is  
3 true. These changes can introduce some bias, and it is all  
4 about multiplicity. These are all variations of having  
5 multiple endpoints, multiple looks, multiple subsets. It is  
6 the same conceptual problem, and it is not that it is evil,  
7 it's that you may have to make some correction and adjust  
8 the alpha level.

9 As Rob says it is perfectly true if you do it  
10 without breaking the code in any way, so that you are just  
11 looking at total events, the correction is very small. I  
12 used to think it was none, but I am told by statisticians  
13 that there is a very slight one, and that is the cleanest,  
14 but it is not as efficient.

15 So, if you want to make another one, you can do  
16 it, but you have to pay what the appropriate price is.

17 DR. CALIFF: But since we don't know the price,  
18 this could be like a scud missile, you are getting closer  
19 and closer, and you keep redesigning and redesigning, and  
20 eventually, you have engineered the experiment to get the  
21 result you want.

22 DR. TEMPLE: But you can't really do that. I mean  
23 if you have 8,000 people, they don't go away when you add  
24 another 1,000. You can't make it come out the way you want,  
25 but you do increase the possibility that you will have a

1 favorable outcome when there shouldn't have been one, and  
2 you can correct for that.

3 I believe there is a recent--I probably wouldn't  
4 understand it anyway--but I believe there is a recent  
5 analysis of this by Gordon Land that suggests what the  
6 nature of the correction might be, and one would have to do  
7 that, I think. I don't agree with Charley, I think you do  
8 have to consider the correction.

9 DR. GILMAN: Can you address that point?

10 DR. HENNEKENS: Yes, I would like to address that  
11 point. You know, theoretical speculations are out there,  
12 but the p-values here for the combination versus placebo is  
13 less than 0.001 on stroke. For the combination versus  
14 aspirin it is 0.006. For the combination versus  
15 dipyridamole it is 0.002.

16 So, while I take the point that there is this  
17 debate about whether or not to do so, with the robustness of  
18 these p-values, I really think it would be unfortunate if  
19 this trial, we are giving the impression that, well, gee, I  
20 don't know about this because if you make a correction, you  
21 are going to get a qualitatively different answer.

22 You will not. These are quite robust findings for  
23 the combination versus placebo, aspirin, or dipyridamole on  
24 stroke.

25 DR. GILMAN: Dr. Grotta.

1 DR. GROTTA: Just a question and then a comment.  
2 So, the possible increase in sample size at the interim  
3 analysis was prespecified. I mean when the study was  
4 designed, it was recognized that at the interim analysis,  
5 some increase in sample size might occur based on the data?

6 DR. HAEHL: No.

7 DR. GROTTA: It was not?

8 DR. HAEHL: No, the sample, that the trial should  
9 be reassessed, that is the formulation. At that time, in  
10 the protocol, there was no specification by what means the  
11 trial would be influenced.

12 The primary aspect was to discontinue should the  
13 results go to the extreme. The other option that was seen  
14 by the statistician was to adopt a sample size for the  
15 outcome.

16 If I may, I would like to add an information which  
17 you will see later, is that because of this issue, the first  
18 5,000 patients which were included in that have been  
19 analyzed separately, and just to anticipate, the result is  
20 the same as for the totality of the patients. So, this is  
21 an additional step in addition to correcting for p-values to  
22 ensure the homogeneity of the two populations.

23 DR. GROTTA: Well, my comment is that, you know, I  
24 am a pragmatist, and so I think that we do need to make  
25 clinical trial execution somewhat flexible as long as we

1 don't violate basic principles, so I mean this notion of  
2 increasing sample size doesn't really bother me that much,  
3 but I just think we need to be crystal-clear and have it  
4 read it into the record that if this drug is approved, that  
5 the precedent has been set, that in the course of a trial,  
6 particularly if it is prespecified on the basis of an  
7 interim analysis that it is valid to increase the sample  
8 size to be more certain of the endpoint.

9 DR. GILMAN: May I just ask you to clarify,  
10 prospectively, when did you plan to do your one interim  
11 analysis, and what did you plan to be the outcome of that  
12 analysis?

13 DR. HAEHL: The interim analysis was planned with  
14 the protocol, and the outcome of the interim analysis was to  
15 consider the further conduct of the trial in both  
16 directions.

17 The primary idea was to discontinue the trial  
18 early should there be excessive or unexpected efficacy.

19 DR. GILMAN: And if there were inadequate power,  
20 then?

21 DR. HAEHL: And the statistician at that time--and  
22 I think we have also to consider that this trial was not  
23 initiated today, and the protocol was not written today,  
24 under today's guidelines and rules--and the statistician  
25 interpreted this, that he would also be requested to

1 consider the power of the trial and the adequate sample size  
2 because when he did the sample size calculation at the  
3 beginning, he was left with assumptions which were not  
4 established in literature in the prior experience.

5 DR. GILMAN: Was it planned for an interim  
6 analysis when you accumulated 5,000 patients?

7 DR. HAEHL: What was the exact definition, when we  
8 accumulate 5,000 patients or was it after a certain time  
9 period?

10 DR. BERTRAND-HARDY: That was when we accumulate  
11 at least 1,600 patients followed for at least two years or  
12 3,000 patients followed for any time.

13 DR. GILMAN: Then, why did you, in fact, carry out  
14 this interim analysis with 5,000 patients?

15 DR. BERTRAND-HARDY: A little bit more. There  
16 were 4,000 patients included in the interim analysis.

17 DR. GILMAN: I thought it was 5,000.

18 DR. HAEHL: I think we have to clarify. When I  
19 mentioned the 5,000 patients, it was after the trial was  
20 concluded in order to see the impact of the increase of the  
21 sample size, an analysis was performed, not only on the  
22 total patient population, but also on the first 5,000  
23 patients in order to reflect the original sample size.

24 DR. STREET: In fact, the sample size--

25 DR. GILMAN: Wait a minute now. Wait a minute

1 now. So, you did two interim analyses then.

2 DR. HAEHL: No.

3 DR. GILMAN: No, you did one.

4 DR. HAEHL: Then the trial was concluded, and the  
5 complete analysis was done. The question came up what is  
6 the impact of increasing the sample size, and above all the  
7 things that have been discussed here, we addressed this  
8 question by looking into the effects of the first 5,000  
9 patients recruited into the trial as a subgroup.

10 So, that is an analysis for robustness if you  
11 want, and that was performed.

12 DR. KATZ: The 5,000 comes in because that was the  
13 original protocol specified for the trial.

14 DR. HAEHL: Yes, yes, we wanted to post hoc mimic  
15 the situation had we continued the trial as it was planned  
16 in the very beginning without interim analysis and without  
17 the consequence of the interim analysis.

18 DR. GILMAN: Thank you. That clarifies that  
19 situation. It wasn't clear from the books.

20 Dr. Drachman.

21 DR. DRACHMAN: Would you show us the data both at  
22 the time of the interim analysis right then and with the  
23 5,000? Let's see what you have got.

24 DR. STREET: I don't know that we have the slides  
25 prepared for the interim analysis, which I want to remind

1 you it was performed with 3,994 patients on an average of 12  
2 months follow up, many who had not even reached two years,  
3 and so when the trial was continued, we found, as you have  
4 seen, increasing benefits over time between the two  
5 treatment groups. So, I think that is why it appeared  
6 somewhat that the effects were smaller than they were in the  
7 final analysis.

8 So, I do not have that, but I was going through in  
9 the course of this, if we could jump ahead, please, to one  
10 of the robustness analysis slides.

11 DR. GILMAN: If you can't show that, can you show  
12 a comparison between the 5,000 and the 7,000?

13 DR. STREET: Yes, that is what I am getting here.

14 DR. KATZ: Which outcome did you look at in the  
15 interim analysis as being primary, or was there one that was  
16 primary?

17 [Slide.]

18 DR. STREET: In the interim analysis, they viewed  
19 the composite endpoint of stroke or death at the interim  
20 analysis.

21 DR. KATZ: That was prospectively?

22 DR. STREET: Well, to get the history of that,  
23 when you read the protocol--let's go back to the original  
24 wording of protocol, because there seems to be a bit of  
25 confusion on this, and one needs to set the record straight.



1           The stopping rule was clearly specified. That is  
2 the 0.001. That is for a global test of homogeneity between  
3 the four treatment groups, and that was going to be done by  
4 the statistician on the endpoint of stroke or death.

5           There was also a provision which was broadly  
6 worded, that said the interim analysis might be the basis  
7 for a new assessment of the rationale of the trial by the  
8 steering committee.

9           Now, that doesn't say anything specifically about  
10 an increase in sample size, however, the minutes to the  
11 steering committee meeting, which took place in 1990, I  
12 think in October of 1990 or thereabouts, a year before the  
13 interim analysis, do mention that this sample size would be  
14 increased--I am sorry, not increased--that it would  
15 reevaluated. That is the first explicit mention of sample  
16 size increase, and that was one year prior to the actual  
17 analysis.

18           So, that is the record, and it is true what you  
19 are saying that adjustments are very difficult to provide  
20 when you don't have a clearly specified rule. In fact, in  
21 frequenter statistics, you really can't make that  
22 adjustment, but in making any reasonable assumptions, you  
23 know that the impact is small, and the bottom line is really  
24 over here in the first 5,002.

25           We were very fortunate that these results--up here

1 you see the planned primary efficacy analyses for stroke. I  
2 am concentrating on stroke, which is where we have efficacy.

3 The primary analysis, the main effects which we  
4 had prespecified and were planned in the protocol, 0.001,  
5 less than 0.001 for aspirin.

6 When we come to the comparisons of Aggrenox versus  
7 its components and versus placebo, we will see a 0.002, a  
8 0.008, and frankly, one,  $10^{-6}$ , one in a million here for  
9 Aggrenox versus placebo.

10 We come down to the first 5,0002, and here we have  
11 the same level of significance, comparable anyway, across  
12 all the most important comparisons in the trial, so all I  
13 can say is it would have succeeded on the original plan.

14 It also succeeded even more on the final plan  
15 because many other endpoints could be investigated  
16 adequately. So, I believe that is a direct pragmatic answer  
17 to a thorny technical question.

18 DR. GILMAN: Dr. Robie-Suh.

19 DR. ROBIE-SUH: I had wanted to ask about the  
20 interim analysis when the sample size was increased. What  
21 were investigators told, if anything? Did they know that  
22 the sample size had been increased or were they just  
23 continuing until someone told them to stop?

24 I guess sometimes one thing that I think about in  
25 increasing sample size is the penalty shouldn't be a whole

1 lot if the patient population and all the other things sort  
2 of stay the same and we just go along, but, you know, people  
3 are kind of curious, investigators.

4 DR. STREET: I have no knowledge of that. I know  
5 that the basic decisions were taken by the steering  
6 committee and by the ethics committee.

7 DR. HAEHL: I remember that the decision was  
8 taken, and the decision to increase the sample size was, of  
9 course, communicated to the participating investigators.

10 DR. GILMAN: Did that answer your question?

11 DR. ROBIE-SUH: Was any reason given to them?

12 DR. HAEHL: Yes, the reason was given that the  
13 sample size, as calculated, or that the previous sample size  
14 would not be adequate.

15 DR. GILMAN: But it proved to be adequate.

16 DR. HAEHL: It proved, but as Dr. Street has  
17 mentioned, the interim analysis, of course, didn't have the  
18 complete data as we have at the 5,000 patient level right  
19 now.

20 DR. STREET: It has approximately 4,000 patient  
21 years of follow-up at that time, and in totality, we ended  
22 up with 13,000.

23 DR. GILMAN: Dr. Konstam had a question.

24 DR. KONSTAM: I just wanted to make sure I  
25 understood something that you had said a moment ago, when

1 you said that at the interim look it was pre stated that the  
2 primary analysis would be stroke or death.

3 DR. STREET: Number one, that is correct.

4 DR. KONSTAM: Is it stroke or death, or stroke or  
5 death? In other words, did the define the combined endpoint  
6 as the thing that they were looking at?

7 DR. STREET: The statistician viewed it as the  
8 composite endpoint of stroke or death.

9 DR. KONSTAM: This was defined in the protocol?

10 DR. STREET: It is clearly indicated in the  
11 statistical appendix.

12 DR. HAEHL: To be very clear, in the protocol, the  
13 endpoint, the primary endpoints are stroke firstoff, second  
14 primary endpoint, death. In the analysis plan--

15 DR. KONSTAM: The primary endpoint was stroke, do  
16 I understand?

17 DR. HAEHL: No, we had two primary endpoints.

18 DR. KONSTAM: Two primary endpoints.

19 DR. HAEHL: Yes, the two I mentioned.

20 DR. KONSTAM: What are the two?

21 DR. HAEHL: Stroke and death.

22 DR. KONSTAM: Death.

23 DR. HAEHL: And in the analysis plan, the  
24 statistician included the combined endpoint, and that is  
25 probably not up to date, but that is how--

1 DR. KONSTAM: Was it planned to share the alpha  
2 between these two primary endpoints?

3 DR. HAEHL: I can't answer you about alpha, I have  
4 to get assistance.

5 DR. STREET: No, it was not planned in the  
6 protocol to split the alpha, but when we took our proposal  
7 to FDA at a pre-NDA meeting in August '97, we took our full  
8 analysis plans down there, and we proposed a Bonferoni home  
9 adjustment for the two planned primary endpoints of stroke  
10 and death, and we also adjusted per request of the  
11 statistician for the 0.001 interim analysis that was spent,  
12 the 0.001 that was spent.

13 So, no, the protocol did not specify, but we had,  
14 we felt, in our composite review of these data, we wanted to  
15 bring it up the standards as best we could of how it would  
16 be viewed today.

17 DR. GILMAN: Dr. Drachman.

18 DR. DRACHMAN: Had you looked at stroke alone at  
19 the interim, would it have made it then? In other words,  
20 you now show us your stroke data for 5,000. What was it  
21 like when you did the interim analysis?

22 DR. HAEHL: I am sorry, we don't have the data  
23 right here. If that is necessary, we have to get it.

24 DR. GILMAN: Could you get that today or is that  
25 not possible?

1 DR. HAEHL: I would have to consult and tell you  
2 later whether we can get it today.

3 DR. GILMAN: Thank you.

4 Dr. Temple.

5 DR. TEMPLE: If I remember the slide you showed,  
6 and if you applied sort of ordinary O'Brian-Fleming rules,  
7 it probably would not be make it. It was very encouraging,  
8 I imagine, but it wouldn't have made a 0.001 value. That is  
9 for a three look, though, isn't it.

10 DR. HENNEKENS: It is exactly right, it does not  
11 achieve a stopping boundary with 4,000 person years of  
12 observation, and even if the effect size, as large as it is  
13 at the end, it doesn't make a 3-standard deviation.

14 DR. TEMPLE: I should say as an aside, when  
15 anybody comes to us, we strongly urge that nobody stop  
16 trials for endpoints that can change. Death doesn't change,  
17 that is a good endpoint, but stroke can be re-evaluated, and  
18 endpoints committee can say something different, so we  
19 discourage doing that unless there is a perceived ethical  
20 compulsion to go ahead and do it, but it can lead to  
21 trouble.

22 DR. HENNEKENS: And actually just speaking on the  
23 stopping rule, as chair of a number of data monitoring  
24 boards, we routinely review the data and give advice to the  
25 investigators about whether to keep the study going or not.

1           We don't expect that they need to adjust anything,  
2 but we are not giving them any information about what we are  
3 saying in the deltas. We are talking about event rates in  
4 the placebo, either achieving or not achieving the  
5 expectations.

6           So, it is quite common to extend the trial and  
7 increase the sample size, and not have to spend anything at  
8 the end of the study about it. I think the thorny issue  
9 comes when you are looking at the comparison at the time and  
10 then making that--and it is not clear whether that was going  
11 on here given the fact that everyone was blinded.

12           As I understand it, if treatment A was the  
13 combination for stroke, it might not have been, treatment C  
14 might have been the combination for death. So, it was very  
15 hard to unravel this.

16           DR. GILMAN: Dr. Konstam.

17           DR. KONSTAM: I understand this debate about how  
18 many looks there were and was there one, so let me just ask,  
19 in terms of the safety looks, how many of these safety looks  
20 were there that took place?

21           DR. GILMAN: I think it was one a year, wasn't it?

22           DR. HAEHL: How many safety, was it three or four?

23           DR. BERTRAND-HARDY: Four.

24           DR. HAEHL: Four.

25           DR. KONSTAM: I think one of the things that would

1 be useful for us internally or among the statisticians to  
2 ask let's take the worst case, which is that these safety  
3 looks actually were interim looks of some sort, what would  
4 be the penalty and what would we wind up with.

5 My own suspicion is it won't kill it, but I think  
6 it would be worthwhile really asking that question.

7 DR. VAN BELLE: If they had stuck to what was  
8 specified in the protocol that they would work at the 0.001  
9 level of significance, so basically, the expenditure--you  
10 are worried about the Type I error, namely, accepting  
11 effectiveness when there is none, that is really what we are  
12 looking and that is what we are worried about.

13 So, my judgment is that that would have been a  
14 minimal effect.

15 DR. GILMAN: Any other questions? Should we let  
16 Dr. Street continue? Please, go ahead.

17 DR. STREET: I will go on to the next concern,  
18 which you have already expressed, and that is what are the  
19 primary efficacy endpoints.

20 [Slide.]

21 Again, I think the best approach is to quote  
22 directly from the protocol where the record is unambiguous.  
23 There will be two primary efficacy endpoints: one, strokes;  
24 and two, total mortality.

25 These were to be the strokes confirmed by the



1 MMAG, that is, the Morbidity and Mortality Assessment Group,  
2 and all-cause mortality. The MMAG, however, though blind to  
3 treatment, they did assess the cause of death as one of  
4 their functions, but still our analysis will be based on  
5 all-cause mortality.

6 [Slide.]

7 In addition, there were four secondary efficacy  
8 endpoints.

9 DR. GROTTA: Can I interrupt and ask a question?

10 DR. STREET: Yes.

11 DR. GROTTA: This is an important point to those  
12 neurologists among us who do clinical trials. What  
13 information did the group, MMAG, have on which to base this  
14 judgment? How much evaluation was done by a local  
15 neurologist? How certain can we be of this primary endpoint  
16 stroke that you are resting your claim on?

17 DR. HAEHL: That is an ideal question for Dr.  
18 Pathy, I think, as chairman of the MMAG.

19 Would you like to comment, and we have a slide for  
20 that on the basis of what?

21 DR. PATHY: Yes, we have a slide.

22 [Slide.]

23 This just summarizes what the MMAG saw as its key  
24 areas of concern

25 Could I go to the next slide, please.

1 [Slide.]

2 These give the causes of death, but it is the next  
3 slide I need really.

4 [Slide.]

5 Yes. The MMAG, of course, was supplied with all  
6 the Trialist data, supplied with CT scan or MRI scan  
7 information if that were done, supplied with all the  
8 clinical background data, was supplied with all  
9 investigational data which would include ECGs and  
10 echocardiograms were they done. ECGs were invariably done,  
11 of course.

12 We made certain definitions about stroke having  
13 come to the conclusion the patient had a stroke, so anyone  
14 dying, as I mentioned earlier, within 30 days following  
15 endpoint stroke, we wrote the cause of death as stroke in  
16 the same way as anyone dying of a myocardial infarction  
17 within 30 days of that infarction, we designated the cause  
18 of death as myocardial infarction whatever may have been a  
19 symptom, such as cardiac failure, and the same for stroke.  
20 Even though the person may have developed an interim chest  
21 infection, we did not call the cause of death a chest  
22 infection, but a stroke if the patient died within 30 days.

23 DR. GROTTA: I am sorry to belabor this point, but  
24 that just doesn't quite cut it for me. I mean the main  
25 effect of the drug is on nonfatal stroke.

1 DR. PATHY: Yes.

2 DR. GROTTA: So, convince me that the nonfatal  
3 strokes that were called nonfatal strokes really were  
4 nonfatal strokes.

5 I realize the study was carried out in Europe,  
6 probably not all these patients were seen by a neurologist.  
7 Was there a standard neurological examination at least that  
8 had to be carried out in everybody that was called a stroke,  
9 so that the central adjudicating committee had a  
10 neurological examination to go by?

11 What was required to call somebody a nonfatal  
12 stroke?

13 DR. PATHY: Thank you. Yes, we had to have the  
14 detailed neurological assessment of those patients before all  
15 members of the MMAG had to unanimously agree that this was a  
16 stroke. There were three neurologists on the MMAG, and it  
17 required unanimity among them on the basis of the clinical  
18 data, the neurological data often amplified with, of course,  
19 a CT scan or an MRI.

20 DR. GROTTA: Sometime before the end of the day,  
21 would it be possible to produce the case report form that  
22 had to be filled out on an endpoint stroke for me to look  
23 at?

24 DR. PATHY: I think we could get hold of that.

25 DR. GILMAN: Just to amplify one of Dr. Grotta's

1 questions, was it a neurologist who saw the patient and  
2 collected the clinical data or what sort of physician would  
3 that have been?

4 DR. PATHY: No, it would often be a general  
5 physician, by no means always a neurologist.

6 DR. KONSTAM: Maybe this requires a cardiologist  
7 to ask this question. Do you have set criteria? In other  
8 words, you said unanimity of agreement among three  
9 neurologists, but were there prespecified criteria or some  
10 sort as to what defines a stroke? Do we have them for MIs,  
11 for example?

12 DR. PATHY: Yes. Obviously, it depended. We  
13 divided them between TIAs and strokes. We wrote definitions  
14 much more precisely for TIAs because we found that this is  
15 where there was a greater degree of controversy, about  
16 strokes defined as an acute neurological deficit lasting for  
17 more than 24 hours.

18 DR. GILMAN: Did you require that there be a  
19 physical sign of the deficit or would a symptom alone  
20 suffice?

21 DR. PATHY: No.

22 DR. GILMAN: For example, if numbness occurred on  
23 one side of the body, but no neurological abnormalities  
24 could be found on examination, would that qualify?

25 DR. PATHY: If the person had an acute homonomous

1 hemianopia, but then there would be physical signs, but that  
2 would qualify.

3 DR. GILMAN: That's not my question. That would  
4 be a physical sign.

5 DR. PATHY: Yes.

6 DR. GILMAN: My question is, if a patient had a  
7 symptom, but had a normal examination, and the symptom  
8 continued for days, would you consider that to be a stroke?

9 DR. PATHY: No, they had to have positive clinical  
10 findings.

11 DR. GILMAN: Thank you.

12 Dr. Katz.

13 DR KATZ: When were these determinations made by  
14 the committee, after all the data were in at the end of the  
15 trial, or were they made in real time?

16 DR. PATHY: Yes, the MMAG met about three times a  
17 year, therefore, there may be a longer or shorter interval  
18 between reviewing the Trialist data depending how near the  
19 death or the stroke, the endpoint was to the meeting, but we  
20 met regularly approximately three times a year.

21 DR. KONSTAM: Did the committee principally have a  
22 confirmatory function? I guess the question, would there be  
23 ever a circumstance where you would identify or define a  
24 stroke that was not categorized by the investigator as a  
25 stroke, would that ever happen?

1 DR. PATHY: Yes, it would particularly in terms of  
2 TIAs.

3 DR. KONSTAM: In other words, they were called TIA  
4 by the investigator, and you reclassified as a stroke?

5 DR. PATHY: Yes, that's right.

6 DR. KONSTAM: Can you give us an idea of what  
7 percentage of the total strokes might fall into that  
8 category?

9 DR. PATHY: I can't off the cuff I am afraid.

10 DR. KONSTAM: Let me just follow then in that  
11 case. Did you keep track of investigator-defined strokes,  
12 was that something kept track of in the study, as well, or  
13 not?

14 DR. PATHY: We, of course, didn't know the  
15 investigator at the time that we arrived at a decision.

16 DR. KONSTAM: Maybe it's for the sponsor. Was  
17 there a designation by the investigator that there was a  
18 stroke that had occurred?

19 DR. STREET: Yes.

20 DR. KONSTAM: Which then was confirmed by the  
21 endpoint committee? If that is the case, I would just be  
22 curious to have a look at how the data look vis-a-vis the  
23 investigator-defined endpoint as opposed to the endpoint,  
24 committee endpoint. It would be worth looking at.

25 DR. STREET: Yes, we have that data.

1 DR. GILMAN: If you have those data, maybe you  
2 could show them to us.

3 DR. STREET: If we go back to the summary of  
4 robustness slide, please, this is a bottom-line look at it,  
5 but in addition to the MMAG-confirmed strokes, there were a  
6 number of others which we can easily retrieve the numbers  
7 that were rejected by the MMAG.

8 [Slide.]

9 We put them into a separate category here and  
10 analyzed to see what the results were from including all of  
11 the strokes without regard to MMAG, and based on the  
12 clinical diagnosis of the neurologists, we found exactly the  
13 same results.

14 DR. KONSTAM: I am curious the other way, too, in  
15 other words, strokes that were not considered strokes by the  
16 investigator, but were defined by the endpoint committee as  
17 a stroke. I would be interested in those events.

18 DR. STREET: I am not aware of that data.

19 DR. KONSTAM: The reason I bring it up is because  
20 I think there is the potential for those being softer events  
21 in my mind.

22 DR. STREET: The ones that went from TIA to  
23 stroke, you say?

24 DR. KONSTAM: Yes.

25 DR. STREET: I was not aware of those.

1 DR. GILMAN: Dr. Drachman.

2 DR. DRACHMAN: Really, just a brief comment.

3 Whether or not the GPs were very reliable, this was blinded,  
4 wasn't it? Bad as the diagnoses were, they were equivalent  
5 on all sides.

6 DR. GILMAN: Dr. Katz.

7 DR. KATZ: Just to sort of nail this down as much  
8 as possible, since it seems to be the endpoint of most  
9 interest, if a patient who died met that endpoint, and the  
10 death certificate arrives at the MMAG because you were also  
11 looking at cause of death, and it said patient had had a  
12 stroke, would that patient have been called a stroke with no  
13 other additional information for the patient?

14 DR. HAEHL: Dr. Pathy.

15 DR. PATHY: We needed a good deal of information,  
16 for instance, if it was sudden death for which there was no  
17 cause known, and the Trialist had labeled it as stroke, we  
18 would disagree with that. We would just label it sudden  
19 death, and all sudden deaths, that is, deaths occurring  
20 within 24 hours from unknown cause, and they would then be  
21 in the analysis, would be regarded as a vascular death.

22 So, merely to have a death certificate without any  
23 confirmatory evidence, that is, somebody had identified by  
24 examination the appropriate neurological deficits, no, that  
25 wasn't accepted. It may also go under unknown if the was



1 completely absent, just a diagnosis was not an acceptable  
2 piece of information to reach a conclusion.

3 DR. GILMAN: Dr. Street, let's see if we can get  
4 through one more slide.

5 [Slide.]

6 DR. STREET: Now we come to the controversial four  
7 secondary endpoints, which are transient ischemic attacks,  
8 which were clinical diagnoses by the investigator, and  
9 generally not reviewed by MMAG, MI, which was reviewed by  
10 MMAG, other vascular events. All first other vascular  
11 events went to MMAG.

12 This was a composite of four endpoints. All of  
13 these were prespecified in the protocol. They consisted of  
14 pulmonary embolisms, deep vein thromboses, peripheral  
15 arterial occlusions, and retinal vascular accidents of which  
16 there were very few.

17 Finally, the protocol identified another composite  
18 endpoint called ischemic events, and this was stroke, MI,  
19 and sudden death.

20 [Slide.]

21 Now we come to the analyses, the types of analyses  
22 performed. I think we have already mentioned numerous  
23 robustness tests, which I will describe in a moment. These  
24 were designed to show that the efficacy results were not  
25 sensitive to various assumptions about the analysis or the

1 subgroups.

2 We took these analysis plans to FDA at a pre-NDA  
3 meeting, and confirmed these planned robustness tests, as  
4 well as our plans for exploratory subgroup analyses to check  
5 general consistency of results across numerous demographic  
6 and disease characteristics, some of which are listed here.

7 I just want to say generally, the subgroup  
8 analyses confirmed the primary efficacy of stroke, was  
9 consistent within limits of chance across most subgroups.

10 [Slide.]

11 The statistical analysis plans, I won't go into  
12 much detail here other than to say that these were conducted  
13 exactly as stated in the protocol. The primary analyses  
14 were conducted exactly as stated in the protocol, that is,  
15 two-year follow-up, intent-to-treat population, Gehan-Wilcox  
16 and survival analysis was chosen in the protocol in  
17 preference to log-ran, but the results are consistent for  
18 the log rank, and also the plan was to have a factorial  
19 analysis of these effects. I will say more about that in a  
20 minute, just so that we get the jargon straight.

21 I think I already said that last point.

22 [Slide.]

23 First, we have the factorial design. Now, the  
24 principal advantage of this design is its ability to  
25 evaluate the effects both of the individual components and

1 their combined effect in one experiment with fewer patients.

2 In statistical jargon, we have the main effects of  
3 each of the components, extended release dipyridamole and  
4 aspirin, and all this really means is you are going to be  
5 comparing the 3,300 patients who received dipyridamole with  
6 the 3,300 patients who didn't receive it. That constitutes  
7 the main effect of dipyridamole.

8 Similarly, we compare half the patients on aspirin  
9 with the other half not on aspirin. That doesn't complete  
10 the analysis, but it gives you, addresses two key questions  
11 that we had prespecified, do they each work, and finally,  
12 the interaction effect of the two drugs, and this is  
13 important because they may not be additive, they may be sub-  
14 additives or super-additive.

15 DR. GILMAN: Can we interrupt for a second? Dr.  
16 Van Belle has a question.

17 DR. STREET: Yes.

18 DR. VAN BELLE: The question I have is this,  
19 strictly speaking, a factorial design, and it is a little  
20 bit of a trick question because if I were a member of an  
21 HMO, I would say just give them dipyridamole plus aspirin,  
22 and you don't have to prescribe Aggrenox. That would be the  
23 interpretation of a strictly factorial design.

24 So, what is your response to this issue?

25 DR. STREET: Well, I would say extended release

1 dipyridamole. It proves that the effects are additive, and  
2 it is nothing but a combination of the two, a literal  
3 combination of the two ingredients, but you couldn't give  
4 them--

5 DR. GILMAN: I think the point is that extended  
6 release dipyridamole is not accepted or is not distributed  
7 here in this country.

8 DR. VAN BELLE: No, no, my point is much more  
9 simple. My point would be instead of giving people one  
10 drug, the Aggrenox, just give them dipyridamole plus a baby  
11 aspirin. That would be the interpretation of a strict  
12 factorial design.

13 DR. HAEHL: However, in the factorial design, it  
14 was not dipyridamole, but it was dipyridamole extended  
15 release.

16 DR. GILMAN: Assuming extended release were  
17 available, then, your point remains.

18 DR. STREET: It is truly the combination in one  
19 capsule.

20 DR. KONSTAM: I would like to understand this a  
21 little bit, and just have Dr. Van Belle or others comment on  
22 it, because I am not sure I understand.

23 I guess the issue with regard to a factorial  
24 design or what's the design, really relates to what kind of  
25 correction has to be made, if any, I mean to me the question

1 is what kind of correction is going to need to be made for  
2 the fact there are multiple cells.

3 Well, that is the question I am going to have. I  
4 mean to me, if you are doing a factorial design to ask two  
5 discrete questions, and there is no anticipated interaction  
6 between the two drugs, and you are not actually looking at  
7 four individual cells, but you are simply asking two  
8 questions across the population, it is sort of like two  
9 trials that don't have anything to do with one another, you  
10 are just doing them together.

11 In that circumstance, it seems to me I don't have  
12 any problem making no correction. This is a different  
13 scenario where there is interaction expected, and therefore  
14 what is going on in each of the individual cells is  
15 relevant, and in particular, you are looking at the  
16 combination and asking the specific question about the  
17 combination relative to the other cells.

18 So, I guess my question is, what, if anything,  
19 does all of that, how does all of that impact on your  
20 correction for multiple looks, multiple comparisons?

21 DR. VAN BELLE: I don't think there is any issue  
22 there. The test would be, first of all, a test of the  
23 overall effect, so basically, you would use it for the two  
24 main effects and the interaction, and that clearly was  
25 significant, so then you would go back and look at the

1 individual main effects.

2           That was not the point I was raising, I guess.  
3 The point I was raising, and it is somewhat of a technical  
4 point, but should the extended dipyridamole become available  
5 in this country--which it isn't I guess at this point--then,  
6 what would be the advantage of prescribing Aggrenox over  
7 dipyridamole plus one baby aspirin.

8           DR. GILMAN: Dr. Temple.

9           DR. TEMPLE: There is never an advantage of using  
10 a fixed combination over the two components other than  
11 convenience. That is the only advantage there could ever  
12 be, and all this study can show really is that the two  
13 components each contribute to the claimed effect, and a  
14 person who read this and said, oh, I am going to take  
15 dipyridamole and a baby aspirin would be within their  
16 rights. As a company, they are hoping to gain something  
17 from marketing the combination, because it is convenient.

18           I do have one comment about what Marvin said.  
19 This involves a little history of the combination policy.  
20 The basic requirement to market a fixed combination is that  
21 you have to show that AB is better than A and AB is better  
22 than B, so that each of the two components has to  
23 contribute.

24           When you actually do that, not that we have ever  
25 allowed this, if you were making corrections, you would

1 actually conclude that testing at 0.05 is too conservative,  
2 because there is a multiplication of beta error. People  
3 have explained that to us, and we have said, well, that is  
4 interesting, but never mind.

5 So, we usually insist that each of those tests be  
6 positive at 0.05, but since you have to win on both, you  
7 don't really have to make a correction for multiple cells in  
8 the sense of preserving alpha, because you do have to win on  
9 both. You have to show that each component wins.

10 DR. KONSTAM: If that was the purpose of the  
11 study, I guess.

12 DR. TEMPLE: Let me continue because you are  
13 raising a very interesting question.

14 A factorial study historically is designed to  
15 evaluate the effect to see what aspirin does and see what  
16 dipyridamole does, so a classic factorial analysis doesn't  
17 do pairwise comparisons, it does aspirin groups and  
18 dipyridamole groups, but we have generally said, well,  
19 that's nice, but that doesn't make a combination because we  
20 don't care whether aspirin works alone, in fact, we already  
21 know it does, you really have to show that aspirin works  
22 when you add it to dipyridamole.

23 So, we emphasize the pairwise comparisons, which  
24 of course are always less robust than the factorial  
25 analysis, and, you know, they are presenting both, and they

1 have done both.

2           So, I am not sure what to make of the factorial  
3 analysis. It isn't strictly designed or directed at the  
4 combination policy, which shows that you have to make a  
5 contribution when you add to the other drug.

6           It may, however, help you believe in the overall  
7 observation, in other words, as appeared on an earlier  
8 slide, we have identified as something that makes one study  
9 more persuasive the fact that something works when you use  
10 if alone and when you add it to a combination. The example  
11 we gave was ISIS, but where aspirin and streptokinase worked  
12 alone against placebo, and also added to each other, and  
13 that was a kind of internal replication. So, one might  
14 think that something like that is going on here.

15           But the factorial study, the factorial analysis  
16 strictly doesn't really address the combination question,  
17 because it could be driven by the aspirin alone component  
18 and the dipyridamole alone component.

19           DR. HENNEKENS: Bob, but in the interest of sort  
20 of making an even playing field, they have got ESPS-1 that  
21 shows that the combination reduces the risk of stroke in  
22 patients with TIA and stroke, and the rejoinder to that is  
23 yes, but we don't know which of the components works.

24           It may be just aspirin alone, the dipyridamole  
25 studies are too small, they haven't showed anything, we want



1 to know that it is not only that both components work, but  
2 that the combination is better than both, and the only way  
3 to do this is a 2 x 2 factorial, and that was the state of  
4 knowledge.

5 DR. TEMPLE: There is nothing wrong with the  
6 study, the study is fine. The question is which analysis is  
7 the most telling, whether it is the factorial analysis,  
8 which draws from both, for example, dipyridamole versus  
9 placebo, and dipyridamole plus aspirin versus aspirin, and  
10 it gets part of its strength from something that is not  
11 relevant to the combination or not as relevant, namely, the  
12 comparison of dipyridamole with placebo.

13 So, it is the pairwise comparisons, that is, the  
14 combination versus A and the combination versus B, that is  
15 the most relevant to the combination policy. We have had  
16 these conversations for 30 years.

17 DR. HAEHL: And we will show you both.

18 DR. GILMAN: Well, now, we have made it through  
19 one slide, Dr. Street. Go ahead.

20 DR. STREET: I want to move back a slide. I  
21 haven't finished with this, no. I wanted to also say, of  
22 course, the design allows six pairwise comparisons of which  
23 we made five.

24 We took Aggrenox versus each component, we took  
25 Aggrenox versus placebo, and then we took dipyridamole and

1 patients who ceased treatment. Some continued treatment all  
2 the way through until month 24, some died while on  
3 treatment, and here are the percentages of patients in the  
4 aspirin/placebo groups, somewhat lower than the percentage  
5 who ceased treatment in the Aggrenox and extended release  
6 dipyridamole groups. So, we see a little higher treatment  
7 cessation here.

8 Dr. Rakowski will give a further discussion of  
9 these data later, but what I want to emphasize at this point  
10 is that just because they ceased treatment doesn't mean they  
11 weren't followed to the end, nor were they excluded from the  
12 analysis. Everything we did was intent-to-treat analysis,  
13 and we followed all patients to the best of our ability.

14 At the conclusion of the trial, there were 108  
15 patients lost to follow-up for stroke and 44 for death. We  
16 then performed further follow-up of these patients, and we  
17 were able to reduce the numbers down to 28 lost to follow-up  
18 for stroke and 15 for death. So, we do have complete  
19 follow-up regardless of the treatment cessation rate.

20 [Slide.]

21 Now we come to the first result of the study and  
22 the primary result of the study, first graphically, and then  
23 analytically. This depicts the stroke-free survival over  
24 the two years, and we see that roughly 10 percent of  
25 patients had a stroke on the combination versus roughly 13

1 percent on the components. On placebo, we are down to about  
2 16 percent strokes.

3 If you translate that into the number of patients  
4 who were spared a stroke per 1,000 treated over a two-year  
5 period, this comes out to be 59 spared on Aggrenox, 30 on  
6 aspirin, and 26 on dipyridamole. These are comparisons with  
7 placebo, so roughly 59 to 30, you are roughly sparing twice  
8 as many strokes on Aggrenox as on aspirin. This is just a  
9 rough view of it.

10 [Slide.]

11 Let's take a look at the primary factorial  
12 analysis. I call it primary because this is the per-  
13 protocol analysis. In the 3,300 on dipyridamole versus the  
14 3,300 not, we have a 19 percent relative risk reduction, the  
15 p-value 0.001.

16 With aspirin, 21.2 percent consistent with what we  
17 have heard earlier, also even more significant at less than  
18 0.001. For the interaction, we have virtually nothing,  
19 which is consistent with these being additive effects or  
20 each of the treatments makes an independent contribution to  
21 the combination, but let's look at that more specifically in  
22 terms of the pairwise comparisons on the next slide.

23 [Slide.]

24 Here, we have the ones that are clearly of  
25 greatest interest to this committee, and that is, Aggrenox

1 versus aspirin, Aggrenox versus extended release  
2 dipyridamole. Both of these showed large relative risk  
3 reductions of around 22 and 24 percent respectively, and  
4 each was highly significant at 0.008 and 0.002 levels  
5 respectively.

6 Looking at Aggrenox versus placebo, we see  
7 approximately a 37 percent risk reduction, and as I quoted  
8 to you earlier, this 0.001 is quite misleading. It is  
9 really 1 in 1 million, and we just chopped it off at 0.001.

10 Likewise, I am not going to pay much attention to  
11 these comparisons of dipyridamole versus placebo, and  
12 aspirin versus placebo, but both significant. I will focus  
13 on the key points.

14 [Slide.]

15 To move on to the robustness analyses, and these  
16 have been of some concern also. The primary analysis p-  
17 values are summarized here. When we did a Cox-adjusted  
18 analysis for all significant predictors of stroke, we came  
19 up with the same results. When log rank was done it was  
20 also the same stratified by center, when we studied  
21 investigator-diagnosed strokes, ignoring MMAG, and so forth,  
22 and finally a worst-case analysis where we imputed strokes  
23 to all the people who were lost to follow-up, again totally  
24 consistent results.

25 [Slide.]

1           So, my conclusion is that we have answered three  
2 of the questions that were posed at the outset, that is,  
3 low-dose aspirin effectively prevents stroke, extended  
4 release dipyridamole also prevents stroke and to a roughly  
5 comparable degree, and Aggrenox exhibits the additive  
6 benefits of its components, and these results are highly  
7 significant and robust.

8           DR. GROTTA: On the Cox analysis, did it consider  
9 the concomitant use of anticoagulants, antiplatelet drugs,  
10 that were frequent in your population, although matched  
11 among the different groups?

12           DR. STREET: No, it did not. It was only based on  
13 baseline characteristics, baseline risk factors.

14           DR. GROTTA: You will later show us that the  
15 concomitant use of antiplatelet drugs or anticoagulants  
16 among your population didn't contribute to these results?

17           DR. STREET: No, I would have to construct such an  
18 analysis because we believe the ConMed data which only  
19 indicated whether or not it was used. We had no information  
20 on dose duration or indication, were not sufficient to make  
21 a meaningful analysis.

22           DR. GILMAN: This is of concern because more of  
23 the patients who received Aggrenox had carotid  
24 endarterectomy, some of them had added on aspirin, we don't  
25 know what dose, we don't know what percentage of these

1 folks, so that is a question that has arisen in at least my  
2 analysis of this.

3 DR. STREET: I think what we can do is we will--  
4 can we bring that back to you after the break because it  
5 would require a little work?

6 DR. GILMAN: Please, yes. We appreciate that,  
7 yes.

8 [Slide.]

9 DR. STREET: Summarizing the secondary endpoints,  
10 this is a very important slide I believe to ESPS-2. I have  
11 got the four prespecified endpoints, I have stroke across  
12 the top. Here, everything is put in terms of odds  
13 reductions just for consistency of presentation. Results  
14 are similar to risk reductions.

15 What I want to note is that the effect on TIA was  
16 roughly the same size as one would hope as that on stroke,  
17 and it was also of comparable significance. We see the  
18 effects of both components, their additivity, in the small  
19 group of 148 patients who had other vascular events, deep  
20 vein thromboses, and so forth, we see a similar effect, 40  
21 percent on dipyridamole, 34 percent reduction aspirin, and  
22 fully 62 percent on the combination.

23 Ischemic events, which include stroke, MI, and  
24 sudden death, are naturally the mirrors of the stroke  
25 results because the stroke results dominate that endpoint,

1 it is not just completely distinct information.

2           Finally, for MI, we see aspirin 21 percent risk  
3 reduction, not significant. Similarly, 23 percent risk  
4 reduction on Aggrenox, also not significant as one might  
5 expect in a study like this, because these would be very  
6 low-powered comparisons.

7           [Slide.]

8           In conclusion, I guess I have really stated the  
9 conclusions. We have two very important endpoints which are  
10 distinct from the stroke endpoint, which internally confirm  
11 the reality of the efficacy on the stroke endpoint, and  
12 acute MI showed a positive trend on Aggrenox and on aspirin.

13           [Slide.]

14           Now, I turn to patient survival, the other primary  
15 endpoint, and we already looked at this curve, and I tried  
16 to tell you the numbers saved per 1,000 treated, and now I  
17 have them in front of me. There were 11 per 1,000 on  
18 Aggrenox, 13 on aspirin, and 9 on dipyridamole, all closely  
19 comparable.

20           [Slide.]

21           The factorial analysis showed nothing of  
22 significance, small effects both of dipyridamole and  
23 aspirin, and no evidence of interaction.

24           [Slide.]

25           Pairwise comparisons, again, nothing statistically

1 significant, but I would like to draw your attention to two  
2 lines, that is, Aggrenox, which is our drug, 9.2 percent  
3 relative risk reduction, comparable to that seen on aspirin  
4 in mortality with an 11 percent reduction, neither effect  
5 significant.

6 [Slide.]

7 So, for the death endpoint, to conclude, no  
8 statistically significant risk reductions, roughly 10  
9 percent risk reduction on Aggrenox, is comparable to that of  
10 aspirin alone, and I say that these risk reductions are  
11 comparable to those that have been shown earlier today--  
12 well, maybe they haven't been--in meta-analyses of placebo-  
13 controlled studies of aspirin in this type of patient,  
14 stroke or TIA patients.

15 [Slide.]

16 Now we come to the nonfatal stroke or death  
17 results. The lesser magnitude in statistical significance  
18 of this than the results for stroke, result from diluting  
19 the substantial additive effect which we saw on stroke with  
20 the modest equivalent effect on reduction on death between  
21 Aggrenox and aspirin.

22 So, what we see is the same general pattern of  
23 curves here with Aggrenox superior to the other curves, but  
24 not by as clean a line, all of them superior to placebo.  
25 This is the dipyridamole in orange. It takes a little



1 longer to get into the act.

2 Now, I just want to caution you about the label.  
3 When I call it a primary factorial analysis, it is a primary  
4 method of analysis, not a primary endpoint. When we look at  
5 this, we do see some very strong effects on our composite  
6 endpoint. We have a 14 percent relative risk reduction on  
7 dipyridamole, highly significant at 0.003; similarly, 12.2  
8 on aspirin, 002, with again no evidence of interaction.

9 [Slide.]

10 Taking a look at the pairwise comparisons, we have  
11 Aggrenox versus aspirin, 12 percent reduction, not  
12 statistically significant, 0.084; Aggrenox versus  
13 dipyridamole, 10.3 percent. 0.079.

14 But I think the most impressive line of this table  
15 is for our compound, and that is Aggrenox versus placebo  
16 where we are seeing a full 24.4 percent on the combination,  
17 and this is statistically significant at the 2 in 100,000  
18 level, 0.00002.

19 So, we do have, though not significant  
20 contributions, we do see a nice additive effect as earlier  
21 seen in the factorial analysis.

22 [Slide.]

23 So, for nonfatal stroke or death, I will just  
24 restate it because it is very important to the debates that  
25 are ongoing. Highly significant efficacy of both

1 dipyridamole and aspirin were shown in the factorial  
2 analysis, and we saw additive efficacy in Aggrenox.

3 The pairwise comparisons showed a 24 percent risk  
4 reduction on Aggrenox versus placebo, but only favorable  
5 trends on Aggrenox versus its components.

6 So, this brings me to my conclusions.

7 DR. GILMAN: Just before you do that, Dr. Street,  
8 could I just ask, isn't it the effect on stroke that really  
9 carries the day for this?

10 DR. STREET: Yes, definitely, no question.

11 DR. GILMAN: So, you are showing essentially the  
12 same thing with effect on stroke as you are on nonfatal  
13 stroke or death, but it is all stroke.

14 DR. STREET: But it is diluted, but it is diluted  
15 by the lesser effect. You are combining a sensitive  
16 endpoint with an insensitive one, and one that normally  
17 requires meta-analyses, such as Peto performs, to see  
18 effects.

19 DR. GILMAN: Yes. So, the effect is on stroke, and  
20 not upon death, and when you combine the two, you still get  
21 highly significant effects because of the robustness of the  
22 effect on stroke.

23 DR. STREET: Yes, you get highly significant  
24 effect in the primary factorial analysis, establishing they  
25 both work in that indication. They also are completely

1 consistent with additivity.

2           So, from that analysis, one would tend to draw the  
3 conclusion that yes, Aggrenox inherits the benefits of both  
4 components, but I just want to make it clear because it is  
5 very easy to get confused between all the various analyses I  
6 have presented today.

7           [Slide.]

8           Finally, just a word on the efficacy conclusions,  
9 and I will stop. I have shown that Aggrenox is  
10 significantly more effective than aspirin alone and  
11 dipyridamole alone in reducing the risk of stroke in TIA and  
12 ischemic stroke patients.

13           Moreover, we believe that this conclusion is based  
14 on reliable, well-controlled, and generalizable evidence,  
15 and satisfies the FDA requirements or guidelines for  
16 approval of single study NDAs.

17           First, it is a large multicenter trial, the  
18 largest single stroke prevention trial in TIA and stroke  
19 patients ever conducted, with 59 centers. It had a  
20 factorial design which was able to demonstrate the  
21 effectiveness, not only of the monotherapies, but in view of  
22 the absence of interaction throughout the efficacy data,  
23 additive effectiveness of the combination.

24           Another requirement is the results, I think are  
25 very statistically persuasive in that they stand up to just

1 about any reasonable test we throw at them, even a worst  
2 case analysis, and consistency is seen across subgroups,  
3 although not shown directly in my presentation, it is even  
4 longer than it is.

5           Finally, I want to reemphasize that we saw on  
6 distinct endpoints, OVE and TIA especially, very strong  
7 effects, which to me have an internal confirmation, if not  
8 an external confirmation.

9           I want to thank the committee for your attention.

10           DR. GILMAN: Thank you for that clear  
11 presentation.

12           Dr. Brooke.

13           DR. BROOKE: That is a very interesting result,  
14 and I wonder. It's odd that you are having such a positive  
15 effect on stroke and yet death is not affected. It would  
16 suggest that they are being killed by something else.

17           But I wonder, we always have a problem. You would  
18 think that a neurologist could define death, but we always  
19 have a terrible trouble with defining death, and I wonder  
20 how you define death in your study.

21           The reason I ask is that neurologists sometimes  
22 take patients with strokes who would have died and put them  
23 on artificial life support in intensive care where they may  
24 survive for weeks or sometimes months.

25           I wonder how that figured into your endpoint

1 definition.

2 DR. HAEHL: I have to admit I was talking about  
3 administrative issues, so I cannot answer your question at  
4 the moment. So, could you please--I hear Dr. Pathy is  
5 willing to answer your question.

6 DR. PATHY: There were no patients on life support  
7 machines that was reviewed by the MMAG.

8 DR. GILMAN: Dr. Grotta.

9 DR. GROTTA: Again, as a neurologist, I want to be  
10 reassured about the validity of the strokes as endpoints. I  
11 also want to be reassured about the validity that the  
12 patients that were put into the study really did have TIAs  
13 or minor strokes, and maybe we could address that for a  
14 minute.

15 What percentage of your patients had CT scans to  
16 exclude other diagnoses, such as cerebral hemorrhage or  
17 other conditions that might mimic a stroke, and what were  
18 the time periods that those CT scans were carried out, and  
19 who made the diagnosis of stroke or TIA, and what were the  
20 criteria or definitions of TIA or stroke that a patient had  
21 to meet in order to get into the study, recognizing, as with  
22 the previous question, that this randomization should take  
23 care of this to some extent, but nevertheless, you know,  
24 junk in, junk out, you just want to be sure that these were  
25 stroke patients and that they, in fact, did have strokes.

1 DR. EASTON: Speaking as a neurologist, it isn't  
2 junk in and junk out. What came out is actually very  
3 positive. It would strike me, though, Jim, wouldn't it,  
4 that if you are putting in migrainers, they are benefiting,  
5 too. If you are putting in brain tumors, they are  
6 benefiting, too.

7 In other words, it would seem to me that that  
8 would take things in the other direction. We can find out  
9 precisely sort of how that was done, but I would think this  
10 would be an especially germane question had it gone the  
11 other way, but here actually, whatever it is they are  
12 treating, it certainly works.

13 DR. HAEHL: And there have been analyses done, and  
14 it doesn't quite answer your question as to how that was  
15 established, whether Rankin scale or other gradings of the  
16 qualifying stroke that influenced the result, and it did  
17 not.

18 I wanted to come back to your earlier question.  
19 You were interested to see the case report form for the  
20 assessment of stroke as an endpoint, and I have overhead  
21 copies produced of that in the meantime.

22 DR. GROTTA: Good, but I would still like the  
23 question answered about whether CT scans were done on these  
24 patients, when they were done, and what the definitions were  
25 of TIA and stroke for inclusion in the study. I recognize

1 what Dr. Easton said, and it is true. I would be more  
2 concerned if it were a negative study, but nevertheless, I  
3 think it is still relevant to know who was put in the study.

4 DR. KATZ: Just to sort of follow up on that,  
5 right, generally speaking, we would think that any  
6 heterogeneity of diagnosis would tend to obscure any drug  
7 effect if there was one, so I don't think it is that so  
8 much, but you have got to remember if the drug is approved,  
9 labeling has to be written. I don't think this is really  
10 going to be a big problem, but if they are giving it to  
11 migrainers, and it is helping them, then, the drug would be  
12 labeled for preventing stroke and migrainers, so you want to  
13 know who you are dealing with, so I think Dr. Grotta's point  
14 is well taken.

15 DR. HAEHL: We will come back if that is  
16 acceptable to you after the break and bring you the  
17 definitions of the entry criteria. Are you interested in  
18 the case report forms issue?

19 DR. DRACHMAN: The stroke or death, is the death  
20 merely bath water, in other words, was that merely thrown  
21 in? Were there stroke or cancer, stroke or acne, would the  
22 data have been just as positive for both or those, or how do  
23 you remark that? Do you regard that as being part of the  
24 real answer, should we view it that way, and just say  
25 stroke?

1 DR. HAEHL: We have a significant result for  
2 stroke, and we have an endpoint which is very much related  
3 with the outcome of stroke, and that is death, and it has  
4 been established for aspirin, that aspirin does have an  
5 impact on death, and therefore in the situation where you  
6 have an approved dose of aspirin in your treatment regimen,  
7 we felt that it is consistent to investigate and to test  
8 whether the results of ESPS-2 actually add up to this prior  
9 experience and are consistent with the prior experience, and  
10 as you have seen, it is, and we think that is different than  
11 just bath water.

12 DR. GILMAN: But it is not different. It is not  
13 true. There was no change with respect to death. There is  
14 no significant effect upon death.

15 DR. HAEHL: There is no significant effect on  
16 death as opposed to stroke, however, the trend observed is  
17 consistent with the individual trends in aspirin trials, and  
18 is consistent with the summary, and we can show a slide to  
19 that.

20 DR. GILMAN: We are seeking statistical evidence  
21 for the allegation that there is an effect upon death.

22 DR. HAEHL: Yes, which is also true for aspirin.

23 DR. PENN: The fact that we don't have good data  
24 for aspirin does not help us in this case. I mean just  
25 because maybe a mistake was made in labeling aspirin does



1 not help us say that we should make the same mistake in this  
2 labeling.

3           It seems to me your statistics, if anything, are  
4 diluted by adding death as was clearly outlined to us, so  
5 what is the compelling reason to this committee to include  
6 death? We need a very strong reason other than somebody  
7 else included death in an aspirin study that there was only  
8 a trend in.

9           DR. HAEHL: The question for us is if we were to  
10 administer aspirin 50 mg daily in patients after TIA or  
11 stroke, we would expect from the label a reduction or an  
12 impact on mortality.

13           Now, if we take that same tablet together with  
14 dipyridamole, and we have compelling evidence I think from  
15 the results presented that there is consistency between the  
16 aspirin in Aggrenox and the aspirin which you can buy  
17 individually, we would find it very strange and not to say  
18 confusing for both the patient and the treating physician  
19 that one formulation would reduce mortality and the other  
20 wouldn't.

21           DR. GILMAN: We are not getting a clear answer to  
22 the question. You are making presumptions not based upon  
23 the data. The data do not show a beneficial effect upon  
24 death.

25           DR. HAEHL: The data did not show a significant

1 benefit, they showed a trend which is consistent what has  
2 shown for aspirin trials individually.

3 DR. GILMAN: We have a lot of people that want to  
4 speak. Bob Temple, Robert Califf, Marvin Konstam.

5 DR. TEMPLE: The aspirin labeling at least partly  
6 reflects results of meta-analyses of controlled trials that  
7 do show a small benefit of aspirin on survival. So, when  
8 that labeling was written, that was taken into account.

9 It does seem an interesting dilemma that this  
10 product contains aspirin, and therefore one would imagine it  
11 would have the usual aspirin effect even though the study  
12 didn't show anything. I don't have the answer to the  
13 dilemma I should tell you.

14 DR. CALIFF: My reasoning and for feeling quite  
15 difficult than obviously most other people on the panel  
16 about this is for a different reason, which is that you  
17 cannot ascertain the effect of a drug on stroke if a large  
18 number of people died and you don't know why the died,  
19 because you have a lot of people who are likely to have had  
20 a stroke, who are dead, and therefore you can't assess  
21 whether they had a stroke or not.

22 So, the normal course of events in cardiovascular  
23 trials now is to prospectively declare the composite as the  
24 primary endpoint, because when you say we are assessing the  
25 effect of a drug on stroke, but we are going to ignore

1 people who are dead, you can't do that.

2 DR. GILMAN: You mean because they died, they  
3 didn't have an opportunity to have a stroke.

4 DR. CALIFF: Well, they may have had a stroke and  
5 you don't know it.

6 DR. GILMAN: But the committee did look at the  
7 cause of death and ascertained it as closely as possible.

8 DR. CALIFF: But the cause of death is--well, I  
9 don't want to say silly--but what did all those people who  
10 had sudden death die of?

11 DR. GILMAN: We have heard a variety of causes.

12 DR. CALIFF: That's my point, you know, one of the  
13 main ones of which would be occlusion of an artery to the  
14 brain.

15 DR. KONSTAM: To me there is two separate things  
16 going on. One is I think at the end of the day, we are  
17 going to have to grapple with are we going to prove it and  
18 specifically for what, and so that is when this really is  
19 going to come up, and Bob spoke to this dilemma before,  
20 which is that you have a combined endpoint, and the combined  
21 endpoint is positive, but death is not, so we will have to  
22 deal with what the wording is, but I think Rob's point is  
23 that it is important to look at this combined endpoint, to  
24 look to see that the combined endpoint is, in fact,  
25 positive, because if it weren't, you would be worried that

1 there is some fluky reason why the strokes were positive.  
2 So, I think it is very important to look at that endpoint.

3 But I have to say I am confused about why deaths--  
4 and maybe Bob said it--why deaths is not positive. This is  
5 where I think I would like the sponsor's help, because it  
6 isn't that the numbers of events were small because the  
7 number of death were actually substantial, so you can't say,  
8 well, okay, but the number of events was substantial.

9 I guess I share Rob's lack of interest in general  
10 in cause of death, but I actually would like to see it here,  
11 and I would like to see a table showing cause-specific  
12 deaths across the different groups, because I am just  
13 wondering whether there is not some signal in there, that  
14 there is something negating the anticipated positive effect  
15 on death.

16 Several people have said it, and I guess I concur,  
17 I would like to see that. Are we going to see it?

18 DR. EASTON: I can make a copy of the table that I  
19 was reading before the panel, or just give them this.

20 DR. KONSTAM: Does it show it across the different  
21 treatment groups, because you mentioned the numbers?

22 DR. GILMAN: Could you use the microphone, please,  
23 Don.

24 DR. HAEHL: We don't have this provided. We would  
25 have to take a copy.

1 DR. GILMAN: It is really going to be important  
2 that all of us hear what you are saying and what you are  
3 reading, so could you just read it into the record.

4 DR. EASTON: I would just say that if you would  
5 like copies of that, I would certainly see that that gets  
6 taken care of. What it lists them is by the four groups,  
7 then, the summary.

8 DR. GILMAN: We have something to show. Good.  
9 Let's look at the overhead.

10 [Slide.]

11 DR. FARRELL: I am Dr. Farrell. This is just a  
12 table produced from the clinical trials report showing  
13 mortality. Qualified stroke are the individuals who died  
14 within the first 30 days. The endpoint of stroke are those  
15 individuals who died of failed stroke, and then I continued  
16 on in the table those who died of myocardial infarction,  
17 cardiac failure, sudden death, vascular events, infection,  
18 bleeding, and other causes, just to show that there appears  
19 to be no difference for any form of mortality.

20 DR. KONSTAM: I guess the striking thing is that  
21 there are a lot of noncardiac--well, there aren't a lot of  
22 noncardiovascular deaths, are there?

23 DR. FARRELL: No.

24 DR. KONSTAM: So, if you added up all the  
25 cardiovascular deaths, there is still nothing, right, there

1 is not even a hint of an effect in either of the treatment  
2 groups on cardiovascular death.

3 DR. CALIFF: Just a point here, if I may, on that  
4 issue. You have two endpoints, one of which is much more  
5 common than the other in the population. Even if the  
6 treatment had the same effect on both, you have got for the  
7 less common endpoint, a higher probability that you will  
8 see--

9 DR. KONSTAM: I am not sure that is true, Rob,  
10 though.

11 DR. CALIFF: It is definitely true.

12 DR. KONSTAM: No, no, the first statement that  
13 stroke is much more common than death. I mean it isn't in  
14 this population. It is just not true.

15 DR. CALIFF: So, how many stroke endpoints were  
16 there?

17 DR. KONSTAM: I mean the fatal and nonfatal  
18 stroke, it is running about, you know, a little over 200 per  
19 group, and the death is running a little 200 a group. That  
20 is the point, it is not a major difference.

21 DR. CALIFF: You are right.

22 DR. GILMAN: Well, we settled that.

23 Dr. Temple.

24 DR. TEMPLE: This is a good question, and I  
25 certainly don't have an explanation, but it is not

1 unprecedented. The aspirin trials, all of which were able  
2 to nicely show reduction in MIs, were uniformly unable to  
3 show improved survival for reasons that have never been  
4 quite clear.

5           The most striking example I know of is Charley  
6 Hennekens' study which reported--of course, this is only one  
7 study, and there is a British study that sort of went the  
8 other way--reported a 50 percent reduction, 50 percent  
9 reduction in nonfatal MIs and fatal MIs, but there are only  
10 a few, and survival was dead even, so to speak, which really  
11 makes just no--it just makes no sense.

12           It could mean in his study there was at least a  
13 hint that there were more sudden deaths in the treated  
14 group, and maybe that was it, but you never could figure it  
15 out, but the aspirin history is if you take an overview, you  
16 find a smaller effect on deaths, but the individual studies,  
17 which are plenty large enough to show MIs, never show it and  
18 I don't think anybody knows why.

19           DR. HENNEKENS: I think Dr. Temple makes an  
20 important methodologic issue in all the cardiovascular  
21 trials, and in the aspirin areas we see 30 to 40 percent  
22 reductions in the nonfatal events with aspirin and 10 to 15  
23 percent reductions in deaths.

24           Furthermore, in the trials that accumulate a  
25 sufficient number of endpoints--and our trial did not, our

1 trial was stopped early, because of the MI finding we didn't  
2 have enough deaths. We needed about five times the number  
3 of deaths to really find anything. But they come later, as  
4 well, and I think this is a problem, and if we look at the  
5 aspirin data right now, now there are four primary  
6 prevention trials - the HOT study, Tom Meade's thrombosis  
7 prevention trial, the British Doctors' trial, and the U.S.  
8 Physicians looked at an aggregate.

9           There is a p less than 1 in 100,000 benefit on MI  
10 of a third or more, and yet, there is still no significant  
11 effect on deaths in primary prevention. In secondary  
12 prevention, 30 to 40 percent reductions in the nonfatal  
13 endpoints of MI and stroke, 10 to 15 percent reductions in  
14 death, which was significant, but they are not nearly as  
15 significant or extreme both in magnitude or statistical  
16 significance, and I think that this is not that surprising  
17 in that context in my view.

18           DR. GILMAN: Dr. Grotta.

19           DR. GROTTA: I guess I don't have as much trouble  
20 at least understanding why the drug doesn't have an effect  
21 on death that is all that obvious. In stroke patients at  
22 least, the major determinant of whether a patient dies of  
23 the stroke is the severity of the stroke. Patients who  
24 don't have very severe strokes generally have a much lower  
25 mortality rate.



1 I remember--maybe you could clarify this--but I  
2 remember in a presentation of these data at a meeting,  
3 although I have not seen this published, that the drug did  
4 not have an effect on the distribution of stroke severities,  
5 so that you are reducing the absolute number but not  
6 adjusting the severity of stroke.

7 So, maybe that is an explanation for why we don't  
8 see a major impact, that we can reduce the number of  
9 strokes, but not reduce mortality in this population.

10 DR. HAEHL: There was a nonsignificant minor shift  
11 towards minor strokes over the treatment groups and away  
12 from major strokes, and that will be shown later.

13 DR. ALBERS: I just want to say from the clinical  
14 point of view, I think that what the stroke patients are  
15 most concerned about is winding up with a disabling severe  
16 stroke, but death in general is thought to be less severe an  
17 outcome than winding up severely disabled in a nursing home,  
18 and when the severe strokes were looked at, there was a  
19 substantial benefit, and I think that may mediate a little  
20 bit of the death issue.

21 DR. GILMAN: Dr. Konstam.

22 DR. KONSTAM: I would like to just pose a  
23 hypothesis about what it is, which is that I guess it is  
24 only a percentage of cardiovascular events that are fatal,  
25 so you are collecting a number of cardiovascular events in

1 the course of a study, and some minority of them I guess are  
2 going to be fatal, but then there are people dying, and I  
3 guess that they are dying in association with cardiovascular  
4 events that had occurred years ago.

5 So, somebody who has had an MI, you know, five  
6 years ago, before they entered the trial, is more likely to  
7 die during the course of the study than somebody who hasn't,  
8 and that is not going to be impacted by your drug, because  
9 you drug is not going to affect an MI that occurred before  
10 the trial started.

11 So, I think maybe the hypothesis is that deaths is  
12 harder to influence because there are events that have  
13 occurred before that you can't influence.

14 DR. DRACHMAN: What about the dose of aspirin, the  
15 reduction of death was with much larger doses, was it not?

16 DR. GILMAN: Dr. Temple.

17 DR. TEMPLE: The original six trials that we used  
18 to approve the reduction in MI, probably reduction in MI  
19 plus death because we used the combined endpoint, mostly  
20 five out of six of them I think used doses of a gram per  
21 day, and had the same weak to none effect on survival.  
22 There is no clear evidence that dose matters.

23 The disease matters though. If you take people  
24 with unstable angina, you can show a nice effect on  
25 survival.

1 DR. GILMAN: Dr. Haehl, did you want to show the  
2 form that you used for stroke?

3 DR. HAEHL: The case report form you requested,  
4 yes.

5 DR. GILMAN: Yes.

6 [Slide.]

7 DR. HAEHL: This is a three-page form photocopied  
8 out of the case report form to assess stroke as an endpoint  
9 during the course of the trial.

10 You can see the description of new stroke at  
11 onset, the description of motor power--well, first of all,  
12 the date, the duration of symptoms, motor power, left,  
13 right, sensation.

14 [Slide.]

15 Other symptoms, etiology of stroke, location of  
16 the lesion, if performed, what neurological and other  
17 investigations supporting the diagnosis, CT scan, NMR,  
18 doppler, angiography or others, and comments, if necessary,  
19 and the last page.

20 DR. BROOKE: These are descriptive features. Was  
21 there a true inclusion form where, you know, you had to have  
22 four of the five features, or something like that, or was it  
23 just purely a description?

24 DR. HAEHL: No, I am now talking on stroke as an  
25 outcome, as an event. That is the assessment of the events,

1 what was asked for, how did we assess if a patient had a  
2 stroke in the periphery.

3 DR. BROOKE: I am sorry, I misunderstood. I  
4 thought you were talking about the inclusion to the study.

5 DR. GILMAN: This is purely descriptive.

6 DR. GROTTA: So, how often were patients seen in  
7 the course of the study on a routine basis?

8 DR. HAEHL: Every three months with the exception  
9 of the first month. There was one after one month, and then  
10 every three months.

11 DR. GROTTA: So, this form was filled out, then,  
12 by the local investigator, and each and every time a stroke  
13 was identified at a local site, then, it was adjudicated by  
14 the Central Committee.

15 DR. HAEHL: Right, on the basis of this form and  
16 further data.

17 DR. GROTTA: And other data, such as scans and  
18 things like that.

19 DR. GILMAN: It is 1 o'clock. We have  
20 successfully gotten ourself about three and a half hours  
21 behind schedule, but that's okay. We are getting our  
22 questions answered.

23 So, let's take about an hour for lunch and resume  
24 here at 2 o'clock. Let me caution the committee not to  
25 discuss anything related to this drug over lunch. All

1 discussion should be in public. So, please don't talk about  
2 this drug, and there is a place in the restaurant next-door  
3 for the committee members.

4 [Whereupon, at 1:00 p.m., the proceedings were  
5 recessed, to be resumed at 2:00 p.m.]

## AFTERNOON PROCEEDINGS

[2:00 p.m.]

1 DR. GILMAN: I think we should proceed.

2 Dr. Rakowski, would you start, please.

3 DR. RAKOWSKI: Thank you.

4 **Safety**

5 DR. RAKOWSKI: Good afternoon.

6 [Slide.]

7 My name is Ken Rakowski. I am responsible for  
8 drug safety and information at Boehringer Ingelheim.

9 [Slide.]

10 Members of the committee, one safety question was  
11 posed to you by the FDA, and it is all-encompassing: Are  
12 there any particular safety concerns with the use of  
13 Aggrenox?  
14

15 This portion of the presentation is intended to  
16 try to provide the pivotal information to allow you to  
17 assess that question.

18 [Slide.]

19 If we could summarize briefly what we do know  
20 about Aggrenox, three things would become evident.

21 One, there are no unexpected adverse events with  
22 Aggrenox. This isn't really unexpected in the sense that  
23 you have a product with two components, dipyridamole and  
24 aspirin, that are well characterized compounds with well  
25

1 delineated safety profiles.

2 Two, the expected adverse events are established  
3 for the two compounds. This truly is a phenomenon of what  
4 you are getting is what you have seen with the components.

5 I think you will notice that as I go through the  
6 subsequent slides with the data, I will show you the  
7 similarity of AE reporting patterns between Aggrenox and the  
8 appropriate component.

9 Three, I think the data will hopefully lead you to  
10 the conclusion that the benefit/risk assessment is  
11 favorable.

12 [Slide.]

13 If we start with a somewhat busy slide, but one  
14 that I think will put things in perspective, these are the  
15 on-treatment adverse events with an incidence in the  
16 Aggrenox group exceeding that in the placebo group by 1  
17 percent or more.

18 I think, cutting through all the data, focus in on  
19 the total number of patients with AEs and you will see a  
20 remarkable similarity and balance across the groups. The  
21 highest number of total AEs occurred in the aspirin group,  
22 the lowest number actually occurred in the dipyridamole  
23 group.

24 If we look at headache, you will see some  
25 similarity between the experience with the dipyridamole

1 component and the dipyridamole-alone group and the Aggrenox  
2 group, and likewise, that holds true when you look at the  
3 gastrointestinal disorders as a whole with similarity  
4 between the dipyridamole group and Aggrenox.

5           If we focus in on the bleeding disorders, however,  
6 the parity seems to be between the aspirin group and the  
7 Aggrenox group, and likewise, with anemia, there is  
8 similarity between the aspirin group and the Aggrenox group.

9           DR. GILMAN: Before you go on, I am struck that  
10 there is almost equal incidence of headache in the aspirin  
11 group as the placebo.

12           DR. RAKOWSKI: Aspirin and placebo are very, very  
13 similar.

14           DR. GILMAN: Pretty close, but--

15           DR. RAKOWSKI: And when I take you through a  
16 factorial analysis, which you can analyze for the  
17 contribution of a component to an AE, I will show you that  
18 the relationship with aspirin really appears to be a  
19 dipyridamole effect.

20           [Slide.]

21           If we look at the incidence of most common adverse  
22 events associated with treatment cessation, I think,  
23 relative to your point, you will find that there starts to  
24 be some differences between the groups.

25           The placebo group had a 21 percent discontinuation



1 rate, the aspirin group discontinued at 19 percent, but  
2 there is remarkable similarity between the Aggrenox and the  
3 dipyridamole groups.

4           The most common events, headache, dizziness, and  
5 nausea again seem to be very compatible between the  
6 dipyridamole treatment and the Aggrenox treatment group. If  
7 you really look at the slide and scan it quickly, you will  
8 find there is a consistent pattern that tends to emerge.

9           [Slide.]

10           We looked at potential interactions and we looked  
11 at drug to disease and drug-demographics interactions, if  
12 the committee will bear with me, let me read this because I  
13 will be sure I get it right this way.

14           For the drug-disease interactions, we basically  
15 analyzed for baseline TIA and stroke prior to the qualifying  
16 event, ischemic heart disease, peripheral vascular disease,  
17 previous cardiac failure or myocardial infarction,  
18 hypertension and baseline blood pressure, cardiovascular  
19 disease, hypercholesterolemia, diabetes, and arrhythmias.

20           Looking at the demographic interaction analyses  
21 that were performed, the areas were analyzed by geographic  
22 region, age bracket, gender, weight bracket, type of  
23 qualifying event, and the severity of the resulting  
24 handicap, and the consumption of coffee, alcohol, or  
25 cigarettes.

1 I think the slide clearly conveys that no  
2 clinically significant differences were evident in any of  
3 the interactions performed.

4 [Slide.]

5 Laboratory analyses were also performed.  
6 Laboratory data was collected at baseline, one year, two  
7 years. It was analyzed for hematology, indices of renal  
8 function, abnormalities of liver function, fasting glucose,  
9 cholesterol, and LDL cholesterol.

10 There were no clinically significant differences  
11 or effects demonstrated in any of the groups.

12 [Slide.]

13 Relevant to your question, if we look using the  
14 factorial analysis method to try to look at the contribution  
15 of a component to the occurrence of an adverse event, what  
16 you really see here is that, by a factorial analysis, the  
17 headaches, the gastrointestinal events as a whole, the  
18 nausea, diarrhea, and vomiting, appeared to be related to  
19 the presence of dipyridamole in the product.

20 The bleeding events obviously tend to be related  
21 to the presence of aspirin in the product. Ulcers, although  
22 didn't show statistical significance, trended towards the  
23 expected association with the use of aspirin.

24 [Slide.]

25 I think if you ask the logical clinical question

1 with the use of any antiplatelet agent, it is going to be  
2 what is the risk of bleeding with this compound.

3           This slide presents the incidence of on-treatment  
4 events and focuses in on the bleeding events and the ulcers.  
5 I think if you really look--again, there is a lot of  
6 specific events provided--but I think I would focus in on  
7 the overall occurrence of bleeding events, and notice the  
8 similarity between the placebo and the dipyridamole group in  
9 terms of the event rates for bleeding, and notice the  
10 similarity between the aspirin group and the Aggrenox group  
11 for the overall bleeding event rates.

12           Likewise, if we look at the ulcers, you will find  
13 the similarity again holds up between the aspirin-alone  
14 group and the Aggrenox group.

15           [Slide.]

16           Focusing in on the serious adverse events, we  
17 start to see a little bit of difference that I think is  
18 fairly easily explainable. I think the first thing you need  
19 to note is that the total number of serious adverse events  
20 actually occurred in the placebo group. In an endpoint  
21 study as we discussed this morning, where you have a high  
22 probability that your endpoint stroke is going to be  
23 categorized and captured as a serious adverse event, I don't  
24 think that is unexpected to anybody.

25           The lowest actual raw number and the lowest

1 percentage of total SAEs occurred in the Aggrenox group.

2           When we look at the total number of bleeding  
3 events, the numbers get small and we are now into the actual  
4 case-specific numbers. Placebo had 11 total bleeding  
5 events. There were 17 in the aspirin group and there were  
6 25 in the Aggrenox group. The 25 comprises 1.5 percent as a  
7 total rate of the identified codes.

8           Although the contribution of each of the codes,  
9 the numbers are low and the contributions small, but the  
10 biggest contribution is made with melena and  
11 gastrointestinal bleeding.

12           Going down to the ulcer group, we see again  
13 numerical differences with the Aggrenox group having 7, 5 in  
14 the aspirin group. Honestly, you know, when you don't power  
15 a study for safety endpoints, it is hard to put clinical  
16 significance to these findings in the sense that obviously,  
17 there is an uncommon, relatively low rate of overall  
18 bleeding events. They are not statistically significant,  
19 but there is a numerical difference between the groups, and  
20 I think the clinical significance is still undetermined.

21           [Slide.]

22           If we look at the on-treatment deaths, focusing  
23 just on the gastrointestinal bleeding and ulcers, we again  
24 see a numerical difference. What is surprising to me at  
25 least in our table is zero for the aspirin group, 5 for

1 Aggrenox group.

2 I think to put this finding into perspective and  
3 try to balance it, let's look at the total number of deaths  
4 across the group, and if you look at on-treatment deaths,  
5 and here they were defined as a death that occurred on  
6 treatment or within 30 days of discontinuation of the  
7 treatment, the highest number of deaths occurred in the  
8 placebo group, the lowest number of deaths occurred in the  
9 Aggrenox group.

10 [Slide.]

11 In conclusion, we feel that ESPS-2 clinical data  
12 supports the safety of Aggrenox. There were no unexpected  
13 adverse events observed with the combined use of extended  
14 release dipyridamole and aspirin.

15 [Slide.]

16 The dipyridamole-related adverse events are  
17 primarily headache and gastrointestinal and again as I think  
18 we all expect with that product, with that component, and  
19 the aspirin-related groups are primarily bleeding events as  
20 expected.

21 [Slide.]

22 Serious adverse events of potential clinical  
23 significance other than stroke, as you can see from the GI  
24 analysis, are really relatively uncommon.

25 There were no demographic or disease-related

1 factors identified. We believe that the data demonstrates  
2 the safety of Aggrenox when taken as directed, and I know a  
3 lot of contention about the endpoints, but given the  
4 benefits that were really explained this morning by Dr.  
5 Street, we do feel that it is reasonable to reach a  
6 conclusion that the benefit/risk assessment for Aggrenox is  
7 favorable.

8 Thank you very much. I will turn it over to Dr.  
9 Haehl.

10 DR. GILMAN: Let's see if there are any questions  
11 for you before you leave.

12 Any questions about safety?

13 [No response.]

14 DR. RAKOWSKI: Thank you.

15 DR. GILMAN: All right. Thank you.

16 Dr. Haehl.

17 DR. HAEHL: Mr. Chairman, we had some questions in  
18 the morning where we tried to collect answers. One question  
19 was as to the diagnosis of the qualifying event.

20 A side remark to the qualifying event should be  
21 misdiagnosis between stroke and TIA in a randomized trial,  
22 placebo-controlled and randomized, we would expect that this  
23 would work against the efficacy, showing efficacy, and  
24 underestimate a benefit should there be one.

25 So, we believe that in this trial, the possibly

1 available or present degree of misdiagnosis like in any  
2 trial would not impact positively at least on the result and  
3 on the findings.

4 [Slide.]

5 This is a photocopy out of the report where it is  
6 described how the definitions for the qualifying events  
7 were. I can read through that.

8 TIA, a focal disturbance of the cerebral  
9 circulation resulting in a clinical neurological deficit  
10 that completely resolved within 24 hours. That was with  
11 functional sequelae.

12 Stroke, a focal disturbance of the cerebral  
13 circulation resulting in a clinical neurological deficit  
14 lasting more than 24 hours.

15 RIND defined in between.

16 These criteria were valid with no upper age limit  
17 or limitations in sex, number of previous neurological  
18 events, or the specific circumstances of the patient care  
19 after the qualifying event provided that neurological and  
20 general clinical condition was stable and not evolving.

21 The diagnosis of the qualifying event was made  
22 prior to inclusion by clinical neurological examination for  
23 neurological signs by the date of event, the evidence that  
24 the CVA had stabilized and by CT scan.

25 Also, optional disk examination was strongly

1 recommended to confirm the ischemic origin of the CVA and to  
2 exclude other possible causes of the symptoms, such as tumor  
3 or intracranial hemorrhage.

4           The CT scan was performed in order to confirm to  
5 confirm the qualifying CVA. An absence of CT scan  
6 abnormalities was not regarded as an exclusion criterion,  
7 and as an additional information, 80 percent of patients had  
8 such a CT scan.

9           The next question was did the qualifying event  
10 have impact on the outcome.

11           [Slide.]

12           Here, we have those patients with qualifying  
13 stroke, and you have the odds reduction in the factorial  
14 design for dipyridamole versus no, with 14 ASA with no, with  
15 26, and then compares Aggrenox with placebo with 37, and if  
16 you take the group which had as a qualifying event TIA,  
17 then, these numbers are comparable, certainly not different.

18           [Slide.]

19           Just for your information, the composition of the  
20 Ethics Committee that accepted the changes or the increase  
21 of sample size.

22           [Slide.]

23           The next question is the interim analysis, and  
24 there I would like to ask Dr. Street to present that.

25           [Slide.]



1 DR. STREET: This is from the ESPS-2, November  
2 1991 interim analysis report. At the time, there were 3,994  
3 patients followed for an average of 10.6 months, and here is  
4 the statistician's summary statement from the report.

5 For what concerns survival, no statistical test is  
6 presented as agreed upon by the protocol. The signification  
7 level of 1 per 1,000 is never reached. The overall  
8 impression that can be derived from the study of the  
9 survival functions for the endpoint is that the four groups  
10 are different, and no two groups seem to cluster as being  
11 identical.

12 Now, let me show you the data which he presented  
13 at that time.

14 These are the numbers of endpoints, and these  
15 endpoints mean here the composite of stroke or death, and it  
16 shows them both at 12 months and 24 months, and down below  
17 here you have some estimation of the size of the groups.

18 Now, the 4,190 versus the 3,994, that is a little  
19 hard to explain, but it is the survival analysis. That is  
20 how it was taken into account. Very few patients had  
21 reached the full duration of study, and this is the kind of  
22 presentation that was made on all the tables. They were tr,  
23 1, treat, 2, treat, 4, and so forth, and those were randomly  
24 permuted between each and every figure.

25 So, his conclusion was simply this group seems

1 better than this, and none of them seemed to be clustering,  
2 so that is the conclusion he drew from the study. Then, he  
3 re-ran his sample size program and reestimated the same  
4 sample size. That is nature of the information.

#### 5 **Conclusion**

6 DR. HAEHL: With this, I would like to come to our  
7 conclusion.

8 [Slide.]

9 Members of the committee, we have reviewed a lot  
10 of information and we have made an attempt to provide you  
11 with a broad understanding of both the science and our  
12 rationale for the development of Aggrenox.

13 We certainly do realize--and that was reflected by  
14 the agenda this morning--that an important NDA like this one  
15 necessarily also has to raise important and relevant  
16 questions, and we do hope that with the data presented we  
17 could show that there are clear answers to those questions.

18 Therefore, to complete the remarks I would like to  
19 propose to you our perspective relative to the data  
20 presented, and I would like to propose the following  
21 conclusions, and I would also like to have the pointer back.

22 [Slide.]

23 Aggrenox represents a therapeutic advance to the  
24 secondary prevention of stroke that builds on the vast  
25 clinical experience with its components.

1           The findings, both ex vivo and in the clinic, are  
2 consistent with additive beneficial effects of aspirin and  
3 dipyridamole.

4           [Slide.]

5           ESPS-2 provides compelling evidence of the safety  
6 and efficacy of Aggrenox that can be generalize to general  
7 practice.

8           ESPS-2 is robust and eliminates concerns regarding  
9 chance or bias as a basis for the findings.

10           ESPS-2 meets the requirements for a single trial  
11 to support approvability of a product.

12           [Slide.]

13           The factorial and pairwise comparisons support the  
14 conclusion that in the second prevention of stroke, Aggrenox  
15 is significantly superior to aspirin or to extended release  
16 dipyridamole alone and has a favorable benefit-risk ratio.

17           [Slide.]

18           This is reflected by the fact that with Aggrenox,  
19 59 stroke events per 1,000 patients treated for two years  
20 versus 30 events prevented per 1,000 patients treated for  
21 two years with aspirin versus 26 events prevented per 1,000  
22 patients treated for two years with dipyridamole.

23           [Slide.]

24           Mr. Chairman, after the discussion of this  
25 morning, I take a big breath, and still I would like to

1 propose to you the conclusion that based on the positive  
2 trend for a mortality benefit, which in our opinion is  
3 consistent with the aspirin label and on the basis of the  
4 inclusion of an FDA-approved daily dose of aspirin, it is  
5 appropriate from a scientific and regulatory perspective to  
6 afford Aggrenox the same label indication as aspirin.

7 [Slide.]

8 And ESPS-2 establishes Aggrenox as first line  
9 therapy for secondary prevention of stroke and its labeling  
10 should describe its superiority to aspirin.

11 [Slide.]

12 With this, I would like to thank the panel again  
13 for giving us the opportunity to present, for listening, and  
14 to give us your advice, and my colleagues and I continue to  
15 be happy to answer any questions.

16 Thank you very much.

17 DR. GILMAN: Thank you, Dr. Haehl.

18 Any questions from the panel for the sponsor?

19 [No response.]

20 DR. GILMAN: Is the sponsor content that you have  
21 presented all the data that you wish to present to us?

22 DR. HAEHL: Yes.

23 DR. GILMAN: Thank you.

24 I will break up the flow now by asking that we  
25 turn into a public hearing, an open public hearing, so that

1 those people who had come here particularly to address the  
2 panel can have an opportunity to do so.

3 We will wait to hear the FDA's presentation and  
4 hear from the public.

5 Dena Van Husen, Senior Vice President of the  
6 National Stroke Association, Englewood, Colorado.

7 **Open Public Hearing**

8 MS. VAN HUSEN: Thank you, Mr. Chairman, members  
9 of the committee. My name is Dena Van Husen. I am the  
10 Senior Vice President of the National Stroke Association.  
11 On behalf of our entire organization, I thank you for the  
12 opportunity to talk to you today briefly about stroke.

13 The National Stroke Association devotes 100  
14 percent of its resources to stroke. We have been providing  
15 educational information to stroke survivors, caregivers, the  
16 general public, stroke at risk, and a broad variety of  
17 medical professionals since 1984.

18 Our mission is to reduce the incidence and impact  
19 of stroke by changing the way that a stroke is viewed and  
20 treated. Our efforts cover stroke prevention, treatment,  
21 rehabilitation, and research.

22 I am here today to encourage you to accelerate the  
23 approval of all compounds that are found safe and effective  
24 for preventing strokes, treating strokes, helping in stroke  
25 recovery.

1           The National Stroke Association strongly supports  
2 the development of new medications because there are so few  
3 options available for treating stroke.

4           As you know, over 730,000 Americans experience a  
5 stroke each year in the United States. That is one stroke  
6 every 45 seconds, and 160,000 people die from their strokes  
7 annually. There are 4 million stroke survivors in the  
8 United States. One-third of stroke survivors are left with  
9 very serious impairments, and everyone who has a stroke is  
10 at greatly increased risk of having another stroke.

11           Stroke is devastating to the individuals and  
12 families both emotionally, financially, and strokes can  
13 affect anyone. Strokes can no longer be written off as a  
14 disease of the elderly. One-third of strokes happen to  
15 people under age 65. As the baby boomer population ages,  
16 stroke is expected to become even more prevalent.

17           May is National Stroke Awareness Month. In three  
18 days, the National Stroke Association will launch one of its  
19 most significant public education efforts ever. Former  
20 President George Bush, who has atrial fibrillation, which is  
21 the leading risk factor for stroke, will tell the nation  
22 through public service announcements to "Be Stroke Smart."

23           Research and identification of new drug therapies  
24 is pivotal in the management of stroke, and we honor the  
25 researchers who dedicate their days to finding new

1 breakthroughs for stroke.

2           Should the Advisory Committee find any compound  
3 submitted for approval to be effective and safe, we  
4 encourage the committee to recommend its rapid approval, so  
5 that it can be made available for those at risk of stroke  
6 and to those who may experience or survive one.

7           Thank you.

8           Now I would like to turn the podium over to Dr.  
9 Phil Gorelick, who is the Chairman of the National Stroke  
10 Association's Prevention Advisory Board.

11           DR. GILMAN: Dr. Gorelick.

12           DR. GORELICK: Mr. Chairman, ladies and gentlemen,  
13 I am Dr. Phil Gorelick, Director of the Center for Stroke  
14 Research in Chicago.

15           My professional and research interests are the  
16 prevention of primary and secondary stroke. I administer  
17 NIH funded research projects on stroke prevention and have  
18 particular expertise in the efficacy of antiplatelets in  
19 secondary stroke prevention in African-Americans.

20           Today, I speak on behalf of the National Stroke  
21 Association, a not for profit agency whose mission is to  
22 reduce the incidence and impact of stroke by changing the  
23 way stroke is viewed and treated. Through my comments  
24 today, I hope to lend support to the rapid approval of  
25 compounds which will enhance stroke treatment options.

1 I am affiliated with the National Stroke  
2 Association through its Stroke Center Network, its  
3 Professional Advisory Council, and I am Chair of its Stroke  
4 Prevention Advisory Board. Over the past several months, I  
5 led the Stroke Prevention Advisory Board in the development  
6 of the National Stroke Association Consensus statement on  
7 primary stroke prevention.

8 I am here today to remind the committee of the  
9 devastating costs to patients and families from secondary  
10 stroke. My commitment to stroke prevention stems from my  
11 professional experience with the staggering neurologic  
12 damage imposed by stroke.

13 Each year, more than 700,000 of our countrymen  
14 suffer stroke. Approximately 160,000 of them die, the  
15 remaining 540,000 survive with varying levels of impairment.  
16 Stroke is the third leading cause of death and the leading  
17 cause of adult disability in our nation.

18 There are a myriad of factors which place  
19 individuals at risk for stroke. These factors range from  
20 those which are potentially modifiable, such as  
21 hypertension, to those which are potentially manageable,  
22 such as diabetes.

23 Through identification and management of risk  
24 factors for stroke, the incidence of this devastating  
25 condition can be diminished. Given our understanding of the



1 impact of stroke on our society, we face an imperative to  
2 develop and implement strategies for identification and  
3 management of all stroke risk factors.

4 A substantial risk factor for stroke is stroke  
5 itself. Individuals with a personal history of stroke and  
6 transient ischemic attack are at extremely high risk for  
7 subsequent events.

8 Because this population is relatively well  
9 defined, we have the opportunity to offer aggressive  
10 intervention strategies for secondary stroke prevention.  
11 Because this population is at risk for progressive  
12 neurologic damage resulting in progressive physical and  
13 cognitive dysfunction, we have a medical and ethical  
14 imperative to offer the most effective interventions  
15 available.

16 Research and identification of new and promising  
17 pharmaceutical agents is a critical part of our ability to  
18 offer patients effective treatments for stroke. Based on my  
19 knowledge of the expanding population of stroke survivors  
20 and my understanding of the opportunity to offer medical  
21 interventions to preserve neurologic function, I am an  
22 advocate for the development and rapid approval of compounds  
23 to prevent recurrent stroke.

24 Thank you.

25 DR. GILMAN: Thank you, Dr. Gorelick.

1 Mark J. Alberts, M.D., Associate Professor of  
2 Neurology, Director, Stroke Acute Care Unit, Duke  
3 University, Durham, North Carolina.

4 DR. ALBERTS: Thank you, Dr. Gilman.

5 Good afternoon. My name is Mark Alberts. I am an  
6 Associate Professor of Neurology at Duke University Medical  
7 Center in Durham, North Carolina, and Director of the Stroke  
8 Acute Care Unit at Duke Hospital, however, I am here today  
9 representing The Stroke Belt Consortium.

10 The Consortium is a diverse, multidisciplinary  
11 group of people, organizations, and companies dedicated to  
12 improving public and professional education about stroke in  
13 the Stroke Belt area of our nation.

14 Let me disclose that I have not participated in  
15 research involving Aggrenox, nor do I have any financial  
16 ties with Boehringer Ingelheim. However, I am a consultant  
17 to Boehringer Ingelheim, and the company has provided  
18 educational grants to the Consortium.

19 The Stroke Belt defines a region encompassing the  
20 Southeastern portion of the United States where stroke  
21 incidence and mortality has significantly exceeded the  
22 national average over the past 50 years. The highest age-  
23 adjusted stroke death rates for this region have been  
24 recorded in South Carolina, Georgia, and Mississippi.

25 The Consortium includes physicians, health care

1 providers, pharmacists, representatives from the  
2 pharmaceutical industry, managed care groups, minority  
3 groups, legislators, NIH, CDC, and other interested parties.  
4 The Consortium strongly believes that there is a need for  
5 concentrated efforts aimed at lowering the cerebrovascular  
6 disease health risks in this population.

7           The overall goal of this Consortium is to educate  
8 the public and the medical community about the risks of  
9 stroke for patients residing in the Stroke Belt region.  
10 People in these states are at a greater risk of stroke in  
11 part due to higher incidences of hypertension, heart  
12 disease, and diabetes and higher rates of smoking and  
13 obesity.

14           In addition, these states have a higher than  
15 average population of African-Americans and older patients,  
16 older adults, populations that are recognized to be at  
17 increased risk for stroke and for dying from a stroke.

18           The Consortium is committed to working with all  
19 groups in the Stroke Belt to improve methods for preventing,  
20 diagnosing, and treating stroke. As such, the Consortium  
21 has led to the formation of several different state-based  
22 programs and organizations to improve public and  
23 professional education about stroke. These include the  
24 North Carolina Heart Disease and Stroke Prevention Task  
25 Force, as well as the Florida Stroke Partnership Council.

1           Also, the Consortium has funded dozens of pilot  
2 grants and demonstration projects aimed at furthering public  
3 and professional education about stroke and its prevention  
4 and treatment. Examples of such projects include  
5 sponsorship of church-based educational programs, including  
6 stroke warning signs in the mailing of utility bills, and  
7 conducting an education survey about stroke awareness in the  
8 Stroke Belt region.

9           The Stroke Belt Consortium applauds the efforts of  
10 the FDA Advisory Committee in considering the approval of  
11 Aggrenox for the secondary prevention of stroke. As you  
12 know, each year, more than 700,000 Americans have a new or  
13 recurrent stroke and the risk of developing a subsequent  
14 stroke is in the range of 8 to 10 percent per year.

15           Despite educational efforts, most patients, even  
16 those with family members who have experienced a stroke, do  
17 not know the risk factors for stroke, and as such, they are  
18 unlikely to alter daily behaviors, such as smoking and poor  
19 diet, that increase their risk of having a stroke.  
20 Therefore, it is even more imperative that new therapies for  
21 the prevention and treatment of stroke be made available in  
22 this country, especially in those regions of the country,  
23 like the Southeast, where the risk of stroke is elevated  
24 significantly.

25           I believe that the development of new therapies,