

1 active ingredient. It includes the excipients. The
2 formulation, the safety, the unsafety of some of those
3 particular excipients in particular populations is
4 considered and is, in fact, usually communicated in
5 the label as part of the product, the overall risk.

6 DR. KWEDER: In fact -- This is Sandra
7 Kweder, FDA -- our pharmacology people are often --
8 They're quite meticulous about requiring that the
9 formulation being used in the reprotox, say, or other
10 tox studies is quite similar to what will be marketed.

11 Otherwise, companies sometimes get sent
12 back to the drawing board, because we do need to have
13 that information. We think that's very important.

14 DR. TAYLOR: Alan Taylor, Gilead Sciences.

15 It's clear that we're going to continue to
16 have a heavy reliance on the animal data in describing
17 risks to patients. I was glad to hear in Dr. Morse's
18 discussion this morning that there is going to be a
19 more global evaluation of the data in terms of the
20 risk assessment.

21 I was wondering if there were plans to
22 integrate more of that information into the labeling

1 beyond just what the findings are and what the
2 exposure levels are.

3 DR. DeGEORGE: Well, if that's a
4 recommendation, then we will do it. I think we intend
5 to actually try to put as much of the information that
6 leads us to the conclusions in the summary evaluation
7 of the risk in the label. If that includes data from
8 pharmacology studies, metabolism distribution studies,
9 if that's critical in leading to that, hopefully that
10 will be part of that section, so someone could
11 actually flow from the data to the conclusion that we
12 draw or to a different conclusion, as they find
13 appropriate.

14 CHAIRMAN GREENE: Dr. Wisner had a
15 question.

16 DR. WISNER: Whenever we give a drug to a
17 pregnant patient, it's always because of a particular
18 indication. So it seems to me, when we present this
19 information about outcomes for drug use in pregnancy,
20 what we're really presenting is outcomes that are
21 potentially due to a drug but also potentially due to
22 the maternal disorder for which the drug is being

1 used.

2 I wonder if, where there are data
3 available, there is any thought to a section, helping
4 the clinician separating out the risks of the maternal
5 disorder untreated in pregnancy with the confound of
6 the drug itself.

7 DR. BEHRMAN: We actually ask you this
8 question this afternoon. It's something we're very
9 concerned about, how to provide that context, the
10 untreated pregnancy or -- so simply the risk of the
11 pregnancy from the disease, how you separate that out,
12 and how you would most like to see that information
13 incorporated.

14 CHAIRMAN GREENE: Dr. Briggs.

15 DR. BRIGGS: A question for the FDA.
16 Excuse me, Gerald Briggs, Long Beach.

17 Would the patient get better information,
18 the clinician get better information if they used non-
19 human primates for fertility and pregnancy testing
20 rather than rodents?

21 DR. DeGEORGE: One of the problems, of
22 course, is how predictive the animal data is or the

1 human data that we have anyway. I mean that, in
2 trying to actually specifically say this effect
3 correlates directly to humans from a rat, from a
4 rabbit or from a primate. But there are additional
5 problems in using primates in that you actually -- if
6 we get very small animal numbers or numbers of
7 pregnancies exposed in the testing from using rats and
8 rabbits, the exposures that we would get from primates
9 would be even many, many fewer. It would take a lot
10 longer to generate the data.

11 We tend to rely on that only in those
12 cases where we think that that is the only appropriate
13 model to use, and we do use them in those settings.
14 I believe for some of the antiviral products, primates
15 were used because of particular concerns, in addition
16 to the other animal models.

17 DR. KWEDER: We also -- I mean, we're
18 pretty liberal in asking for specific animal models
19 where we think the metabolism of the drug, for
20 instance, is most similar to human metabolism,
21 metabolites may be similar, those sorts of things.

22 Another example that we're doing that's

1 not pregnancy specific, but it's certainly related, is
2 we are increasingly asking for the use of juvenile
3 animal models in anticipating toxicities in
4 pediatrics.

5 We've done that for the fluoroquinolones,
6 for example, where some early studies of Cipro showed
7 arthropathy in young dogs, and that's become standard,
8 and we're doing it increasingly for other products as
9 well where we think a juvenile model may be more
10 appropriate.

11 You could easily see how we might
12 extrapolate that to neonatal issues, which may be just
13 as important for the pregnant woman who is being
14 exposed late in the third trimester. You know, we
15 have almost a term baby. We're talking about a baby,
16 not a fetus anymore.

17 So those kinds of things do -- we do take
18 those under advisement and try to apply the
19 requirements rationally.

20 CHAIRMAN GREENE: Well, it is Noon. I
21 would like to thank everyone for their presentations
22 and the lively discussion.

1 We have one announcement before we break
2 for lunch, please.

3 MS. TOPPER: You will be pleased to note
4 that in the afternoon you don't have to identify
5 yourself. By now everyone knows who you are.

6 The second thing is we do have a table
7 reserved downstairs. They will hold your chair until
8 ten after. After that point, it's given to anyone who
9 walks in. So Angie will lead you down. She's the
10 young lady in the beautiful green pants suit outside.

11 (Whereupon, the foregoing matter went off
12 the record at 12:03 p.m.)

13

14

15

16

17

18

19

20

21

22

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:09 p.m.)

CHAIRMAN GREENE: We'd like to reconvene the meeting, please. This afternoon we have an opportunity for comments, and at least three people have requested permission to speak.

The first person I would like to recognize is Cynthia Pearson, please.

MS. PEARSON: Thank you. I am Cynthia Pearson. I'm the Executive Director of the National Women's Health Network.

As some of the FDA staff know, the Network is a nonprofit, science based, consumer advocacy group. We voluntarily do a financial disclosure every time we speak, in hopes that it will lead the way for others who come and speak during the public hearing to do a scrupulous financial disclosure.

We do not accept any financial support from pharmaceutical or medical device companies, and have no financial ties to any company or health care provider involved in pregnancy services. We are supported primarily by our membership, which includes

1 12,000 individuals in 300 organizations.

2 We are very concerned about the safety of
3 drugs prescribed to women. We are 24-years-old, and
4 in our founding year were invited to testify before
5 the Senate on the use of diethylstilbestrol which,
6 even though it had shown to be associated with long
7 term harm to -- at that time the evidence supported
8 long term harm in the daughters who were exposed as
9 fetuses when their mothers were given this drug during
10 pregnancy in the Fifties and Sixties and early
11 Seventies, it was still being prescribed for other
12 uses to women with the potential to be pregnant.

13 We rallied even before we had our formal
14 organizational structure all worked out to let opinion
15 makers and decision makers know what a burning issue
16 this is to women, the potential harm that can come
17 from drugs used during pregnancy.

18 As we've existed over the last 25 years,
19 we've continued to work to ensure that women are
20 provided the most accurate and complete information
21 possible about drugs prescribed to them at anytime and
22 also during pregnancy.

1 We are appreciative of being asked to --
2 or given the opportunity to participate today. Unlike
3 the committee, we didn't see the concept paper until
4 this morning. So we are very appreciative of your
5 flexibility in allowing the public comment period to
6 come after that and appreciated being treated with
7 respect in that way.

8 We also have had a chance today to look at
9 the questions you are being asked specifically this
10 afternoon. So even though I've come with prepared
11 testimony which I'm glancing down at and reading, I'm
12 also going to try to weave in reactions to the concept
13 papers which we saw after we wrote our testimony, and
14 some comments in anticipation of the discussion that
15 you're having later this afternoon, and I'll leave
16 copies of the pre-prepared testimony. I know FDA
17 staff are happy to get that into the transcript.

18 What our main points are is that we want
19 you to remember, as we know you are making valiant
20 efforts to do, but we want to help you remember and
21 sharpen that issue for you even a little more, that
22 this label is and will be used by women who are not

1 medical professionals, that whatever you believe is
2 best to craft for an audience and in a setting which
3 is primarily medical consumers, please remember that
4 consumers are using this information as well.

5 Our second point is that what you call --
6 what you have termed relevance in some of the
7 discussion this morning is something we feel is very -
8 - It goes to the philosophy, the philosophy of the
9 label in general and how that philosophy, we believe,
10 should be slightly different in the pregnancy,
11 fertility and lactation section, that the philosophy
12 of the label and the philosophy of scientists and to
13 the way in which you use the word relevance is that we
14 should -- we, a scientifically based community, should
15 be very careful not to impute causality until it's
16 been proven, that we should not infer that harm shown
17 to occur in animals will occur in humans unless that's
18 been proven.

19 The question of relevance has come up over
20 and over this morning, because in very few cases in
21 the drugs that are being talked about is there
22 anywhere near proof. DES, thalidomide, acutane are

1 some of the few where we've actually got very solid
2 proof. But women considering pregnancy or finding out
3 that they've been pregnant for a while have an
4 understandably different standard of proof.

5 They have a different philosophy in
6 approaching. I probably was wrong to call it
7 specifically a standard of proof, because I think
8 women can understand that, while they might use a
9 different standard of caution in the absence of proof
10 of causality, I think as the organization we
11 represent, we believe that's a very reasonable
12 decision for a pregnant woman to make, to try --
13 rather than to hold as the most scrupulous proof, we
14 will not acknowledge causality until it's absolutely
15 proven to swing the pendulum the other way and to
16 believe for herself that she is not going to accept
17 reassurances of safety unless there is a lot of proof.

18 If there isn't a lot of proof, she's going
19 to at least consider avoiding exposure, even if it's
20 exposure to agents and drugs for which there is no
21 proof of harm, and she is going to make that decision
22 about avoiding it, balancing not only the factual

1 information about her medical condition for which her
2 clinician is recommending treatment, but the values
3 she brings to the meaning of that condition.

4 Why I emphasize that is that leads to the
5 third point that I wanted to make in reacting to the
6 discussion that you've had so far this morning and the
7 draft concept paper that we've seen, that the proposal
8 which has already somewhat been struggled over amongst
9 the committee of having a clinical management section
10 come -- first of all, be included at all, and
11 secondly, to be right up front, very up at the top
12 above the risks.

13 I think our perspective from the consumer
14 community is that that puts into absolute terms a
15 value decision or a value weighing that comes from the
16 professional community's standards, that we assume
17 that the woman who is considering using this drug has
18 the condition for which it's indicated and then here
19 is the balancing of the impact of the drug on the
20 condition versus the not full knowledge of risk that
21 we have, and with that balancing here are the
22 recommendations for clinical management.

1 It doesn't seem to us that that takes into
2 account the meaning to the woman of treating the
3 condition for which the drug is indicated, and that
4 because we need to respect the values and the
5 different decision making processes that different
6 women will bring to it, that that's better to occur in
7 a more individualized setting, in the one-on-one
8 conversation with the clinician, and that putting it
9 into the label in a sense almost reifies it.

10 It makes it a government pronouncement,
11 which I know it isn't. You know it isn't. We know
12 what the labels are, but for the person who doesn't
13 interact with the FDA a lot, this is the government's
14 decision and recommendation.

15 We want to respect women's decision making
16 as more in an equal partnership. So those are the key
17 things we wanted to communicate. Then going back to
18 the testimony we prepared in advance, we would say
19 that we're not an advocate of keeping the old
20 categories, and it doesn't seem like anyone else is.
21 So that's great.

22 It's great to be in consensus on that, but

1 unlike apparently where the thinking is at the moment,
2 at least within the FDA and the working group, we like
3 categories, and we think consumers like categories,
4 and we think you even heard to a certain extent that
5 physicians and focus groups tell you that they like
6 categories.

7 We like tampon labeling. We like
8 sunscreen labeling. It's easy. There's a reason why
9 30-15-9-4 stick in people's minds for their sunscreen
10 or the tampon labeling system works. So we are here
11 to recommend, even though it sounds like -- If I were
12 a staffperson, I would be wincing, because it sounds
13 like it will take you backwards to where you feel like
14 you've moved on from.

15 We're recommending that you do keep a
16 system of categories and, if it were just to be
17 transposed on top of the draft concept paper you
18 showed this morning, the categories would be attached
19 to the summary -- risk summary assessment. I'm not
20 sure I got those words in the right order.

21 Our recommendation is that the categories
22 be used to reflect the type of knowledge available on

1 risk, and that categories be ranked from one to five
2 with one being the best -- that's sort of just
3 bringing the cultural use of numbers into this -- and
4 that one, we acknowledge -- category number one would
5 be used very rarely, because for us category one would
6 stand for that the level of evidence means the drug
7 has been studied for its effects in pregnant women and
8 their fetuses and that research data indicate that it
9 is safe.

10 Now we opened up this morning with someone
11 saying from the FDA there's just a handful of drugs
12 like that. Category 2 would be that it has not been
13 studied for safety in pregnant women and their
14 fetuses, but research data from animal testing
15 indicate that it is safe for use in pregnancy in
16 animals.

17 Now that may be the condition in which
18 many new drugs or some new drugs would come to the FDA
19 in. Then Number 3 is effect on pregnancy unknown,
20 which from what we heard in our historical briefing is
21 the condition, the state of which some drugs are in
22 right now, that they were approved before that type of

1 animal testing was required.

2 Then category 4 in our recommendation
3 would be harm shown in studies in animals, and
4 category 5, harm shown in studies in women or
5 reasonable reporting systems in women.

6 We acknowledge that part of the impetus
7 towards this revamping of the system was the confusion
8 of trying to intermingle risk and benefit in the old
9 category system, and that leading to inconsistencies.

10 What our recommendation is, that we take
11 that weighing of risk and benefit to some extent out
12 of the label and into the individualized conversation
13 between the woman and her clinician, and let the label
14 serve for women as their reference point of just how
15 much is known about the potential for risks caused by
16 this drug and where is it known from.

17 I think those are all the comments I
18 wanted to make, but I know -- Oh, I did want to just
19 reflect briefly -- I know I've used a fair amount of
20 time-- on the questions that you're being asked to
21 respond to later this afternoon, and say that in terms
22 of your question of referring the risks of the drug to

1 other risks in context such as the risk of pregnancy
2 or the risk of the untreated condition, I think that's
3 reasonable.

4 The larger reproductive health committee
5 that you're a subcommittee of has made that
6 recommendation in terms of contraception, that when
7 you get your factual information about the
8 effectiveness of contraception and any rare side
9 effects associated with it, that you also get a
10 comparison of the effectiveness of all contraception
11 and what's the baseline level of those rare risks in
12 that age group of women.

13 That seems pretty reasonable, and I think
14 women considering the effect of drugs taken while they
15 are pregnant would be happy to see that sort of
16 information.

17 I think you're -- Apparently, you're going
18 to be asked to give feedback on is it better to use
19 qualitative or quantitative information about risks in
20 this revamping of the labeling. It sounds like we
21 heard this morning that you have to use both. I think
22 that would be the Network's input to you.

1 I think also on your question about the
2 goals of the discussion of data systems within the
3 constraints of not making each and every label 17
4 pages long -- and they are already long, and they're
5 already in fine print -- it sounds absolutely
6 wonderful to have the sort of fairly lengthy
7 discussion of data that you laid out in some of those
8 draft mock-ups, where you really get into what kind of
9 animals were used, how large was the sample size in
10 the animal studies, and what type of human experience
11 has been reported on, if there's any information known
12 from humans.

13 So now I'm really getting the signal to
14 wrap up. So I'll stop, but I'll stay up here for just
15 a second to see if any of you have specific questions
16 for me. Thanks.

17 CHAIRMAN GREENE: Thank you.

18 I'd like to recognize Doris Haire, please.

19 MS. HAIRE: Good afternoon. The last time
20 I was here before this committee, I was four days away
21 from open heart surgery, which I knew about before I
22 came, but I had asked my doctor if I could do this one

1 thing. So, fortunately, I don't have anything planned
2 for a few days from now.

3 As President of the International
4 Childbirth Education Association, Chair of the
5 National Women's Health Network, and current President
6 of the American Foundation for Maternal and Child
7 Health, I am pleased that the FDA has concluded that
8 the agency's pregnancy risk categories have failed to
9 provide health care providers and, in turn, their
10 patients with information that would improve the
11 safety of drugs used in pregnancy, childbirth and
12 lactation.

13 While I appreciate the FDA's addressing
14 the issue of safety in regard to fertility drugs, I
15 believe that it is essential to create a separate
16 category for drugs used in pregnancy, childbirth and
17 lactation. The inherent risks of drugs administered
18 during organogenesis is not the same as the risks of
19 drugs administered during childbirth.

20 The reality is that none of the drugs
21 currently being administered to women during
22 pregnancy, childbirth and lactation has been subjected

1 to large, randomized controlled trials that have
2 attempted to follow up on development of the children
3 exposed in utero to the various drugs regimens.
4 Without such a follow-up, the FDA has no idea if the
5 possibility of fetal harm is remote or whether it's
6 perfectly fine, but we have no way of knowing.

7 I just spent three days with almost 1,000
8 obstetric anesthesiologists. That's an accomplishment
9 in itself. But I was amazed at how much effort is
10 being done now by anesthesiologists to market their
11 wares.

12 One of the speeches that was given was
13 talking about the fact that in one obstetric
14 anesthesia service they had nurses working around the
15 clock to talk to the patients, to educate them to the
16 benefits of epidural. So we are in for a major
17 impact, I think.

18 A recent review by DeLorier, et al.,
19 showed that outcomes of 12 large, randomized
20 controlled trials had not been predicted accurately 35
21 percent of the time by the meta analyses published
22 previously on the same topics. Our experience with

1 thalidomide has taught us that animal reproductive
2 studies are not always predictive of human response.

3 I find it disturbing that the FDA in
4 general is not willing to admit to the public that
5 none of the drugs intended for use in pregnancy,
6 childbirth and lactation has been subjected to a
7 properly controlled scientific evaluation.

8 Why is the FDA so reluctant to advise the
9 public that only those doses and conditions noted in
10 the Indications section of the package insert are FDA
11 approved uses of the drug, and that if the words
12 pregnancy, obstetrics, birth or lactation are no in
13 the Indications section of the package insert, then
14 the drug has not been approved by the FDA for
15 treatment of those conditions.

16 I'm anxious to know whether or not the
17 Indications section will remain in the package insert.

18 While European perinatologists and WHO
19 call for large, randomized controlled trials to
20 evaluate the safety of drugs to be administered during
21 pregnancy, childbirth and lactation, the FDA seems
22 more intent on maintaining the form of care based on

1 physician practice patterns rather than science.

2 Even though the FDA publication, "General
3 Considerations for the Clinical Evaluation of Drugs in
4 Infants and Children," has not been updated in a
5 quarter century, it at least acknowledged that in
6 pregnancy, labor, birth and lactation there are two
7 patients, distinctly different, with unique
8 vulnerabilities.

9 It is time that the FDA stops thinking of
10 drugs in pregnancy and childbirth as posing a risk
11 only to the mother. Women are far more concerned with
12 potential risks of a drug to their infant and child
13 than they are on their own wellbeing.

14 A normal PH and heart beat at birth do not
15 mean that the infant has come through the birth
16 process unscathed. A drug induced drop in fetal heart
17 rate cannot be assumed to be benign.

18 Research by Mallard, et al., carried out
19 in animals has found that even brief periods of oxygen
20 deprivation can cause damage to the hippocampal region
21 of the offspring's brain, even though there was rapid
22 recovery of other potential indicators of fetal

1 asphyxia. Mallard also noted that, after the apparent
2 recovery, there was often a subsequent progressive
3 decline in neurologic function.

4 Drugs administered to the mother are in
5 the fetal blood and brain within seconds or minutes.
6 Recent research by McCann, et al., have shown that a
7 drug's effect on the brain cannot be assumed to be
8 temporary.

9 The manufacturer of promethazine, a drug
10 frequently administered during childbirth, cautions in
11 the package insert that the drug can disrupt platelet
12 aggregation in the fetus. Then Corby points out that
13 such an effect can cause bleeding within the fetal
14 brain without similar effect in the mother.

15 Behavior scientist Joseph Altman warned
16 several years ago that drug induced alterations in
17 fetal brain chemistry may interfere with the synchrony
18 of cell and nerve fiber growth, causing subtle or
19 gross misconnections within the developing circuitry.

20 Yet what has the FDA done to determine if
21 such bleeds disrupt dendritic arborization within the
22 rapidly developing brain of the fetus? This is still

1 one of the most commonly -- Phenergan is the drug, a
2 brand name -- and it is still one of the most commonly
3 used drugs in the United States.

4 In 1977 the U.S. Food and Drug
5 Administration acknowledged that drugs trapped in the
6 infant's brain at birth have the potential to affect
7 adversely the rapidly developing nerve circuitry of
8 the brain and central nervous system by altering
9 neuronal maturation, cell migration, dendritic
10 arborization, and myelinization.

11 Not long after that, Donald Towers, who
12 was then Director of the National Institute of
13 Neurological and Communicative Disorders and Stroke,
14 said, "It is the biochemical circuitry, the
15 biochemical messengers and relevant nerve cells in the
16 brain, that form the basis for mankind's behavior."

17 The work of Zheng reported in the May 13,
18 1996, issue of Science supports the words of caution
19 by Tower, Altman and others by showing that the
20 migration of neurons along the glia fibers within the
21 brain can be altered by the normal chemistry of the
22 rapidly developing fetal brain.

1 It would appear that, at no other time in
2 an individual's life, is his or her brain more
3 vulnerable to drug induced alteration, trauma, and
4 permanent injury than during the last hours of
5 pregnancy and the early hours of life.

6 I was heartened recently when I attended
7 a meeting on brain development at the New York Academy
8 of Medicine. It was particularly encouraging to see
9 the attention being given to potential behavioral
10 teratogens such as oxytocin.

11 The FDA owes it to parents to make certain
12 that the drugs used by and administered to women
13 during pregnancy, childbirth and lactation are not
14 behavioral teratogens.

15 There is growing concern in the U.S. that
16 obstetric related drugs contribute significantly to
17 our high rate of learning disability and deviant
18 behavior. American children, on the whole, continue
19 to lag behind children in most other industrialized
20 countries in academic achievement, especially in those
21 areas of education such as math and science that
22 require comprehension and deduction.

1 In fact, at the meeting on the brain in
2 New York, the final conclusion among the group was
3 that one out of every five American children has some
4 significant neurologic dysfunction.

5 American women are growing increasingly
6 impatient with the failure of the FDA to adequately
7 assess the potential risks to their offspring of drugs
8 prescribed for and administered to them during
9 pregnancy, childbirth and lactation.

10 I urge you not to jump out of the frying
11 pan into the fire by coming up with new directives for
12 package inserts that fail to delineate not only the
13 known risks of a drug to be used in pregnancy and
14 childbirth, but also the important areas of
15 uncertainty in regard to the fetal and newborn brain.

16 Is there a reason for concern? Rosenblatt
17 and later Sepkoski and colleagues have shown that the
18 adverse effects of bupivacaine, used in epidurals, can
19 still be detected several weeks after birth, six weeks
20 and four weeks respectively, with no sure evidence
21 that the condition was corrected after the testing
22 period concluded.

1 To ensure that the FDA is making every
2 effort to protect women and their offspring from drug
3 induced injury, I propose that the FDA take immediate
4 steps to require all manufacturers -- excuse me -- to
5 require the manufacture of all FDA regulated drugs to
6 include in the package insert the following sentence:
7 In regard to infant outcome, there are no adequate and
8 well controlled studies in drugs administered or
9 prescribed for women during pregnancy, labor, birth
10 and lactation."

11 The FDA should establish an
12 interdisciplinary advisory committee, chaired by a
13 pediatrician, to determine the effects of obstetric
14 drugs on both the mother and her baby.

15 I have attended many of the meetings of
16 the -- Let's see, it used to be called the Fertility
17 and Maternal Health Drug Committee. I never saw a
18 pediatrician. How can anyone deliberate the safety of
19 drugs without pediatricians?

20 I also think that's true for midwives,
21 because midwives are the only one that can produce
22 consistently drug free controls.

1 There are many things. Let's see. I'd
2 like to urge Congress to fund a second updated
3 Collaborative Perinatal Study, chaired by a
4 pediatrician -- you can tell I have a certain
5 appreciation for pediatricians -- which would gather
6 data on maternal and infant outcome for births
7 occurring in a single week in selected hospitals, as
8 done in the U.K.

9 The idea of the collaborative perinatal
10 project -- It was sort of a disaster, because it went
11 on too long, and everyone I know tells me they
12 cheated. I mean, they weren't about to get up in the
13 middle of the night to do an Apgar.

14 I'd like to see that the FDA require
15 manufacturers to provide women and men with the
16 package insert of the drugs they are offered, and then
17 publicly encourage women to read the package inserts
18 and discuss the information with their providers
19 before deciding whether or not to take or forego the
20 drugs offered to them.

21 Thank you.

22 CHAIRMAN GREENE: Thank you.

1 The chair would like to recognize Dr.
2 Robert Brent, please.

3 DR. BRENT: Doris, I was a pediatrician on
4 the Maternal Health Drug Committee. It was a long
5 time ago, though.

6 Thank you for giving me the opportunity to
7 speak with you today. The Committee has a very hard
8 task, and I'm not here to tell you what to do, but I
9 would like to make some comments about some of the
10 things that were discussed.

11 Actually, when I was on the Maternal
12 Health Drug Committee, there was never a time at the
13 FDA that exciting things are not going on, as you
14 know, and we were discussing at that time bendeclin
15 and sex steroids, oral contraceptives, clomiphene,
16 vaginal sponges, and there's always controversy. So
17 that's not going to stop.

18 At that time I actually made a
19 presentation to the FDA about the fact that I felt
20 that the categories were misleading and produced bad
21 medical results by women aborting pregnancies because
22 of misinformation provided by their clinician, and

1 that was a thing that concerned me at that time.

2 In 1982 I wrote an article about
3 eliminating the categories that was published in one
4 of the pharmaceutical journals.

5 I'm interested in this subject very much.
6 I was here -- I think it was September 12th a year and
7 a half ago. It was a very interesting meeting and
8 very elevating, and these are my comments.

9 I happen to be an animal teratologist.
10 Unfortunately, I also do a lot of genetic and
11 teratology counseling, as Dr. Jones does and some of
12 the others here. I would say that our perspective on
13 some of the comments that were made here are quite
14 different.

15 Number one is, it's very hard to give a
16 generic explanation that will apply to every patient,
17 and especially I am somewhat offended by thinking
18 that, because an obstetrician says he wants a one-
19 sentence, bottom line to be able to tell his patient,
20 it would be like he would want one instrument to be
21 able to do all his surgery.

22 The fact is that you can't simplify some

1 of these things. If the patient has got one religion
2 or another or she's got two other children at home or
3 she's got a malignancy, on methotrexate or she's a
4 rheumatoid arthritic on methotrexate, it makes a big
5 difference what you're going to tell her.

6 So I'm concerned that we think we can
7 simplify the kinds of things that you can say in a
8 little package insert that's going to apply to a large
9 group of patients receiving that medication.

10 Now with regard to the animal studies,
11 back in 1964 I wrote an article about upgrading the
12 method of doing animal research, and two things that
13 I've said then and I've said ever since that are just
14 beginning to be adopted are that, while we go to
15 maternal toxicity in animal studies, that's
16 irrelevant.

17 You know, because you can kill with a drug
18 and maybe get some birth defects at 1,000 times the
19 therapeutic dose, it's something that we report in the
20 NDA or the preclinical studies. What I would rather
21 like to know is what is the no-effect level? What is
22 the blood level at the no-effect level in the animal,

1 and what is the blood level in the human being getting
2 therapeutic dosages?

3 If I knew that the blood level in the
4 animal before you got any reproductive effect was 100
5 or 50 times greater than the blood level in the human,
6 that would be very helpful for me to do risk
7 assessment, much more important than, you know, the
8 fact that you get these effects at these dosages much
9 greater.

10 So I would like to see studies that are in
11 the range of the pharmacokinetics of human exposures
12 and at the level where we get no effect in animals.

13 The second thing is that malformations are
14 not the same. You know, you get somebody saying, oh,
15 there was this malformation with this drug. It makes
16 a big difference what malformations you get in
17 animals.

18 We have a phenomenon in teratology called
19 epigenetic effects, cleft palate in the mouth, limb
20 defection in the rabbit, cleft palate in the rabbit,
21 encephaloceles in the mouth. Those are all genetic
22 diseases that occur in a small percentage of many mice

1 and animals.

2 With maternal toxicity, you can bring them
3 out. It has nothing to do with the teratogenic effect
4 and absolutely nothing to do with its relevance to
5 human studies. I think that's important when people
6 are making these interpretations about risk
7 assessment.

8 I saw for the first time that very
9 complicated chart with the numbers, and I don't like
10 to second guess people; but I have some suggestions.
11 What I would like to see you do is to take that chart
12 -- and I really couldn't see it from where I was. I
13 would take that chart, and I would take ten drugs that
14 we know most about, bendeclin, sex steroids, dilantin,
15 the cancer chemotherapeutic agents, and I would do
16 away with the human studies and just take the animal
17 studies and see what kind of numbers you will come up
18 with those, and see how closely they line up.

19 If you get something like bendeclin and
20 some other drug that's a nonteratogen and they come up
21 with the same numbers, and you can show that the
22 methods that you have work with known drugs that

1 you've got a lot of epidemiological data, then you can
2 begin to say let's try it on drugs where we don't have
3 any epidemiological data and look at the animal data
4 and get experienced animal people to look at the data
5 and come up with a consensus.

6 I think we would learn a lot if we used
7 that methodology.

8 Let's see. I mentioned about the sampling
9 of physicians. I thought that was a very interesting
10 study, but I can tell you from my own counseling, many
11 of the patients call me, because the obstetrician
12 doesn't have time to talk to them. He doesn't want to
13 spend -- You know, it's not his fault. HMOs don't pay
14 you for talking to patients.

15 I don't get paid for doing this, but I
16 like to do it, and I, therefore, relate to the
17 patients. But the fact is that, because you sample
18 physicians and they tell you what they want, it
19 doesn't mean that they know what they're talking
20 about. That's what concerns me.

21 So I really would like to see people who
22 do this all the time day in and day out -- and I get

1 a lot of calls from genetic counselors who are
2 confused about the data, and even from Dr. Jones'
3 laboratory on a specific subject, and he's got good
4 people there.

5 So you need people knowledgeable in all
6 these areas to come up with information about risks;
7 and if you have to do it with just animal data, you
8 need experienced people.

9 Finally, I think Dr. -- This is a very
10 important point. Patients never call and say I took
11 this drug, I'm worried about stillbirth. I've never
12 had a patient say that to me. They're interested in
13 malformations most of the time. That's what they're
14 interested in.

15 They don't want to know whether the child
16 is going to have interuterine growth retardation,
17 whether the child is going to be stillborn or whether
18 she's going to be infertile. So that the primary
19 thing that concerns the patient is the malformations.

20 The second thing is that I would say this
21 with regard to communicating with the patient. It's
22 very important -- Sandi, you brought this up. You

1 need to tell them what the spontaneous incidence of
2 the disease that they're talking about is.

3 It's much more helpful, and that's the
4 hard thing for a physician. You know, I hate to tell
5 a mother that she's got a three percent risk of having
6 major malformations.

7 It's very, very difficult to tell a woman
8 who -- You know, if she hadn't called you, she would
9 go through that pregnancy and have a 97 percent chance
10 of having a normal baby and never have raised the
11 issue to promote anxiety all that pregnancy. But once
12 she calls you, you've got to tell her what the risk
13 is.

14 Then you can tell her, you know, what the
15 -- based on the animal studies and, of course, that's
16 all you're going to have with these new drugs -- that
17 the risk -- You can tell her what you think the risk
18 may be with regard to the human studies -- with regard
19 to the ordinary incidence of birth defects and these
20 other things.

21 I would be reluctant to, in the package
22 insert, talk about all these other things except the

1 malformations, at least in the beginning. But I'm
2 going to stop here, and I'll tell you why.

3 You've got a lot of work to do, and you
4 got to practice about doing these things, and you got
5 to have a lot of people look at what you're writing.
6 I think, altogether, we can come up with something
7 that's going to be beneficial to the public and to the
8 FDA.

9 Again, those of you who don't realize how
10 hard it is for a regulatory agency to change direction
11 or change procedures or develop new regulations, it's
12 tough. It's tough. Everybody has got criticism for
13 them, and I just want to compliment them for this
14 effort.

15 CHAIRMAN GREENE: Thank you.

16 If there are any other public comments, we
17 would like to recognize you, please.

18 DR. GIACOIA: I am George Giacoia from the
19 National Institutes of Child Health and Human
20 Development. I have a very short comment.

21 I believe that use in the FDA lingo the
22 name of the subcommittee is mislabeled. It is called

1 Dependency Labels, that committee. I think more
2 appropriate will be the partial labeling, the
3 Pregnancy Subcommittee, because you are not dealing
4 with efficacy.

5 I am thrilled to learn that members of the
6 subcommittee of perfectly aware of the tremendous
7 changes imposed by the pregnant state on the
8 deposition of -- in pregnancy and the need to do a
9 status of -- in pregnancy.

10 The FDA needs to be congratulated for the
11 extraordinary efforts that they have made for
12 pediatric labeling, but those efforts didn't happen
13 overnight. Actually, it can be traced back to 1991 at
14 a meeting at the Institute of Medicine with
15 representatives of FDA, NIH, academia and industry.

16 There the first seeds were planted, and
17 among them was an incentive program that crystallized
18 in the pediatric provisions of the Food and Drug
19 Administration Act of 1997.

20 So I like to believe that Sandi Kweder is
21 wrong when she states that this will never happen. I
22 think that the public will recognize this as a public

1 health issue, that pregnant women will not be
2 discriminated against. After all, it's only an issue
3 of money, which is much simpler than the task of
4 dealing with the tough scientific problems related to
5 safety. Thank you.

6 CHAIRMAN GREENE: Dr. Christian.

7 DR. CHRISTIAN: You have a very difficult
8 task. There are a few things, though, that I'd like
9 to congratulate FDA on doing.

10 One is on the intensive training that they
11 have been giving the reviewers. I think this will be
12 very, very helpful in allowing the data and in working
13 with the pharmaceutical companies in making the data
14 presented consistent and appropriately interpreted.

15 Now a very large problem is the problem
16 when there is only animal data available. I'd like to
17 emphasize one thing that Dr. Brent said and sort of
18 refute another thing.

19 One thing that he said is that it would be
20 very important to look in the target dose level --
21 what I'm talking now is the serum level, the target
22 dose level of drug that is actually administered and

1 obtained in the animals -- and compare that with that
2 used clinically.

3 I don't know the appropriate multiple.
4 I'm sure that that's one of the most difficult things
5 that the committee has to do, because they have to say
6 is a 5 good, is 100 good, is a tenfold multiple good
7 or is the drug so safe even at the same level that is
8 clinically used, it's safe to do? That's an extremely
9 difficult problem.

10 I think that that information certainly
11 should be included and used consistently in the
12 labeling.

13 The other thing is we've come so far in no
14 longer identifying malformations as the only thing
15 that is important that I think it's very important in
16 looking at those blood levels comparatively to
17 identify whether any of the standard effects that we
18 evaluate in animal studies -- I'm talking about only
19 the effects on development; I'm not talking about the
20 reproductive effects that may be due to parturition or
21 lactation, but the in utero effects that we can see
22 how an embryo responds.

1 It can die. It can be small. It can be
2 functionally deficit, not only in CNS, or it can be
3 malformed in some way. Certainly, our scientific
4 advances are going from malformed enzymes structures
5 and gene changes all the way up to gross external
6 malformations. But in consideration of that, look at
7 multiples for human use that are safe based on all of
8 these endpoints; because if we know one thing at this
9 point, it's that scientifically we do not yet have the
10 ability to specifically identify a particular change
11 in the animal system to a particular change in the
12 human.

13 We always use the example that we still
14 don't know the mechanism for thalidomide. That's
15 absolutely true, but had we done the animal studies
16 appropriately and looked at it, we would have seen the
17 animals respond, and we could have looked at other
18 outcomes; but we chose to eliminate rat studies with
19 embryo deaths, because they weren't malformed the same
20 as humans were observed.

21 With valproic acid, we discovered it in
22 humans, because we chose to ignore other

1 malformations, retarded growth, delayed development of
2 other systems, changes in the CNS development in the
3 animal systems, because they were only animal studies,
4 because they weren't the same, the exact same thing as
5 occurred in the human system.

6 So that's all I want to add, is that
7 caution. Do not directly extrapolate, but consider
8 all the normal four endpoints and look at the other
9 reproductive processes that may also be affected, and
10 perhaps most important, consider that in terms of the
11 background and the clinical use of the agent, which I
12 know you do all the time.

13 That's so important, because it's so often
14 that we look at human cases. We see an animal case,
15 and we pull that animal data out, and forget
16 everything else that has happened in the clinical
17 situation.

18 I really congratulate you on all the
19 progress you've made in the year since this committee
20 has been working and the FDA has been working on this
21 particular problem, and with you very good fortune in
22 doing what is most difficult, in my experience, and

1 that is communicating with the general public.

2 Thank you.

3 CHAIRMAN GREENE: Thank you. Other
4 comments? Please?

5 MS. HEISER: Yes. I am Barbara Heiser,
6 and I am the Executive Director for the National
7 Alliance for Breastfeeding Advocacy and a former La
8 Leche League International board member. I'm also a
9 registered nurse and an international board certified
10 lactation consultant.

11 Because the U.S. has set up goals for our
12 nation around the issue of lactation, I know it's
13 talked about but very little this morning, in the need
14 for information for women that are choosing to give
15 the best nutrition to their babies via breastfeeding.

16 Moms are becoming more and more concerned
17 about what happens when they take drugs, to the point
18 that many of them don't even attempt to breastfeed
19 their babies, because they know they have a thyroid
20 condition and they're going to have to be on
21 medication.

22 The drugs used during labor and delivery

1 that was talked about earlier has a great impact on
2 the initiation of breastfeeding, especially with early
3 hospital discharges.

4 We also have moms over -- I've been doing
5 this for 20-some years, and the increase in calls I
6 get on information about breastfeeding and drugs has
7 just gone sky high recently. I'd say at least 50
8 percent of the calls I've gotten in the past two weeks
9 have been drug related calls.

10 Mothers are afraid that their baby is
11 going to get any of that drug. I want to recommend
12 FDA for what they are doing, that they are noticing
13 the importance of pregnancy information, but you must
14 include lactation in that information, realizing that
15 both the nation and the American Academy of Pediatrics
16 has set a goal for breastfeeding for one year.

17 So that gives you a long time of
18 information that's needed. Thanks to Dr. Briggs for
19 one of the only sources of information we do have to
20 use. The piece of information that's needed for the
21 label is the plasma/milk ratio, is one that's really
22 critical for it to be there.

1 I do, as a consumer, though, want to
2 assure you that mothers are reading all of those
3 labels, and that is a major problem, that you aren't
4 just addressing the medical community, that more and
5 more women are reading labels and are concerned about
6 what they're finding.

7 I look forward to seeing increased
8 information as you develop your standards. Thank you.

9 . CHAIRMAN GREENE: Thank you. Any other
10 comments, please? Okay. Seeing no other public
11 comments, I'd like to move on in the program to the
12 presentation by Dr. Francois Meyer on the European
13 labeling initiative, please.

14 DR. MEYER: Thank you very much for the
15 invitation. I'm Francois Meyer. I'm a physician by
16 training. I've been working for the French Medicines
17 agency, which is now called the French Agency for the
18 Safety of Health Products, for two years now as a
19 Deputy Director of the Medicinal Products Evaluation
20 Department, and I'm here to speak on behalf of the
21 European Committee for Medicinal Products, the CPMP,
22 to give you some information on the situation on the

1 guidance concerning pregnancy labeling in the European
2 Union and the CPMP initiative on this topic.

3 Next slide, please. I will not insist on
4 the background situation before this guidance has been
5 drafted or the drafting of this guidance has been
6 started. It was not satisfactory, certainly, with
7 information not addressing all the situations, and in
8 addition to that, as of course, we have different
9 countries, we have on the market products which have
10 been approved through national procedures with
11 different information from a country to another.

12 As you can see on this example, in 1993
13 for a beta-Sympathomimetic agent, the information was
14 quite different from a country to another concerning
15 pregnancy. In some countries no information was
16 available. In another country it was stated that the
17 product can be used during pregnancy, and other
18 countries a product was not recommended or even
19 contraindicated. So that's an additional difficulty
20 in Europe.

21 In this situation, an update of the
22 guidance of the summary of the product characteristics

1 has been started. So what is the summary of product
2 characteristics?

3 It is defined on the first -- the second
4 page, I think, of the document I have given to the
5 members of the committee. It's defined in the
6 regulations in Europe, and it is the information to be
7 given to the physicians about the product, and it has
8 to be approved by the competent authority.

9 It is proposed by the applicants in the
10 dossier for the marketing authorization application,
11 but it has to be approved by the authority, and it
12 forms an intrinsic and integral part of the marketing
13 authorization.

14 So the SPC is the basis of the information
15 for health professionals on how to use the medicinal
16 product safely and effectively, and it cannot be
17 changed except with the approval of the original
18 competent authority.

19 The patient information must be consistent
20 with the SPC, but in a wording that can be easily
21 understood by nonspecialists.

22 The SPC has not to give general advice on

1 the treatment of particular medical conditions, but
2 can deal with specific aspects of the treatment
3 related to the use of the pharmaceutical products or
4 its effects should be mentioned.

5 So the guideline which is being drafted
6 had to provide advice from the principles of
7 presenting information on the SPC. Next slide,
8 please.

9 So before dealing with the particular
10 situation of the pregnancy labeling, I will just make
11 information on the format of the SPC. There is a
12 legal format for the SPC with Section 1 and so on, and
13 Section 4 is for the clinical information of the
14 products. Subsection 4.1 is for indications.
15 Subsection 4.2 for pathology, and so on, and the
16 section which deals with pregnancy and labeling is
17 Section 4.6.

18 So that's mainly in the section 4.6 that
19 the information on pregnancy will be found with some
20 little exceptions that you will see later.

21 So focusing on the labeling on pregnancy,
22 where are the objectives when we met on several

1 occasions at the European Medical Products Evaluation
2 Agency, the MEA?

3 The objectives of the pregnancy section is
4 to provide physicians with, first, a summary of the
5 available, relevant information from, first, the human
6 experience concerning pregnancy outcomes and postnatal
7 outcomes, and secondly, the experimental data on
8 reproductive and developmental toxicity.

9 From these data should be driven risk
10 assessments which should be part of the section
11 concerning the possible effects of the drugs on
12 fertility and pregnancy, and information and guidance
13 for the clinical or the risk management -- I mean how
14 to deal with clinical situations, both aspects
15 pregnant women or women of childbearing potential
16 considering therapy -- so the prospective situation --
17 and secondly, the inadvertent exposure.

18 What format should be used for that? It
19 has been discussed considering the drug categories.
20 I think that those were the same in our side of the
21 Atlantic Ocean and in the U.S., and drug categories
22 were rejected as misleading, overly simplistic, and

1 we, of course, took benefit of the reflection and
2 particularly the hearing on this topic that was held
3 here in the U.S.

4 So drug categories were rejected, and a
5 narrative text was preferred, to include as much
6 details as necessary on the nature of the available
7 information concerning human data and experimental
8 data. However, if narrative text was preferable, the
9 need for certain standardization of the language was
10 highlighted in order to allow comparison between drugs
11 of the same therapeutic class and to facilitate the
12 choice of different products for the physicians and
13 the patients.

14 How were considered experimental data? It
15 was considered that experimental data should be
16 considered as either positive, negative or
17 insufficient. Positive where malformities or
18 fetotoxic effects were shown in animals, and these
19 findings were to be interpreted as a potential human
20 risk to be discussed depending upon the particular
21 study, and negative data from well conducted studies
22 where -- in the case where no effect have been shown

1 in studies in animals which were well conducted and in
2 at least two different animal species, including one
3 non-rodent. That's the conditions to be -- for a drug
4 to belong to the category of a drug with negative
5 animal data; and insufficient when none of the
6 previous criteria were met.

7 So how to use these negative animal data?
8 We checked first before concluding that in another era
9 of evaluation of the toxicity of products to humans,
10 the conclusions were not different, and we noticed
11 that in the U.S. your environment protection agency
12 guidelines for developmental toxicity risk
13 assessments, it is stated that for a substance for
14 which no sufficient animal data are available, the
15 minimum evidence to judge that a potential hazard do
16 not exist would include data from appropriate, well
17 conducted laboratory animal studies in several
18 species, at least two, which evaluated a variety of
19 potential manifestations of developmental toxicity and
20 showed no developmental effects at doses that were
21 minimally toxic to the adults.

22 So we are a bit in the same philosophy by

1 saying that negative animal studies, if they are well
2 conducted, have a strong, even it is not absolute
3 negative predictive values, and considering on another
4 hand that drugs known to induce, for instance, birth
5 defects in humans have demonstrated a teratogenic
6 effect in animals, provided that well conducted
7 experimental studies were available in two different
8 species.

9 So it was highlighted that the negative
10 experimental results should not be disregarded. It
11 should be taken into account when drafting the
12 pregnancy labeling section.

13 Concerning positive animal studies, the
14 positive, predictive animal -- predictive value --
15 sorry -- of positive animal findings should be
16 assessed very carefully; because values factors have
17 to be taken into account. Some species are known to
18 show birth defects which -- toxicity, for instance.

19 So it is very important to be careful when
20 making a risk assessment from a positive animal
21 finding.

22 So for that, one has to examine the

1 associated doses, routes, blood levels of the drug,
2 timing, duration of exposures, and exposure levels is
3 an essential factor for risk assessment, by comparing
4 these levels with the ones expected in women and
5 therapeutic agents.

6 So that's in animals. Concerning human
7 pregnancy, we considered that there were drugs for
8 which there was a known or suspected risk in humans.
9 So either malformities like thalidomide or fetotoxic
10 effects, drugs for which no relevant information was
11 available.

12 In this category we would put drugs with
13 a long presence on the market but no relevant data
14 available, considering that a long presence of the
15 market was not sufficient to be considered as a safe
16 results, and drugs for which no particular risk were
17 shown in some human data available, but with a
18 distinction with drugs for which there are limited
19 human data and drugs for which there are more
20 reliable, more extensive and epidemiologic studies
21 available.

22 The figures on this picture are just

1 indicative, and there is no consensus yet in Europe
2 concerning the numbers, for instance, of in the K
3 series the number of pregnancies which should be taken
4 into account to indicate if there is limited or more
5 important data available. We will go back to that
6 later.

7 So this busy slide is just shown to
8 demonstrate that from these data, the human first and,
9 second, the experimental data, because human data
10 should prevail over experimental findings, the risk
11 assessments and risk management is established. So
12 that's by combining the information available in human
13 and in animals, we can obtain these standard
14 statements which, of course, have to adapted to every
15 single case, every single drug.

16 So that was the way we started to deal
17 with the problem. Where are we now? So we are now to
18 the nearly final but not yet adopted draft guidance on
19 SPC, which is global, which deals with all sections of
20 the SPC, but what is written in the SPC guidance
21 concerning pregnancy, because pregnancy can be
22 mentioned in the section 4.3, contraindications, in

1 case the drug is contraindicated in pregnancies.

2 The main information is to be put on the
3 4.6 section, Pregnancy and Lactation. These words are
4 the exact words of the draft guidance. So this
5 section should mention concerning pregnancy first. So
6 the facts on human experience and conclusions from
7 preclinical toxicity studies which are of relevance
8 for the assessment of risks associated with exposure
9 during pregnancy.

10 Recommendations on the use of the
11 medicinal products at different times during pregnancy
12 in respect of gestation, recommendations on the
13 management of the situation of inadvertent exposure
14 where relevant, and guidance on the wording of this
15 section is given in an annex which is called Annex XX.

16 if there are some very detailed
17 information concerning preclinical toxicity studies,
18 they should be given in another section, which is
19 Section 5.3, which deals with preclinical findings.

20 Concerning -- So the section 4.6 should
21 deal with the question of women of childbearing
22 potential. Recommendations of the use of the

1 medicinal product in women of childbearing potential
2 should be present when appropriate.

3 Finally, lactation, information on
4 expression of the active substance and/or its
5 metabolites in milk should be given; and where
6 relevant, recommendation as to whether to stop or
7 continue breastfeeding should be given.

8 Concerning fertility, the guideline states
9 that information regarding fertility should be given
10 in other sections. That means Section 4.3,
11 contraindications, 4.4 special warnings and conditions
12 for use, 4.8 under the --; or 4.3, preclinical safety
13 data as appropriate.

14 I must say that we are not very happy with
15 that because, of course, it spreads over various parts
16 of the SPC the information on fertility, which is
17 probably not very satisfactory. So this could change
18 very soon.

19 So, finally, going to the annex where some
20 wording examples are depicted, we finally agreed on
21 four grades of so called recommendations for drugs,
22 depending on the data available in human and in

1 animals.

2 The first should be trade name is
3 contraindicated in pregnancy. The second, trade name
4 should not be used during pregnancy unless clearly
5 necessary. The third one, caution should be exercised
6 when prescribing to pregnant women; and the last one,
7 trade name can be used during pregnancy.

8 These recommendations correspond to values
9 -- level of information available from human and
10 animal studies, and maybe -- Could I have the next
11 slide. We are going to see each of these eight
12 situations, one after the other.

13 So the first situation is when a drug is
14 suspected or causes birth defects during pregnancy,
15 and in this case the drug is contraindicated, and
16 women of childbearing potential have to use effective
17 contraception, and the situation of -- defect is also
18 advocated.

19 Second case of contraindication or
20 possible contraindications when the drug generic name
21 has harmful pharmacological effects on pregnancy
22 and/or the fetus/newborn child. In this case the

1 drug, depending on the nature, the severity, the
2 reversibility of the effect can be contraindicated or
3 it is mentioned that the drug should not be used
4 unless clearly necessary, and the circumstances where
5 the drug could be used should be specified, if
6 possible.

7 Third situation, no clinical data
8 available but studies in animals have shown
9 reproductive toxicity or are insufficient, because for
10 precautionary reasons we have considered that
11 insufficient data in animals were to be considered as
12 in the same way as positive data.

13 So in these cases it is stated that the
14 drug should not be used during pregnancy unless
15 clearly necessary with the circumstances to be
16 specified.

17 The fourth case is when no clinical data
18 are available but animal studies do not indicate
19 harmful effects. The wording is -- proposed wording
20 is caution should be exercised when prescribing to
21 pregnant women.

22 Situation number five, data on a limited

1 number of exposed pregnancy indicate no adverse
2 effects of the drug on pregnancy, and animal studies
3 have shown reproductive toxicities are insufficient.
4 Wording is again here caution should be exercised when
5 prescribing to pregnant women.

6 Sixth situation, situation number six --
7 data on a limited number of pregnancies are available
8 and indicate no adverse effects, and there is negative
9 animal studies. The sentence is the same, caution
10 should be exercised.

11 In case number seven, it's where data are
12 available on a large number of exposed pregnancies
13 with no adverse effects seen. In this case, animal
14 data are not even mentioned, and it is simply
15 mentioned that caution should be exercised.

16 The last case is when well conducted
17 epidemiological studies indicate no adverse effect of
18 generic name on pregnancy on behalf of the
19 fetus/newborn child, and in this case it is stated
20 that the drug can be used during pregnancy.

21 So that's the actual -- That's the present
22 status of this guideline. Does it mean that what is

1 yet written is written on the stone? First question.
2 The answer is certainly not.

3 We can already criticize ourselves or we
4 have been criticized when presenting the data in
5 public meetings. The wording question is certainly a
6 very difficult one, and the wordings we have agreed
7 upon are certainly not satisfactory.

8 The sentence, caution should be exercised
9 when prescribing to pregnant women, has been found to
10 be very few informative, and should be certainly
11 replaced by something more detailed, because it's not
12 of help for the physician, and the purpose of this
13 section is to help physicians and patients make
14 decisions concerning the treatments.

15 The same criticism for the sentence, the
16 product should not be used during pregnancy unless
17 clearly necessary -- but I would moderate this
18 criticism, because I think that the circumstances
19 should be detailed whenever possible. But it has been
20 proposed to rephrase it, saying that the drug should
21 not be used during pregnancy unless its use is
22 essential. That's a purpose always received.

1 The last point: It is clear that there
2 are exceptions to this rule, and that a drug with
3 known effect in pregnant are not always
4 contraindicated, the well known example being the
5 antiepileptic drugs.

6 Again, we have done only a small part of
7 the job, because we have -- Now it has been decided to
8 complete these general guidance by a more specific one
9 on pregnancy labeling, which has to be drafted. It
10 will be done by all the CPMP working parties, safety
11 working parties, still with preclinical data.

12 So I am, together with Dr. Klaus
13 Olejniczak from the German BfArM, Federal Institute of
14 Drugs and Medical Devices -- we are be the rapporteur
15 at the CPMP for these guidance, which is -- now it's
16 still the early stage of drafting.

17 I thank you for your attention.

18 CHAIRMAN GREENE: Thank you. Are there
19 questions for Dr. Meyer, please?

20 I have one question for you, please. In
21 your proposed labeling where you state "data on a
22 limited number or a large number," do you use

1 composite data from multiple studies in arriving at
2 those data on a large number or a small number?

3 DR. MEYER: Well, the question is yet not
4 addressed, because we've -- the consensus we have yet
5 to say that we should consider limited number. What
6 should be behind these limited number of pregnancies
7 or large number of well conducted epidemiologic
8 studies is one, I think, of the more important tasks
9 we have when drafting the specific note for guidance.

10 So I cannot yet give you a precise answer
11 on this question. This is to be discussed yet.

12 CHAIRMAN GREENE: Have all the countries
13 in the European Union agreed to use some uniform
14 system?

15 DR. MEYER: Well, this guidance is drafted
16 at the CPMP level. So all of the 15 European
17 countries are members of the CPMP, so participated to
18 the discussion and to the drafting of the guidance.

19 CHAIRMAN GREENE: Dr. Kweder.

20 DR. KWEDER: Actually, in looking at the
21 annex I do see that you address male mediated effects
22 on pregnancy outcome, and somewhere in here -- I'm

1 just trying to get a handle on it now -- there's
2 reference to the use of contraception.

3 Are you putting women of childbearing
4 potential in together with pregnant recommendations --
5 women of childbearing potential with pregnant women?
6 I'm just a little bit confused.

7 DR. MEYER: I am not sure that I have --

8 DR. KWEDER: Are you making
9 recommendations about -- in the pregnancy section
10 about use of these products in women of childbearing
11 potential?

12 DR. MEYER: No.

13 DR. KWEDER: Okay.

14 DR. MEYER: You mean if we are -- do we
15 address the question of the necessity to have a -- to
16 have means of contraception for women when treated by
17 the drug?

18 DR. KWEDER: Okay. And that would be only
19 if you expected that there were a problem?

20 DR. MEYER: Yes.

21 DR. KWEDER: Okay. Just one other
22 question. I don't recall. Do you allow the inclusion

1 of women in the EU -- What's the policy on inclusion
2 of women of childbearing potential in clinical trials?

3 DR. MEYER: I mean, the women of
4 childbearing potential are, like everywhere else,
5 excluded from clinical trials, but that's -- I mean,
6 not -- That's not mandatory, I mean, but we do not
7 have more clinical trials with pregnant women that you
8 have.

9 I think, considering the collection of
10 data, one of the problem we have identified and we are
11 trying to improve is that, even if women of
12 childbearing potential are not included in clinical
13 trials, some participate and there are some
14 pregnancies in the trials, as they are in real life;
15 and it's very difficult to collect the data on these
16 pregnancies, and we would like to improve that a lot.

17 DR. KWEDER: Okay, thank you.

18 DR. O'LOUGHLIN: You brought up a point
19 that nobody has brought up today with what Sandi was
20 just talking about, in that if males were to take a
21 certain drug and it was an inadvertent pregnancy, if
22 there would be any problems in the pregnancy due to

1 the male having taken certain drugs, and if that
2 should be in the labeling at all.

3 DR. MEYER: You don't think that should be
4 in the labeling? I didn't hear you very well.

5 DR. O'LOUGHLIN: No. I'm wondering what
6 you're doing. You talk about the male and the female,
7 and using contraceptives. What if the drug was taken
8 and there was an inadvertent pregnancy? I mean, would
9 there be something in the warning about males?

10 I mean, we've talked a lot about, you
11 know, the female taking the drug, the effects on the
12 fetus, but nothing about males having taken the drugs
13 and an inadvertent pregnancy.

14 DR. MEYER: Yes. I think -- I mean, on a
15 case by case basis, we will deal with that. Of
16 course, it can be -- It will probably be difficult to
17 give some advise on these points, but I don't think we
18 have to -- we have to take it into account, but that's
19 a general statement for the time being, and we are not
20 as far as to deal with practical cases on this case.

21 DR. WISNER: We had quite a long debate
22 this morning about how much clinical recommendations

1 or advice to include in the specific categorization
2 scheme. I wonder how the EU has dealt with that
3 issue.

4 For example, we talked about would making
5 a statement about monitoring through ultrasounds or
6 perhaps drug serum levels be appropriate. I'm just
7 wondering how your group dealt with that specific
8 issue.

9 DR. MEYER: There is not yet complete
10 agreement on that. I mean, it was not immediately
11 agreed to include the inadvertent exposure, for
12 instance, in the section, and there are still comments
13 made upon that and some experts saying that there is
14 not a lot to be said in such cases, and that it's very
15 difficult.

16 Other experts think that there is
17 possibility to advise people to make a survey of the
18 pregnancy and sometimes by ultrasounds, even if there
19 is data only available in animals, because if there is
20 an interspecies specific target organ -- I mean, it's
21 worth doing these ultrasound examinations to check the
22 organ. But it's an area where there is an important

1 debate still, and I think it will be still debated
2 when we will be drafting the final guideline.

3 We would like to, of course, to propose
4 that, because as you were so worried about in the
5 States, we know that there are pregnancy terminations
6 when a patient has been exposed inadvertently to a
7 drug which is known to be, for instance, teratogenic
8 in animals, but there is no human data or even there
9 are human data, but there is an alternative
10 possibility which is to monitor the pregnancy to check
11 whether there is or now a harmful effect on the fetus.

12 One of the most important changes is that
13 in the past a lot of drugs were contraindicated in
14 pregnancy, sometimes because only no human data were
15 available, but as it has been highlighted this
16 morning, when a new drug comes on the markets, no
17 human data is available -- are available.

18 So it was accepted that this would not be
19 a reason to contraindicate a drug, and that
20 contraindication should be restricted to the drugs for
21 which human data are available with known or suspected
22 harmful effects in humans.

1 Of course, as usual, we may have some
2 exceptions to this rule, but the idea is not to
3 contraindicate as a excessively precautionary approach
4 all the new drugs because there are no human data
5 available.

6 CHAIRMAN GREENE: Dr. Kweder.

7 DR. KWEDER: I just wanted to comment on
8 Dr. Wisner's question. You know, one of the things
9 that struck me about this is that in many respects the
10 EU has a much bigger challenge than we do, because I
11 think they have a number of regulatory agencies who
12 have approached this concept of advice giving or being
13 directive in labels very, very differently.

14 There are a lot of tightly held
15 philosophic differences among them. So I think he's
16 being understated when he says it's still under
17 discussion.

18 DR. MEYER: I thought you would encourage
19 me.

20 DR. KWEDER: No, it's extremely difficult.
21 I mean, we all have -- I mean, I think around the
22 table we have already heard how there are differences

1 of opinion, and within FDA we have differences of
2 opinion as well, which we struggle with all the time.
3 I think you all have an even greater challenge.

4 DR. MEYER: Yes, yes. For instance, there
5 was a proposal at sometime to mention in the SPC that
6 for a drug for which there is a suspected teratogenic
7 effect that the pregnancy termination is not the only
8 alternative, for instance, but pregnancy termination
9 is not authorized in all the member states. So it's
10 simply not possible to mention pregnancy termination
11 in the legal text across Europe.

12 The language question is so important, I
13 think, because when we work together, we work in
14 English, but we have different understanding of what
15 English means, and we -- For instance, we were trying
16 to grade the recommendations, and we discussed a lot
17 to know what was the more restrictive recommendation
18 between "these drugs should not be used during
19 pregnancy" and "this drug is not recommended -- the
20 use of this drug is not recommended during pregnancy,"
21 and there were, of course, opposites.

22 Finally, it was considered being

1 equivalent, but I would like to know what you think
2 about it. And after that we have the problem to
3 translate that into the different languages, and we
4 have an example where a drug -- it was stated in the
5 original SPC that the drug was not to be used during
6 pregnancy, and the translation in one of the language
7 of the Community -- it was not French -- was it was
8 not to be used to become pregnant. So we have to be
9 careful with that.

10 CHAIRMAN GREENE: Dr. Wier.

11 DR. WIER: Francois, very nice
12 presentation. It's very clear in the document the
13 intention of the narrative in Section 4.6, and then
14 going on to the annex, what I like about the annex is
15 it nicely organizes the type of information that's
16 necessary to support the narrative.

17 Where my compliments stop is why make
18 categories out of this? Why is it necessary to assign
19 numbers of 1 to 8 and to give them this hierarchical
20 representation, because it's at that point where I
21 think the system really fails.

22 For example, some of the ways that

1 different types of information are combined and then
2 ranked in combination, such as limited human data with
3 a positive animal finding versus limited human data
4 and a negative animal finding; because the nature of
5 these studies don't always make such combinations
6 possible.

7 So this is simply my question. Why the
8 compulsion to make categories and to rank them in such
9 a hierarchical order?

10 DR. MEYER: Well, I think they are not
11 really categories. They should not be considered as
12 categories. They look like categories. They
13 certainly look like, but it's only an attempt to
14 standardize, when possible, the language.

15 I mean, probably these exact wording or
16 these exact categories will not be followed in most
17 cases. That's not the real point. I mean, we should
18 not -- The idea is that is a background. You start
19 from that, and then you adapt that to the drug.

20 That should -- But this is only intended
21 to avoid that -- I mean, to avoid that, to have a very
22 different ways of estimating the risk from one drug to

1 another. So we should try to deal with this system,
2 but -- and after that, we can come with an outcome
3 which is a bit different, but we have to check were we
4 right to behave differently, and sometimes when
5 checking that, you have to say, okay, I've
6 contraindicated this drug, despite the fact that there
7 is no known toxicity in humans.

8 We've done that recently. We've done that
9 recently for ribavirin. It's contraindicated in
10 pregnancy, and there is no data available in humans.
11 So that's -- but it should be an exception or maybe,
12 if there are several exceptions, another so called
13 category should be created. But in another example,
14 if we contraindicate a drug because it's only there
15 are no human data available and possible animal
16 toxicity, in many cases it would not be acceptable.

17 So that's just to remind the general
18 rules, but we should not consider that as fixed
19 categories, and it's not written in stone. Even, you
20 know, the wording, we have to think again to improve
21 it, because it's not satisfactory yet.

22 CHAIRMAN GREENE: Thank you -- One more

1 question? Yes?

2 DR. DeGEORGE: I just wanted to ask a
3 question. It seemed to me that, in looking at the way
4 you were evaluating the data, that you were pooling
5 together all outcomes in your animal studies,
6 regardless, other than fertility, that any outcome is
7 actually pooled to come to your recommendation.
8 You're not giving any sort of specific recommendations
9 based on any specific outcomes in, say, any of the
10 endpoints that one might measure.

11 DR. MEYER: I don't think it should be
12 really pooled there. I mean, if there are outcomes
13 which have to be separated, they should be separated.
14 I mean, it's only for the purpose of clarity of the
15 presentation and of the length of the document, but in
16 most cases it should be specific to the outcome,
17 certainly.

18 DR. DeGEORGE: Thank you.

19 CHAIRMAN GREENE: Thank you very much.

20 Dr. Kweder, with your permission, maybe
21 we'll take a break now and then we'll entertain the
22 questions. We'll return at ten minutes of three.

1 DR. KWEDER: You don't need my permission.

2 (Whereupon, the foregoing matter went off
3 the record at 2:35 p.m. and went back on the record at
4 2:53 p.m.)

5 CHAIRMAN GREENE: We are ready to
6 reconvene, please. I think we're ready for Dr. Kweder
7 to present the questions that we are to discuss.

8 DR. KWEDER: I think that I'll spare you
9 reading them in great detail, but I want to start with
10 just remind you what my helpful hints were.

11 First, if this seems difficult, it's
12 because it is. That is why you're here. It's why
13 it's taken us as long as it has to go from a Part 15
14 hearing to this meeting.

15 What we seek from you is general guidance.
16 You don't have to give us specific recommendations
17 about which everyone at the table agree. We recognize
18 that there is going to be some variability in opinion,
19 and we'll take that under advisement.

20 If you can develop some consensus, it's
21 helpful, but it's not a requirement. What is helpful
22 is, if you don't have consensus, if we could

1 understand why and where the disparity comes from,
2 that's always useful to us.

3 Again, to remind you, it's going to be our
4 responsibility to write a new regulation. It's not
5 yours. You don't have to come up with, you know, a
6 specific system or a matrix. That's probably above
7 and beyond the call of duty at this point in time.

8 So in terms of the questions that we have,
9 I would just like to remind you we only gave a brief
10 presentation this morning of the preclinical things
11 that are happening in terms of the actual evaluation
12 of data.

13 We have a separate process that's ongoing
14 to evaluate that, and it is something that ultimately
15 this committee may be called upon to comment on in the
16 future, but that's not the topic for today's meeting.

17 The questions that you have before you, we
18 limited ourselves to one page.

19 CHAIRMAN GREENE: Can I ask one question
20 before you start -- launch into the questions.

21 A question was asked, does the FDA at this
22 point have a time frame or a timeline in mind for

1 completing this deliberation process, implementing,
2 let's say, a new set of regulations, and getting the
3 new whatever we decide in terms of labeling deployed?

4 DR. KWEDER: I think that the answer to
5 that question depends greatly on what your responses
6 to the questions today are. If we get the sense that
7 we're going in the right direction, I think that it
8 will be sooner rather than later.

9 If we hear from you that, boy, you folks
10 have this all wrong and you need to complete regroup,
11 we're talking about a much longer period of time.

12 What we could -- I guess it would probably
13 be fair to say that within the next few months we
14 would like to put something out in the Federal
15 Register.

16 What we actually envision is we envision
17 a regulation that's actually on the simple side with
18 a guidance document -- remember, I explained the
19 difference this morning -- that's a little more --
20 that goes into a little bit more detail but is not
21 quite as binding as a regulation.

22 So that if we find that things aren't

1 going well with how we're implementing this
2 regulation, we have some flexibility to change. One
3 of the problems we have with the current regulation is
4 that it is quite detailed, and it almost boxes us in
5 more than is helpful.

6 So the answer -- The short answer is it
7 depends on what your comments are today. Our goal is
8 to get a proposed rule out within the next few months.

9 CHAIRMAN GREENE: So in a best case
10 scenario, today everybody just throws roses and no
11 brickbats?

12 DR. KWEDER: Oh, yeah.

13 CHAIRMAN GREENE: You're talking about
14 having something in the Federal Register by September?

15 DR. KWEDER: Where is my -- Joe? Ginny?
16 Those are the lawyers.

17 CHAIRMAN GREENE: By the end of the
18 calendar year?

19 DR. KWEDER: I think by the end of the
20 calendar year. My goal is sooner than that, but I
21 think that's probably --

22 CHAIRMAN GREENE: And then you said

1 there's a 60 day comment period?

2 DR. KWEDER: -- comment period, and then
3 we decided, based on those comments, how much we need
4 to alter the proposal, if at all.

5 CHAIRMAN GREENE: Then if there are no
6 major alterations, you folks at the FDA roll up your
7 sleeves and get started? How long is this going to
8 take?

9 DR. KWEDER: And we just put it out as a
10 final rule. We put it out as a final rule, effective
11 immediately, usually with an implementation plan. One
12 of the reasons that we brought this before a committee
13 such as yourselves is because we'd like to feel
14 confident that, when we put out a proposed rule, it's
15 pretty close to what the final regulation is going to
16 look like and, hopefully, that saves us time. That's
17 the idea.

18 CHAIRMAN GREENE: Thank you.

19 DR. KWEDER: So any other questions for
20 me? I'm not going to read the specific questions
21 other than to just hit a couple of the key words.

22 Under the format and content area, t he

1 kinds of things that we're asking are about usefulness
2 of the format and content we've generally proposed.
3 We would like you to get back to the issue of how
4 specific and detailed recommendations should be, how
5 to address risk in the appropriate context for
6 different readers, and give us some guidance on
7 relative merit of describing risk quantitatively and
8 qualitatively and, in particular, what kind of terms
9 are you thinking when you answer that question.

10 We do have a question about how we should
11 select information for the discussion of data section.
12 We have three questions regarding risk communication.
13 In particular, where we don't know very much, how
14 should we communicate this lack of information, and
15 how can uncertainty associated with the predictive
16 value of some of the animal reproductive studies,
17 particularly in the absence of human data, best be
18 communicated?

19 Finally, there is a question, because we
20 have had many comments on this made to us, about risk
21 language or descriptive language that has acquired
22 what was probably unintended connotations that should

1 be avoided in providing advice or in describing risks.

2 If you can give us examples of what that
3 might be and suggestions for alternatives, that would
4 be very helpful.

5 So I'll leave you to --

6 CHAIRMAN GREENE: Can I suggest that we
7 take them one at a time then, although --

8 DR. KWEDER: You can do that, however you
9 like. You can do them in order. You could do them in
10 reverse order. You can randomly select.

11 CHAIRMAN GREENE: Well, I'm just concerned
12 that we'll get a little bit too unfocused and chaotic
13 if we don't address the questions one at a time. So
14 why don't we start with the first question then, and
15 I'll solicit comments from the panel or questions for
16 any of the FDA staff with regard to question number 1,
17 the utility of the proposed reorganization.

18 What I would say is that, although there
19 is consensus that the current categorization is not
20 useful and, in fact, sometimes misleading, I do think
21 that we need some relatively shorthand way of
22 communicating what is a large quantity of complex data

1 in many cases, so that simply heaping several pages of
2 data on a physician's desk is not going to be helpful,
3 and there does need to be some relatively brief
4 summary, almost, as some of the physicians said in the
5 focus group, headline format, if you will.

6 There does need to be some relatively
7 brief summary that a physician who is busy in his or
8 her office seeing patients doesn't have a day or two
9 to research an issue, can refer to relatively quickly.

10 I think this would fulfill that need. Dr.
11 Briggs.

12 DR. BRIGGS: I would certainly second
13 that. I did sort of a mini-poll before I came here
14 from physicians on our medical staff, and of the ten
15 or so I talked to, probably seven of them -- the first
16 words out were keep it simple.

17 In my experience, physicians -- These are
18 obstetricians I'm talking about. They probably know
19 nine or ten drugs extremely well, and that's the ones
20 they use day in and day out, and they know the
21 problems of those drugs and whether they can be used
22 in pregnancy, what they are, what part of pregnancy.

1 It's when they get beyond those drugs,
2 that's when they get in trouble. So they're pretty
3 sharp, and they call someone to get the information.
4 I have physicians that won't even buy my book there,
5 because they know they can call me for a nickel and
6 get free information, which is all right. They're
7 good friends, but that's the type of situation they're
8 in.

9 CHAIRMAN GREENE: Dr. Andrews.

10 DR. ANDREWS: I agree as well that we need
11 to come up with a very brief summary that says what we
12 know. I'm concerned about the clinical management
13 section, and most concerned that we may be giving
14 advice on pregnancy medicine, going beyond what we
15 know.

16 So I would advise us to steer clear of
17 clinical management. I think that it's too easy to
18 predict, to attempt to predict based on animal data,
19 when we really don't know, and the Roselens example is
20 a good one of trying to project human experience from
21 animal studies.

22 Likewise, I'm concerned that we might

1 conclude there is no risk based on 100 human exposures
2 when we can't do that. That's really going beyond the
3 data.

4 So I would advise us to have a section on
5 a summary of the risk assessment and perhaps clinical
6 considerations where we can reasonably draw some
7 inferences relating to clinical practice based on
8 solid data, but I think I would steer clear of
9 prescribing medical practice.

10 CHAIRMAN GREENE: Can I push you a little
11 further to clarify that? Do you feel that there
12 shouldn't be any recommendations with respect to
13 clinical management or that the bar should be set
14 fairly high, that the clinical management suggestions
15 should only be made when they're clearly supported by
16 data?

17 DR. ANDREWS: I think the bar should be
18 set very high. I would be very nervous about having
19 three categories that must always be completed. I
20 think that that would lead to endless and fruitless
21 discussions that would offer really no help and a
22 false sense of security.

1 I think we've heard data today that
2 suggests that, if we give a top line bit of advice,
3 that's all the clinician might read, if they read the
4 label at all, and I would much rather have the person
5 dig down and see what the data really are or turn to
6 experts or other references.

7 As we've been saying, I think probably the
8 most useful part of this large green volume that we
9 received was the comparison for individual drugs at
10 the label and the different summaries of the data
11 showing that very expert and thoughtful individuals
12 can summarize the data and draw different conclusions.

13 I would much rather see that being done in
14 the context of a patient/physician relationship than
15 a manufacturer coming up with proposals or having
16 something set in stone.

17 CHAIRMAN GREENE: Okay. So you're not
18 categorically opposed to recommendations for
19 management, as long as it's based on solid data?

20 DR. ANDREWS: Very solid data.

21 CHAIRMAN GREENE: And I think the fact
22 that different acknowledged experts could reasonably

1 differ was brought out quite well by Dr. Meyer's data
2 on various countries in the EU where the same compound
3 was either recommended for liberal use or
4 contraindicated, and everything in between.

5 Yes, please, Pat first.

6 DR. WIER: I am hearing that some people
7 will feel that physicians won't read the discussion of
8 data, because it's just too many details. I know that
9 there will be other people who find it insufficient in
10 the level of detail, the people who really want to
11 drill down.

12 So it causes me to raise the question, is
13 it necessary that the discussion of data, which I
14 think is important -- but is it necessary that it
15 appear in the package insert, and why can't we take
16 advantages of other media to allow people to tap into
17 the discussion of data?

18 It's important that we have it. It's
19 important that the evidence supporting the summary
20 statements be available to people, but I'm questioning
21 can it fit? Is it practical? Is it the best thing to
22 do to put it in the package insert as opposed to

1 making it available on a Website, for example?

2 CHAIRMAN GREENE: Jim.

3 DR. LEMONS: I tend to agree with the
4 final two comments, but because everything that's
5 going to be in here is based upon the data. So I
6 would once again ask for some systematic way for the
7 reviewers to objectively identify or articulate the
8 quality of the data.

9 Again, that can be done in human studies.
10 I don't know if this can be done by January, because -
11 - and I'm not sure what the timeline is for the
12 preclinical review group to come up with something
13 that can give us a guidance on how to interpret animal
14 data. This is still a real enigma.

15 Regarding clinical management, I think it
16 may be okay, it may not be okay. It's okay if it's
17 truly based on what we would consider quality
18 evidence. I mean, you can say monitor this, this or
19 this, if it truly is supported by large epidemiologic
20 or controlled trials that provide substantive human
21 data. But shy of that, I think it's going to be
22 difficult and risky and, as the time changes, it is an

1 important issue whether clinical management can be
2 posed in a timely fashion.

3 That may be better left to the specific
4 organizations such as ACOG or the AP regarding
5 specific uses of data. To me, that's going to be the
6 essential ingredient in developing a sound proposal
7 for risk.

8 Again, risk is probability. Hazard is, I
9 guess, the actual identified adverse event. So those
10 are the two pieces.

11 CHAIRMAN GREENE: In that regard, the FDA
12 doesn't necessarily need to reinvent a wheel that they
13 might be able to borrow, for example, from the AHCPR,
14 the Agency for Health Care Policy and Research, which
15 does categorize studies on the basis of the quality of
16 the evidence in the study. So that wheel would not
17 need to be reinvented.

18 Yes, Ms. Scott.

19 MS. SCOTT: I am Julia Scott. I'm the
20 consumer rep on the board.

21 It was good for me to sit through this
22 morning and really be reminded of the concerns of

1 clinicians, because I basically come from the point of
2 view of consumers and individual women, and this is an
3 area that is long overdue, and I want to congratulate
4 the FDA for kind of biting the bullet on this also.

5 As a consumer, I think it's going to be
6 very, very important for whatever we come up with, for
7 it to be in language that is understood by -- I don't
8 want to say everyday people as opposed to the medical
9 jargon.

10 This is a very complex issue. We can't
11 make it simple overnight. So I get a little concerned
12 when I hear about just having, you know, a simple
13 little boxes, little straightforward paragraphs on
14 this, partly because much of this is in the dialogue
15 between the practitioner and the woman.

16 So trying to err on the side of providing
17 enough information for both women and practitioners is
18 going to be very, very difficult. So anything that we
19 come up with as a result of this meeting, there's
20 going to have to be some back-up by some other
21 organizations about training of practitioners or
22 getting this information to practitioners.

1 I think women generally -- they want full
2 information. They don't want things kept from them
3 because somebody else has determined that they either
4 can't understand it or it might frighten them. I
5 think, as part of the medical community, we have to be
6 clear about what it is that we don't know.

7 Part of this deals with the high
8 expectations that women have when they sit with their
9 health care provider. We've been trained to think
10 that the provider knows all the information, and we're
11 now starting to acknowledge that we don't know it all
12 and that this would be a shared thing that we are
13 going to walk through together.

14 I think, if women feel that they're
15 getting full information, that some of the information
16 is scary, but there is --if the practitioner can share
17 from their own experience and translate the data in
18 terms of the real implications for that individual
19 woman, as far as we know, that that goes a long way
20 toward getting us to where we need to be in making
21 these very difficult decisions.

22 Also, I'd like to have the FDA really

1 think seriously about having some kind of registry.
2 We are a long way from doing actual clinical studies
3 on pregnant women, and there are ethical issues.

4 There are safety issues, a whole bunch of
5 issues; but it seems to me, from what I heard in this
6 room this morning, at least for a great many of the
7 practitioners, you've been using some of these meds
8 with pregnant women for a long time, and there should
9 be some way we should be able to capture some of this
10 information so that, while it may not point to one
11 drug actually -- a causal relationship between a fetal
12 defect or a maternal problem later on, it could
13 possibly be a flag.

14 It could show perhaps that there is --
15 something seems to be going on around here with this
16 particular medication: So I would really like to
17 encourage, whatever comes out of here, that we look at
18 some of the registries that some of the drug companies
19 have used and other models, and see if we could move
20 toward some kind of national registry so that we could
21 keep track of these women and their offspring to
22 highlight problems.

1 I apologize for taking so long, but I have
2 an appointment. I have to leave. I'm very sorry for
3 that. So I wanted to try to get the consumer rep
4 perspective in there.

5 CHAIRMAN GREENE: Thank you. Other
6 comments. Yes, please.

7 DR. TAYLOR: Alan Taylor from Gilead
8 Sciences.

9 I think on balance that the FDA proposal
10 is quite good. I believe that having a clinical
11 management statement that's fairly closely tied to the
12 summary risk assessment will allow us to give some
13 advice to those physicians who don't feel competent to
14 make those kinds of decisions.

15 I wouldn't want us to be highly
16 prescriptive in that, but any advice which a
17 reasonable group of experts would agree upon, I think,
18 is something that would be helpful to physicians.

19 I think that it's a good idea to include
20 the preclinical information for those who feel
21 strongly that they would like their own assessment of
22 the information. So I would sort of oppose removing

1 that information.

2 We've spent a lot of time talking about
3 presentation of information and how various
4 communities and individuals will look at information
5 in different ways. I think it's really important for
6 the information to be there for people to make up
7 their own minds.

8 Additionally, I am hopeful that the agency
9 will provide some standard templates for how that
10 language will be provided in the package insert. I
11 think it's really quite important in improving the
12 understanding of that information and ensuring
13 consistency of interpretation of data. It also
14 removes potentials for commercial advantage from one
15 group to another.

16 Overall, I think it's a good proposal.

17 DR. O'LOUGHLIN: Not being a physician, I
18 really don't understand the whole concept behind
19 clinical management per se, but the one thing that I
20 do understand is risk management. I think I just
21 wanted to add to Alan's comments in that, if there are
22 risks associated, that you probably want to have some

1 management plan for those risks at a high level.

2 I agree with the woman at the end of the
3 table that I don't think you want to get to all those
4 details of step one, two, three and four and march
5 down that way, but you want to give some kind of
6 guidance, and I think it would be very helpful to the
7 patient, too, to understand that guidance and what
8 risk it's associated with.

9 CHAIRMAN GREENE: Dr. Hammond and then Dr.
10 Jones.

11 DR. HAMMOND: Along those same lines, I
12 think it is important, if we know and are using
13 information about using drugs in certain trimesters,
14 that it be established clinical practice to give, not
15 give, or give in the first trimester, that that
16 information needs to be included in the clinical
17 assessment; because for people who are not
18 obstetrician/gynecologists, that's information they
19 may not have available. And that is specific.

20 DR. JONES: I have two unrelated issues,
21 and one relates to your question, I think, do we need
22 -- how much of this review of the data do we actually

1 need here?

2 I'm not sure exactly how much we do need,
3 but it seems to me we need a significant amount to
4 back up the other two parts of this thing. I don't
5 know where you stop and where you start, but I think
6 that we do need a significant amount.

7 The other issue that I would like to bring
8 up is whether, in fact, we need as part of this, this
9 fertility section. I, for one, do not believe that we
10 need the fertility section as part of this.

11 I think it's appropriate -- I think
12 fertility is a very different issue than pregnancy and
13 lactation, and I think that the fertility aspects can
14 go through other parts of the broad statement. I
15 don't think they should go here.

16 CHAIRMAN GREENE: There have been several
17 allusions to expert opinions, and I'll just point out
18 that in the AHCPH ranking, expert opinions are the
19 lowest level of recommendation. The definition, of
20 course, as everyone knows, is an expert is someone
21 from out of town with slides.

22 DR. JONES: Well, I'm not even that.

1 CHAIRMAN GREENE: Yes, please?

2 DR. WISNER: I would like to comment
3 specifically on the discussion of data, the
4 subheadings that were proposed.

5 The first was embryo-fetal death, which I
6 think is self-explanatory, and the next one was
7 dysmorphogenesis or structural alterations. The third
8 is growth retardation, which again make sense, but I
9 would also add growth enhancement, which is a
10 teratogenic effect of some agents.

11 The fourth is functional toxicities, which
12 I took to mean developmental or, to some extent,
13 neurodevelopmental toxicities. So I was not quite
14 clear about that particular topic.

15 The fifth that I think is missing is
16 neonatal toxicities or toxicity that occurs in the
17 newborn due to exposure to a drug immediately
18 prepartum.

19 Then there was maternal toxicity. Again,
20 I was not clear about what exactly that meant. I
21 thought it might mean toxicities that were specific to
22 the Mom because the drug interacted in some way with

1 the pregnant state.

2 Then the final one, labor and delivery --
3 again, I wasn't quite clear whether that referred to
4 drugs used in labor and delivery or drugs that might
5 be used during pregnancy that could impact labor and
6 delivery.

7 So I think some clarification of those
8 specific topics is important.

9 The other is Mary's comment about the
10 developmental specificity where some of these
11 categories are only relevant for certain phases in
12 pregnancy.

13 Finally, I was somewhat troubled by what
14 I perceive to be a very negative slant; for example,
15 growth retardation and not enhancement and other kinds
16 of toxicities when there are certain agents that are
17 used in pregnancy for specific outcomes perceived as
18 advantages, like for example, drugs that might be used
19 to make pulmonary development more rapid prior to a
20 birth.

21 So again, I think that slant is toward the
22 negative, and I would like to see that broadened.

1 CHAIRMAN GREENE: Dr. Kweder, would you or
2 your staff clarify some of the aspects of
3 developmental toxicity that were just asked about, or
4 do you need time to think about that?

5 DR. DeGEORGE: Sandi is sure I can do
6 this. In the functional toxicity, we were really
7 specifically speaking about developmental
8 abnormalities. Primarily the ones you do see are the
9 ones that are neural, behavioral effects.

10 That really is the standard endpoint we
11 can get, but clearly there are other functional -- As
12 Dr. Morse mentioned, there are other one, reproductive
13 competency, for example, something that may be
14 impacted by early exposure.

15 Actually, I was trying to find where we
16 said something about maternal toxicity. I think that
17 what we were really -- and I haven't been able to
18 locate that. So I'm not exactly sure where that comes
19 from, but in the examples when we talk about maternal
20 toxicity in the animal data, we're really trying to
21 focus on whether or not a finding is occurring in the
22 presence of maternal toxicity which may confound the

1 interpretation, not as to whether it's maternally
2 toxic per se.

3 That may be the wrong answer, but I think
4 it's the right answer, but I don't think we had a
5 section called maternal toxicity, but maybe we did.

6 DR. KWEDER: I think I can address this as
7 well. I agree with you. This -- I'm glad you picked
8 up on this, because I made the distinction in my talk,
9 and I think it comes out in the proposal. It's an
10 area we need to work on.

11 As a clinician, I think that we need to
12 separate out maternal toxicity in animal studies that
13 leads to observable effects in offspring, compared to
14 toxicities in the clinical setting that may be
15 magnified or otherwise affected by pregnancy itself.

16 I think an example is that there is some
17 literature -- The example I most commonly cite is that
18 there is some literature that at least suggests --
19 level of evidence, I'm not sure -- that INH
20 hepatotoxicity may be more problematic during
21 pregnancy than in non-pregnant women.

22 Similarly for some of the -- not a

1 toxicity -- is idovudine. The original descriptions
2 of fatty liver were in pregnant women. So those kinds
3 of things probably, if we do have data, even though we
4 don't necessarily do controlled studies, though some
5 people might and there are some in the literature --
6 we should somehow find a way to address those as well,
7 because for the clinician and patient those are just
8 as important.

9 CHAIRMAN GREENE: I think there is ample
10 evidence in the literature from exposures to a number
11 of drugs that the liver is uniquely susceptible to
12 toxic influences during pregnancy, for reasons that
13 aren't yet understood, going back to tetracycline in
14 the 1950s.

15 DR. KWEDER: Right, exactly.

16 CHAIRMAN GREENE: So I think that's quite
17 clear, that the liver is particularly sensitive.

18 I would also assume that one of the
19 developmental "toxicities," quote, unquote, that
20 you're referring to are problems with adaptation to
21 the transition to neonatal life. Would that not be
22 true, things like hypothermia, hypoglycemia, and such?

1 DR. DeGEORGE: They may be more direct
2 toxicities, not -- If you're talking about transient
3 effects, I think we would consider those more direct
4 effects on the neonate.

5 You have to keep in mind that, when we're
6 talking about animal studies, the timing of birth and
7 the age, the developmental age, of the animal is
8 actually different than the developmental age in
9 humans at birth.

10 So there are some confounding factors in
11 extrapolating the various findings.

12 DR. KWEDER: But we would include -- If we
13 had human data on those effects, that's probably where
14 we would include it.

15 DR. MITCHELL: Mike, may I interject?

16 CHAIRMAN GREENE: Oh, yes, please, Allen.

17 DR. MITCHELL: Or should I just get in the
18 queue? I can't tell how many hands are up.

19 CHAIRMAN GREENE: No, you're it.

20 DR. MITCHELL: Oh, okay. I have a number
21 of thoughts and comments. One is that I think there
22 needs to be some clarification whether the proposed