

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

PUBLIC HEALTH SERVICE 6034 99 JUL -2 A9:48

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

ONCOLOGIC DRUGS ADVISORY COMMITTEE

+ + + + +

62nd MEETING

+ + + + +

Monday, June 7, 1999

+ + + + +

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

The meeting took place in the Maryland Ballroom, Town Center Hotel, 8727 Colesville Road, Silver Spring, Maryland, at 9:30 a.m., Janice Dutcher, M.D., Chairperson, presiding.

PRESENT:

JANICE DUTCHER, M.D., Chairperson

KAREN M. TEMPLETON-SOMERS, Ph.D., Executive Secretary

JAMES KROOK, M.D., Member

KIM A. MARGOLIN, M.D., Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS 1323 RHODE ISLAND AVE., N.W. WASHINGTON, D.C. 20005-3701

PRESENT (Continued):

STACY R. NERENSTONE, M.D., Member

ROBERT OZOLS, M.D., Ph.D., Member

VICTOR M. SANTANA, M.D., Member

RICHARD L. SCHILSKY, M.D., Member

RICHARD M. SIMON, D.Sc., Member

GEORGE W. SLEDGE, JR., M.D., Member

E. CAROLYN BEAMAN, M.H.S., Consumer

Representative

SANDRA SWAIN, M.D., Consultant

SANDRA ZOOK-FISCHLER, Patient

Representative

JULIE BEITZ, M.D., FDA Representative

SUSAN HONIG, MD., FDA Representative

JOHN JOHNSON, M.D., FDA Representative

ROBERT JUSTICE, M.D., FDA Representative

ROBERT TEMPLE, M.D., FDA Representative

GRANT WILLIAMS, M.D., FDA Representative

LANGDON L. MILLER, M.D., Sponsor

Representative

MARK LEVINE, M.D., Sponsor Representative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

PRESENT (Continued):

KATHLEEN PRITCHARD, M.D., Sponsor

Representative

ALSO PRESENT:

ANN E. FONFA

ROBERT ERWIN

HELEN SCHIFF

KARIN NOSS

MARGARET BORWHAT

C-O-N-T-E-N-T-S

	<u>PAGE</u>
Introduction	5
Conflict of Interest Statement	7
Open Public Hearing, Breast Cancer Action Letter	9
Time to Tumor Progression:	
John R. Johnson, M.D.	16
Sandra Swain, M.D.	26
Public Comment:	
Ann E. Fonfa	53
Robert Erwin	61
Helen Schiff	66
Committee Discussion and Vote	80
Conflict of Interest Statement	186
Sponsor Presentation, Langdon L. Miller, M.D.	195
FDA Presentation, Susan Honig, M.D.	259
Public Comment, Ann Fonfa	298

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(9:29 a.m.)

1
2
3 CHAIRPERSON DUTCHER: Good morning. Just
4 so you know you're in the right place, this is the
5 62nd meeting of the Oncologic Drug Advisory Committee.

6 My name is Janice Dutcher. I'm chairing
7 the committee.

8 We are going to start the two-day meeting
9 with a discussion this morning about time to
10 progression as a possible endpoint in breast cancer.

11 Before we get started, I'd like to go
12 around the table and introduce the members of the
13 committee sitting at the table. Dr. Swain.

14 DR. SWAIN: Dr. Sandra Swain, Bethesda,
15 Maryland.

16 DR. OZOLS: Bob Ozols, Fox Chase Cancer
17 Center, Philadelphia.

18 DR. SIMON: Richard Simon, National Cancer
19 Institute.

20 DR. NERENSTONE: Stacy Nerenstone, medical
21 oncologist, Hartford Hospital.

22 DR. KROOK: Jim Krook, SMDC Cancer Center,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Duluth.

2 DR. SCHILSKY: Richard Schilsky,
3 University of Chicago.

4 CHAIRPERSON DUTCHER: Janice Dutcher, Our
5 Lady of Mercy Cancer Center, New York.

6 DR. TEMPLETON-SOMERS: Karen Somers,
7 Executive Secretary to the committee, FDA.

8 DR. SLEDGE: George Sledge, Indiana
9 University.

10 DR. MARGOLIN: Kim Margolin, City of Hope,
11 Los Angeles, California.

12 MS. BEAMAN: Carolyn Beaman, Sisters
13 Network. I'm the consumer rep. to the committee.

14 DR. SANTANA: Victor Santana, St. Jude's
15 Children's Research Hospital, Memphis, Tennessee.

16 DR. BEITZ: Julie Beitz, Acting Deputy.

17 DR. WILLIAMS: Grant Williams, Team
18 Leader, FDA.

19 DR. JOHNSON: John Johnson, Team Leader,
20 FDA.

21 DR. JUSTICE: Bob Justice, Acting Division
22 Director.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Thank you.

2 We'll now have a reading of the conflict
3 of interest statements.

4 DR. TEMPLETON-SOMERS: I'd like to welcome
5 you all here this morning, and we will have individual
6 conflict of interest statements for each session.

7 The following announcement addresses the
8 issue of conflict of interest with regard to this
9 meeting and is made a part of the record to preclude
10 even the appearance of such at this meeting.

11 Based on the submitted agenda and
12 information provided by the participants, the agency
13 has determined that all reported interests in firms
14 regulated by the Center for Drug Evaluation and
15 Research present no potential for a conflict of
16 interest at this meeting with the following
17 exceptions.

18 In accordance with 18 USC 208(b), full
19 waivers have been granted to Dr. Sandra Swain, Victor
20 Santana, Stacy Nerenstone, Richard Schilsky, Robert
21 Ozols, Kim Margolin, David Johnson, and Zook-Fischler.

22 Copies of these waiver statements may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 obtained by submitting a written request to the FDA's
2 Freedom of Information Office located in Room 12A30 of
3 the Parklawn Building.

4 DR. KROOK: In addition, we would like to
5 disclose for the record that Dr. Sandra Swain, Richard
6 Schilsky, and Robert Ozols have interests which do not
7 constitute financial interests within the meaning of
8 18 USC 208(a), but which could create the appearance
9 of a conflict. The agency has determined,
10 notwithstanding these interests, that the interests of
11 the government and their participation outweighs the
12 concern that the integrity of the agency's programs
13 and operations may be questioned.

14 In the event that discussions involve any
15 other products or firms not already on the agenda for
16 which an FDA participant has a financial interest, the
17 participants are aware of the need to exclude
18 themselves from such involvement, and their exclusion
19 will be noted for the record.

20 With respect to all other participants, we
21 ask in the interest of fairness that they address any
22 current or previous financial involvement with any

1 firm whose products they may wish to comment upon.

2 Thank you.

3 CHAIRPERSON DUTCHER: Thank you.

4 We are going to read one letter as part of
5 the open public hearing, and then we will proceed to
6 the presentations, and then we do have speakers for
7 the open public hearing, which will be after the
8 presentations.

9 DR. TEMPLETON-SOMERS: This letter is from
10 Barbara A. Brenner, who is the Executive Director of
11 Breast Cancer Action.

12 "Dear Committee Members:

13 "Thank you for the opportunity to address
14 the issue of the use of time to progression as the
15 primary endpoint in breast cancer clinical trials.
16 Breast Cancer Action views this as a very complicated
17 and important issue.

18 "Although we are, frankly, puzzled why the
19 issue is being raised at this time, we urge you to
20 address the question in a way that both acknowledges
21 the complexity of the issue and continues to impress
22 upon the pharmaceutical industry the need to develop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treatments that improve overall survival for breast
2 cancer patients.

3 "Breast Cancer Action is a San Francisco
4 based national education and advocacy organization
5 founded and led by women living with breast cancer.
6 Representing over 5,000 members throughout the United
7 States and beyond, we carry the voices of people
8 affected by breast cancer to inspire and compel the
9 changes necessary to end the breast cancer epidemic.

10 "Since our founding in 1990, we have been
11 calling for research, a more effective, less toxic
12 treatment. The overriding context in which the
13 appropriate primary endpoint for breast cancer
14 clinical trials should be considered is the quality of
15 life of the patients. Whether the endpoint is time to
16 progression or overall survival is irrelevant if the
17 patient's quality of life is so poor that more time is
18 essentially meaningless.

19 "While we recognize that techniques
20 currently used to measure quality of life measures are
21 less than adequate, we believe that it is essential
22 that how the patient lives, particularly for women

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with metastatic disease, is equally important as how
2 long she lives or how long she lives free of disease
3 progression.

4 "Though Breast Cancer Action believes that
5 the ultimate goal of all breast cancer treatment
6 should be to improve overall survival, we recognize
7 that in some limited circumstances, time to
8 progression of disease may be an appropriate primary
9 endpoint for clinical trials. The factors that
10 determine which endpoint is appropriate are largely a
11 function of stage of disease of the treated group, the
12 agent to be used, and the protocol.

13 "One situation where time to progression
14 should not be substituted for overall survival is a
15 clinical trial designed to evaluate a drug or
16 treatment intended to reduce the risk of recurrence of
17 primary breast cancer. When patients are given
18 chemotherapy or on an adjuvant basis, the quality of
19 life impacts can be justified only if the treatment
20 improves overall survival.

21 "On the opposite end of the spectrum are
22 clinical trials that evaluate biologic treatments in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients with metastatic disease. When a biologic
2 treatment has few, if any, adverse health
3 consequences, then quality of life and time to
4 progression of disease are essentially synonymous.

5 "Even if the patient's life is not
6 extended by the treatment, she presumably gets to live
7 more fully in the time she has as a result of the
8 treatment, and that represents progress in treatment.

9 "The hardest cases, of course, fall in
10 between. One example is the case in which patients
11 with metastatic disease are treated with both biologic
12 and chemotherapeutic agents. If the patients must
13 stay on the treatment to get the full benefit in terms
14 of time to progression, then the quality of life
15 issues become paramount. How sick do you have to be
16 and for how long to get the benefit of the treatment?
17 If there is no overall survival benefit in this
18 setting and the quality of life advantages are
19 significant, then extended time to progression is
20 relatively meaningless.

21 "Breast Cancer Action understand the
22 dilemma of determining overall survival in cases where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients with metastatic disease either cross over to
2 another arm of the trial or go off study to use other
3 therapies when the treatment they are receiving in
4 trial fails. Clearly, this crossover issue is not new
5 to the field of cancer clinical trials.

6 "We wonder why the issue has taken on such
7 importance now in the breast cancer context as to
8 drive consideration of changing the primary endpoint
9 to time to progression. Whatever the reason, it
10 should be noted that if crossover patients so confound
11 the overall survival statistics as to raise questions
12 about treatment efficacy, then the treatment under
13 study is clearly not a particularly powerful agent.

14 "Using time to progression as the primary
15 endpoint for breast cancer clinical trials in the
16 metastatic setting would make it impossible to see the
17 benefit of the therapy in terms of overall survival.
18 We have seen far too many drugs that showed promise in
19 terms of time to progression that ultimately provided
20 us only with the same steeply declining overall
21 survival curves that have characterized most
22 chemotherapeutic treatments for metastatic breast

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cancer.

2 "Drug manufacturers must be given every
3 incentive to produce treatments that improve both
4 overall survival and quality of live of breast cancer
5 patients. Accordingly, if time to progression is
6 allowed to serve as the primary endpoint in some kinds
7 of clinical trials for breast cancer, it must be done
8 in a way that requires drug manufacturers to follow
9 and report on overall survival with some recognition
10 of quality of life considerations and gives the FDA
11 authority to revoke approval if overall survival
12 benefits are not ultimately demonstrated.

13 "The announcement for this meeting of the
14 Oncologic Drug Advisory Committee is extremely vague
15 in describing which issues are to be addressed
16 regarding the use of time to progression as the
17 primary endpoint in breast cancer clinical trials. We
18 understand that the committee will release a series of
19 questions to be posed at the hearing, but not until
20 the day before the meeting itself.

21 "If those of us who due to distance and
22 expense are required to submit our testimony in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 writing must do so by May 28th, more than a week
2 before the meeting, to allow for truly informed input
3 from the public, members of the public need time to
4 review and respond to the committee's questions.

5 "By the same token, the complicated nature
6 of the issue of time to progression as the primary
7 endpoint for breast cancer clinical trials highlights
8 the need to assure that the perspective of those
9 living with the disease is built into the development
10 of protocols for those trials. Well informed breast
11 cancer advocates bring a unique and invaluable point
12 of view to the development of new therapies for the
13 treatment of their disease. The FDA should include
14 advocates in its process of approving protocols for
15 breast cancer clinical trials.

16 "In conclusion, the issue of primary
17 endpoint to be used in breast cancer clinical trials
18 is a complicated one and, therefore, not amenable to
19 simple answers. Breast Cancer Action urges you to
20 recommend to the FDA that the issue be addressed
21 through principles that put quality of life at their
22 core, that consider stage of disease and agent in use,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and keep drug manufacturers' eyes on the prize of
2 improving overall survival for men and women with
3 breast cancer.

4 "Respectfully submitted, Barbara Brenner,
5 Executive Director."

6 And copies of this letter and other
7 letters from the public are available at the desk
8 where you picked up agendas if you would like to see
9 them. They're not for distribution, but they are
10 there for viewing.

11 Thank you.

12 CHAIRPERSON DUTCHER: Thank you.

13 All right. We're going to then proceed
14 with the presentations. First will be Dr. John
15 Johnson from FDA.

16 DR. JOHNSON: Good morning, good morning.

17 It's necessary for me to speak from the
18 table instead of standing at the lectern.

19 This morning's topic is considerations on
20 the use of time to progression as the primary efficacy
21 endpoint in randomized control trials of cytotoxic
22 drugs for initial treatment of metastatic breast

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cancer.

2 This is one of the most important matters
3 the committee has considered because it involves not
4 just a single drug or application, but all future
5 applications for this use.

6 In addition, any committee recommendation
7 may be extended to other kinds of cancer.

8 Before we decide where we are going, it is
9 a good idea to review where we are and the reason we
10 are there. My assignment this morning is to review
11 the FDA's present efficacy requirements for marketing
12 approval of the drug for this use and to explain the
13 rationale for those requirements.

14 The present FDA efficacy requirement for
15 marketing approval for this use is a favorable effect
16 on survival demonstrated in randomized controlled
17 trials. A favorable effect can be superiority to a
18 control or equivalence to an effective standard
19 regimen.

20 The FDA's reasons for requiring a
21 favorable effect on survival fall into two categories.
22 This slide describes the reasons associated with drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 toxicity.

2 First, cytotoxic drugs have significant
3 toxicity. Usually only a minority of patients have
4 tumor response, and most tumor responses are only
5 partial. Time to progression effects are usually
6 modest.

7 In view of the toxicity of cytotoxic
8 drugs, the FDA has not considered tumor response rate
9 or time to progression as adequate bases for marketing
10 approval.

11 The second reason related to drug toxicity
12 for requiring survival data is that survival in a
13 randomized controlled trial can be viewed as a safety
14 endpoint. In some patients it is not clear whether
15 the cause of death is drug toxicity or tumor
16 progression or both.

17 Survival is the net effect of deaths from
18 both tumor and drug toxicity. Actually for this
19 purpose a survival effect is not necessary. We only
20 want assurance that the new treatment is not worse.

21 The reason related to efficacy for
22 requiring a survival effect is that objective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cytotoxic drug regimens prolong life. Dr. Craig
2 Henderson, a former ODAC Chairman, in a presentation
3 to this committee on this issue at an earlier meeting,
4 estimated that effective doxorubicin based combination
5 drug regimens prolong life by about six months
6 compared to no treatment.

7 The FDA wants assurance that these
8 survival gains are not lost when a new drug is
9 introduced.

10 By far the most common criticism of the
11 requirement for survival effect is that secondary drug
12 therapy after tumor progression might obscure any
13 survival effect of the test drug. As indicated on
14 this slide, one would expect that a drug used after
15 tumor progress would have the same survival effect in
16 both treatment groups and, thus, not obscure the
17 survival effect of the test drug.

18 The effect of secondary treatment on
19 survival can be analyzed. Usually there is a
20 particular drug or drugs we are concerned about. WE
21 can determine the proportion of patients in each
22 treatment group that got the drug after tumor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 progression. Usually it will be the same in each
2 treatment group.

3 If there is an imbalance, the next step is
4 to assess whether the drug had a survival effect. If
5 so, an adjusted analysis can be done.

6 Recently this type of analysis has started
7 to occur in clinical studies in advanced colorectal
8 cancer. For many years, no one thought that available
9 secondary therapies were likely to have a significant
10 survival effect in colorectal cancer. After CPT 11
11 became available and was shown to prolong life when
12 given secondarily, investigators started including
13 analyses for this effect in their protocols.

14 In one recent protocol, the sponsor
15 proposed that the primary efficacy analysis be a
16 survival analysis adjusted for secondary use of CPT
17 11.

18 The potential effect on the survival
19 analysis of crossing over patients after tumor
20 progression from the control treatment to the test
21 treatment is more serious. If the test drug is not
22 marketed, the protocol should prohibit this. If the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 test drug is marketed, the FDA looks at response rate,
2 response duration, and time to progression after
3 crossover to estimate the likelihood of an effect on
4 survival.

5 Crossover from the control treatment to
6 the test drug does not always obscure the survival
7 effect of the test treatment. In the recent
8 randomized controlled trial of herceptin in initial
9 treatment of metastatic breast cancer, a five-month
10 median survival advantage was shown even though 65
11 percent of the controlled patients crossed over to
12 herceptin. It appears that the test drug may have
13 less effect when given as second line treatment.

14 The herceptin randomized controlled trial
15 supports the idea that the main problem is not our
16 test methodology, but the lack of good new agents to
17 test. In this trial it was not difficult to detect a
18 good, new agent even in the face of a suboptimal study
19 design.

20 This slide shows a comparison of survival
21 and time to progression as efficacy endpoints.
22 Survival is assessed every day and is 100 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 accurate for the event and nearly 100 percent accurate
2 for the day of the event.

3 Time to progression is assessed only every
4 two to six months and is much less accurate for the
5 event and even less accurate for the time of the
6 event.

7 The importance of survival is
8 unquestioned, while the importance of time to
9 progression is less certain. Survival is both a
10 safety and an efficacy endpoint. Time to progression
11 is only an efficacy endpoint.

12 Of course, if death is counted as
13 progression, time to progression also becomes a safety
14 endpoint, but I believe we should not do this because
15 tumor progression and death are qualitatively
16 different.

17 Also, as presently implemented, including
18 death as progression really serves as a cover-up for
19 the lack of careful testing for progression.

20 In favor of time to progression is that it
21 is faster, and a time to progression effect is not
22 obscured by secondary therapy after progression. If

1 time to progression were used as the primary efficacy
2 endpoint, time to progression would probably require
3 more complete assessment and more frequent assessment
4 than is presently done.

5 Would pharmaceutical companies be willing
6 to provide the additional resources?

7 This slide shows some of the common time
8 to progression assessment problems. Incomplete
9 assessment at baseline is an occasional problem. More
10 frequent problems are incomplete assessments at
11 follow-up visits. In some protocols, only selected
12 sites of known disease are followed. In other
13 protocols, all known disease sites are followed, but
14 not other sites where new disease is likely.

15 For example, a patient with lung
16 metastases may be followed with a chest X-ray. No
17 disease was present in the liver at baseline. So the
18 liver is not followed. The liver fills up with
19 metastases while the lung disease remains stable. The
20 patient dies without any documented tumor progression.

21 This is then compounded by scoring the
22 patient as progressed on the date of death, which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 means she is scored as progression free until the date
2 of death. This is obviously not believable.

3 Other problems are missed assessments and
4 infrequent assessments.

5 This slide raises a very important
6 question on which we will need the committee's input.
7 If time to progression were used as the primary
8 efficacy endpoint, what would be the effect on the
9 availability of survival data? Three possible
10 scenarios are listed on this slide.

11 In the first scenario, pharmaceutical
12 companies may stop their studies and submit the NDA
13 when data on time to progression is obtained. In this
14 scenario, there would be little or no survival data
15 ever. This scenario is unacceptable to everyone with
16 whom I have discussed it at the FDA.

17 The second scenario would be accelerated
18 approval based on time to progression with survival
19 data required later to convert the accelerated
20 approval to regular approval.

21 The third scenario would be regular
22 approval based on time to progression with a promise

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 by the pharmaceutical company to submit survival data
2 later for inclusion in the labeling.

3 In summary, there are only two real
4 endpoints in cancer clinical trials. These are
5 prolongation of life or a better life. Any other
6 efficacy endpoints we use must be surrogates for one
7 of these.

8 So were time to progression to be used as
9 the primary endpoint in randomized controlled trials
10 for initial treatment of metastatic breast cancer,
11 time to progress must be a surrogate for a better life
12 or a longer life.

13 In closing we remind the committee that
14 any recommendation regarding use of time to
15 progression as a primary endpoint in the initial
16 treatment of metastatic breast cancer may have
17 implications for its use in randomized controlled
18 trials in other kinds of cancer.

19 Therefore, the FDA needs to know the
20 specific reasons for any committee recommendations so
21 that the FDA can assess whether they may apply to
22 other kinds of cancer.

1 Madame Chair, that completes the FDA's
2 presentation.

3 CHAIRPERSON DUTCHER: Thank you very much.
4 The next speaker is Dr. Sandra Swain.

5 DR. SWAIN: Thank you very much, Dr.
6 Dutcher and members of ODAC, the FDA, and colleagues.

7 When I was originally asked to do this
8 task several weeks ago, I thought that it would be
9 relatively straightforward, but I have to say I found
10 it most challenging, and I hope that what I will
11 present to you today will help in our discussion, at
12 least be a springboard for our discussion.

13 What I was asked to do was to review the
14 literature on time to progression as an endpoint, and
15 I thought, well, I'm going to be really smart. I'm
16 going to call Dr. Simon up, and he's going to tell me
17 the literature, and then it'll be easy.

18 But when I called him up, he said, "There
19 is no literature. Write the paper."

20 (Laughter.)

21 DR. SWAIN: So that shows you where we are
22 with this endpoint.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think as was stated by the letter from,
2 I think, Barbara Brenner at the beginning, it's a
3 very, very complex issue, and what I'm going to try to
4 address from the literature is should time to
5 progression be a primary efficacy endpoint for first
6 line chemotherapy trials in metastatic breast cancer.
7 So it's a very specific topic.

8 What I'll first discuss are the drugs that
9 have already been approved by the FDA throughout the
10 history of treatment with cytotoxic drugs. Then I'll
11 discuss the pros and cons, somewhat like Dr. Johnson's
12 presentation, and then review the literature for first
13 line treatment and second line treatment, looking at
14 time to progression in those clinical trials.

15 Now, there have been only a total of nine
16 drugs, cytotoxic drugs, that have been approved for
17 metastatic breast cancer, and the first six seen here,
18 methotrexate, cyclophosphamide, thiotepa, vinblastine,
19 5 FU, and doxorubicin, were all approved from 1953 to
20 1974 with a very broad and general based approval for
21 stage of disease and also for other solid tumors.

22 It was only in 1994 when paclitaxel was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approved, the first breast cancer drug for 20 years,
2 that more specific endpoints were really evaluated,
3 and paclitaxel was approved based on a randomized,
4 Phase 3 study some may call it, others a randomized
5 Phase 2 because it was looking at two doses of
6 paclitaxel, comparing them to each other, and a full
7 approval was given based on a time to progression
8 endpoint.

9 Docetaxel was approved in 1996 with an
10 accelerated approval based on response rate and
11 received full approval in 1998 based on three or --
12 excuse me -- two Phase 3 trials in second line
13 treatment for metastatic breast cancer, one of which
14 showed a survival benefit.

15 Capcitabine was approved in 1998, again,
16 as an accelerated approval with the accelerated
17 approval mechanism based on response rate data.

18 So you can see that we really haven't had
19 a first line treatment that's been approved at all
20 when we've had our more rigorous guidelines recently.

21 Now, before we start talking about it too
22 much, I also wanted to mention that in biologics last

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 year -- and everyone on this committee, I'm sure, is
2 familiar with this -- trastuzumab or herceptin was
3 approved in 1998 based on a primary efficacy endpoint
4 of time to tumor progression, and I think that is
5 somewhat different in the Biologics Division, in which
6 they do accept time to progression as their primary
7 efficacy endpoint.

8 And before I go on further, I wanted to
9 define a little bit what we're talking about, if I
10 can, because I think if you try to read the
11 literature, which I've done in the past several weeks,
12 you realize that everyone uses a different definition.
13 I think that's one of our big problems, and Dr.
14 Johnson has pointed out some of the issues with that.

15 The term "time to treatment failure" was
16 used in the 1970s through even the 1990s and is still
17 used by ECOG, which is a similar definition for us as
18 time to progression, but it makes reading the
19 literature difficult because frequently the
20 investigators don't define what exactly they mean by
21 either treatment failure or progression.

22 The way we would define it today for most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies would be that it is calculated from the date
2 of randomization until either progressive disease or
3 death.

4 Now, there are a lot of issues with this,
5 and we don't need to go into detail about them here,
6 but it's certainly something for the statisticians and
7 other people to think about, is what do you do with
8 patients who receive further anti-tumor treatment
9 without progression. Should they be censured, that
10 is, not counted as an event or should that be counted
11 as an event? And I think that that makes a big
12 difference in your results, and that's something that
13 needs to be defined more definitively.

14 Now, I've noticed recently, and having
15 been on the committee recently, many of the companies
16 are bringing time to treatment failure data to the
17 committee. This is to me a wastebasket endpoint in
18 that it calculates from the date of randomization
19 until almost anything you can think of, progressive
20 disease, death, withdrawal due to an adverse event,
21 patient refusal, patient being lost to follow-up, or
22 further anti-tumor therapy. So it really can be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anything and, in my opinion, doesn't really give you
2 a handle on the biologic activity or the clinical
3 efficacy of the drug that's being tested. So I do not
4 feel that this endpoint should be used as a primary
5 endpoint.

6 Now, if you look at survival as an
7 endpoint, as Dr. Johnson pointed out, this is easily
8 measured at any time. It's certainly the easiest
9 measurement that we can do, and it is clearly the
10 ultimate patient benefit, that is, if quality of life
11 is good with the treatment given.

12 Now, the negatives for using survival as
13 an endpoint really all kind of are interrelated.
14 Breast cancer, as we all know, is a very heterogeneous
15 disease, and women can live for a very long time with
16 metastatic breast cancer. The medians in the
17 literature range anywhere, depending on prognostic
18 factors, from ten to 47 months. Those women that have
19 bone only disease have a median survival of four
20 years. So that means some women will live much
21 longer.

22 And that leads to the fact that many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 secondary treatments are given. So, again, as we've
2 heard discussed, the secondary treatment may affect
3 outcome, and unfortunately the literature suggests
4 that there's only a small survival benefit with most
5 active agents. So it may be if you use a lot of
6 active agents, one right after another, you're going
7 to wash out your effect from your new agent since the
8 survival benefit is probably two months with a lot of
9 the therapies.

10 And finally, survival may not actually be
11 directly related to treatment. That is, if a patient
12 lives longer, there may be some other event that
13 causes a decrease in survival or one of the other
14 therapies that has been given.

15 Now, if you look at time to progression as
16 an endpoint, the pros to using that is that you can
17 relate it directly to the treatment that you are just
18 giving to the patient. It's a shorter follow-up so
19 that you can get your answer quicker, and there may be
20 a patient benefit with this endpoint, with delaying
21 progression, that is, a relief or delay of symptoms or
22 complications.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, the negatives for time to
2 progression, I think, are many, and I really think
3 that Dr. Johnson did a nice job of presenting that.
4 They're mainly in measuring time to progression. I
5 think the pharmaceutical industry and all
6 investigators really must look at this very carefully,
7 and it has to be calculated very, very carefully
8 because time to progression can be difficult to
9 measure in patients, especially with bone disease.

10 If you're using a lot of evaluable
11 patients in your trials, that date of progression is
12 often difficult.

13 And then the dates are dependent on the
14 times of evaluation. That is, there can be an
15 ascertainment bias unless the times of evaluation are
16 the same in both arms, and they are frequently rather
17 far apart, every two or three cycles. So you can
18 actually miss when the real progression date occurs.

19 And what I've found not only in reviewing
20 the literature, but in working in this area of looking
21 at clinical trials in breast cancer, the rules are
22 often not prospectively defined. It just says you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 measure time to progression, and that's really it, and
2 all of these other issues, such as centering, et
3 cetera, come later on. I think that is a real
4 problem.

5 And time to progression may not be a
6 surrogate for patient benefit if you have a very toxic
7 therapy. I think that's clearly important. The time
8 to progression can't be seen alone; that you have to
9 have either a therapy that's nontoxic or has
10 monotoxicity so that you maintain a good quality of
11 life.

12 And finally, a small point, but it is
13 evident in the literature. If you do continue
14 treatment with an active drug versus stopping it, you
15 will have a prolonged time to progression. So in the
16 trials that are designed, those patients who are
17 allowed to continue on treatment must be balanced
18 between the two arms.

19 Now, I'm reviewing here. I looked at
20 many, many trials, and surprisingly enough there are
21 not a lot of trials that show a survival benefit in
22 metastatic breast cancer. There are three that I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 showing here that also show time to progression data,
2 and I specifically chose them because of that.

3 The Engelsman trial looked at classic CMF
4 versus an IV CMF, which was a less intensive form, and
5 found about a 3.5 increase in time to progression with
6 classic CMF and a five month increase in survival.

7 Two ECOG studies again looked at this
8 issue and found there was a two month increase in time
9 to progression with an adriamycin containing regimen
10 versus a CMF-like regimen and a three to six month
11 increase in survival.

12 And finally, another ECOG trial looked at
13 CMF versus AV versus CMFP and found a time to
14 progression and survival benefit in the CMF-
15 prednisone arm. So you have three trials showing both
16 time to progression and survival benefit, and in the
17 review that I did of the literature, it was most
18 frequent, number one, to not have time to progression
19 data.

20 Number two, when the time to progress was
21 the same on both arms, survival was the same.

22 And when time to progression was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 increased, as in these cases, survival was increased,
2 and in no situation was time to progression the same
3 on both arms or increased and survival decreased.

4 Now, if you look at the herceptin trial
5 specifically, this trial, as I said, the primary
6 efficacy endpoint was time to progression, and I'm
7 presenting it to show you the numbers on which this
8 decision was based.

9 If you look at herceptin plus
10 chemotherapy, there was about a 3. or 2.7 month
11 increase in time to progress with the use of herceptin
12 overall, and in the paclitaxel arm, it was about three
13 or four months also and two months in the adriamycin-
14 cyclophosphamide arm.

15 That was reviewed by the FDA and is in the
16 package insert.

17 Now, the survival data was more recent.
18 It was just presented at ASCO and has not been
19 reviewed by the FDA, but I'm showing you the results
20 here, and this shows a significant survival benefit in
21 those patients who received the herceptin. The
22 survival benefit is about 4.5 months.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And within the two substrata, it was not
2 significant because the study was not powered. As I
3 said at the beginning, time to progression was the
4 primary endpoint. It wasn't powered really to look at
5 survival in each of these individual strata, but you
6 do have, again, a situation where time to progression
7 is increased and survival is increased.

8 Though, as Dr. Johnson mentioned, there
9 was significant crossover in about three-quarters of
10 the patients, and it may be that somehow the biologic
11 therapy is fundamentally different because it's a
12 targeted therapy, and it's in a poor prognosis group
13 of patients that you still do see a survival benefit,
14 and maybe you would have even seen a greater survival
15 benefit if you hadn't crossed over a lot of patients,
16 but we'll never really know the answer to that.

17 Now, I wanted to specifically mention a
18 very large trial that's been presented at ASCO, and
19 I'm not just presenting it because George is sitting
20 on the committee, but I really think it's probably one
21 of the most important trials we've had in metastatic
22 breast cancer, and we'll be able to get a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information from this.

2 It's very large, 739 patients who was ECO
3 1193, and it's first line therapy for metastatic
4 breast cancer, comparing paclitaxel to doxorubicin to
5 the combination.

6 This trial showed a significant increase
7 in response rate in the combination arm and an
8 increase in time to treatment failure. Now, ECOG
9 defines time to treatment failure as progression, a
10 toxic death, death from breast cancer, and in patients
11 who are crossed over without progression, those
12 patients are censored. So it is somewhat like the
13 time to progression definition that I gave you at the
14 beginning.

15 There was a two month increase in this
16 endpoint in the combination arm. This did not result
17 in an increase in survival. As I said, there was a
18 crossover in both of these arms, and the suggestion
19 was that the crossover may have obliterated any
20 potential increase in survival.

21 An interesting aspect of this is that
22 quality of life was done. I have to mention at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 beginning that most of these patients were
2 asymptomatic. They were ECOG performance status zero
3 or one. So the quality of life actually was not
4 improved in these patients because any time you get a
5 toxic therapy and someone is no or a therapy that is
6 going to give you toxicity and someone has no
7 symptoms, you obviously are going to decrease the
8 quality of life.

9 And it may be that there's a subset of
10 patients here, and hopefully Dr. Sledge can enlighten
11 us about this, that were symptomatic, had an increased
12 response rate in time to treatment failure, and did
13 actually benefit from the therapy as far as quality of
14 life is concerned.

15 Now, I wanted to go over an overview that
16 was published in 1993. Dr. Johnson mentioned in the
17 beginning that Dr. Henderson said that there's a six
18 month survival benefit in metastatic breast cancer
19 with doxorubicin. I think that that is probably true.

20 However, it's not a huge survival benefit,
21 and some studies will only show about a two month
22 survival benefit. This meta analysis looked at five

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trials that added doxorubicin into a Cooper type
2 regimen and compared it to that Cooper type regimen
3 and found that the hazard ratio for response rate, all
4 the numbers less than one favor doxorubicin, by the
5 way. The response rate was 50 percent increased in
6 those patients who received doxorubicin. The time to
7 treatment failure was about 30 percent increase, and
8 this definition is similar to what I described as time
9 to progression endpoint, and patients had 22 percent
10 less chance of dying if they received the doxorubicin,
11 and these were all significant.

12 Now, the caveats with this in any of these
13 kind of what they call meta analysis are they really
14 aren't true meta analyses in that the primary data is
15 not reviewed, and in fact, in these trials I found it
16 extremely difficult in one of the trials to find any
17 number for the time to treatment failure. So I'm not
18 sure how the author did it.

19 And in these five trials only two of them
20 did show a survival benefit. The other three the
21 survival was equal. All of them except one did show
22 a time to treatment failure benefit from one to 4.5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months.

2 Now, another thing that I did was look at
3 the Fossati, quote, unquote, meta analysis that was
4 just published in the JCO. I used it really as a
5 baseline for a literature review. They looked at 189
6 trials in breast cancer that were all randomized
7 clinical trials published data only from 1975 to 1997,
8 using Medline and M-base, and they found a total of
9 31,510 women who had participated in these trials.

10 They were looking at 12 different
11 therapeutic comparisons, including things such as
12 single agent versus polychemotherapy, CMF versus no
13 CMF, and they were looking at response rates and
14 mortality hazard ratio and side effects, and again, I
15 do not consider this a meta analysis in that it was
16 really a literature review and they did approximate
17 many of the hazard ratios by looking at the curves in
18 the paper, and many of the papers were really not well
19 done with these endpoints and results not well
20 defined.

21 But I used it as a basis to really do a
22 literature review and looked at one of the different

1 comparisons where they reviewed the randomized trials
2 with polychemotherapy, including an anthracycline
3 versus no anthracycline, and in this analysis there
4 were 22 separate first line randomized clinical
5 trials. There were only nine trials -- there were ten
6 comparisons because one was a three-arm study -- that
7 did have time to treatment failure or time to
8 progression data.

9 Many of the papers did not at all define
10 what they meant by this endpoint, and in these nine
11 trials seven of them had a time to progression date
12 and survival which were -- medians which were equal or
13 comparable.

14 In two of the trial, time to treatment
15 failure and time to progression were increased and
16 survival was increased, and in one of them, the time
17 to progression was increased and survival was
18 comparable.

19 So as you can see here, we don't have a
20 lot of data available, but what is available shows
21 that time to progression seems to correlate with
22 survival, and as I said, in no case does it show a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 decreased survival if time to progression is either
2 equal or increased.

3 Now, I wanted to get to the second issue
4 or one of the other issues looking at second line
5 treatment for metastatic breast cancer because that
6 seems to be the reason that most investigators use for
7 not using survival as an endpoint.

8 There are several drugs that do confer
9 survival benefit in the second line setting, and I'm
10 showing you them here. Jones published this study,
11 vinorelbine versus melphalan, showing an approximately
12 one month increase in time to progression followed by
13 a one month increase in survival, and I know that that
14 agent, though it's not marketed, and Dr. Johnson made
15 some comment about that, that it shouldn't be used,
16 but it is used in breast cancer today.

17 Cowan in an older study looked at
18 doxorubicin versus bisantrene and mitoxantrone, and if
19 you could please, for the members of the committee,
20 look at your handout, there's a mistake on this slide,
21 and I'll tell the audience the mistakes.

22 Time to progression was 4.4 months, 2.2

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months, and 2.3 months, with a p of .06. So
2 doxorubicin did confer a prolonged time to progression
3 in second line treatment, and also did increase
4 survival when you compared it to mitoxantrone in a
5 second line setting.

6 We don't see that situation very often now
7 because most patients do receive adjuvant doxorubicin,
8 but it is possible that it could be a second line
9 treatment.

10 And finally, docetaxel was compared to
11 mitomycin melphalan, and in this large study of about
12 400 patients, there was a two month increase in time
13 to progression followed by about a 2.7 month increase
14 in survival. So in this case, this study was reviewed
15 by the committee, and as I said, this drug was
16 approved last year showing that time to progression
17 and survival were improved.

18 So to summarize those three trials, we've
19 got at least three drugs in the second line treatment
20 that can increase survival, though it's small amounts,
21 one to four and a half months. It's about what we see
22 for even some of these first line trials or the meta

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 analysis that I showed you.

2 In any studies we do not cure patients
3 we're seeing survival benefits ranging from one to six
4 months.

5 Now, finally on this slide I have the
6 Nabholtz study looking at paclitaxel. As I mentioned
7 in the beginning, this drug was approved comparing two
8 different dose levels of paclitaxel in almost 500
9 patients, and this trial showed a 1.2 month increase
10 in time to progression, and the drug was approved
11 based on this data. There was not an increase in
12 survival.

13 There was also other data presented from
14 the TRC showing good efficacy and response rate. So
15 there is a precedent for using time to progression
16 even from this committee's deliberations.

17 Now, I wanted to turn to a couple of
18 situations, and we all want the best for our patients.
19 Everyone in this room wants the patient to have better
20 quality of life, and I think that that is what's been
21 very difficult in reviewing a lot of this literature,
22 and there is not a lot of quality of life available.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I wanted to discuss first the study
2 looking at symptomatic patients just to give you a
3 basis for more discussion later, and this was an ECOG
4 study which took a combination of three trials, took
5 all of the patients who had a CR, complete response,
6 after six cycles of a doxorubicin containing regimen,
7 and randomized them to either further treatment with
8 chemotherapy, and it was a CMF-like regimen or
9 observation.

10 And what this trial showed was that there
11 was an 11 month increase in time to progress if you
12 continued treatment, and this study supports most of
13 the studies in the literature looking at this issue
14 not just in CR patients, but if you do continue
15 treatment, you do have a prolonged time to progress,
16 and I think it is a judgment call by the physician as
17 to how the patient is doing. If they have had relief
18 of their symptoms, then it may be wise to continue the
19 treatment.

20 In this situation, it may be most likened
21 to the adjuvant situation in which you do delay
22 relapse or complications, so that it might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 worthwhile in some patients to continue also to
2 prevent those circumstances.

3 The survival, however, was not increased
4 at all in these patients.

5 Now, taking another situation, looking
6 again at the same issue, if you have continuous
7 treatment -- this is an Australian study that was
8 published many years ago and is widely quoted. This
9 study looked at continuous treatment versus
10 intermittent treatment, and the intermittent treatment
11 was three cycles of chemotherapy, which is much less
12 than most of us would give to expect to get a response
13 in patients. The median numbers of cycles is usually
14 four to five cycles. So actually this is probably
15 about the closest you could get to a placebo control
16 from any of the breast cancer studied that I could
17 find, though obviously they did get some treatment.

18 And in this study there was a 17 percent
19 increase in response in those patients who got the
20 continuous treatment. There was a two month increase
21 in time to progression with a relative risk of 1.8,
22 which was significant, and there was a survival

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 increase of 1. -- median survival increase of 1.3
2 month, which was borderline significant.

3 And the interesting aspect of this study
4 and the part that's so widely quoted is that they did
5 do quality of life data or questionnaires in this
6 study. Unfortunately, it was only in about half of
7 the patients, but patients did fill out the forms, and
8 they found that quality of life was increased in both
9 arms in the first three cycles.

10 It's interesting and important to note in
11 this trial 80 percent of the patients were
12 symptomatic. That's very different than George
13 Sledge's trial where most of the patients had a
14 performance status of zero or one. In the trial I
15 just showed you, patients who had achieved a CR were
16 for the most part asymptomatic.

17 So in this trial most patients were
18 symptomatic. Their quality of life was increased with
19 the continued treatment, and when the treatment was
20 stopped, the quality of life decreased.

21 On the other hand, there was toxicity
22 associated with the therapy. Even though there was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 toxicity, which was significantly worse, such as
2 nausea, the patients still felt that their quality of
3 life was improved.

4 Now, the investigators were very surprised
5 at this because I think they hypothesized many years
6 ago that the quality of life would actually be worse
7 when you continued treatment.

8 And to show you specifically the quality
9 of life issues that they looked at, they looked at the
10 linear assessment or linear analogue self-assessment
11 scores, and as I said, these were improved for the
12 first three cycles, but after the first three cycles
13 the scores were worse for things such as physical
14 well-being, mood, appetite, and then the quality of
15 life index by both the patient and the physician
16 showed that the quality of life was worse in the
17 patients who stopped treatment.

18 Again, and the authors do point this out,
19 it could be a placebo effect. Patients wanted to get
20 treatment so they did feel better, but these data do
21 support the use of time to progression as an endpoint,
22 and they published a paper later on looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 change in quality of life scores and found that it
2 could be predictive of survival, but, again, as I
3 said, only about half of the patients filled out the
4 form. But it is really one of the best trials we have
5 for quality of life.

6 Now, to conclude, I unfortunately have to
7 say that the survival benefit with active drugs is
8 modest. I would say it's from two to six months,
9 median increase in survival. I think I've showed you
10 that time to progression does correlate with survival,
11 and in the Coates study, the time to progression was
12 increase and quality of life was also increased. So
13 there's at least one study that does show that.

14 And I, again, would like to reiterate what
15 Dr. Johnson said. It is essential that accurate
16 reporting of the endpoints be done. It's just
17 absolutely essential if we're going to use this as a
18 primary efficacy endpoint.

19 And then to conclude, I wanted to put up
20 here a few quotes from a white paper that was
21 published in the Journal of Clinical Oncology in 1991,
22 and this was a joint effort by the FDA and the NCI and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the senior author is sitting at the table, Dr. Temple,
2 and it was really a quite articulate article, and I'm
3 not just saying that because he's sitting there.

4 (Laughter.)

5 DR. SWAIN: But maybe.

6 But I wanted to bring back some of the
7 quotes to him and to the audience of what it said
8 because we're really revisiting this same issue ten
9 years later. Though time to progression wasn't
10 mentioned specifically in there, you can glean some
11 information.

12 The clinical usefulness of a drug must
13 reflect the relationship of risk to benefit for
14 specific clinical conditions, and I think that is
15 clear to all of us. Even if we are to use time to
16 progression as an endpoint, the risk cannot outweigh
17 any benefit that we might perceive this with endpoint.

18 The primary aim of cancer treatment is
19 prolongation of life, but demonstration that a new
20 agent causes tumor regression and improves patients'
21 clinical condition also supports approval of a new
22 agent even in the absence of improved survival. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 this was even said, as I said, about ten years ago
2 with the FDA support.

3 And in breast cancer, a large fraction of
4 recurrences are symptomatic, making improved disease
5 free survival a valid surrogate for improved quality
6 of life.

7 And the last quote really relates to
8 adjuvant therapy, but I think we can put in there
9 improved time to progression as a valid surrogate.

10 So finally, I would like to say that from
11 my standpoint, though it's a very complex and
12 difficult issue, I think time to progression is an
13 acceptable endpoint which may confer patient benefit.
14 However, as I've said repeatedly, the toxicity
15 certainly must be taken into consideration, and it
16 cannot outweigh any kind of benefit that we might see.

17 So I thank you very much, and I really
18 look forward to the discussion.

19 CHAIRPERSON DUTCHER: Thank you very much.
20 That was a very thorough review. We really appreciate
21 it.

22 Before we get into the discussion by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 committee, we do have additional members of the
2 audience who would like to present their views. So we
3 will ask you to please come to the podium, if you can.
4 Please identify yourself, your organization, and any
5 financial sponsorship.

6 The first person is Ann fonfa from the Ann
7 E. Appleseed Project.

8 There are copies of these presentations
9 available at the table outside if you need them.

10 MS. FONFA: Hi. I'll start out by saying
11 that no one has paid for me to come here today, and no
12 pharmaceutical company has ever given me any money,
13 and as I said last time I spoke, it's unlikely they
14 ever will.

15 (Laughter.)

16 MS. FONFA: I prepared a text which has
17 just been referred to, but I want to say right up
18 front that survival, long term survival is the main
19 factor that concerns me as a breast cancer patient.

20 Time to progression sounds like it may be
21 an advantage over tumor response, which hasn't been
22 correlated with increased survival and often not even

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with delayed disease progression. The FDA has a long
2 history of approving drugs that are only minimally
3 better than the ones they're compared to.

4 I often speak of this as crawling on our
5 hands and knees through a field of broken glass, and
6 there are those of us who long to leap over this
7 field. How can we do it? By holding oncologic drugs
8 to the highest standards possible. After all,
9 millions of dollars are spent on clinical trials, but
10 from the patient perspective, this is about our lives.

11 We're wasting our precious time taking
12 drugs that are little better than awfully expensive
13 and extremely toxic placebos.

14 How much time in the time to progression
15 are we talking about? In the presentation that I've
16 just seen, it's relatively small. I would have to say
17 very small.

18 If it's months, then I have to insist we
19 also look at quality of life. For cancer patients,
20 there are only two important imperatives: increased
21 survival and decent quality of life. It's why I've
22 spent many years asking for studies on and the use of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 complementary and alternative treatments. These are
2 almost always less toxic than the current chemotherapy
3 drugs.

4 Can we correlate time to disease
5 progression to improve survival? Since most trials
6 are done using metastatic patients who by definition
7 are close to death, I cannot understand why true
8 survival is not reported after every trial. Indeed,
9 a new standard for drug approval is long overdue and
10 would be very welcome, but only if patients could then
11 expect that our survival would be positively impacted.

12 I suggest, as I have for years, that we
13 begin examining natural and nontoxic regimens.
14 Patients are choosing to use these methods right now.
15 Everyone in clinical practice acknowledges that. They
16 haven't waited for studies.

17 Almost all of us now are into vitamin
18 supplements and probably nutritional interventions.
19 We don't use a single isolated element either. It's
20 time for FDA and drug companies to recognize this
21 situation. We have to begin studies immediately that
22 offer an arm for patients who are utilizing these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 substances.

2 Perhaps an arm for patients using natural
3 substances will show greater efficacy. Some small
4 studies have already indicated that many nutrients can
5 potentiate treatments, possibly slow cancer cell
6 growth and possibly encourage apoptosis.

7 Treatment failure is one of the most open
8 secrets in oncology. It seems only the patients find
9 out the hard way.

10 Discussions at ODAC, as reported in The
11 Cancer Letter, among others, clearly shows that
12 oncologists know that many drugs they offer us are
13 little better than placebos, but they want to give the
14 patients something under the theory that something,
15 even a useless something, is better than nothing.

16 Where I come from this may be the same
17 thing as false hope. I always said there was no such
18 thing, but if a doctor already knows there's almost no
19 chance of the administered drug being effective at
20 all, then, indeed, that's false.

21 Of course, I would suggest turning to the
22 alternative world and exploring the many possibilities

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that exist there. One obvious advantage is that would
2 be a less toxic way to go, and indeed, it would offer
3 real hope as many of the possibilities have worked for
4 others, and from my totally empirical viewpoint, if
5 it's worked for someone, it could work again.

6 And I can personally testify that I have
7 achieved disease stabilization using several nontoxic
8 methods. This may be anecdotal, but it's my own
9 story.

10 Oncologists have formed the practice of
11 giving patients chemotherapy almost until the day they
12 die, completely disregarding quality of life as an
13 issue. This is no longer acceptable to patients.

14 As we've become more educated, our
15 standards have changed. We want treatments that are
16 effective, minimally toxic, and we want to discuss our
17 options fully with our health care providers. I worry
18 that the design of trials are set up so that we get
19 information about the group, but not much that is
20 really useful for an individual.

21 Take the example of Tamoxifen in the
22 adjuvant setting. I know that there's a 50 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 benefit in reducing further cancer by taking this
2 drug. Yet upon further analysis, I find out that
3 about ten women in 100 were likely to recur or get a
4 new cancer. This has, indeed, been reduced by 50
5 percent to five of the 100. The end result is that 90
6 women take Tamoxifen, an extremely toxic drug, for
7 whom it's completely unnecessary.

8 Additionally, another five women don't get
9 the benefit since they're recurring anyway. A better
10 method should be found to yield much more specific
11 information so that we can clearly identify the women
12 whose cancers will be stopped by any drug.

13 Of course, I wonder who will pay for such
14 a trial. As a cancer patient I have had to face the
15 fact that this is big business, and it's profit above
16 patients. No company seems willing, no researchers
17 seem to feel comfortable discovering how many newer
18 patients need to take a drug, especially after it's
19 been approved.

20 FDA needs to address the questions that
21 may reduce market share because no one else will.

22 A magazine article recently published in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a bi-monthly news magazine for oncology professionals
2 encourages their readers to tell patients dosages of
3 drugs to be administered. This way the patient can
4 help insure that appropriate drugs and correct dosages
5 are given. Patients need and want to be involved in
6 their treatment. We want to hold our health care
7 providers to a much higher standard than previously.

8 When we're diagnosed with cancer, most of
9 us don't know a damned thing about it. We usually
10 welcome chemotherapy, especially if all we know is
11 what we've read in the popular press. If, as is
12 increasingly common, we have seen a family member or
13 a loved one go through the treatment, we're less
14 welcoming.

15 Patients' demands are changing the face of
16 oncology treatment, and this is right, and it's very
17 good.

18 In line with this change is FDA's need for
19 a new standard for drug approval, but my challenge to
20 you is will time to disease progression matter to
21 patients. Will we see this new standard translate to
22 longer life, better quality of life while we undergo

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treatment? And it's a really, really important
2 question.

3 When I decided to testify today, I thought
4 about what's important, and I couldn't come up with
5 anything more meaningful than improved survival. Can
6 you demonstrate that for us? Will we see true
7 progress with new drugs, not just approval faster and
8 of more drugs, but will these drugs truly help us live
9 longer? Will they make it easier for us to go through
10 treatment because they take into account our need for
11 a decent quality of life?

12 I worry about our current view that we can
13 give a pill to reduce the unwanted effects of a
14 treatment. So we have to offer the patient another
15 drug to offset the unwanted effects of the first pill,
16 and the second pill and the -- and so on. You notice
17 I don't call these unwanted effects side effects
18 because to patients they are not side effects.
19 They're right there in our face at all the time.

20 A patient may end up with eight or nine
21 medications to treat all of the unwanted effects in
22 order to tolerate a truly toxic treatment that may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relatively ineffective. It's a bad situation.

2 Think carefully as you enter this new era.
3 Think of us as people with a disease, not only
4 patients with cancer or disease targets.

5 We need standards from FDA that will offer
6 our best hope for continue long term survival and
7 useful quality of life.

8 Thank you very much.

9 CHAIRPERSON DUTCHER: Thank you very much.

10 The next speaker is Mr. Robert Erwin from
11 the Marti Nelson Cancer Research Foundation.

12 MR. ERWIN: Thank you.

13 I'm Robert Erwin with the Marti Nelson
14 Cancer Research Foundation.

15 This is a nonprofit organization that
16 works with cancer patients to help them enroll in
17 clinical trials and gain access to experimental
18 medicine.

19 I'm also Chairman of the State of
20 California Breast Cancer Research Council, which funds
21 breast cancer research from cigarette tax money, and
22 I work for a private biotech company which sponsors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cancer research, but which is not developing
2 treatments for breast cancer.

3 Thanks to the excellent presentations of
4 Drs. Johnson and Swain, I can delete a lot of what I
5 had planned to say, and instead I'd like to just
6 comment on some broader policy aspects of this debate.

7 I am a dedicated member of the nonprofit
8 community and strongly advocate early and aggressive
9 access to new and experimental treatment by informed
10 patients and also the elimination of obstacles to such
11 access.

12 But I'm also a participant in the free
13 market. I believe it's the fastest and most efficient
14 route to effective medical innovation. However, after
15 20 years in the pharmaceutical and biotech industries,
16 I also have direct experience that warns me of the
17 dangers of individual and institutional greed, and I
18 think that's something that this committee needs to
19 consider.

20 Hope is why we advocate aggressive access
21 to experimental therapeutics. A desire for proof of
22 efficacy is why we advocate careful and well funded

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clinical research, and maintenance of the incentives
2 for such well funded clinical research.

3 Appropriately, the current FDA procedures
4 for accelerated approval provide for conditional
5 marketing of new drugs for breast cancer and other
6 cancers once reasonable safety has been established
7 and other important endpoints, such as time to
8 progression, have been met.

9 However, it also provides the FDA with a
10 very strong and important oversight function post that
11 marketing. This rapid access by patients through the
12 accelerated approval process addresses our concern
13 about aggressive access to potentially promising
14 breakthrough therapies, and yet it also addresses a
15 broader concern which has to do with the marketing of
16 products that may in the long run prove to be
17 ineffective.

18 I think it's very important that the FDA
19 continue to have the authority to exert significant
20 pressure on companies to thoroughly investigate the
21 efficacy of the products they're selling. Under the
22 accelerated approval regulations, the FDA does have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the power to revoke marketing approval, and I think
2 that that's a very important point of leverage.

3 With the accelerated approval process as
4 it's currently used, I think the need to grant full
5 approval on the basis of secondary endpoints is less
6 critical than it would be if these safeguards were not
7 in place.

8 After many decades of FDA regulation and
9 oversight, most consumers and most physicians do not
10 now believe it is necessary to make careful
11 independent judgments about medical products. The FDA
12 stamp of approval is enough for most people.

13 Taken to a logical extreme, giving out
14 that stamp of approval too lightly will blur the
15 boundaries between effective pharmaceuticals and the
16 highly profitable, but mostly valueless so-called
17 nutritional supplements that are heavily promoted to
18 people desperate for help.

19 Most consumers do not have the knowledge
20 and most physicians who don't maintain an affiliation
21 with major medical centers and teaching hospitals are
22 too busy to pay attention to the technical nuances

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that this committee considers. Those technical
2 nuances are extremely important, but they're not
3 always accurately reflected in the advertising
4 campaigns that are launched by the companies whose
5 products are approved.

6 I think there is adequate evidence of this
7 by the number of times the FDA has had to shut down
8 certain advertisements. So I'll leave out some
9 examples.

10 I urge this committee to advise against
11 full approval of drugs for the treatment of breast
12 cancer with time to disease progression as the only
13 primary clinical trial endpoint unless such approval
14 is explicitly tied to quality of life and advertising
15 implying data suggesting enhanced survival is
16 prohibited.

17 I believe that maintaining the current
18 accelerated approval mechanism combines the best
19 features of free market incentives with rational
20 consumer protection.

21 I also encourage the pharmaceutical and
22 biotechnology industries to support increased funding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for FDA post marketing oversight, perhaps from an
2 extension of the prescription drug user fee for those
3 companies that receive accelerated approval of new
4 drugs.

5 This would provide maximum potential
6 benefit to cancer patients while reducing the
7 probability of long term marketing of drugs that are
8 safe but do not work. This is a clear benefit to a
9 company selling a product that does work.

10 I also would like to once again remind all
11 of you that properly designed crossover provisions and
12 compassionate access during Phase 3 trials, although
13 this is quite complicated, can provide important
14 benefit to dying people, as well as accelerating the
15 accumulation of both time to progression and survival
16 data.

17 Thank you.

18 CHAIRPERSON DUTCHER: Thank you very much.

19 The next speak is Helen Schiff from SHARE-
20 New York.

21 MS. SCHIFF: I would like to start my
22 testimony by telling about two friends of mine in

1 SHARE who died of breast cancer.

2 Carole Hochberg died of breast cancer at
3 age 40. When she metastasized two years after
4 adjuvant treatment, she went on arimdex, a hormonal
5 treatment for ER positive breast cancer. The side
6 effects were nil, so her quality of life was
7 wonderful. It lasted for a year.

8 When she progressed, she went into a
9 herceptin plus weekly taxol trial. She was hoping for
10 the miracle which some few women have gotten with
11 herceptin.

12 When it didn't happen, she stopped all
13 treatment and died two months later. She could have
14 gone on to taxotere, xeloda, gemzar, navelbine, et
15 cetera, but she didn't want to. She said to me, "It's
16 not worth it. I don't want to go through the agony of
17 being chemo'ed to death."

18 Another member of SHARE, Adrienne Asails,
19 a young mother in her 30s with a four year old
20 daughter. She did everything possible to prolong her
21 life. She wanted to be there for her daughter as long
22 as she could.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 She did two stem cell transplants and had
2 regular chemo before the transplants, in between, and
3 after. She did get to go to Ireland for a wedding in
4 which her daughter was a flower girl. She said that
5 was a consolation for not being able to see her
6 daughter be a bride.

7 So women make different decisions about
8 the tradeoff between quality of life and prolongation
9 of life. It is a terrible choice to have to make, but
10 unfortunately that's where breast cancer treatment is
11 right now.

12 We need the information of both of these
13 endpoints, quality of life and survival, to make one
14 of the most important decisions of our life: how and
15 when to die. Perhaps these two endpoints should be
16 combined into quality of life adjusted survival.

17 I do not believe that time to progression
18 is a satisfactory substitute for either of these
19 endpoints. We all know especially with chemotherapy
20 that an increase in time to progression does not
21 usually prolong survival, and even when it does, the
22 quality of life sacrifice might not make the extension

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of life worthwhile.

2 Survival should remain the primary
3 endpoint for clinical trials for women with metastatic
4 breast cancer. It is the gold standard, and the
5 challenge is the pharmaceutical companies to come up
6 with drugs that are other than the "me, too"
7 chemotherapy drugs that we see so often.

8 I just want to tell a story. I was with
9 the AACR convention, and I was talking to a woman from
10 Burroughs-Wellcome. I asked her what they were doing
11 there, and she said, "We're working really hard on a
12 chemo where your hair doesn't fall out."

13 And I know that this is very important to
14 women, but my feeling is, and I said to her, that you
15 know, if we had a drug that was effective we wouldn't
16 mind losing our hair once.

17 I think that we want to try to push the
18 drug companies in a direction of finding drugs that
19 really make a difference.

20 That being said, I would certainly not
21 want to penalize some of the novel agents in the
22 pipeline: angiogenesis inhibitors, vaccines,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 monoclonals, and signal transduction modulators, as
2 well as new hormonal manipulations and more targeted
3 chemo. that may not show an overall survival benefit,
4 but at much less toxic than conventional chemotherapy.

5 However, it looks like most of these new
6 biologics will be used in combination with chemo. at
7 least for metastatic disease. So the survival benefit
8 along with the quality of life will still be important
9 to women.

10 We will also want to know which of the
11 novel agents either with or without chemo. extend life
12 the longest and how they affect our quality of life.

13 I do think that time to progression is a
14 better secondary endpoint than tumor response because
15 it broadens our ability to detect the durability of
16 treatment activity, not just the initial response.

17 It also allows us to determine the benefit
18 of treatment, such as tumor stability, even when the
19 therapy fails to achieve the standards of partial and
20 complete response. However, TTP as an endpoint would
21 not be able to determine if a drug simply slows
22 progression, nor would it take into account the newer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 angiogenesis inhibitors which sometimes do not stop
2 progression for a while before they start to work
3 because they're very slow acting.

4 This raises the question of time. I
5 realize time to progression as a primary endpoint is
6 a way to speed the approval of new breast cancer drugs
7 to the market. We want new treatments as soon as
8 possible, but we need to know if they increase
9 survival and how toxic they are.

10 Why can't we continue with the fast track
11 system of conditional approval with time to
12 progression as an endpoint, but continue to collect
13 data on survival and quality of life? I know this was
14 done with herceptin, and I think it worked quite well.

15 Several oncologists that I have talked to
16 think that it is unlikely that subsequent secondary
17 therapy will have a major impact on survival, despite
18 differences in subsequent treatment. Even with the
19 crossover herceptin trial, survival advantage was
20 shown in the second year.

21 I would like to add that survival must
22 remain the primary endpoint in adjuvant and risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reduction setting also. Treating millions of healthy
2 women with a powerful drug should show a survival
3 benefit before it is approved. In the adjuvant
4 setting, we cannot substitute disease free survival
5 for overall survival as a primary endpoint. We would
6 never have found that lumpectomy is as good as
7 mastectomy if we had only looked at disease free
8 survival.

9 Another example. Tamoxifen in the
10 adjuvant setting reduces the risk of recurrence by 46
11 percent, but only increases survival by 25 percent.
12 The lesson is disease free survival does not always
13 result in overall survival.

14 In closing, I would like to urge more
15 advocate involvement in the FDA. It is good that
16 advocates serve on ODAC panels and we can testify at
17 ODAC hearings, but I would like to suggest that
18 advocate involvement begin much earlier in the drug
19 approval process. I would like to see us involved in
20 the approval of protocol design.

21 It is my opinion that the more we are
22 involved in the drug approval process, the more user

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 friendly clinical trials are, the more patients'
2 concerns are address, the easier it will be to enroll
3 clinical trials and get us closer to the goal which we
4 mutually share: more effective and less toxic
5 therapies for breast cancer.

6 Thank you.

7 CHAIRPERSON DUTCHER: Thank you.

8 I want to congratulate all of our
9 speakers, Drs. Johnson and Swain and members of the
10 breast cancer advocacy groups and clinical trials
11 advocacy, for very carefully thought out and well
12 presented presentations. I think it's really very
13 helpful to the committee.

14 And we're going to take a break for 15
15 minutes. We'll be back here at about ten after 11,
16 and then we'll begin the discussion.

17 (Whereupon, the foregoing matter went off
18 the record at 10:48 a.m. and went back on
19 the record at 11:10 a.m.)

20 CHAIRPERSON DUTCHER: Can you please take
21 your seats? We're going to be starting the
22 discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You all should have a paper that has some
2 comments, and then on the back of it there are some
3 questions for the audience. I'm sure that they're at
4 the desk, yeah. So you can pick up copies if you want
5 to follow along.

6 Before we open up the discussion, Dr.
7 Temple would like to make a few comments related to
8 evaluating drugs based on different endpoints.

9 DR. TEMPLE: Thanks.

10 I just had a couple of observations.
11 There have been a number of comments about the
12 uncertainty of the time to progression endpoint
13 because of variable times of observation and even
14 because how to measure it isn't always built into the
15 protocol very well.

16 I just want to observe that that problem
17 is somewhat different depending on whether you're
18 trying to show a difference between treatments and
19 trying to show similarity between treatments. All of
20 the things that people have described as being
21 worrisome are biases toward the null. They tend to
22 obscure difference if there is one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that if someone, despite that
2 sloppiness, achieves a difference, it's not
3 necessarily noncredible. Now, I'm ignoring the
4 question of blinding here for the moment.

5 On the other hand, if one is trying to
6 show that a therapy is just as good as another
7 therapy, those are tremendous problems and make the
8 data very noncredible.

9 Something that I don't believe came up is
10 that blinding is particularly critical to something
11 like time to progression, or at least might be,
12 whereas of course in survival it's not. We don't see
13 a great many blinded oncology trials, and attempts to
14 have progression measured by people other than the
15 investigator are also relatively unusual, but at
16 things that could be considered.

17 It's worth pointing out that equivalent
18 survival is also a problem. The committee probably
19 needs to discuss this some other time. We've been
20 seeing a lot of comparisons that involve looking at
21 the total survival and comparing one drug with another
22 and getting hazard ratios. I think that is very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 dubious.

2 The figure of interest is the improved
3 survival due to the control agent, not the total
4 survival, most of which has nothing to do with the
5 agent. That's for another time.

6 Maybe Rich might want to talk about this.
7 Even if the advantage seen in time to progression is
8 completely preserved in terms of survival, you know,
9 six versus four versus 22 versus 20, the increased
10 denominator would make it difficult to detect a
11 problem in designing these trials. So that if there's
12 going to be a fair delay after progression to
13 survival, the trial is going to have to be clearly
14 much larger.

15 We almost never see time to progression
16 measured by symptoms, although that would be possible.
17 I would say that if someone were able to show an
18 improvement in symptomatic time to progression, there
19 would be no argument about whether that would be
20 credible. That's an improvement, and that's usually
21 considered an improvement in quality of life. So
22 it's worth pointing out that what we're talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 here is time to progression measured by radiologic or
2 other measurements at least for the most part.

3 Just to be sure, there's no issue about
4 whether survival would be reported in a trial where
5 time to progression was the primary endpoint. We
6 would always insist on that. So anyone who was
7 worried that we'd never find out that can be
8 reassured.

9 There was some discussion in the public
10 comments about accelerated approval or fast track
11 approval. It's worth remembering that that's
12 available only when you show an advantage in a serious
13 or life threatening illness. That could never be a
14 basis for using or maybe if the drug was dramatically
15 less toxic or something, that would be a very hard way
16 to be a basis for approval in an equivalence setting
17 where no advantage over available therapy is intended.

18 But conceivably that is a possible basis
19 for approval under our accelerated approval rule. If
20 someone had an advantage, major advantage, and we
21 thought that was a reasonable surrogate, we could use
22 the accelerated approval rule conceivably, depending

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the advice from the committee, of course, as a
2 basis for approval, but it wouldn't work very well in
3 an equivalent setting.

4 That's it.

5 CHAIRPERSON DUTCHER: Could you just
6 before we get started also just tell the committee
7 what specifically you see as the issue with this
8 discussion?

9 Because we did see a review that showed
10 that time to progression was used recently for several
11 agents, most recent breast cancer agents, as a matter
12 of fact. So are you looking for sort of a statement
13 that this is what we'll do in the future or just a
14 discussion of how comfortable people are with the
15 endpoint or where are you going with this?

16 DR. TEMPLE: Well, sometimes we do things
17 case by case because they seem reasonable at the time,
18 and then we step back and wonder whether we've been
19 doing something that makes complete sense. I think
20 that's what's going on here.

21 We've been affected by arguments that say
22 survival benefits can be obliterated if the crossover

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or -- let's not say crossover -- if the relapse
2 treatment is beneficial, and of course, that's
3 obviously true. That could be true.

4 Whether that's a reasonable argument to
5 accept routinely, what kind of evidence there should
6 be in support of it and things like that are major,
7 major questions, and some of the questions that you're
8 being asked touch on those.

9 We have done it where the secondary
10 therapies were thought to be very beneficial, and I
11 think we're asking whether we've been doing the right
12 thing.

13 DR. JUSTICE: Actually the only case that
14 was identified as paclitaxel, and that was not for
15 initial therapy of metastatic breast cancer. That was
16 second line, and it was not the sole basis for
17 approval. Objective response rate was also
18 supportive, although weakly supported.

19 DR. TEMPLE: The setting of third line
20 therapy where we rely on response rates, clearly, in
21 that case we would feel just as comfortable relying on
22 time to progression. If anything, it's a somewhat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more persuasive endpoint.

2 The main question is whether that should
3 be moved down into earlier therapies.

4 CHAIRPERSON DUTCHER: All right, and just
5 to remind the committee, what we're discussing is time
6 to progression as a possible basis for marketing
7 approval of cytotoxic drugs for initial treatment of
8 advanced metastatic breast cancer.

9 I can either take hands or I can go around
10 the committee for comments. Hands? Okay, Dr.
11 Schilsky.

12 DR. SCHILSKY: The discussion up to this
13 point, I think, has been very interesting or at least
14 the commentary, and I'm very impressed with the
15 quality of the presentations by everyone who made a
16 presentation.

17 I guess I'd like to begin by raising a
18 question and perhaps asking Sandy Swain if she would
19 want to comment further on it. To me it seems that
20 the most persuasive argument in favor of using time to
21 progression in place of survival is the notion that
22 survival could be confounded by secondary therapies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, therefore, may obscure survival benefit.

2 And what I'm wondering about is although
3 I think we would all agree that that is a theoretical
4 concern, what I'm wondering about is whether there so
5 far is actually any evidence that that is the case.
6 What I've seen presented so far, the data that Sandy
7 presented which was, I think, fairly persuasive that
8 time to progression correlates with survival, also
9 demonstrated that in virtually every case where there
10 was a time to progression advantage shown, there was
11 also a survival advantage shown.

12 So it's not clear unless I misinterpreted
13 something that there is an advantage to time to
14 progression over survival, except for the fact that
15 you might get to that endpoint a little bit sooner.

16 The other point is that in the second line
17 therapy, at least in the data that we saw, in
18 virtually all of the studies but one, the survival
19 advantage for a second line therapy was pretty
20 minimal, on the order of about four to five weeks.
21 There was one study where it was more like three
22 months, but in most of the other cases it was pretty

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 minimal.

2 And so it raises some question in my mind
3 as to, you know, really whether a second line therapy
4 really does have much potential to confound
5 interpretation of results in the front line setting.

6 So maybe I'll just start it there because
7 so far, I guess, I'm not persuaded that the
8 theoretical concern about confounding interpretation
9 of survival is actually a real concern based upon data
10 that we actually have available to look at.

11 DR. SWAIN: Well, I think the other aspect
12 of that when you look at the survival benefit, even
13 for the first line treatments, it's again only one,
14 two, three months. so you're looking at very small
15 survival benefits. So I can only propose that it
16 would -- these other secondary treatments which also
17 only have a one or two month survival benefit if
18 they're added onto each other may somehow, you know,
19 really confuse or confound the outcome.

20 Certainly the herceptin trial doesn't
21 support that. You still see a survival benefit of
22 about five months even when a lot of patients are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 crossed over, and I don't know how really to explain
2 that, except that it's a fundamentally different type
3 of therapy. It's a targeted therapy.

4 CHAIRPERSON DUTCHER: Dr. Simon.

5 DR. SIMON: I just want to comment on that
6 one issue. I guess I can see how a crossover
7 treatment could potentially influence the evaluation
8 of survival of a regimen. I don't understand. If
9 we're not talking about crossover treatments, and I
10 guess the first question is not -- I don't really
11 understand why that's of concern.

12 We're talking about a new drug. The issue
13 is does it prolong survival or provide palliative
14 benefit to the patient in the context of the other
15 treatments that are available to the patient,
16 including other secondary treatments, not some
17 theoretical would it provide benefit if there were no
18 secondary treatments.

19 So observing the survival benefit that it
20 provides in the presence of the secondary treatments
21 available is the correct medical question, and so I
22 don't really see that there's any issue of has

1 something been obscured. I think the only -- the more
2 complicated issue is trials done in which, for
3 example, the herceptin trial, where there's a
4 crossover to the experimental regimen after
5 progression. Those could potentially obscure a
6 survival benefit.

7 My own view is if you accept time to
8 progression as the primary endpoint, then trials will
9 be done in that way, and women will never know whether
10 there is a real survival benefit to the treatment That
11 has been approved.

12 MS. ZOOK-FISCHLER: I just wanted to call
13 to the entire panel's attention my view is whichever
14 way we go, whether it's the endpoint of time to
15 progression or survival, I really feel we have to
16 focus on quality of life issues, and I think you have
17 to pay attention to it from some anecdotal evidence
18 from patients who have been there.

19 It's been my experience that while there
20 are some patients once they progress they have stable
21 disease and the quality of life continues to be quite
22 good, in the majority of cases women that I've been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's not the case, and so I think quality of life
2 has to be factored in.

3 If the endpoint is survival, I think that
4 statistically it's probably very significant if a
5 woman can survive two or three months longer, but
6 personally for a woman who is suffering the side
7 effects of whatever the new medication is, it's really
8 quite irrelevant.

9 So I would like to see a longer period of
10 survival. I don't know how you, you know, choose that
11 number, but I think the quality of life is so
12 important that unless you could offer a longer term
13 survival, it isn't a very good answer for most people.

14 But I would hardly like to see time to
15 progression as the primary, but I might like to see it
16 for accelerated.

17 CHAIRPERSON DUTCHER: Dr. Santana.

18 DR. SANTANA: Having sat in this committee
19 for a year and looked at some presentations on quality
20 of life. I am quite concerned about how some of that
21 data is presented, on the quality of some of that data
22 and the robustness of some of that data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we have to be careful that if we are
2 going to use time to progression in conjunction with
3 quality of life and show or demonstrate improvement in
4 quality of life, I'm concerned that that tool has not
5 been utilized in the correct way or at least presented
6 in the correct way.

7 So I caution all of us that we all talk
8 about quality of life, but in the past year I have
9 seen very few studies presented to this committee in
10 which I was convinced that the quality of life data
11 was very good.

12 CHAIRPERSON DUTCHER: Thank you.

13 Ms. Beaman.

14 MS. BEAMAN: I'd like to reemphasize
15 something here that expresses my views quite well that
16 was shared earlier this morning, and that is the
17 overriding context in which the appropriate primary
18 endpoint of breast cancer clinical trials should be
19 considered is the quality of life of the patient, and
20 whether the endpoint is time to progression or overall
21 survival is really irrelevant if the patient's quality
22 of life is so poor that it is meaningless.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Another thought, extension of that that comes
2 to mind, of course, is that once the information is
3 fully shared with the patient, if all of the side
4 effects of all that is known is shared with the
5 patient, and the patient agrees, that is one thing.

6 However, one month or three months in total
7 agony is simply not something that should be hidden.

8 I think totally revealing those known side effects
9 would be of extreme importance here.

10 We're in a business here. We're looking at
11 from one standpoint here dollars for desperation.

12 CHAIRPERSON DUTCHER: Thank you.

13 De. Sledge.

14 DR. SLEDGE: I actually appreciate greatly the
15 presentations we've heard this morning, and one
16 thing it's convinced me of is that despite the fact
17 that we've been doing randomized trials in
18 metastatic breast cancer for 30 years, we have an
19 astonishingly small database to look at in terms of
20 time to progression and, therefore, an astonishingly
21 small database to make a conclusion on.

22 Now, I think we would all start off by

1 agreeing that what we want is, I guess, what you could
2 call Vulcan oncology, you know: live long and
3 prosper.

4 (Laughter.)

5 DR. SLEDGE: The question to my mind is
6 whether or not time to progression represents a decent
7 surrogate endpoint for either overall survival or
8 quality of life. If it doesn't represent a decent
9 surrogate endpoint for either of those, something that
10 we can tie statistically to either of those, I'm not
11 entirely sure what it is that we're measuring there.

12 Now, looking at it from a breast cancer
13 standpoint, one of the problems I have is I'm not sure
14 quality of life and overall survival are always the
15 same endpoint, as we've just heard. If we look in E
16 1193, which is the trial Sandra referred to, in that
17 trial, in a data analysis done by Donna Newburg of the
18 ECOG Stats. Office, in essence, the only patients who
19 had an improved quality of life were patients who
20 started out symptomatic and then responded to therapy.

21 If you started out without symptoms and
22 got therapy, your quality of life got worse, which I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 guess is not particularly surprising. If you started
2 out with symptoms and didn't respond, your quality of
3 life got worse, again, not particularly surprising.

4 So in an American cooperative group trial
5 where we're talking about patients who entering the
6 trial are, in fact, in relatively good shape when they
7 enter the trial, in fact, it's very difficult to show
8 a quality of life benefit for most of the patients who
9 enter into the trial.

10 Now, in terms of overall survival, overall
11 survival, on the other hand, is most likely to be
12 improved to my mind not in the patients who are really
13 symptomatic with large bulk disease because we know
14 from past experience that those the patients who tend
15 to live the shortest, but rather the long term
16 survival, at least in the data from M.D. Anderson
17 where we have really long term follow-up, the long
18 term survivors tend to be the patients who start
19 asymptomatic with small bulk disease and small volume
20 disease, and so from a survival standpoint those are
21 probably the patients who are most likely to benefit.

22 So quality of life and overall survival

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 aren't necessarily the same endpoint, and so if you
2 then go on to ask the question what is time to
3 progression a surrogate for, I'd say from what I've
4 heard this morning we don't have striking data that
5 it's a surrogate for either.

6 You know, we have exactly one trial, the
7 Coates trial, that looked at it as a surrogate for
8 overall survival. That was a trial that was not
9 comparing chemotherapy to no chemotherapy, but rather
10 a very short duration of chemotherapy to a very long
11 duration of therapy, and I personally don't consider
12 that, even a single data point, to be able to ask
13 whether or not it was a surrogate for overall
14 survival.

15 Of course, we have no data, as far as I
16 can tell, that relates time to progression to quality
17 of life in any significant fashion. So I guess my
18 overall feeling here is that this is a tremendously
19 under studied area, and an area where we, in essence,
20 don't have any striking data that would allow us even
21 to make a conclusion about whether or not this
22 represents an acceptable surrogate for either of what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we all consider the two most important points.

2 CHAIRPERSON DUTCHER: Dr. Margolin.

3 DR. MARGOLIN: I have a few things. I'll
4 try to be very brief though because most of them are
5 sort of reiteration and extend what some of the others
6 have said.

7 I think those of us who haven't had cancer
8 and those in the room who have demonstrate the fact
9 that it's very hard for one person or one group of
10 people to estimate the importance or the components of
11 quality of life of another group of patients and
12 depending on their disease and their treatment.

13 For example, Sandy gave us some data that
14 suggested that quality of life could be superior just
15 by virtue of being on therapy, but of course, we know
16 that that's not always the case because many of the
17 therapies are so toxic that they are expected to
18 reduce the quality of life.

19 And for some patients, quality of life can
20 simply be the importance of seeing their marker go up
21 and down regardless of disease symptoms.

22 In the past few years we did have an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example of one study among many where some determinant
2 of quality of life was able to be measured reliably
3 and contributed to the approval of the drug. If I'm
4 not mistaken that was the mitoxantrone and prednisone
5 combination in prostate cancer, and I think since most
6 of this discussion is directed at a large group of
7 patients with metastatic breast cancer for whom a
8 large proportion of patients is often excluded from
9 trials, that is, those with the indolent bone only
10 disease, which is hard to measure, but there's many
11 analogies in this whole discussion with the group of
12 prostate cancer patients and how we look at them in
13 terms of measuring progression and quality of life.

14 So I'm not saying these things to take a
15 stance, but just to remember that we still need to do
16 this research to improve the quality of life
17 assessments. It ought to be possible, and that we can
18 apply these tools and then look back and see whether
19 we've done it right after a few attempts.

20 CHAIRPERSON DUTCHER: Dr. Nerenstone.

21 DR. NERENSTONE: Just a couple of
22 comments, and I also don't have any answers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think it's important for people who are
2 looking at this question to understand the clinical
3 problems and the biases that go into determining when
4 somebody has progressed. I think it's not -- the time
5 to progression question, you're talking about a very
6 small number of months' difference that makes some
7 sort of statistical argument, and that the biases of
8 a physician who enrolls patients on these trials --
9 Mrs. Jones comes in. You know she's on the new drug
10 arm. She has a new back ache. Are you going to
11 immediately get a bone scan or are you going to say,
12 "Well, it's because you lifted your child and
13 therefore, we'll watch it, conservative measurements,
14 and wait a month, and if it doesn't get in worse or
15 it's better, then we're not going to do that indicated
16 study"?

17 So you're talking, clearly, you're talking
18 about investigator biases that are going to be able to
19 make the difference between a drug that may or may not
20 be statistically improved in the time to progression,
21 and we're talking about very, very small amounts of
22 time difference.

1 And I think it's very dangerous to say
2 that that is really a -- shows that it's really a
3 statistically improved drug when these are open
4 studies, when there are clinical biases that are
5 clearly apparent.

6 I also think that quality of life can be
7 included. I think that drug companies and even the
8 cooperative groups have had trouble getting the
9 studies done, but that's because it's always been
10 relegated as to a third main point. It's not the
11 first point. It needs to be improved, and people need
12 to pay more attention to it.

13 It's very intensive. You have to have
14 that data manager making sure the baseline
15 characteristics are billed out. You need to make sure
16 those forms are done, and you need to make sure that
17 the patients understand that these are not optional.
18 It's part of the whole study design.

19 CHAIRPERSON DUTCHER: I'd just like to
20 also comment that if this were to become an endpoint,
21 I think that the standards for measuring this endpoint
22 would change dramatically, as you point out, in terms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of what do you assess, and it could become actually --
2 I think there are two ways to do it. One way is to
3 say, "Well, then we're going to get every single
4 baseline study repeated every month to look at all
5 sites of disease," which could become extremely
6 expensive and complicated and probably very annoying
7 for patients, or you say you wait until clinical
8 progression, in which case you end up with somebody
9 who becomes very sick from their disease, and either
10 one is not sort of standard of care at the moment.

11 So I think that the ramifications of this
12 could be quite major in terms of expense, and in terms
13 of unnecessary testing that would end up being done to
14 get the very moment at which there is progressive
15 disease. At least it's a consideration.

16 Dr. Simon.

17 DR. SIMON: I think, as Dr. Sledge has
18 pointed out, we really wouldn't want to consider time
19 to progression as surrogate for quality of life, and
20 I think in issues we're dealing with symptomatic
21 patients or nonsymptomatic patients. Direct measures
22 of palliation and quality of life are what's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate.

2 The question is: has time to progression
3 been demonstrated to be a surrogate for survival?
4 Although I thought the presentation was excellent, I
5 disagreed with the conclusion that it does correlate
6 with survival. I think we had very little data there,
7 and we had selected data, and on that basis, I really
8 think you need sort of an unselected set of studies.

9 Time to progression is probably more
10 related to response rate than it is to anything else,
11 and we have a lot of data in the past to know that
12 improvement in response rate is not predictive of
13 improvement in survival.

14 I just will mention several years ago we
15 did a study. My group did a study in ovarian cancer
16 in which we looked at the relationship between the
17 difference in response rate in randomized clinical
18 trials in advanced ovarian cancer to difference in
19 survival; tried to do it on unselected trials. And
20 what we found was that there was very little
21 relationship for overall response rate.

22 For complete response rate, there was more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of a relationship, but there was a substantial
2 shrinkage. You needed to have a large difference in
3 complete response rate to obtain any kind of a
4 difference in survival, and I suspect if there's any
5 sort of relationship here, it's similar.

6 Really if we had the database, when that
7 database becomes available, you will need a large
8 effect on time to progression for it to translate into
9 any sort of difference in survival, and approving some
10 drug based on some statistically significant, but very
11 small differences in time to progression, which is
12 what we've usually seen, will not translate into a
13 meaningful difference in survival.

14 CHAIRPERSON DUTCHER: Dr. Ozols.

15 DR. OZOLS: But having heard that, the
16 difference in survival that we've seen has also been
17 very small. So what we've done over the last 30 year
18 sis obviously just make a small dent in survival, and
19 I think perhaps using time to progression, and I think
20 it's important to use quality of life. It's a very
21 important aspect of it, but I think if it can help us
22 speed the drug delivery or drug discovery mechanism,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that may be a benefit in its own right.

2 I think patients want that choice. We
3 also hear about the patients wanting the choice of
4 agents even though the toxicities may be substantial.
5 Certainly there's quality of life in not having a
6 disease progress. So that's still a difficult thing
7 to measure.

8 I agree with Dr. Santana that the quality
9 of life instrument that we've seen here over the last
10 several years at times have been giving us information
11 that is not very easy to understand and to make sense
12 of, other than if you're responding to treatment you
13 do have a better quality of life at times. It comes
14 down to something often that simple.

15 Measuring symptomatic progression would be
16 very difficult, I think, at times because that would
17 change how we practice medicine. Many patients want
18 to know how they're doing on treatment. We want to
19 know how they're doing on treatment as physicians.

20 So if you have somebody on treatment,
21 they're asymptomatic, but their tumor is getting
22 worse, you certainly wouldn't want to continue that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treatment, and even if there's any kind of toxicity.

2 So to make decisions just on the basis of
3 change in symptoms would be very difficult. So I
4 don't know what the answer is, but I think we should
5 not ignore time to progression data. I think we
6 should use it.

7 I think it's certainly better than
8 response rate because there are certainly some of the
9 newer drugs, the biological agents, in particular,
10 that may have more of an effect not on producing a
11 response perhaps, but perhaps on preventing disease
12 from progressing, not from making it shrink, but
13 making it not get worse. So that may be a good
14 clinical endpoint.

15 So I think we should continue to use that,
16 and exactly how we do it, obviously survival should
17 and always will be the most important aspect, but time
18 to progression, I think, is a good endpoint in
19 selected cases.

20 CHAIRPERSON DUTCHER: Dr. Williams.

21 DR. WILLIAMS: Several of you have
22 mentioned using quality of life or symptoms, et

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cetera, with time to progression, but it seems to me
2 that some of the most important data points are going
3 to be after the time when you progress. So that if
4 you're really going to seriously consider doing that,
5 I think you'd have to design the study to collect
6 quality of life endpoints perhaps until the patient
7 died, and that would certainly be difficult to do, and
8 you'd have to decide, you know, the effects of
9 secondary therapy on those endpoints.

10 But I mean, if you just collect data up to
11 the time when someone has an asymptomatic progression,
12 I don't think you're going to get much from quality of
13 life.

14 CHAIRPERSON DUTCHER: Dr. Sledge, did you
15 want to ask a question?

16 DR. SLEDGE: Yes. Actually I wanted to
17 ask some questions either of Dr. Swain or of our
18 statisticians.

19 As a general rule of thumb, do you have a
20 sense of what's the difference in either the number or
21 the proportion of patients that are required to go
22 from a time progression endpoint to an overall

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701