

1 rejection in Black recipients. These data came close
2 to but did not reach nominal statistical significance.

3 Black patients on 5 mg Rapamune™ had a
4 similar rate of efficacy failure as non-Black patients
5 on 5 mg of Rapamune™, but this is in contrast with
6 the rate of efficacy failure that was higher in Black
7 recipients than in non-Black recipients, given the 2
8 mg dose.

9 On this basis we have suggested that this
10 higher dose should be used for Black recipients to
11 achieve the benefit of lower rates of acute rejection.
12 As you consider this suggestion it's important to
13 consider the safety data available for Black patients.

14 The most important safety results are good
15 patient and graft survival for Black recipients. At
16 the 5 mg dose there was no significant increase in the
17 incidence of serious infections for Black patients on
18 5 versus 2 mg. Moreover, there were no cases of
19 lymphoma or PTLD in Black recipients on either dose of
20 Rapamune™. There was one case in the Black patient
21 in the azathioprine group.

22 Finally, discontinuations for any reason

1 were lowest in the 5 mg group in the Black patients
2 when compared with the other treatment groups.

3 The data for patient and graft survival
4 from the US study only are shown here. This is the
5 study that was stratified by ethnic origin. Although
6 the numbers in each group are small, there appear to
7 be no real numerical differences in patient survival
8 which was very high in graft survival, which was very
9 good for the 2 and 5 mg group.

10 It appears on the basis of this evidence
11 that there is a benefit of the 5 mg dose to lower
12 acute rejection in this particular group of patients,
13 and there's no significant cost with regard to patient
14 or graft survival.

15 Our conclusions from the safety analyses
16 are as follows. Rapamune™ is safe and well-
17 tolerated. It provides excellent patient and graft
18 survival. The incidence of infection and malignancy
19 are comparable to controls with the exception of a
20 higher incidence of mucosal herpes simplex in the
21 Rapamune™ 5 mg group, and a higher rate of PTLD in
22 the Rapamune™ 5 mg group from one study. This

1 increase is not statistically significant.

2 Creatinine levels were elevated in
3 patients treated with Rapamune™ in combination with
4 standard dose cyclosporine by comparison with the
5 control groups. This is somewhat different for the 5
6 versus the 2 mg group. I want to point out again,
7 these results stand in contrast to the effect of
8 Rapamune™ administered without cyclosporine and the
9 effect on glomerular function may be attributable to
10 cyclosporine or perhaps some interaction between
11 cyclosporine and Rapamune™, rather than a direct
12 effect of Rapamune™ itself.

13 Finally, Rapamune™-treated patients are
14 likely to develop dose-related reversible increases in
15 cholesterol and triglycerides that can be managed with
16 standard medical therapy, and with appropriate
17 management will improve over time after
18 transplantation.

19 Although Rapamune™ has modest effects on
20 blood cells, no patient developed severe leukopenia.
21 Discontinuations for severe anemia were low.
22 Reductions in platelet counts were generally mild,

1 dose-related, and reversible. There was no indication
2 of progressive marrow dysfunction from Rapamune™ in
3 these patients.

4 The combined safety and efficacy data from
5 these studies support Rapamune™ for prophylaxis of
6 acute rejection in renal transplant recipients.
7 Rapamune™ 2 mg is the optimal dose for most patients
8 in combination with cyclosporine and steroids. It
9 effectively reduces acute rejection; the incidence of
10 serious side effects is comparable to control therapy;
11 has the lowest rate of discontinuation of therapy.

12 The higher dose of 5 mg is safe and
13 effective but the side effects and laboratory
14 abnormalities are more pronounced. Thus, for use in
15 combination with cyclosporine this is likely to be the
16 upper limit of the dose range. The data suggests that
17 a benefit of the 5 mg dose is observed in Black
18 patients.

19 It is notable that despite the two-and-a-
20 half-fold increase in dose no new, unexpected adverse
21 events were observed; rather, the adverse events
22 characteristic of Rapamune™ are more pronounced.

1 The benefit of Rapamune™ should be
2 achievable by all transplant teams currently using
3 cyclosporine-based treatment regimens. Indeed, one
4 advantage of this program to use Rapamune™ with
5 cyclosporine is that it should allow transplant teams
6 to incorporate Rapamune™ at relatively low doses
7 easily into their current cyclosporine regimens.

8 Based on the data from the Phase III
9 studies and the pharmacokinetic behavior we propose
10 the following recommendations for Rapamune™ for
11 recipients of cadaveric and living donor organs.
12 Rapamune™ 2 mg for the majority of patients,
13 Rapamune™ 5 mg for high-risk patients. Black
14 patients were considered to be high-risk in the
15 analyses we've done in Phase III.

16 Other high-risk patients may benefit from
17 a higher dose but we don't have data yet in that
18 regard. If cyclosporine target concentrations are
19 maintained at the standard levels used for other
20 double or triple therapy at the transplant center,
21 therapeutic drug level monitoring is not required to
22 achieve the efficacy results observed in these

1 clinical trials or to avoid serious adverse events.

2 For patients on the 5 mg dose, the side
3 effects are more likely to occur. When confronted
4 with triglycerides not responsive to treatment or
5 clinically significant reductions in platelets, the
6 Rapamune™ dose should be reduced. Improvement in the
7 clinical parameters should be monitored.

8 This is what was done in the blinded
9 studies and it should be appropriate management when
10 the physicians know the actual therapy and the actual
11 dose.

12 Finally, we've shown you the data to
13 support the efficacy and safety of Rapamune™ for this
14 first indication; that is, prophylaxis of rejection in
15 renal transplant recipients. Of course, we all know
16 that is the conclusion and we're here today to discuss
17 this conclusion.

18 I want to thank you for your attention.
19 My colleagues and I will do our best to answer any
20 questions you have at this time.

21 CHAIRMAN MASUR: Great. Thanks very much.
22 I'm sure there are questions. Why don't we take

1 questions. We can start over on the left and see who
2 would want to pose any questions. Does anybody want
3 to --

4 DR. SHAPIRO: What survival data do you
5 have?

6 DR. CAMARDO: The trial is just being --
7 the manuscript is just being written. I think the
8 data have been presented but not published yet. Do
9 you want to know something specific?

10 DR. SHAPIRO: Do you have anything in
11 terms of outcomes on patient and graft survival?

12 DR. CAMARDO: Patient and graft survival
13 was equivalent in the groups at the end of one year.
14 The efficacy results are a little more complicated.
15 When we looked at the rejection rates read by the
16 local pathologist they were in the mid-20s for
17 cyclosporine and the high-30s for Rapamune™. All of
18 those were sent to a blinded pathologist. The rates
19 all came back in the high-20s and so we're in the
20 process of working that out. But there was no
21 significant difference between patient and graft
22 survival at one year in that study. It was a small

1 number -- 178 patients. It should be available soon.
2 Is that sufficient or do you want to see data?

3 DR. SHAPIRO: I have a follow up. What
4 about withdrawals?

5 DR. CAMARDO: Yes, there were a couple of
6 cases of, I think of leukopenia and pharmacytopenia
7 that caused withdrawal. It was a little less well
8 tolerated in that respect but I believe that was the
9 only major complication that was observed that could
10 be attributed to the interaction.

11 CHAIRMAN MASUR: Suthan.

12 DR. SUTHANTHIRAN: The creatinine levels
13 in patients who were treated with sirolimus alone as
14 compared to combination therapy, in a similar fashion
15 did you have a chance -- you probably looked at the
16 lipid levels also in patients who were treated with
17 sero limits alone, cholesterol and triglycides. Were
18 there abnormalities in patients who were treated with
19 monotherapy with sero limits as compared to patients
20 who were treated a combination?

21 DR. CAMARDO: Well, the lipids are
22 elevated in patients treated with sirolimus alone. It

1 does appear that when you remove the other
2 complicating factor, which is cyclosporine, it's a
3 little bit easier to manage the cholesterol. And if
4 you -- and you can also manage the triglyceride
5 elevation as well for sirolimus.

6 But in fact, it's very clearly dose-
7 related. When we looked at this in the sirolimus
8 patients it's clearly worse at the higher doses. When
9 we looked at it in the renal transplant patients, as
10 the dose was reduced from month-1 to month-3 the
11 triglyceride levels in particular continued to fall
12 and became easier to manage.

13 The means do not go back to normal in any
14 of the patients but they come much closer when you
15 remove some of the other exacerbating effects with
16 sirolimus alone. So I mean, I guess my conclusion is,
17 and the data support it, that it would be somewhat
18 easier to manage the lipid effects of sirolimus if
19 there weren't complicating factors.

20 DR. SUTHANTHIRAN: In terms of the high
21 cholesterol, their data in terms of HDL versus LDL, do
22 we know in terms of --

1 DR. CAMARDO: Yes, we do. We actually
2 have data from the psoriasis portion in which it was
3 very clear that LDL, VLDL and HDL were not
4 (Inaudible.) From a metabolic study that we're
5 currently doing with our colleagues at Baylor we
6 actually have a more lipid subfraction analyses than
7 I'm sure you care to see, but if you'd like I can ask
8 one of our consultants to review that a bit. It's up
9 to you.

10 But the LDLs are elevated, the VLDLs are
11 elevated, the HDLs are unchanged. And interestingly
12 enough, if you look at the triglycerides and stop the
13 Rapamune™ in about 72 hours or six days, the
14 triglycerides come back to normal. This was a
15 contrived situation. These were patients who already
16 had had lipid elevations; were given a high dose for
17 the specific reason of looking at lipid metabolic
18 parameters.

19 So we do know a little bit about what's
20 going on here. I think the key thing is that it looks
21 as though clearance of the LDL and VLDL remnants are
22 delayed. But HDL doesn't seem to go down, which is

1 good.

2 CHAIRMAN MASUR: Does anyone feel strongly
3 that they have to see that data? Well I guess we'll
4 pass for the moment. Anyone else? Dr. Mann.

5 DR. MANN: Have you any data beyond 12
6 months as to what happens to the GFR?

7 DR. CAMARDO: No, we don't have it.

8 CHAIRMAN MASUR: Larry.

9 DR. HUNSICKER: I actually have several
10 minor I think, short questions. First of all, with
11 respect to the potential interaction of cyclosporine
12 and rapamycin on GFR, you've suggested two possible
13 explanations. One is that the cyclosporine doses may
14 simply have been higher because they couldn't have
15 been reduced. But the other that you just referred to
16 on running is that there may actually be an
17 interaction.

18 Since you can't really look at this very
19 cleanly in humans I was wondering whether you have
20 actually had the opportunity to look in animals at the
21 impact of RAPA added to cyclosporine when the
22 cyclosporine is being given parenterally, or at least

1 you make sure that the levels are comparable, to see
2 whether there is a renal effect of RAPA in combination
3 with cyclosporine that is not attributable to the
4 level of cyclosporine?

5 DR. KAHAN: Larry, at the AST meeting in
6 May, Herman Potter, one of our graduate students,
7 presented this data, and what he found was that --
8 well, there was a previous observation that we found
9 which was that whole blood levels did not reflect
10 renal tissue levels of rapamycin very well.

11 And indeed, the pharmacokinetic
12 interaction was exacerbated in renal tissue where the
13 renal tissue level was very high, even relative to the
14 whole blood level. And I think this is quite
15 consistent with the proclivity of this drug to
16 partition into tissues.

17 When we did a median effect analysis which
18 accounted for a concentration in kidney, we found that
19 the interaction wasn't even additive. So there was no
20 effect of sirolimus above the effect of cyclosporine
21 by itself.

22 Now, in Bill Bennett's previous work as

1 you may recall, they gave the drugs intramuscularly.
2 They measured trough level concentrations which were
3 extremely high, but they didn't measure kidney
4 concentrations and they didn't correct the results for
5 the increase in trough concentrations with the
6 combination.

7 So we feel that appropriate dose
8 concentration control will mitigate this effect based
9 on the rat model.

10 DR. HUNSICKER: Okay, so to summarize
11 that, at least in your fellow's case, once he had
12 corrected for tissue levels of cyclosporine there was
13 no effect of rapamycin?

14 DR. KAHAN: Exactly.

15 DR. HUNSICKER: Okay, the second question
16 is a practical one related to the timing of the dose
17 of rapamycin versus cyclosporine. Compliance goes
18 down when you have to separate doses as you well know.
19 It looked at though one could achieve a similar effect
20 by giving a slightly lower does together because the
21 effect was relatively consistent.

22 Do you want to comment of whether this is

1 a reasonable extrapolation from your data, and do you
2 have any data on this?

3 DR. CAMARDO: I would agree that's a
4 reasonable extrapolation of the data. I think it's
5 also reasonable to give the same dose simultaneously.
6 But that would take a long time to demonstrate why
7 because of all the therapeutic monitoring that we had
8 to do in Phase III --

9 DR. HUNSICKER: I'm more interested in the
10 -- that one does not have to insist in the labeling
11 and in the education that these must be kept
12 separately because this will markedly, adversely
13 affect compliance.

14 DR. CAMARDO: The short answer is that
15 we're doing a study to see that we can make sure that
16 compliance isn't an issue with the dosing separation.
17 But unfortunately on the basis of these results I can
18 only recommend what we did.

19 DR. HUNSICKER: No, I understand that.

20 DR. CAMARDO: I want to remind you that
21 RapamuneTM is very linear, sirolimus is linear with
22 dose, and one could extrapolate reasonably, easily I

1 believe, around -- you know, to make it easier on the
2 patients.

3 DR. HUNSICKER: A third question really
4 goes to whether at least your book here is suggesting
5 we may be going in the future, which is lower doses of
6 cyclosporine in order to reduce renal toxicity. One
7 of the concerns is if you're -- you have a very wide
8 variability in bioavailability from individual to
9 individual. And if one is using both low cyclosporine
10 dose and you happen to trip across an individual who
11 is one of the low absorbers, one might get into
12 trouble.

13 The question that I have is since there is
14 fair consistency between the trough level and the area
15 under the curve and at least some modicum of
16 consistency within patient over time, would it be a
17 reasonable thing to think that one might want to check
18 the trough level at least once after achieving a
19 steady state to make certain that one is in the
20 therapeutic range?

21 DR. CAMARDO: Actually, that sounds like
22 a quote from the current version of the labeling that

1 we were discussing. But it would be reasonable to
2 check a trough level, which makes it more convenient,
3 obviously. Especially in circumstances where the
4 dosing of cyclosporine is being changed. We will
5 advocate that.

6 But my point is that when given with full
7 dose cyclosporine the variability is relatively
8 inconsequential. But as soon as the cyclosporine is
9 lowered or removed remonitoring would be required.
10 What we think currently is that monitoring would be
11 less difficult to do in terms of just the trough
12 level, and it wouldn't be needed as frequently because
13 the half-life is much longer.

14 Now, I see my colleagues have put up a
15 slide here. I don't know if we want to show this, but
16 you've asked a couple of questions that we could
17 answer, but this is not data in the NDA and I want to
18 make sure -- I mean, you've obviously said it's okay.
19 I just want to make sure we can review this. I think
20 you've seen it. Is that okay?

21 Briefly, I'll take a minute. You
22 mentioned the question of the interaction of

1 cyclosporine with rapamycin, with Rapamune™,
2 sirolimus. I tried to make the point we don't really
3 know what is happening here in patients but the only
4 way we could test it is to test the hypothesis that it
5 is related to cyclosporine.

6 We have two studies going on now: one
7 with 200 patients, one with 550 patients. And we have
8 reduced those data from Study 203. I want to quickly
9 do this. It will address your question. Could you
10 run through these slides for me? Just show the next
11 one.

12 This is a study in which patients are
13 randomized to standard dose cyclosporine in 2 mg of
14 Rapamune™. This is just like the Phase III program.
15 In this group patients only get cyclosporine therapy
16 for three months and then they get concentration
17 controlled Rapamune™. We're looking at graft loss
18 acute rejection and patient survival, but we're also
19 looking at creatinines at six months.

20 Quickly, this is the first time we've ever
21 shown this. This is the mean creatinine at six months
22 for the dose group. That's identical to the

1 Rapamune™ Phase III program. Creatinine is 1.69.
2 For a small number of patients the creatinine is 1.36
3 after cyclosporine withdrawal. This is the
4 significance.

5 More impressive is the fact that in the
6 next slide these study sites did actual, real
7 measurements of glomerular filtration and they're
8 clearly higher. It doesn't reach statistical
9 significance but the trend is going in the right
10 direction.

11 If you keep going, the next slide shows
12 this is the Phase II dose ranging study from 203. I
13 went through that very quickly. We decided to use
14 standard dose cyclosporine but if you look at the
15 creatinine measurements, here is micromole, here is
16 mg/dL. For the control group at 12 months it's 142.
17 At the one mg/m² dose of Rapamune™ that's about 2 mg.

18 Creatinines were higher in the standard
19 dose versus the reduced dose group and that's also
20 true for the Rapamune™ 3 mg/m². For obvious reasons
21 we didn't look at the Phase III. The obvious reason
22 is mostly related to blinding and the other issues

1 that are related to clinical trials and their demands.

2 But you know, these data -- any piece of
3 data in and of itself is probably not as convincing as
4 the weight of the evidence that seems to be coming
5 together. Do we have any other slides here? Another
6 one?

7 And this is a single center experience in
8 patients converted from cyclosporine to Rapamune™.
9 The mean creatinines were decreased. These were
10 patients in whom there was some cyclosporine toxicity.
11 If you go to the next slide it goes this graft over
12 time: creatinines from six months prior to
13 conversion, the conversion to Rapamune™, and then the
14 gradual decrease in the creatinines over the next 12
15 months.

16 These patients tolerated Rapamune™ at
17 these doses. The side effects had to be managed
18 obviously, but the effect on the kidneys of
19 cyclosporine seemed to have been eliminated by the
20 transition. I've probably said more than I should on
21 that, but this is -- I mean, these are the data
22 currently.

1 DR. HUNSICKER: The final question if I
2 can is, I'm intrigued by the fact that hyperkalemia
3 was less common in the RAPA patients fairly
4 consistently. In the face of other things suggesting
5 more cyclosporine effect which would be expected to go
6 the other way, this raises the question of whether
7 there is an effect on potassium handling of rapamycin.
8 Does it induce akaleuresis?

9 DR. CAMARDO: I can state unequivocally
10 that there is an effect on Rapamune™ on potassium
11 handling, and there are some handling of other
12 electrolytes that are consistent with some tubular
13 effect of Rapamune™. It actually is good when you
14 use it in combination. On its own when we used
15 Rapamune™ at higher doses patients did in the early
16 phases, require some potassium supplementation. It
17 was a bit of a surprise but it's consistent with the
18 tubular effect.

19 DR. FIRST: Just one quick question. In
20 terms of concomitant drug administration, what about
21 the other calcium channel blockers and the anti-
22 epileptics? Do you see a similar effect dependent on

1 their effect on the cytochrome oxidases then as you
2 see with cyclosporine?

3 DR. CAMARDO: You know, I'm going to defer
4 -- Jim? While I didn't study it you would expect the
5 calcium blockers to behave like diltiazem, which is
6 one we studied. And in fact, we did an extensive
7 series of in vitro cytochrome for 50 enzymes, and it
8 looks as though 3A4 is the major one that's affected.
9 So I think that gives us the information to
10 extrapolate to other drugs. That's what my colleagues
11 are telling me.

12 CHAIRMAN MASUR: Let me go back and then
13 coming back to Darrell. Go ahead.

14 DR. SHAPIRO: (Inaudible.)

15 DR. CAMARDO: No, I don't. And I could
16 ask one of my surgical colleagues to comment on that.
17 I mean, we've been glibly ascribing it to an effect on
18 the wound healing of the internal -- you know, the
19 wounds internally. But I -- you know, Barry you stood
20 up. You ought to address this.

21 DR. KAHAN: There is no question that
22 there is an increased incidence of lymphocele and this

1 was observed very early. Fortunately, the majority of
2 cases respond to just catheter drainage
3 percutaneously; don't recur and don't require surgical
4 intervention.

5 You know, we normally attribute the lack
6 of a lymphocele to sealing of the lymphatics around
7 the iliac vessels and it's possible that some of the
8 growth effects just empower that sealing of the
9 lymphatics. But it doesn't constitute a serious
10 clinical problem.

11 DR. MANN: In your lethal presentation you
12 showed us some Phase II trial data that suggested we
13 reduce the dose of cyclosporine; that much of the
14 beneficial effect in terms of reduction of acute
15 rejection was lost, particularly in the Black
16 population.

17 You've just shown us some additional data
18 regarding --

19 DR. CAMARDO: The renal function, right.

20 DR. MANN: -- that renal function
21 improves, but are you finding that when you reduce the
22 dose of cyclosporine that in these studies you're

1 seeing data similar to what you showed us in the
2 initial presentation; which is to say that you're
3 having a higher rate of acute rejection?

4 DR. CAMARDO: Well, I think we're being a
5 lot more careful, first of all with the -- I mean, we
6 learned in Phase II how to deal with RapamuneTM and
7 cyclosporine in Black recipients. We didn't know that
8 a priori. If you recall that slide, in non-Black
9 recipients reduced dose cyclosporine is equally
10 effective with standard dose cyclosporine.

11 Unfortunately, those analyses are
12 complicated by the fact that the numbers in patients
13 get very small. The short answer is that we're not
14 seeing any issues when we reduce cyclosporine but
15 we're doing it after the first three months. So we're
16 taking a conservative approach in the earlier phases
17 when rejection risk is highest and then we're reducing
18 later on.

19 But it seems to be working. I hate to be
20 talking about data that's in process because we're not
21 really here to discuss that. But even in Phase II in
22 non-Black patients cyclosporine was effective in

1 combination with Rapamune™. It was really the Black
2 recipients who didn't tolerate the reduced
3 cyclosporine.

4 CHAIRMAN MASUR: Darrell.

5 DR. ABERNATHY: Yes, I'm trying to
6 understand the synergism between cyclosporine and
7 rapamycin in particular. Both these drugs are
8 substrates for CYP3A4 and are apparently both binding
9 to P-glycoprotein which I think has not been
10 sufficiently explained to me at this point.

11 The first question would be, what are the
12 K_D s or K_I s of cyclosporine versus sirolimus for P-
13 glycoprotein?

14 DR. CAMARDO: Jim, you're shaking your
15 head. Does that mean you don't know or you --

16 DR. ZIMMERMAN: I don't have that data --

17 DR. CAMARDO: Stand up so I can hear you.
18 And it really has to get on the record. So why don't
19 you just come up? I'm afraid the answer is, we don't
20 know. But I'm not --

21 DR. ZIMMERMAN: Basically, we did
22 substantiate the number of drug interactions. Some of

1 these drugs served as both substrates of CYP3A4 and
2 PGP and I'd just like to put up slide B-42, PKZ.

3 CHAIRMAN MASUR: For the record, could you
4 state your name?

5 DR. ZIMMERMAN: Yes, James Zimmerman. I
6 am the Clinical Pharmacokineticist on this project for
7 over seven years so a lot of the investigators know
8 me.

9 As you can see here we did document for
10 all the drugs that we studied, that they were either
11 a substrate for 3A4 and also PGP, or just one or the
12 other. We have a reference for each one of these so
13 it is documented.

14 Now in terms of actually giving you a K_i
15 for the interaction I don't have that. Obviously
16 these studies were done from all different types of
17 sources of biological material and so I don't even
18 know if that information -- you know, if we were to
19 compare, whether they would be relevant for the two
20 drugs.

21 DR. CAMARDO: Joanne, can you answer that
22 from animal studies? Is that -- I'm sorry to cut you

1 off but I saw our metabolism person here.

2 CHAIRMAN MASUR: Can you identify yourself
3 please?

4 MS. SCOTINA: Joanne Scotina, Drug
5 Metabolism. Slide 29. We've done some studies, or
6 studies have been conducted using human liver
7 microsomes where we've looked at the inhibitory rate
8 constants with regard to the potential for inhibition
9 of cytochrome P4503A for dependent metabolism of
10 Rapamune™.

11 So what's being looked at here is whether
12 any known 3A4 substrates have the potential to inhibit
13 Rapamune™ metabolism. And in fact, K_I values have
14 been determined and they range from 10 to 120
15 micromolar. And on the second bullet it's indicated
16 that clinical relevancy -- that is, whether these
17 effects are likely to extrapolate into the clinic --
18 is dependent on the systemic circulating
19 concentrations relative to the inhibitory K_I values.

20 And indeed, we see that for drugs such as
21 ketoconazole where the K_I value is low which is an
22 expected finding because it's a potent inhibitor of

1 3A4, but not nifedipine which has a higher K_i value,
2 there was an increase in Rapamune™ whole blood AUC in
3 healthy subjects.

4 DR. ABERNATHY: I still want to come back
5 to the thought of a combined PGP inhibitor and CYP3A4
6 inhibitor to better understand, because ketoconazole
7 obviously blocks both of those processes, not just
8 3A4. So I guess with regard to the prediction I was
9 a little surprised that there weren't some clinical
10 interaction data with the drug like erythromycin, for
11 example.

12 Because I'm trying to not isolate on, this
13 is a drug that appears like it's a CYP3A4 inhibitor.
14 It's a drug that has a complicated, both PGP and 3A4
15 effect. And the concern is, how important will that
16 be when it's co-administered with other drugs that are
17 either inhibitors or are going through both of these
18 processes?

19 That's kind of a partly question, partly
20 statement.

21 DR. ZIMMERMAN: Yes, Darrell. When we
22 chose to investigate the interacting drugs, certain

1 drugs were just taken as a given. We expect
2 interaction with erythromycin. We chose the strongest
3 inhibitor. I think usually you chose the strongest
4 inhibitor and the strongest inducer and that's just
5 what we did in these studies.

6 We couldn't study them all. But I'm of
7 the opinion that you really can't tell what's going to
8 happen with the 3A4 PGP combination. I'm not even
9 sure that in vitro studies can help you predict what's
10 going to happen. I think they can give you an idea,
11 but as far as I'm concerned you just can't predict it.

12 However, if a drug is known to be a fairly
13 strong inhibitor, like erythromycin and cyclosporine
14 and ketoconazole, I think those will come across. You
15 just don't know the quantitative degree -- you don't
16 know the degree to which they would be inhibitors.

17 But I would expect -- like I said, I
18 expect effect for erythromycin for other drugs which
19 are not either strong inducers or strong inhibitors.
20 You really don't know. You just have to do the
21 experiment to find out.

22 DR. ABERNATHY: One last query, a little

1 along the same line. You presented, there was a
2 synergistic effect between rapamycin and cyclosporine.
3 If you correct for AUC rather than simply looking at
4 dose, is that a pharmacokinetic synergism or do you
5 think there's a pharmacodynamic synergism as well?

6 DR. CAMARDO: This is another question
7 that could be answered with more data. Is that okay
8 to show a little more data? Dr. Kahan has a few
9 slides; five slides, maybe.

10 I mean, this typically addresses the issue
11 of a pharmacodynamic synergy related to concentrations
12 of rapamycin, sirolimus, and cyclosporine that was
13 performed in the Phase III studies, and Dr. Kahan can
14 present it in about two minutes. So you'll be able to
15 see that there's actually a dynamic interaction, not
16 just a kinetic interaction.

17 DR. KAHAN: B-73; my B-73. As you know,
18 the way in which -- or, the reason why I got
19 interested in the development of rapamycin was because
20 based on the model of the median effect analysis we
21 identified rapamycin as the only one of the available
22 immunosuppressive agents that act synergistically with

1 cyclosporine.

2 So it was of course, important to see
3 whether or not we could extend that observation to the
4 clinical data. And basically as you see here, what we
5 have here is the median effect equation which is on
6 the left-hand side, which basically is interpreted in
7 this analysis as the fraction of people full of
8 rejection over the fraction of patients who have
9 rejection at a given concentration X.

10 It is equivalent to that concentration X
11 over the median concentration, or 50 percent of
12 patients free of rejection to an arbitrary power M.
13 This equation was first described by Chew and Talloway
14 and has been extensively used for anti-virals,
15 antibiotics, and immunosuppressives. And this is the
16 logarithmic conversion.

17 And since we had a protocol stipulated, a
18 trough concentration of neoral, and since we had
19 concentrations that were being measured surrounded by
20 protocol, we measured concentrations every day for the
21 first five days; once a month and then for one month,
22 3, 4, 6, 12. And we used those concentrations and

1 incorporated them into the model.

2 And this particular plot shows the
3 cyclosporine concentration, of course on the log
4 scale, versus the fraction of patients who are free of
5 rejection versus those with rejection on a log scale.

6 The line on the right-hand side shows the
7 data from the two control groups; namely the ones that
8 got placebo or azathioprine. The line on the left-
9 hand side shows the line from the patients that are in
10 the treatment group.

11 And what you can see there is that the 90
12 percent reduction level -- 90 percent of patients free
13 of rejection -- that there's a 2.2-fold cyclosporine
14 trough level necessary to achieve freedom from
15 rejection.

16 Now if we look at sirolimus, we took the
17 data that was in that 207 study and even though that
18 study which used sirolimus, azathioprine, and
19 prednisone was small, the data fit or the equation fit
20 the data very well, and the correlation coefficient
21 was 0.97.

22 Now, this shows the sirolimus,

1 azathioprine, and prednisone line when sirolimus was
2 combined with cyclosporine at the 90 percent effect
3 level. Again, we had a reduction by about 5-fold.

4 So in the next slide which summarizes --
5 well actually, this just shows you the values for
6 Rapamune™ 90 percent free. In the presence of
7 cyclosporine 13.5 ng/mL; in the absence, 61.5.
8 Cyclosporine in the absence of Rapamune™ 509; in the
9 presence, 231.

10 And then we use the combination index
11 equation also devised by Chew with the concentration
12 when one is used in the presence of the other with the
13 concentration of that drug alone, plus the
14 concentration -- the second combination versus the
15 concentration of that drug alone.

16 Same that if we had a value that was less
17 than one with adjusted synergism. And the next slide
18 summarizes that calculation. Again, we have the 241
19 and 509 with the 13.5 and 61.5, and the end result is
20 0.65. which shows the combination index less than one
21 and suggests from our clinical data that we have
22 synergy, although this will have to be tested in a

1 prospectively designed study.

2 Thank you.

3 CHAIRMAN MASUR: Steve?

4 DR. PIANTADOSI: Thank you. I have two
5 questions. The first has to do with the sample sizes
6 for this study. The original design specifications as
7 I understand them, did not require a sample size as
8 high as that which was used in either study, including
9 the overadjustment for the Type 1, the restricted Type
10 1 error.

11 Can you tell us a little bit about how the
12 decision was taken to increase the sample size from
13 the original specification to the final number?

14 DR. CAMARDO: They were really just
15 practical considerations. The one was the need for
16 additional safety data above and beyond the efficacy
17 requirements. And the second one was the inability to
18 really stop a study the day you find out you have
19 enough patients. You always need additional time.
20 The combination of those two gave us the number.

21 But the real driver was the safety. I
22 mean, in particular we were not -- I mean, I don't

1 know if you're interested in it but I'll just answer
2 it. We were not looking at the data to see what was
3 going to happen. It was blinded and we just decided
4 we needed about 1,000 patients on Rapamune™ to start
5 with.

6 And rather than enrolling another study we
7 just expanded the size of the one study. That's what
8 happened.

9 DR. PIANTADOSI: So those decisions were
10 made near the planned end of the original study?

11 DR. CAMARDO: They were made about -- they
12 were actually made in advance of the decision to start
13 enrolling -- the actual number. But it was -- again,
14 I want to emphasize again, it was based on safety.
15 And then as time went by we realized how people were
16 enrolling and which study centers had started. We
17 terminated the study when we thought we would have
18 about 1,000 patients on Rapamune™. That's what
19 happened.

20 DR. PIANTADOSI: Thank you. My second
21 question deals with the analyses. You analyzed a
22 number of factors in the presentation as well as in

1 the written report that affect the primary efficacy
2 failure endpoint. And those included things like
3 which study you were on, the treatment, the dose,
4 race, mismatched donor origin, and so on.

5 And the many analyses were presented of
6 those factors individually or one at a time. Did you
7 perform any analyses looking at those factors
8 simultaneously in an attempt to sort out the relative
9 importance and any interactions between them?

10 DR. CAMARDO: Yes, we did, and I showed
11 that data. But I think the results showed that really
12 the major effects on efficacy failure related to
13 treatment and HLA mismatch. Those were the major
14 effects, and I believe race was in there as well.

15 Robert, I've got to ask you to help me on
16 this one, though.

17 DR. GOLDBERG: I'm Robert Goldberg. In
18 that analysis if I understand correctly, race was
19 entered and did not prove to be significant.

20 DR. CAMARDO: Okay, so it was treatment in
21 HLA mismatch?

22 DR. GOLDBERG: That's correct.

1 DR. CAMARDO: Thanks, Robert.

2 CHAIRMAN MASUR: Bob.

3 DR. WOOLSON: Back to the sample size
4 question. Insofar as you knew that the Blacks were a
5 high risk group to begin with, did you have target
6 number of Blacks for this study that was based on
7 power considerations?

8 DR. CAMARDO: No, I wish we had, but in
9 fact, we did not.

10 DR. WOOLSON: And back to the sub-group of
11 the Black population. If I'm reading the data
12 correctly the recommendation for Blacks at the 5 mg
13 dose is largely based on approximately 100 patients:
14 60 or so in the 5 mg and 40 in the azathioprine -- at
15 least from Study 301.

16 And I was wondering if you could just give
17 us an overview or give me an overview of the safety
18 profile for those individuals -- the 60 and the 40?

19 DR. CAMARDO: Yes, actually the -- I mean,
20 the safety analyses have the same disadvantages of the
21 efficacy in that there is a small number of patients.
22 But what I did mention is that graft survival were

1 good in those patients who had above 90 percent.
2 There was no decrement.

3 There were no lymphomas or PTLD in those
4 patients suggesting that the additional
5 immunosuppression was tolerated. The rate of serious
6 infections, sepsis in particular, was no different for
7 the 5 versus the 2 mg dose in Black patients. The
8 rate of CMV for example, was extremely low because a
9 lot of the Black patients were at low risk for CMV
10 anyway.

11 So we couldn't really tell for sure but
12 there was nothing very big that appeared to make this
13 a high risk proposition for serious infection.
14 Actually, these are shown here just to illustrate what
15 I said. In the Black patients the rate of CMV is
16 actually zero. With the non-Black recipients, I
17 believe in the reviewer's -- Dr. Tiernan's analysis in
18 the FDA's review she'll point out that a lot of these
19 were low-risk patients anyway.

20 But the numbers are the numbers. They
21 were higher in non-Black patients. Sepsis is lower in
22 the azathioprine group but not really different for

1 non-Black patients versus Black patients in the 5 mg
2 group. These rates, as percentages they're relatively
3 low.

4 The only other information we have is that
5 when you look at a category called treatment failure,
6 which is withdrawal or efficacy failure, it actually
7 looks as though the 5 mg dose has a lower rate of
8 treatment failure for Black recipients, which I
9 believe represents the efficacy rate as lower and the
10 number of discontinuations is a little bit lower.

11 If you look here at the treatment failure
12 rate for Black patients versus non-Black patients
13 there is no difference here in azathioprine. If you
14 look here it's 49 percent for the 2 mg group
15 reflecting a low efficacy failure rate, but actually
16 37 percent for the Rapamune™ 5 mg group in terms of
17 withdrawals or efficacy failure.

18 I mean, we infer that this is somewhat
19 better tolerated and the only other bit of data we
20 have are the triglyceride and cholesterol measurements
21 which are shown -- I'm sorry -- on the previous slide.
22 Again, these are small numbers but the mean

1 cholesterol for example, in 22 patients followed for
2 one year, Black patients was not really even any
3 different from the cholesterol azathioprine group.
4 The triglycerides are elevated.

5 There's no way I can get around the fact
6 that the numbers are small. And the result was a bit
7 of a surprise. I mean, we weren't sure what would
8 happen so we respectively stratified. When it came
9 out in the analysis we've been, as you'll see, been
10 dealing with this over the last year to figure out
11 what it actually means.

12 DR. WOOLSON: Thank you.

13 DR. CAMARDO: You're welcome.

14 CHAIRMAN MASUR: Courtney.

15 DR. FLETCHER: My first question is about
16 the confidence with which we can conclude that 2 mg
17 per day is the optimal dose of rapamycin for most
18 patients. Page 62 of the briefing book you talk about
19 a pharmacodynamic analysis where you show that the
20 optimal concentration of rapamycin combined with
21 cyclosporine is between 10 to 15 ng/mL.

22 In the work that Dr. Kahan just discussed,

1 this same concentration of 10 ng/mL came out in that
2 analysis. The average trough concentration achieved
3 with a dose of 2 mg/day however, is 8.6 ng/mL. In
4 fact, you have in most patients concentrations below
5 10 ng/mL.

6 DR. CAMARDO: That's really an excellent
7 question. Let me go back just to basics. The best
8 data that the 2 mg dose is optimal I think really are
9 that the results are statistically significantly lower
10 in the two studies when the fixed dose was used.

11 I mean, I can't get away from that; that's
12 the basic data that we have. Now, the therapeutic
13 monitoring that we did is (Inaudible.) and I think
14 that the simplest conclusion is that in fact, when you
15 look at the rejection rates above and below, for
16 example, 8 ng/mL, there are still patients at 5 ng and
17 4 ng who do not have rejection.

18 What I believe is that we're seeing the
19 beset rejection rate which is better than cyclosporine
20 alone with a fixed dose. I can't stand up here and
21 tell the community where we know what pharmacodynamics
22 means, that one couldn't hopefully improve that rate

1 if you could bring some of those patients who are poor
2 absorbers up into the higher range.

3 And indeed, I expect that to happen over
4 time. But frankly, we're not ready to make a
5 recommendation yet because we don't really know if
6 it's 8, 10, 15, or 20, and we're still within a wide
7 range. I mean I -- you know, your question is exactly
8 correct and you've inferred, you know, the appropriate
9 steps I think.

10 But right now I don't want to discount the
11 fact that in blinded studies with a fixed dose we got
12 a very significant result that we can rely on and that
13 we believe -- you know, in practice this dose will be
14 acceptable for most patients.

15 DR. FLETCHER: What of the availability of
16 an assay to measure concentrations in the community?

17 DR. CAMARDO: We've been working for
18 several years. We have a very good IMX-based assay
19 that is sensitive and specific enough, doesn't
20 interact with the metabolites to any great extent, is
21 reliable, reproducible, satisfies all the criteria.

22 Our colleagues, the company that makes

1 that assay, are currently in the stages of the same
2 negotiation as we are to have it approved and
3 marketed. We're doing everything possible to cut down
4 the time between the availability of Rapamune™ and
5 the availability of the assay.

6 We have a number of interim solutions that
7 we believe will be useful. But eventually, hopefully,
8 over the short-term rather than the long-term,
9 physicians will have an assay in place and we'll be
10 able to make better recommendations.

11 DR. FLETCHER: Just one last question --
12 actually a drug interaction question. You showed the
13 possibility of using statin cholesterol lowering drugs
14 or fibrate drugs in combination. Do you have any
15 information on drug-drug interactions between those
16 compounds and rapamycin?

17 DR. CAMARDO: We have a study performed
18 with atorvastatin which we chose because it seemed to
19 be the one most comparable. There is no interaction
20 either way. It doesn't interact. I could show that
21 but I think I've shown enough slides already for the
22 morning.

1 These data aren't in the NDA so they
2 haven't actually been reviewed by anyone on our
3 counterparts at FDA. But the simple conclusion is
4 that the ratios of sirolimus and sirolimus plus
5 atorvastatin are unaffected by the addition of
6 atorvastatin. And if you look at the plots of
7 atorvastatin with and without sirolimus you don't see
8 any difference. So they don't elevate the
9 atorvastatin concentrations.

10 I expect that we'll be doing some kind of
11 a fibrate study as well. We don't have that data yet.

12 CHAIRMAN MASUR: Jim.

13 DR. LIPSKY: Yes. Some questions on
14 again, the rationale for the one-dose-fits-almost-all
15 phenomenon. In the brochure you provided you stated
16 that -- this is on page 20 -- or someone stated that
17 intersubject normalized oral dose clearance varied
18 sevenfold. And so it seems there's a great deal of
19 variability here.

20 So am I understanding the rationale for
21 the one dose is -- the 2 mg dose for virtually
22 everybody is that it simply worked in most people? I

1 mean, were you tying your hands behind your back?

2 Could it have worked much better if it
3 were concentration controlled? Although you state
4 other times that concentration control didn't seem to
5 matter. Yet the Chew-Talloway plots seemed to say
6 they did. So what's going on here?

7 DR. CAMARDO: I mean, I hate to trivialize
8 the results to say it just worked, but in fact, it
9 just worked. But remember, we didn't tie our hands
10 behind our back to make it more difficult. We decided
11 not to do concentration monitoring because we chose
12 blinding as a more important criteria than
13 concentration control.

14 And so we were forced to use a fixed dose.
15 I mean, you can think about running a study with
16 unblinded third party observers but to do that for a
17 year is very difficult. So we did the best we could
18 to pick a dose. Now, I believe that -- well, I'm
19 sorry, I lost my train of thought.

20 Over time I believe that physicians will
21 learn to compensate for the variability in Rapamune™
22 but the fact is that the fixed dose really worked.

1 Had we gone to a higher dose it might have worked, but
2 had we done concentration control in these studies, I
3 frankly can't predict what would have happened.

4 It might have been better but frankly I
5 don't know that. And you're proposing really, the
6 idea of comparing a randomized study with
7 concentration control versus 2 mg of Rapamune™. I
8 frankly don't know what the results would be if we do
9 that.

10 DR. LIPSKY: No, I'm not necessarily
11 suggesting that. I might suggest that maybe basically
12 one would say gee, levels associate with an effect but
13 go for that level and just sort that out initially.
14 You know, what is the dose response relationship. And
15 I think you sort of imply that. You now have a
16 recommended dose for high-risk patients, mainly
17 African-Americans, that says it would be 5 mg. Well,
18 is there a kinetic rationale for that dose, or did it
19 also just work?

20 DR. CAMARDO: No, there's not a kinetic
21 rationale. I mean, what happened in the study was
22 that the efficacy failure rate was reduced at 5 mg but

1 as I showed you in Black patients the pharmacokinetics
2 is identical, the troughs were exactly the same,
3 there's no difference in the trough; how you see
4 relationship with Black patients.

5 DR. LIPSKY: But is it the trough that
6 counts? You also said interesting things about the
7 kinetics. You said steady-state or presented; that
8 steady-state was achieved in one week. You either had
9 a half-life of -- or that 90 hours or 62 hours. So I
10 presume that half-life does not account for much of
11 the elimination of the drug? Or what's going on here?

12 DR. CAMARDO: The half-life there was
13 based -- that one statement was based on patients
14 receiving the dose twice a day. In fact, we're using
15 loading doses for every patient now. We have
16 eliminated waiting for the steady-state to be reached.
17 So every patient is getting a loading dose of
18 Rapamune™.

19 DR. LIPSKY: And the loading dose is based
20 to achieve a theoretical steady-state or --

21 DR. CAMARDO: To achieve the theoretical
22 steady-state for that dose of Rapamune™ in the

1 population -- it was just based on a calculation of
2 the clearance and the volume steady-state
3 distribution.

4 DR. LIPSKY: So if you had like a patient
5 who weighed 60 kg and another weighed 120 kg, you
6 thought about recommending the same dose for both
7 those patients?

8 DR. CAMARDO: Well, just let me see the
9 slide you're showing me here. In fact, we are, and
10 the reason we are is because we never adjusted by
11 weight, we adjusted by body surface area so it doesn't
12 change that much.

13 If you look at the basis for the oral
14 dosage and mg/m^2 for one mg/m^2 , 3 or 5, basically what
15 we did here is calculated what would happen if this
16 patient received the 2 mg dose or 5 mg dose, and then
17 moved it over to this line. And what happens is, you
18 would just sum things up a little bit; some patients
19 would go down. But around the body surface area of 2
20 m^2 , the dose is so small that it doesn't make much of
21 a difference. In fact, the clearance is more of a
22 variable than the body surface area.

1 So the short answer is yes, we're
2 comfortable with using this dose; based on these
3 calculations and based on the fact that it was proven
4 to work in Phase III.

5 DR. LIPSKY: And toxicity was not level or
6 dose-related in any way? Well, you computed that 5 mg
7 had greater toxicity than 2.

8 DR. CAMARDO: No, there's no question that
9 the side effects are dose-related. This is true for
10 the lipids, the cholesterol, for some of the other
11 side effects like arthralgia. Again, we didn't tie
12 our hands behind our back but we did blinded studies
13 and so we were forced to ask those doctors to reduce
14 the medication based on clinical effects. That
15 appeared to work.

16 Now, there are clearly dose-related side
17 effects, and those will have to be managed by dose-
18 reduction or elimination if they occur. But they
19 appear in the studies to be manageable without
20 knowledge of the actual drug level.

21 DR. LIPSKY: So if you were asked to give
22 a therapeutic index for this drug, could you?

1 DR. CAMARDO: I can give a target range.
2 And in fact, we've been working on that and it's a bit
3 of a wide range and it's one you've probably seen for
4 other drugs like this: between about 5 and 25 ng/mL.
5 But can I tell you what would happen if we did a study
6 that was targeted? I still can't answer that question
7 -- I can't answer the question -- what would happen if
8 we did a target concentration controlled study
9 instead.

10 Now, we have done that. I mean, I don't
11 want to seem like a Philistine here, not interested in
12 therapeutic drug level monitoring. The fact is, all
13 of our cyclosporine withdrawal studies include
14 monitoring, all of our studies of Rapamune™ alone
15 include monitoring.

16 I think what I'm saying is that in the
17 presence of an adequate dose of cyclosporine the
18 additional benefit of Rapamune™ can be achieved with
19 a fixed dose because in this case it's really the
20 combination that we're worried about, not about any
21 single drug alone, or we were concentration controlled
22 cyclosporine.

1 I believe that once we get to the end here
2 and we look at patients who are withdrawn from
3 cyclosporine, we will in fact have to compensate for
4 the variability of Rapamune™. I would not intend to
5 have a fixed dose of Rapamune™ become the norm and
6 try to set transplantation back to the late '80s or
7 wherever it was before we had good assays.

8 So I hope I'm answering your question.
9 You're hitting the right points.

10 DR. LIPSKY: The question is, has the dose
11 been developed that is optimal and is it optimal for
12 both safety and efficacy? I realize you can't redo
13 trials and you went with what works. It just seems a
14 little unusual that here's dose A for this condition,
15 here's dose B, and it doesn't matter if you're dealing
16 with a 50 kg or whatever body surface area.

17 I mean, to have a higher dose for high-
18 risk patients and a lower dose for non and say we're
19 not dosing just doses otherwise, just doesn't appear
20 to be rational. Something isn't totally adding up.

21 DR. CAMARDO: I'd hate to leave on the
22 note that you're saying it's not rational. Maybe it's

1 not the best that could be done, but I'd hate to think
2 that this was the end of the development program for
3 the new product. I think it's more like somewhere in
4 the middle and I mean, we are here today to find out
5 if we have the dose. So you've asked actually, one of
6 the questions so I guess we'll have to get to that.

7 I mean, if anyone would like to make a
8 comment I guess it would help.

9 DR. ZIMMERMAN: I would just like to
10 comment that to dose on either mg or mg/m² I don't
11 think it very pertinent because you simply don't know
12 how much drug an individual patient will absorb when
13 they are a site 304 PGP. I mean, it can vary from a
14 factor of 5- to 10-fold. So fooling around with minor
15 changes really wouldn't get you any better results.

16 DR. LIPSKY: Well, obviously, this draws
17 a very high first-pass effect. But what about levels?
18 I mean, when you're saying that it's 5 mg for a high-
19 risk and 2 mg for low risk, what are you trying to
20 achieve between the two? Are you getting more into
21 the person? Is that what it is? Are you getting the
22 same comparable level for the high-risk patient?

1 What's really going on there?

2 DR. CAMARDO: No, the high -- no, the
3 kinetics are the same in Black and non-Black
4 recipients. We concluded from the study that higher
5 immunosuppression was needed to achieve a low
6 rejection rate. Now you could argue, is that low
7 rejection rate a goal of therapy for that individual?

8 But the data simply suggests that at the
9 higher dose there was a better result for sub-group of
10 Black patients, but it was not related to the trough
11 level or the exposure of Rapamune™, sirolimus, and it
12 wasn't related to the exposure for cyclosporine either
13 because those were really identical.

14 DR. LIPSKY: You talk trough level. What
15 about area under the curve or other measures of
16 exposure?

17 DR. CAMARDO: Well, they're directly,
18 linearly related. They correlate in -- I mean, in
19 contrast with cyclosporine they correlate very, very
20 well. I mean, that's not just a small study; that's
21 over all the studies we've done from Phase I to Phase
22 III.

1 DR. LIPSKY: So you have an explanation --
2 Henry, I'll end on this. So do you have an
3 explanation -- cut me off -- what is the explanation
4 of why 5 mg is better than 2 mg? A scientific
5 explanation?

6 DR. CAMARDO: The only explanation is that
7 in the Black recipients who were enrolled in the study
8 the efficacy failure rate was lower for 5 than it was
9 for 2.

10 DR. LIPSKY: And there must be an
11 explanation for that. Do you have an explanation?

12 DR. CAMARDO: No, go ahead.

13 DR. KAHAN: It is well recognized that in
14 the Black population there are dynamic factors that
15 make them more at risk for rejection and graft loss,
16 and they include the following. Number one, that if
17 you do immune indices, mean tests of stimulation, they
18 are more responsive.

19 Second, many of the Black patients have
20 been pre-sensitized and it turns out that the majority
21 of blood donors, just like organ donors, are
22 Caucasian. And so there are several dynamic factors

1 that have been identified, even when you kinetically
2 control for concentration.

3 And this probably relates to sensitivity
4 of the targets to the pharmacodynamic action of the
5 drug, and we believe that that's racially inherited
6 and that's been found through virtually all
7 transplantation studies in clinical transplantation
8 and virtually all organs.

9 DR. LIPSKY: So the explanation of why a
10 higher dose works in them is not because --

11 DR. KAHAN: You're putting in more drug
12 and you probably need a higher concentration to
13 inhibit the target.

14 CHAIRMAN MASUR: Did you have a follow-up
15 question, Larry, quickly, before we move on to the
16 right?

17 DR. HUNSICKER: I don't know how quick
18 this becomes. I did want to say two things about this
19 discussion that's been going on that gets back to skip
20 2. First of all, for somebody's who has been on this
21 immunosuppressive panel, this is deja vu all over
22 again.

1 We have gone through exactly this same
2 development with respect to tacrolimus, with respect
3 to mycophenolate. Both of these agents were
4 introduced at fixed doses without monitoring and then
5 -- well, tacrolimus is now monitored; mycophenolate is
6 on the verge of being monitored, and I suspect this
7 will come to be monitored.

8 So there's a part of me that says we've
9 got to be consistent in what we are asking of the drug
10 companies. We have gone this route all this while and
11 this is how we've gotten here. And I share with the
12 presenter -- whose name I've already forgotten --
13 which I do to everybody's name.

14 I share with him the sense that we're
15 going to wind up monitoring this because it makes
16 absolutely no damn sense that you're going to have two
17 people with 7-fold different absorptions given the
18 same dose. But the study was done the way it was
19 done.

20 The second thing is that I would like to
21 suggest at one point or another since you said a quick
22 follow-up I will permit this to be deferred. Is that

1 in the briefing book you did speak about a secondary
2 analysis of actually the rejection rate regressed
3 against the actually achieved trough levels or
4 whatever.

5 And I think that, as my recollection of
6 what I read on this thing, was that that was fairly
7 convincing; that higher levels are in fact, more
8 immunosuppressive. And that therefore, serves as some
9 basis for the assertion that 5 mg is likely to be more
10 immunosuppressive than 2 mg because you've got a
11 higher level under the curve, because it's dose-
12 related, and because you can relate the frequency of
13 rejection to the actually achieved trough level.

14 So that would be the thing that links the
15 dose back to what Barry has just said. We know that
16 Blacks are at greater risk for rejection, and for
17 every immunosuppressive agent we've used, they have
18 needed higher doses.

19 So I don't know whether that's an
20 invitation to discuss that. You may want to do that
21 later.

22 DR. CAMARDO: Well, we could do it now if

1 you want. It's up to you.

2 CHAIRMAN MASUR: Let's come back to this.
3 Let's make sure we get all the questions because I
4 have a feeling that we may not resolve this to
5 everybody's satisfaction with the data that we have
6 presented so far.

7 DR. McDIARMID: Thank you for your
8 presentation. I had a couple of questions regarding
9 the decreases of triglycerides in cholesterol over
10 time. Obviously the individual investigators could
11 make all kinds of advice about diet and exercise, and
12 I also notice that about 40 percent or so, almost half
13 of the patients in the RapamuneTM groups, did go on
14 some sort of lipid lowering agent.

15 And I'm trying to understand how the
16 effect of these different interventions made the
17 curves come down closer at one year. How difficult is
18 it in fact -- how much work do you have to do as an
19 investigator to bring the levels down by various
20 manipulations? Do you have some information on that?

21 DR. CAMARDO: Unfortunately not.
22 Remember, that's the other downside of the blinded

1 study. The guidelines that we put in place were to
2 lower the Rapamune™ by 50 percent if the
3 triglycerides were above 750.

4 And our study from Baylor indicates that
5 if you then wait about a week you'll be able to see an
6 effect because the triglyceride elevation occurs very
7 quickly after Rapamune™ is administered; goes away
8 very, very quickly as well. So we know that happens.

9 But we didn't have strict, step-wise
10 guidelines in place so I really -- what I think was
11 happening is that in the first few months all that
12 really mattered was the acute rejection and the
13 infections, and the changing of the cyclosporine and
14 steroid levels, and that the lipids really weren't
15 managed very well because they weren't that important
16 unless they were very high.

17 It seems like after that the management
18 got better but I can't really comment on how hard it
19 was because we didn't institute a program. What we
20 think though, is that once the other patient
21 cyclosporine and steroid levels have gotten to be
22 stabilized it will involve either the use of an agent

1 either immediately, or an adjustment downward and a
2 dose of Rapamune™.

3 Remember again, no one knew what dose
4 patients were on, so they were actually reducing
5 blinded medicine. I have to believe that it will be
6 a lot easier to deal with this once doctors know what
7 actual dose the patients are on and what drug they
8 were on.

9 DR. McDIARMID: Do we not have information
10 though, about how many patients or what percentage of
11 patients needed a dose reduction now that the study is
12 unblinded?

13 DR. CAMARDO: Yes, I do have that,
14 actually.

15 DR. McDIARMID: Because of hyperlipidemia?

16 DR. CAMARDO: Yes, I do have that,
17 actually, and it was not surprisingly higher at the 5
18 than the 2 mg dose. John, I think we do have a dose
19 reduction slide for that if you could pull it up.

20 DR. McDIARMID: Perhaps while he's doing
21 that --

22 DR. CAMARDO: Yes, actually, that's a good

1 question.

2 DR. McDIARMID: The other question I had
3 in regards to that is that there's at least some
4 consideration that the HMG cholase reductase
5 inhibitors may have an effect on rejection. And now
6 that the study is unblinded were you able to correct
7 for that?

8 DR. CAMARDO: We did and there was no
9 effect. It didn't matter. Can you show that John?
10 We're going to see a discontinuation slide. This is
11 it exactly. I mean, it's not -- it won't answer your
12 question exactly, Dr. McDiarmid but here there were no
13 patients above 750 mg/dL for triglycerides and placebo
14 or azathioprine; 3.4 percent of patients had a dose
15 reduction and 8 percent for Rapamune™.

16 It's very difficult to be sure that that's
17 predictive over the population because we don't really
18 know whether doctors were starting with statins or
19 starting with fibrates, and some of them may have been
20 discontinued earlier. But that's the rate we observed
21 for this level of triglycerides in terms of reduction.

22 DR. McDIARMID: I had another question

1 about the effect of rising creatinine. In the 40 or
2 so patients that had full pharmacokinetic studies,
3 which I understand were for Rapamune™, were there
4 full pharmacokinetic studies also for cyclosporine and
5 was the area under the curve perhaps something that
6 needed to be looked at rather than the trough to try
7 and understand the effect on the rises in creatinine
8 seen in the Rapamune™ groups?

9 DR. CAMARDO: Yes. That's a good
10 question, too. We have a lot more data on the trough
11 levels than we do on the area under the curve. We
12 have -- I think those same patients had cyclosporine
13 area under the curve done as well.

14 What came out of that analysis was a
15 slight increase in the AUC for cyclosporine and the
16 combination Rapamune™ 2 and 5 mg groups. The
17 increase was somewhere between 5 and 10 percent for
18 the AUC as opposed to azathioprine. And I discussed
19 this at length with our kinetics group and it's not
20 clear if that represents a sampling error, because in
21 fact that was limited to a center or two or three
22 rather than the population, or it represents a real

1 effect.

2 Nevertheless, it's a small effect; 5 to 10
3 percent in the AUC. So you have to just take that for
4 what it's worth and see what it means in your own
5 interpretation. But we did do those.

6 DR. McDIARMID: I also noticed in the data
7 that you provided for us that with hepatic impairment
8 you might need to decrease the dose by a third. I
9 didn't see any information about that. I assume that
10 at least some of these over 1,000 patients might have
11 had hepatitis C or perhaps developed hepatic
12 impairment post-transplant.

13 Do you have any advice for us regarding
14 that in the real world in terms of this study?

15 DR. CAMARDO: Well, the data we have from
16 -- the hepatic impaired patients were child Q Class I
17 and II. I'm not sure any patients in the Phase III
18 actually got that far in liver disease. So I'm afraid
19 I can only refer you back to the data from the hepatic
20 impaired study.

21 DR. McDIARMID: The other interest I had,
22 although the numbers were small, is the question of

1 hemolytic uremic syndrome. It seemed to be a problem
2 even though it was 5.4 I think, percent of the
3 patients in the 5 mg group.

4 And I just wondered what your take was on
5 this. Is this something that needs to be watched out
6 for in the 5 mg group? I see there seems to be a
7 center effect. Can you shed a little bit of light on
8 the HUS situation?

9 DR. CAMARDO: The very odd thing was that
10 there was both the center effect and it appeared to be
11 consecutive patients at that one center. And of the
12 cases that occurred I think more than half were
13 clustered at three centers.

14 The very interesting result is that the
15 combination of Rapamune™ at 5 mg with cyclosporine
16 with regard to the rate of hemolytic uremic syndrome
17 is in stark contrast with the effect of Rapamune™
18 when it's used as rescue therapy for patients with
19 cyclosporine-induced hemolytic uremic syndrome.

20 I mean, I don't want to keep showing data
21 but in fact, out of nearly ten patients who were
22 switched to Rapamune™ most of them recovered, did not

1 have continued hemolytic uremic syndrome, and were
2 spared rejection. Actually, it's shown here.

3 I mean, these are patients who had HUS and
4 were switched to Rapamune™. Some of them have been
5 on for more than one year. Some of them were
6 discontinued for various reasons: rejection and
7 nephrectomy.

8 But I do believe it's -- I mean, again, I
9 mean I can't fall back on the blinded study when I
10 want to and not when I don't want to. Based on the
11 results of the blinded study if they are using 5 mg
12 they should be aware of hemolytic uremic syndrome.
13 It's clearly a higher risk.

14 But again, I don't believe it's related
15 directly to Rapamune™ because that doesn't make sense
16 with the other experience we've had. Does that answer
17 your question?

18 DR. McDIARMID: Yes. Just one other --
19 actually, just two more quick questions. I was
20 interested to know whether any of the centers, perhaps
21 on a single center analysis, had done any protocol
22 biopsies at a year or so to see if there was any

1 effect in regards to chronic rejection given the other
2 properties that Rapamune™ may have on the endothelial
3 cells and smooth muscle cells. Do you have any
4 information yet on that?

5 DR. CAMARDO: We only have a little bit of
6 the information. I mean, you know, we spent a lot of
7 time putting together what we had for the NDA. One of
8 the two studies is -- both of these two studies
9 actually, are going on for two years. We probably
10 won't have anything substantial until the end of two
11 years and that's only if we can get a reasonable rate
12 of protocol biopsies. So I really can't at this
13 point, give you anything useful on that.

14 DR. McDIARMID: Are some centers actually
15 doing protocol biopsies?

16 DR. CAMARDO: Yes. Yes there were centers
17 -- actually in one study protocol biopsies at one year
18 were mandated for follow-up. So the data should be
19 available but I just don't have it really ready for
20 discussion today.

21 DR. McDIARMID: And just one final
22 comment. I was interested that the age range for this

1 study included age greater than 13. It actually
2 turned out I think, to only be a handful of 13 to 18-
3 year-olds.

4 DR. CAMARDO: Yes.

5 DR. McDIARMID: But it does bring to mind
6 and perhaps reiterate some of the comments about dose.
7 You can get some pretty small 13 and 14-year-olds who
8 have got renal failure. Do you really think that
9 we're sure about the pharmacokinetics and the dosing
10 in these -- I think I probably still call them
11 pediatric patients?

12 DR. CAMARDO: Well, as I say, with regard
13 to Dr. Lipsky's previous comment, we actually excluded
14 children if they were less than 40 kg because we
15 didn't want to have really small children. We
16 actually believe that you have to monitor in children.
17 The clearance is unquestionably higher, the
18 variability is going to be a problem in the children.
19 We believe they need to be monitored.

20 I have a slide showing the clearances.
21 It's one-and-a-half to two times as high in children
22 under 11 and slightly lower than in children, in

1 teenagers, by comparison with adults. So we have that
2 information available, and a recommendation we're
3 going to make is a fixed dosing in children is not a
4 good idea.

5 DR. McDIARMID: So you'll probably be
6 recommending a weight rather than an age in regards to
7 your current dosing recommendations?

8 DR. CAMARDO: You know, I think based on
9 the clearance we might be recommending -- yes, I think
10 we might be recommending a weight. Yes, that's a good
11 idea -- I haven't thought about it that much I mean,
12 but we're thinking about children being transplanted
13 -- children or teenagers -- for monitoring to be
14 recommended.

15 Because I don't really think I can even
16 correlate the clearance with the weight, frankly. So
17 I'd rather not get into the -- I mean, we'd rather
18 just make a recommendation that these patients it's
19 much less predictable. And frankly, we didn't study
20 enough to be sure of the effect at a fixed dose.

21 So I'm just -- you know, slides go up
22 without me even asking for them. But anyway, this is

1 the clearance. All you have to see is this number.
2 This are seven patients from 5 to 11; seven patients
3 from 2 to 18; 25 healthy adults receiving up to 10 --
4 actually up to 20 mg/m² squared.

5 There is a difference in the clearance.
6 It's lower in the adults. It's 287 in the adults; 443
7 in the teenagers; and up to 550 in the children. And
8 the variability here goes all the way up to 1,551.
9 There's a 7-fold variability here. It's a little bit
10 less there. So I don't think we can make a case to be
11 forcing fixed doses on children.

12 DR. McDIARMID: Thank you.

13 DR. STROM: I'd like to frame a question
14 about delayed graft function for a couple of reasons.
15 One being, as rapamycin blocks the activity of growth
16 factors in and outside of the immune system, and then
17 growth factors that have been described in
18 experimental systems that support recovery from acute
19 renal failure.

20 The other reason for the question relates
21 to the higher rates of efficacy failure in the Global
22 as opposed to the US study, and one of the differences

1 being as there was randomization before surgery and as
2 a consequence the incidence of delayed graft function
3 is inevitably going to be higher in the Global study.

4 So the specific question is, in patients
5 who experienced delayed graft function at the get-go,
6 was there a difference in the duration or outcome of
7 delayed graft function in those patients in the Global
8 study? Has this been looked at?

9 And I guess it also raises the opportunity
10 at some point to ask the question, since the
11 Rapamune™ plus corticosteroid had a better profile
12 with GFR than cyclosporine plus corticosteroids, has
13 Rapamune™ without cyclosporine been used in a cohort
14 of patients as a means to get around some of the
15 problems related to delayed graft function?

16 But I guess the first issue, the outcome
17 of delayed graft function in the global study.

18 DR. CAMARDO: Do we have the duration?
19 I'm seeing here the rates of delayed graft function.
20 That just confirms what Terry is saying, which is that
21 the rates are higher in the Global study. We have the
22 efficacy stratified by delayed graft function. I can

1 show you that.

2 I don't think that we're going to be able
3 to show you the improvement in delayed graft function.
4 These are the results from the US study for delayed
5 graft function versus no delayed graft function in
6 terms of efficacy failure. This is the Global study.
7 Do we have the results with -- this is the overall
8 patient -- all patients from all the groups from the
9 two studies.

10 And again, without delayed graft function,
11 Rapamune™ has the benefit of reducing efficacy
12 failure. It's also true -- I mean, these are the
13 rates of failure for delayed graft function. This
14 Rapamune™ continues to be effective in those
15 patients.

16 Now, the only slide I do have that
17 indirectly addresses your question are the dialysis
18 rates which would be reflective of delayed graft
19 function to some extent, but I don't have the days of
20 delayed graft function unfortunately. We can pull
21 this one up. It will just show you that we've
22 collected the dialysis data.

1 And these are combined for the two
2 treatment groups. The percent of patients requiring
3 dialysis was 16 and 17 for the Rapamune™ group, 17
4 for azathioprine, and somewhat higher in placebo.

5 Now, if that answers your question the
6 answer to the second question is short. There are a
7 handful of patients and Dr. Kahan has studied them.
8 You may want to comment. I think there's a manuscript
9 submitted to transplantation. If you want to just
10 briefly comment, Barry.

11 DR. KAHAN: There is a manuscript in press
12 which describes the initial six patients treated with
13 an anti-IL2R monoclonal antibody and Rapamune™ from
14 the get-go. No calcineurin inhibitor. Those patients
15 who started on calcineurin inhibitors when their renal
16 function approached normal, namely below two, we've
17 now supplemented that with an additional dozen
18 patients, which was also presented at the AST.

19 So we feel very confident that we can go
20 for intervals of 90 days or potentially even 120 days
21 without calcineurin inhibitors just using IL2R
22 coverage with the Rapamune™, coming in with very low

1 of calcineurin inhibitors and getting better renal
2 function in the long-term.

3 Particularly in high-risk -- this was done
4 Terry, in high-risk kidneys that had been stored for
5 more than 36 hours from donors over the age of 60. Or
6 patients who had other risk factors like multiple lost
7 grafts in the past.

8 DR. CHAVERS: For the handful of patients
9 age 13 to 18 years who actually received Rapamune™
10 how many required dose reductions, how many were
11 treated with lipid lowering agents?

12 DR. CAMARDO: Can we answer that or do we
13 have to take a lunch break and go back to the NDA? I
14 don't think I can answer that question for you. I
15 mean, I can probably find it but I don't have it here
16 today. Sorry.

17 DR. CHAVERS: For the handful of patients
18 who developed HUS or TTP, how many had HUSs in their
19 original disease?

20 DR. CAMARDO: I think none. None.

21 DR. CHAVERS: Okay. My third question is,
22 what does the Rapamune™ rash look like? Is that a

1 reason for discontinuation, how you treat it?

2 DR. CAMARDO: Not a reason for
3 discontinuation in the studies. Frankly, I don't know
4 how to treat that.

5 DR. CHAVERS: What does it look like?

6 DR. CAMARDO: I have to ask someone who's
7 in the studies.

8 DR. KAHAN: The cases that have been
9 reported have looked very unusual, and actually
10 Rapamune™ was only indicated because it was a study
11 drug, it was that people who were suffering the rash
12 had never before had an allergic reaction. We don't
13 know that it wasn't a reaction to sulfa in some of
14 these patients or other drugs that were started at the
15 time of transplant.

16 So there's no characteristic pattern.
17 It's just an outbreak that occurs in proximity to
18 giving the study drug. But we haven't been able to
19 identify any characteristics of it.

20 DR. CHAVERS: Thanks.

21 DR. JOHNSON: I have a little bit of
22 trouble accepting the dose recommendation of 5 mg for

1 the Black patients based upon solely the premise that
2 the 5 mg dose was chosen and it seems to work. And I
3 guess since the side effects seem to be dose-related
4 one of the questions I have is, has any consideration
5 been given to a dose escalation study in this sub-
6 population of patients that may allow you to achieve
7 the maximal effect of the method of rejection while
8 obtaining a safety profile that may be more in line
9 with those patients that were given the 2 mg dose?

10 A reference was made to the need for
11 higher doses or other immunosuppressives such as
12 mycophenolate. But the comparative recommendation of
13 that dose if you go by the standard doses, this is
14 about 50 percent above the standard dose, while this
15 recommendation is 150 percent above if you go by
16 (Inaudible.) and about 200 percent above if you go by
17 body surface area.

18 Any comment on that, please?

19 DR. CAMARDO: Well, I don't really believe
20 that -- physicians will use a fixed dose and stay on
21 it come hell or high water with regard to side
22 effects. So what I strongly believe will happen is

1 that if the 5 mg dose is available and if the
2 physicians use it they will be cautiously adjusting
3 the dose with regard to avoid side effects.

4 And I suspect what will happen is it will
5 be adjusted downward over the course of time,
6 relatively quickly after the acute transplant period.
7 The most acute -- the highest risk for acute rejection
8 period has been the first three months.

9 That's what's happened in our studies;
10 that's what I expect to happen. But frankly, I'd have
11 to stop short of recommending that the dose be used
12 for a certain number of months because it would just
13 be an estimate on the basis of the data.

14 Now, we've actually been in discussions
15 with regard to making this recommendation much more
16 solid with a study that actually looks at different
17 doses in Black recipients. That could be one outcome
18 of this meeting today; that we receive a
19 recommendation like that. But we haven't done it yet.

20 So I mean, currently I believe that --
21 well, I just come back to the same thing. The data
22 stand for itself. The 2 mg dose was not -- you know,

1 there was nothing wrong with it in Black recipients.
2 It was as good as azathioprine.

3 It might offer some advantages if patients
4 who were on Rapamune™ get into some trouble with
5 cyclosporine for example, in which case one could
6 argue that it would give some leeway to adjust the
7 cyclosporine doses. But that will all be empiric,
8 unfortunately.

9 I do not think it would be valid at this
10 point to make a recommendation that 5 mg be given to
11 Black patients indefinitely, but I don't think it
12 would be unreasonable to consider that early-on in the
13 transplant period for patients who are Black and
14 highly mismatched or fall into other risk categories.

15 I mean, I still have to leave some of this
16 to the practice of medicine. But you have asked the
17 question that the committee has to discuss; not me.
18 I can't give you any more information than I already
19 have.

20 What little data we have suggests that the
21 5 mg is somewhat better tolerated in terms of
22 infections and certainly in terms of lymphoma in Black

1 patients versus non-Black. And also with regard to
2 the survival, the 5 mg dose was actually very good.

3 But that's a judgment that has to be made
4 today. I mean, I can just provide what we have and I
5 would be the first to admit that we don't have a
6 randomized study with all the doses studied
7 appropriately in that sub-population. But we could
8 not do that in Phase III. And Dr. Hunsicker said it
9 best. We're doing the first things first and that's
10 where we are.

11 CHAIRMAN MASUR: A couple of other issues.
12 Looking at your 6-month endpoint that you presented,
13 the question I had is, how one interprets the one-year
14 results then, that at one year the results seem to
15 come together? Why do you appear to lose some of the
16 benefit between six months and a year if you look at
17 patient survival, graft survival?

18 DR. CAMARDO: Well, in fact, the overall
19 efficacy results were still significant at one year.
20 We didn't show those; we just showed you the log rank
21 test for efficacy failure. They are all statistically
22 significant at 12 months.

1 However, I think you're alluding to the
2 fact that at six months the 2 mg dose had a higher
3 rate of graft survival and by one year that
4 disappeared. There were some later graft losses.
5 There were some rejections, there were other problems.
6 I just think that that difference in six months was
7 pretty modest.

8 I'm sorry? Yes, that's a good point.
9 Actually, you brought this up, you can show it on the
10 screen. It turned out that they're small numbers.
11 When you look for example, for a specific -- these are
12 the log rank tests for time to efficacy failure over
13 12 months for azathioprine, Rapamune™ 2, and
14 Rapamune™ 5 mg.

15 You've indicated that at six months the
16 success rate for graft failure was better for the 2 mg
17 group and this seems to be coming down by one year.
18 Now, this is efficacy failure and these are still
19 different than placebo at one year.

20 The problem we have when we go back and
21 look at the causes of graft loss, they do include
22 death with the functioning graft and then when you

1 look at that you can't really find one cause of death
2 that stands out, that could be attributed to
3 Rapamune™.

4 I mean, all we do is really prevent
5 rejection. There have been other side effects that
6 have happened. Indeed for example, two patients
7 happened to be transplanted with vancomycin-resistant
8 enterococcus. The donor had enterococcus; wasn't
9 picked up. They both were randomized to the 5 mg
10 group.

11 We had three patients who were non-
12 compliant in the 2 mg group. So when we get to these
13 small numbers we just can't -- I just can't say what
14 happened. But I mean, we're -- it's gratifying that
15 the effect that we observe at six months did not
16 disappear by 12 months. But the survival -- the
17 patient and graft survival, like many other studies,
18 it's very good anyway and making an improvement in one
19 year is very hard.

20 I think most of my colleagues will support
21 me on that one; that it just may take a longer time to
22 see anything. And some of these patients -- these

1 patients will continue to be followed for a number of
2 years further.

3 CHAIRMAN MASUR: Dr. Lipsky had another
4 question about the follow up data.

5 DR. LIPSKY: Just one final thing. On the
6 safety database there were a varying number of
7 patients: 700 at 12 months and at 24 months, 60.
8 Does that mean that patients stopped taking the drug,
9 less patients for a follow-up? How long did the
10 patients in the studies take the drug?

11 DR. CAMARDO: No, it just means we don't
12 have the data. The results are still out there. We
13 just collect the data for six months and one year.
14 Now we're going to collect data again in another year.
15 They are many more patients followed for two years
16 than just 60.

17 DR. LIPSKY: But even at 18 months, 700 or
18 230, it's the same problem?

19 DR. CAMARDO: It's mostly the same
20 problem. There have been some more dropouts between
21 one year and 18 months but it's not 500.

22 CHAIRMAN MASUR: Are there any follow-up

1 questions?

2 DR. FIRST: Can you come back to an issue
3 that Dr. Hunsicker raised? What are your intentions
4 for the dosing recommendation relative to the time of
5 administration of the cyclosporine in the package
6 insert at this point in time?

7 DR. CAMARDO: We are recommending that it
8 be administered separated from the oral.

9 DR. SUTHANTHIRAN: Now in many transplant
10 patients receive cyclosporine with mycophenolate and
11 steroid rather than cyclo, azathioprine, and
12 prednisone as a triple regimen. From the published
13 data how does a regimen of mycophenolate and
14 azathioprine compared to cyclo or rapamycin?

15 DR. CAMARDO: I'm sorry, I didn't hear --
16 how does it compare?

17 DR. SUTHANTHIRAN: In terms of biopsy-
18 proven, acute rejection. And I think you showed very
19 nicely that when a patient is treated with a regimen
20 that contains rapamycin that's clearly superior to a
21 regimen that is cyclosporine, azathioprine or
22 cyclosporine and placebo.

1 If the other group were to be treated with
2 cyclosporine and mycophenolate --

3 DR. CAMARDO: Mycophenolate -- what would
4 have happened?

5 DR. SUTHANTHIRAN: Yes.

6 DR. CAMARDO: Well, we didn't do that,
7 obviously. Do we have the other study rates or we
8 just have the infections? Because my recollection is
9 that some of the mycophenolate investigators could
10 actually -- John, could you comment on that? I mean,
11 you were involved in the MMF studies. Maybe you could
12 just compare the groups for us in terms of sort of the
13 efficacy of mycophenolate compared with Rapamune™.

14 DR. NEYLAN: John Neylan. Well Suthan, of
15 course the time the study was designed the use of
16 mycophenolate was not possible. Going back post-hoc
17 at this point and comparing some of the critical
18 components of the two studies, you may recall that the
19 endpoint or the composite endpoint for the
20 mycophenolate studies was a combination of biopsy-
21 proven acute rejection and treatment failure; that is,
22 discontinuance from the study.

1 And it's a little bit difficult to
2 separate out acute rejection from that composite
3 endpoint because if the occurrence to the treatment
4 failure endpoint occurred then we don't really know
5 much beyond that about the incidence of acute
6 rejection.

7 That said of course, the composite
8 endpoint, when compared to the control arms of the
9 three studies, was roughly 40 to 50 percent improved.
10 Looking at acute rejection specifically, that was also
11 similarly improved with the caveats I've just
12 mentioned.

13 On the whole, acute rejection frequency
14 for the 2 g BID dosage was of the order of 24 to 28
15 percent. So it was in the area that we see the
16 biopsy-proven acute rejection frequency for this
17 control arm.

18 Of course, additional caveats pertain.
19 There was a use of antibody induction therapy. The
20 cyclosporine preparation was the Sandimmune™
21 preparation. So all that said, I think you can say
22 that these results are certainly comparable to what

1 was obtained in that earlier era.

2 And my suspicion is that in clinical
3 practice the comparisons of the sirolimus in
4 conjunction with the oral and corticosteroids, versus
5 in a comparison with mycothenolate, neoral, and
6 corticosteroids, these sorts of comparisons will
7 likely occur in our very near future.

8 CHAIRMAN MASUR: All right. Let's try and
9 close this up a little.

10 DR. ABERNATHY: In the studies which
11 treatment was concurrent with the statin or other
12 hyperlipidemic agents, do you have CPK data? I
13 noticed there were no cases of arabdamyalisis but it
14 would be more reassuring to see the CKs.

15 DR. CAMARDO: I can show you the CKs.
16 They are actually within normal range for everybody.
17 There's a slight elevation in the 2 and 5 mg groups
18 but they stay within the normal range. Do you want to
19 show those, A.J.? Do you want to put up the CKs since
20 we were asked?

21 Just a second here. I'm seeing it so go
22 ahead and put it up on the screen. This is the

1 US/Global combined study for month-1, -3, month-6.
2 These are the CK units. Obviously this is post-
3 operative. By month-3 these have declined; these are
4 the values in Rapamune™ 2 and 5.

5 Again, I just want to emphasize they're
6 within the normal range, and they're all within the
7 normal range for CK, I believe, but they are a little
8 bit higher for the Rapamune™ patients. I think the
9 statistical significance here refers to comparison for
10 RAPA versus azathioprine.

11 This one here, that are a little bit
12 higher here in the azathioprine than in the placebo
13 group.

14 DR. ABERNATHY: These are patients all
15 concomitantly treated with statin or are --

16 DR. CAMARDO: I'm sorry. These are all --

17 DR. ABERNATHY: -- they hyperlipidemic
18 therapy?

19 DR. CAMARDO: I'm sorry, I didn't directly
20 answer your question. I don't have the patients
21 treated with statins but there are approximately 40
22 percent in this group and approximately 20 percent in

1 the azathioprine and placebo groups. So this includes
2 all patients in the study. I'd have to go back and
3 look at the -- unless you can tell me we have that.
4 I don't think we have that. No.

5 CHAIRMAN MASUR: Last question, Robert.

6 DR. WOOLSON: Obviously when you do enough
7 sub-group analyses not everything is going to be
8 significant. We often worry about the other side; too
9 many things will be. But I was struck by one finding
10 in the briefing book; namely, that there does not
11 appear to be an effect among females in either study
12 that was significant. And I was wondering if you
13 could elaborate on that lack of beneficial effect
14 there and in particular, whether that might have any
15 relationship to the discussion that we had earlier
16 about the dosing and body size and so forth.

17 DR. CAMARDO: Yes, the first point is, we
18 could have underpowered the study for females. So
19 that's one. There is a numerical difference, I
20 believe, in both studies. It's very narrow in the
21 studies, however.

22 There is a little bit of a difference in

1 clearance. I don't think that accounts for the
2 difference. I think the major factor is that there
3 was a slightly higher number of female patients
4 randomized to azathioprine. They did extremely well
5 by comparison to males.

6 In fact, if you look at gender, which is
7 shown here, this is the rate of -- the primary
8 endpoint. If you just look at the azathioprine group,
9 the efficacy failure rate is 39 percent in males and
10 23 percent in females. So you know, I believe that
11 for some reason this just worked better in the female
12 population.

13 You know, I'm really at a loss other than
14 that. But the fact is, we didn't specifically try to
15 enroll enough female patients to accommodate this as
16 the assumed efficacy failure rate. There is a
17 difference from the placebo group to the Global study.

18 It barely reaches statistical significance
19 for the higher dose group, but the trend is there for
20 the placebo. I don't believe it's related to the, a)
21 that females did better on azathioprine, and there was
22 a slight misrandomization with excess females in the

1 azathioprine groups. Don't have any other explanation
2 than that.

3 CHAIRMAN MASUR: Well, obviously it's not
4 a burning question. We'll come back. We're now a
5 little bit behind schedule but Dr. Camardo, you
6 responded to all our questions with your data.

7 Instead of going to the FDA presentation
8 now we'll take about a 45 minute break for lunch and
9 at 12:45 we'll come back for the FDA presentation. So
10 we'll see you then.

11 (Whereupon, a luncheon recess was taken at
12 11:53 a.m.)

13

14

15

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(12:47 p.m.)

1
2
3 CHAIRMAN MASUR: I think we have a quorum
4 of the committee members.

5 DR. GOLDBERGER: I think we have
6 sufficient staff to begin.

7 CHAIRMAN MASUR: Okay. So, Dr. Tiernan.

8 DR. TIERNAN: Good afternoon. My name is
9 Rosemary Tiernan and I work in the Division of Special
10 Pathogens and Immunologic Drug Products. And this
11 afternoon I have the pleasure to present to you the
12 FDA's review of NDA-21-083, (Sirolimus), Rapamune™.

13 This slide depicts the members of our
14 review team, and we'd also like to thank Drs. Joyce
15 Korvick and Rigo Roga for their input to this review.

16 Our FDA perspective will be divided into
17 four major sections. I'll begin with an overview of
18 the design of the clinical trials, then Dr. Cheryl
19 Dixon our Statistician, will review the efficacy of
20 sirolimus. I'll come back and discuss some important
21 safety issues regarding the use of sirolimus, and then
22 we'll present our questions to the Advisory Committee.

1 The study population for sirolimus
2 consisted of de novo renal transplants. The ages of
3 recipients as you already know, was greater than or
4 equal to 13 years. The donors consisted of cadaver
5 donors and living related and living unrelated donors.
6 There were some notable exclusions.

7 There were no multi-organ transplants in
8 the study, there were no re-transplants, and patients
9 who required anti-lymphocyte antibody induction were
10 not included.

11 There were several strengths of Studies
12 301 and 302 that I'd like to point out. These were
13 randomized and double-blind control studies that
14 allowed the unbiased assessment of endpoints based on
15 acute rejection and an enhanced safety analysis.

16 There were standardized cyclosporine and
17 steroid regimens that minimized a source of potential
18 variability between the centers.

19 Additional strengths. Acute rejection was
20 evaluated with standardized, histological grading
21 system using the Banff criteria, and the pathologists
22 were blinded to treatment assignment. There was

1 excellent representation of African-Americans in Study
2 301 and there was excellent follow-up; virtually
3 complete assessment of graft and patient survival at
4 one year.

5 As already outlined, there were some
6 differences in US Study 301 and Global Study 302. US
7 Study 301 obviously was conducted in the United
8 States, and there were different ethnic populations
9 that were in the U.S. and overseas in Europe, and this
10 might contribute to differences in dietary habits and
11 in the reporting of adverse events.

12 The randomization was different for Study
13 301 and 302. Because 301 was randomized within 48
14 hours after transplant it may have eliminated some
15 patients with delayed graft function and surgical site
16 complications. Hopefully these patients with delayed
17 graft function would be included in the study designed
18 for 302, which randomized before transplant.

19 Again, both double-blind. Azathioprine
20 was the active control in 301, placebo control in 302.
21 The stratification included race and investigator in
22 301, and in 302 donor origin and the investigator.

1 And 719 patients in 301; 576 patients in 302.

2 Immunosuppression, just to review this.
3 Antibody induction therapy was prohibited;
4 mycophenolate mofetil and tacrolimus were prohibited;
5 acute rejections were initially treated with steroids
6 and antibody therapy was utilized as needed.

7 We're already aware concomitant steroids
8 and cyclosporine provided the background, and
9 cyclosporine was managed with target cyclosporine
10 trough levels.

11 Prophylaxis was for pneumocystis carinii.
12 That was mandatory for the first year. CMV
13 prophylaxis was mandated for high-risk patients; that
14 is, CMV-negative recipients of CMV-positive kidneys.
15 And this was mandatory in months 1 through 3 and
16 recommended for other patients. Prophylaxis for
17 urinary tract infection was for six weeks and it was
18 center-specific.

19 There were co-primary endpoints and they
20 included efficacy failure at six months which was
21 defined as the first occurrence of biopsy-proven acute
22 rejection, graft loss or death, and patient and graft

1 survival at 12 months. The study was powered to show
2 superiority for the efficacy failure endpoints but it
3 was not powered to show superiority for the patient
4 and graft survival at 12 months.

5 And Dr. Cheryl Dixon now will review the
6 efficacy and then I'll return and go over some of the
7 safety points.

8 DR. DIXON: Good afternoon. As Dr.
9 Tiernan said, I'm Cheryl Dixon, the Statistical
10 Reviewer of the sirolimus NDA and today I will be
11 discussing the FDA's perspective of the efficacy
12 analyses.

13 In my presentation today I will briefly
14 review the primary analyses which we essentially agree
15 on with the results presented earlier by Wyeth-Ayerst.
16 I will then further discuss some secondary analyses of
17 the efficacy failure endpoint which include various
18 demographic subgroups, a high-risk FDA-defined group,
19 and the time to efficacy failure at six months.

20 The primary analysis of efficacy failure
21 at six months for each study consisted of comparisons
22 between each dose of sirolimus and the comparator done

1 by using the Cochran-Mantel-Haenszel statistics
2 stratified by investigator.

3 All patients assigned to treatment were
4 included in these analyses. And to maintain an
5 overall probability of Type I error of .05, a
6 Bonferroni-adjusted significance level of .025 was
7 used for each comparison.

8 The overall rates of efficacy failure in
9 both sirolimus treatment groups were significantly
10 lower than the overall rate of efficacy failure in the
11 azathioprine treatment group. Efficacy failure rates
12 were 18.7 for the sirolimus 2 mg group, 16.8 for the
13 sirolimus 5 mg/day group, and 32.3 percent for the
14 azathioprine group. And there were no significant
15 differences in results across investigator sites.

16 When the analysis of efficacy failure is
17 stratified by race the other variable used, a
18 randomization, the overall efficacy failure rates
19 remained significantly lower for the two sirolimus
20 doses when compared to azathioprine. However, there
21 were some inconsistencies in this effect across strata
22 with the sirolimus 2 mg group, which I will address

1 when I further discuss various subgroup analyses.

2 In Protocol 302 the overall rates of
3 efficacy failure in both sirolimus treatment groups
4 were again significantly lower than the overall rate
5 of efficacy failure in the placebo treatment group.
6 The rates were 30 percent for the sirolimus 2 mg
7 group, 25.6 for the sirolimus 5 mg group, and 47.7 for
8 placebo.

9 The sirolimus rates are slightly higher
10 than those seen in Study 301 and this may be explained
11 by the fact that the time of randomization was before
12 transplant in Study 302. Again, there were no
13 significant differences in the results across
14 investigator sites.

15 In Study 302 donor origin was the second
16 factor used at randomization. When the analyses is
17 stratified by donor origin there are still significant
18 treatment differences for both sirolimus groups when
19 compared to placebo. However, there are some
20 inconsistencies within strata for the sirolimus 5 mg
21 placebo comparison, and I will discuss this further in
22 a few moments.

1 The Division considers efficacy failure at
2 six months and patient and graft survival at one year
3 to be co-primary endpoints. This is to ensure that
4 patient and/or graft survival is not adversely
5 affected by reducing early acute rejections.

6 Similarity, with respect to patient and
7 graft survival, incidence rates is assessed with
8 confidence intervals about the difference in the
9 rates, sirolimus minus the control.

10 Because of the multiple comparisons, 97.5
11 confidence intervals are reported rather than the
12 usual 95 percent confidence intervals. And a
13 difference less than zero indicates a lower survival
14 rate for the sirolimus treatment group than the
15 control group.

16 In Protocol 301 sirolimus 2 had a patient
17 and graft survival rate of 94.7 percent, which was
18 slightly better than the azathioprine rate of 93.8
19 percent, which was in turn, slightly better than the
20 sirolimus 5 rate of 92.7 percent.

21 The lower bounds of the 97.5 percent
22 confidence intervals about the difference in rates is

1 used to assess the maximum decrease in patient and
2 graft survival rate we can safely exclude. The lower
3 bounds of these confidence intervals are -4.8 percent
4 for sirolimus 2 mg and -7.1 percent for sirolimus 5
5 mg. These rates needs to be taken into consideration
6 when assessing the overall efficacy and safety of
7 sirolimus.

8 In Study 302 both sirolimus treatment
9 groups had slightly better patient and graft survival
10 rates at 12 months than the placebo group, with rates
11 of 89.9 percent, 90.9 percent, and 87.7 percent for
12 sirolimus 2, sirolimus 5, and placebo, respectively.

13 The lower bounds of the confidence
14 intervals about the difference in rates are -6.3
15 percent for sirolimus 2 and -5.2 percent for sirolimus
16 5. Again, these rates need to be considered when
17 assessing overall efficacy in safety of sirolimus.

18 I will now discuss the results of some of
19 the subgroup analyses performed for efficacy failure
20 at six months. These includes race, which was the
21 second factor used as stratification for randomization
22 in Study 301, donor source which was the second factor

1 used for stratification in Study 302, recipient
2 gender, and the number of HLA mismatches.

3 In the following tables, please keep in
4 mind that the control for Study 301 was azathioprine
5 and placebo for 302.

6 As I stated earlier, in Protocol 301 there
7 were some inconsistencies within the race strata for
8 the overall treatment effect of sirolimus 2. The
9 efficacy failure rates are slightly higher, or could
10 be considered essentially the same in Black patients
11 treated with sirolimus when compared to Black patients
12 treated with azathioprine.

13 However, non-Black patients treated with
14 sirolimus 2 have significantly lower efficacy failure
15 rates than non-Black patients treated with
16 azathioprine. In both Black and non-Black patients
17 treated with sirolimus 5 mg have lower efficacy
18 failure rates than those treated with azathioprine.

19 There were relatively few Black patients
20 in Protocol 302. Black and non-Black patients treated
21 with either dose of sirolimus had efficacy failure
22 rates lower than placebo. The differences seen for

1 Black patients in both protocols were not
2 statistically significant, but it should be noted that
3 these studies were not powered to detect a significant
4 treatment difference in the various subgroups.

5 Both studies show that patients who
6 received a living donor organ had significantly lower
7 efficacy failure rates with either sirolimus dose when
8 compared to control. Patients treated with sirolimus
9 5 and received a cadaver donor also had significantly
10 lower efficacy failure rates compared to control.

11 Treatment with sirolimus 5 compared to
12 control conferred a larger significant treatment
13 difference in patients receiving a living donor when
14 compared to a cadaver donor. The efficacy failure
15 rate however, of 61.3 in patients who received an
16 allograft from a living donor treated with placebo is
17 higher than would be expected, and the 42.9 percent in
18 patients treated with azathioprine may also be
19 slightly high.

20 In patients treated with sirolimus 2 who
21 received a cadaver donor organ, they only had
22 numerically lower rates when compared to control.

1 In both studies female patients treated
2 with either dose of sirolimus had numerically lower
3 efficacy failure rates than those females treated with
4 control. One needs to remember that the studies were
5 not powered to detect significant differences in the
6 subgroups and the number of females were small.

7 No patients treated with either dose of
8 sirolimus had significantly lower efficacy failure
9 rates than control. It is also interesting to note
10 that females on sirolimus have similar or slightly
11 higher efficacy failure rates than their male
12 counterparts, but females treated with the control are
13 doing better than their male controls.

14 The analysis for the number of HLA
15 mismatches is slightly different from the one
16 presented by the applicant. We used a breakdown of
17 zero to two in three to six HLA mismatches, compared
18 to zero to three in four to six used by the applicant.
19 Our background was based on registry information which
20 may show more favorable outcome with two or less HLA
21 mismatches.

22 In both studies patients with three to six

1 mismatches have higher efficacy failure rates than
2 patients with zero to two mismatches. Both doses of
3 sirolimus show significant improvement in efficacy
4 failure rates compared to control for the patients
5 with three to six mismatches.

6 Patients with zero to two HLA mismatches
7 were small in number and only patients treated with
8 sirolimus 5 had numerically lower failure rates than
9 the control. The difference in the 2 and 5 mg
10 sirolimus dose groups shows a modest additional
11 benefit for sirolimus 5, and this benefit is moreso
12 for patients with zero to two mismatches than for
13 three to six HLA mismatches.

14 The proposed labeling for sirolimus is
15 currently recommending that both doses be made
16 available for clinical use. It is being proposed that
17 the 2 mg/day dose be considered for use in the
18 majority of patients, but the 5 mg/day may provide an
19 incremental benefit to patients at higher risk for
20 acute rejection.

21 Patients that could be considered at
22 higher risk include patients who are African-American,