

0784 30 JUL 30 10:32

1

FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

MEETING OF THE  
BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE

**This transcript has not been edited  
or corrected, but appears as received  
from the commercial transcribing  
service. Accordingly the Food and  
Drug Administration makes no  
representation as to its accuracy.**

8:15 a.m.

Thursday, July 15, 1999

Versailles Ballrooms I and II  
Holiday Inn  
8120 Wisconsin Avenue  
Bethesda, Maryland 20814

ASSOCIATED REPORTERS OF WASHINGTON  
1523 North Carolina Avenue, N.E.  
Washington, D.C. 20002  
(202) 543-4809

## ATTENDEES

## COMMITTEE MEMBERS:

DANIEL R. SALOMON, M.D., Acting Chairman  
Associate Professor  
Department of Molecular and Experimental  
Medicine - SBR5  
The Scripps Research Institute  
10550 North Torrey Pines Road  
La Jolla, California 92037

GAIL DAPOLITO, Executive Secretary  
Scientific Advisors and Consultants Staff  
Center for Biologics Evaluation and Research  
Food and Drug Administration  
1401 Rockville Pike  
Rockville, Maryland 20852-1448

HUGH AUCHINCLOSS, JR., M.D.  
Associate Professor of Surgery  
Harvard Medical School  
Transplantation Unit  
Department of Surgery  
Massachusetts General Hospital  
GRB504, 55 Fruit Street  
Boston, Massachusetts 02144-2696

RICHARD E. CHAMPLIN, M.D.  
Professor of Medicine  
Division of Medicine  
Department of Blood and Marrow Transplantation  
University of Texas M.D. Anderson Cancer Center  
1515 Holcombe Boulevard, Box 24  
Houston, Texas 77030

RICHARD A. GOLDSBY, PH.D.  
(attending via teleconference call)  
Professor of Biology  
Department of Biology  
Merrill Science Center, Campus Box 2237  
Amherst College  
Amherst, Massachusetts 01002

**This transcript has not been edited  
or corrected, but appears as received  
from the commercial transcribing  
service. Accordingly the Food and  
Drug Administration makes no  
representation as to its accuracy.**

## ATTENDEES (Continued)

## COMMITTEE MEMBERS: (Continued)

CAROLE B. MILLER, M.D.  
Associate Professor of Oncology  
The Johns Hopkins Oncology Center  
600 North Wolfe Street, Room 132  
Baltimore, Maryland 21287-8985

W. MICHAEL O'FALLON, PH.D.  
Professor of Biostatistics  
Chair, Department of Health Sciences Research  
Harwick Building, Room 766-A  
Mayo Clinic  
Rochester, Minnesota 55905

EDWARD A. SAUSVILLE, M.D., PH.D.  
Associate Director  
Developmental Therapeutics Program  
Division of Cancer Treatment  
National Cancer Institute  
6130 Executive Boulevard  
Executive Plaza, North Room 843  
Bethesda, Maryland 20892

## TEMPORARY VOTING MEMBERS:

VIRGINIA C. BROUDY, M.D.  
Associate Professor of Medicine  
Department of Medicine  
Division of Hematology, Room K136  
University of Washington School of Medicine  
Seattle, Washington 98195

ABBEY S. MEYERS, Consumer Representative  
President and Executive Director  
National Organization for Rare Disorders  
Fairwood Professional Building  
Post Office Box 8923  
New Fairfield, Connecticut 06812-8923

JULIE M. VOSE, M.D.  
Vice Chairman and Professor  
Department of Internal Medicine  
University of Nebraska Medical Center  
983332 Nebraska Medical Center  
Omaha, Nebraska 68198-3332

## ATTENDEES (Continued)

## FOOD AND DRUG ADMINISTRATION STAFF:

JOSEPH B. BEKISZ  
BETTY GOLDMAN, M.S., M.P.H.  
PATRICIA KEEGAN, M.D.  
AMY S. ROSENBERG, M.D.  
WILLIAM SCHWIETERMAN, M.D.  
JAY P. SIEGEL, M.D.  
KATHRYN E. STEIN, M.D.  
KAREN D. WEISS, M.D.  
KATHRYN C. ZOON, PH.D.

## ALSO PRESENT:

THOMAS SCHAIBLE, PH.D.  
Senior Director, Immunology Medical Affairs  
Centocor, Inc.

## C O N T E N T S

AGENDA ITEM	PAGE
ADMINISTRATIVE REMARKS - by Ms. Gail Dapolito	8
OPEN PUBLIC HEARING Dr. Thomas Schaible, Centocor, Inc.	10
TOPIC I - FDA REGULATORY POLICY UPDATE	
Fast Track/Evidence of Effectiveness - by Dr. Jay Siegel	27
Pediatric Rule - by Dr. Karen Weiss	77
TOPIC II - REPORT OF THE XENOTRANSPLANTATION SUBCOMMITTEE, JUNE 3-4, 1999 MEETING by Dr. Hugh Auchincloss	114
PRESENTATION OF CERTIFICATES by Dr. Kathryn Zoon and Dr. Jay Siegel	126
TOPIC III - IMMUNE REACTIONS AGAINST THERAPEUTIC AND DIAGNOSTIC BIOLOGICAL PRODUCTS FDA Perspective:	
Immunogenicity of Therapeutic Proteins by Dr. Kathryn Zoon	130
Immunogenicity of Monoclonal Antibody Products - by Dr. Kathryn Stein	134
Immunogenicity of Interferons by Mr. Joseph Bekisz	153
Immunogenicity of Other Therapeutic Proteins by Dr. Amy Rosenberg	169
Clinical Perspective - by Dr. William Schwieterman	193
Committee Discussion of Questions Presented	213

## P R O C E E D I N G S

(8:15 a.m.)

1  
2  
3 DR. SALOMON: Good morning, everybody. I'm  
4 going to open the meeting today. My name is Dan Salomon,  
5 and I'm a member of the Scripps Research Institute in La  
6 Jolla, California.

7 I'm going to act as the acting Chair today. It  
8 just seems a little strange to me -- I've already noted  
9 that -- with having Dr. Vose to my left who, ever since  
10 I've been on the committee, has been the Chairperson and  
11 basically been for me a role model for what a Chair should  
12 be of this kind of a committee. I can only be a facsimile  
13 of Dr. Vose. I've asked her to just sort of elbow me from  
14 time to time if I'm not doing it right. But anyway, I  
15 apologize. I really won't be able to do as good a job as  
16 she does.

17 The meeting today will start in a moment, but I  
18 thought what we ought to do, just to begin, is go around  
19 the table and introduce everyone and everyone just sort of  
20 briefly tell us what institution they are from and what  
21 their basic clinical or scientific interests are. If we  
22 can start on the left.

23 DR. O'FALLON: I'm Michael O'Fallon from the  
24 Mayo Clinic. My expertise is in biostatistics.

25 DR. CHAMPLIN: Richard Champlin from the M.D.

1 Anderson Cancer Center and the Chairman of the Blood and  
2 Marrow Transplant Department.

3 DR. SAUSVILLE: I'm Ed Sausville from the  
4 National Cancer Institute and the Developmental  
5 Therapeutics Program, and my interest is in the development  
6 of new drugs for the treatment of cancer.

7 DR. VOSE: Julie Vose from the University of  
8 Nebraska Medical Center, and my research interests are in  
9 lymphoma and hematologic malignancies, transplantation, and  
10 immunotherapy.

11 DR. SALOMON: Again, as I said, Dan Salomon,  
12 Scripps Research Institute. My interests are in  
13 transplantation, xenotransplantation, and gene therapy.

14 MS. DAPOLITO: Gail Dapolito, Executive  
15 Secretary for the committee.

16 I'd also like to announce that Dr. Richard  
17 Goldsby from Amherst College is participating on the  
18 speaker phone this morning.

19 I'd also like to take this opportunity to  
20 introduce Ms. Rosanna Harvey, the committee management  
21 specialist. As the committee knows, Rosanna was  
22 instrumental in the preparations for today's meetings, and  
23 as usual, she'll be around all day to help out with any  
24 questions.

25 Thank you.

1 DR. BROUDY: I'm Virginia Broudy from the  
2 University of Washington, and my interest is in  
3 hematopoietic growth factors.

4 MS. MEYERS: Abbey Meyers, President of the  
5 National Organization for Rare Disorders, which is NORD.  
6 I'm a former member of the committee I believe and a  
7 consultant today for consumers.

8 DR. MILLER: Carole Miller from Johns Hopkins.  
9 My interests are in hematologic malignancies and bone  
10 marrow transplant, clinical.

11 DR. STEIN: Kathryn Stein, Director of the  
12 Division of Monoclonal Antibodies, CBER.

13 DR. SCHWIETERMAN: Bill Schwieterman, Chief of  
14 the Immunology and Infectious Disease Branch, CBER.

15 DR. SIEGEL: Jay Siegel, Office of Therapeutics  
16 at CBER.

17 DR. SALOMON: Yes. I'd also like to add that  
18 Dr. Hugh Auchincloss from Harvard Medical School will be  
19 joining us in a few minutes.

20 Next Gail will read into the record the  
21 administrative remarks.

22 MS. DAPOLITO: This announcement is made part  
23 of the record at this meeting of the Biological Response  
24 Modifiers Advisory Committee on July 15.

25 Pursuant to the authority granted under the



1 | committee charter, the Director of the FDA's Center for  
 2 | Biologics Evaluation and Research has appointed Dr.  
 3 | Virginia Broudy, Ms. Abbey Meyers, and Dr. Julie Vose as  
 4 | temporary voting members for the committee discussions.

5 |           Based on the agenda made available and on  
 6 | relevant data reported by participating members and  
 7 | consultants, it has been determined that all financial  
 8 | interests in firms regulated by the Center for Biologics  
 9 | Evaluation and Research that may be affected by the  
 10 | committee's discussions have been considered. The  
 11 | following participants have been granted waivers, in  
 12 | accordance with 18 U.S.C. 208, which permits them to  
 13 | participate fully in the committee discussions: Drs. Hugh  
 14 | Auchincloss, Virginia Broudy, Richard Champlin, Carole  
 15 | Miller, and Julie Vose. Dr. Michael O'Fallon has requested  
 16 | to be recused from the discussion of the report of the  
 17 | Xenotransplantation Subcommittee.

18 |           In the event that the discussions involve  
 19 | specific products or firms not on the agenda for which  
 20 | FDA's participants have a financial interest, the  
 21 | participants are aware of the need to exclude themselves  
 22 | from such involvement, and their exclusion will be noted  
 23 | for the public record.

24 |           Screenings were conducted to prevent any  
 25 | appearance, real or apparent, of conflict of interest in

1 today's committee discussions. Copies of the waivers  
2 addressed in this announcement are available by written  
3 request under the Freedom of Information Act.

4 With respect to all other meeting participants,  
5 we ask in the interest of fairness that they address any  
6 current or previous financial involvement with any firm  
7 whose products they wish to comment upon.

8 DR. SALOMON: Thank you, Gail.

9 Are there any other comments from the FDA staff  
10 we need to deal with?

11 (No response.)

12 DR. SALOMON: I'm going to, as Chairman, try  
13 and stay on time but, in the same way, allow everybody to  
14 participate in the discussion as much as possible.

15 Obviously, for recording interests, it's going  
16 to be important for us, as usual, to make an effort to  
17 speak directly into the microphones. I apologize if we  
18 have to remind anybody of that. I usually forget myself.

19 Then I'd like to open up the public hearing.  
20 We have one scheduled speaker which is Dr. Thomas Schaible,  
21 Senior Director of Immunology and Medical Affairs for  
22 Centocor. Welcome, Dr. Schaible.

23 DR. SCHAIBLE: Thank you. Good morning. We  
24 appreciate the opportunity to share our experience at  
25 Centocor regarding immune responses to therapeutic

1 biologics.

2           This morning I'd like to touch upon a few of  
3 the key issues revolving around immune responses and, more  
4 specifically, share with you our experience with two of our  
5 products, Remicade and ReoPro, and then finish up my  
6 presentation with some conclusions based upon that  
7 experience.

8           Now, certainly one of the key issues with  
9 biologics is their potential antigenicity, and if  
10 antibodies develop against these agents, what is the  
11 clinical relevance both in terms of safety, whether there  
12 are potential allergic reactions or hypersensitivity that  
13 may result from these antibodies, but also in terms of  
14 efficacy, is there potential for blocking antibodies that  
15 may result in reductions in potency of these agents.

16           I think as a quick background, it's important  
17 to recognize that a number of biologic agents, both  
18 recombinant molecules, as well as monoclonal antibodies, do  
19 develop antibodies at varying incidences as well as  
20 magnitudes.

21           Now, in terms of our own experience, I'll focus  
22 on our two products: Remicade, which is infliximab, and  
23 ReoPro, which is abciximab. I think it's important to  
24 point out some important differences in these products both  
25 in terms of the molecules themselves, but also in terms of

1 the diseases in which these agents have been developed.

2 First of all, with Remicade, Remicade is a  
3 whole chimeric IgG. It has a long serum half-life of  
4 approximately 10 days. It has been developed primarily in  
5 the treatment of chronic inflammatory disorders.

6 Therefore, multiple dose regimens have been a key part of  
7 that development, and it has been used both with and  
8 without concurrent immunosuppressive agents.

9 With regard to ReoPro, abciximab, it is a  
10 chimeric Fab fragment. It has a short free serum half-  
11 life, less than 10 minutes. In part, that's due to the  
12 fact that it immediately binds to platelets after it's  
13 administered intravenously. It has been developed for  
14 treatment of acute coronary syndromes, and as such, it has  
15 been given primarily as a single dose, but it's important  
16 to recognize that because of recurrence of these types of  
17 syndromes, there's clearly a potential for readministration  
18 of this agent.

19 In terms of the development of Remicade in  
20 chronic inflammatory diseases, we have extensive clinical  
21 trial experience now both in Crohn's disease, which is an  
22 inflammatory bowel disease, as well as rheumatoid  
23 arthritis, and this experience includes both experience  
24 with single-dose regimens, as well as longer-term repeated  
25 dosing regimens.

1           In terms of experience with single-dose  
2 regimens, in Crohn's disease, we have observed a 13 percent  
3 incidence of human anti-chimeric antibodies, or HACA.  
4 Generally these are of low titer, less than 1 to 80. The  
5 incidence is lower in patients who receive concurrent  
6 immunosuppressants such as Immuran, such as 6MP. This  
7 incidence is about two-fold lower in patients receiving  
8 concurrent immunosuppressants.

9           In addition, we have observed some delayed  
10 hypersensitivity events, but specifically this has occurred  
11 in patients who have had a long interval, that is, 2 to 4  
12 years, between exposures to the agent.

13           Now, in rheumatoid arthritis, we have a more  
14 substantial experience with long-term repeated dose  
15 regimens. In these studies, doses were given at 0, 2, and  
16 6 weeks, and then every 4 or 8 weeks thereafter. We have  
17 studied doses of 1, 3, or 10 milligrams per kilogram, and  
18 we have studied these doses both with and without current  
19 methotrexate treatment which is the immunomodulator that is  
20 currently one of the standards of treatment in RA.

21           Now, in our phase II experience, we observed  
22 that both dose and concurrent methotrexate treatment were  
23 important in the incidence of HACA development. First of  
24 all, what we observed was that lower doses of Remicade were  
25 associated with higher incidences of HACA. In addition, if

1 Remicade was given in combination with methotrexate, this  
2 also had the effect of reducing the incidence of HACA.

3 Now, in our phase III program, because of these  
4 findings, as well as a number of other reasons, we selected  
5 to study doses of 3 and 10 milligrams per kilogram given in  
6 combination with methotrexate.

7 One of the key issues here is to establish how  
8 well these agents are tolerated over time when they are  
9 given repeatedly. I think our experience in our phase III  
10 trial, which is called the ATTRACT trial, has been very  
11 helpful in getting a better understanding of this.

12 Here I'm showing data for infusion reactions.  
13 These are adverse events that have occurred either during  
14 the infusion or within 1 hour after the infusion. And here  
15 we show data for the incidence of infusion reactions when  
16 Remicade is given every 8 weeks or every 4 weeks. I think  
17 this data show that there is overall a low incidence of  
18 infusion reactions in patients over time, slightly above  
19 placebo rates, but only by a few percentage points. And  
20 more importantly, there's no trend over time for an  
21 increase in infusion reactions.

22 Now, what have we learned from this experience?  
23 First of all, antibodies do develop against Remicade. It  
24 appears it's in the 10 to 15 percent range. The incidence  
25 can be modified by several factors, including the dose, the

1 frequency of dosing, as well as whether the agent is given  
2 in combination with immunosuppressant therapies.

3           However, the clinical relevance of antibody  
4 development is still uncertain. HACA development is not  
5 predictive of subsequent hypersensitivity events, but more  
6 importantly, what we are observing is that long-term  
7 repeated treatment indicates that one can give these agents  
8 with sustained effectiveness, as well as the fact that  
9 these drugs are well tolerated given over the longer term.

10           Now let me focus in on the ReoPro experience.  
11 First of all, I think it's important to point out that the  
12 experience here is substantial, that we have done HACA  
13 measurements in over 6,000 patients in clinical trials, and  
14 this includes the EPIC trial, the CAPTURE trial, and the  
15 EPILOG trial, where with initial administration of ReoPro,  
16 we have observed an incidence of about 5 to 6 percent for  
17 HACA. Again, these are generally of low titer, less than 1  
18 to 400, and there has been no increase in allergic  
19 reactions compared with placebo groups.

20           Now, in addition, we have undertaken a registry  
21 to collect data prospectively in patients who are  
22 readministered ReoPro commercially. This is a phase IV  
23 multicenter prospective registry which is being conducted  
24 in the U.S. It has now enrolled 791 patients, and in 579  
25 of these patients, we now have data on HACA both pre and

1 post a readministration of ReoPro.

2 The objectives of this trial were, first, to  
3 determine the HACA incidence, its titer, and duration, as  
4 well as to evaluate safety and efficacy after  
5 readministration, and this would focus on adverse events,  
6 success of the angioplasty procedure, as well as in vitro  
7 assays of ReoPro potency.

8 Now, looking at the results for HACA incidence,  
9 following an initial administration of HACA in this  
10 registry, there was a 6.4 percent incidence of positive  
11 HACA. The median titer was 1 to 100. With  
12 readministration of HACA, there was an increase in the  
13 incidence of HACA, increasing to 27.1 percent with a modest  
14 increase in the median titer, going up to 1 to 400.

15 In terms of the durability of the HACA response  
16 -- that is, how long could it be measured in the serum --  
17 it was generally 4 months or less.

18 In terms of major safety events in this  
19 readministration experience, there have been no deaths  
20 associated with adverse events, no allergic or  
21 hypersensitivity reactions, and no occurrences of  
22 intracranial hemorrhage or retroperitoneal bleeding.

23 Looking at the success of the angioplasty  
24 procedure, we can focus on the two yellow bars which show  
25 results for patients who were positive for HACA entering



1 the procedure and those who were negative for HACA entering  
2 the procedure. In both groups, there was a high success of  
3 the angioplasty procedure, and there was no difference as  
4 to whether a patient was positive or negative for HACA.

5 Then we have also looked in vitro at assays to  
6 measure the potency of ReoPro. Here we have taken normal  
7 donor platelets and added them to sera that is positive for  
8 HACA at varying titers. And if we look at the IC50 in  
9 these studies, there's really no apparent decrease in  
10 potency looking over a range of titers of HACA. So, it  
11 appears that ReoPro is still able to inhibit platelet  
12 aggregation in these assays.

13 So, what have we learned from the ReoPro  
14 experience? ReoPro is clinically effective when it's  
15 readministered. Readministration has not been associated  
16 with allergic or hypersensitivity reactions. And finally,  
17 a positive or a negative HACA titer is not predictive of  
18 clinical effectiveness or other clinical events.

19 Now, putting all of this experience together,  
20 these next two slides I think will summarize that  
21 experience. Development of immune responses to therapeutic  
22 biologics has raised the concern of increased allergic  
23 reactions and/or loss of potency. However, over the last  
24 10 years, extensive time and effort have been given to  
25 developing and analyzing HACA responses and their effects.

1 We now, with over 8,000 patients in clinical trials and 5  
2 years of post-marketing experience in over 500,000  
3 patients, can conclude that, first of all, a large database  
4 of HACA measurements have been established and that  
5 antibodies can be detected in a relatively small proportion  
6 of patients receiving these agents.

7           However, the large clinical experience has also  
8 demonstrated that the immune response is modifiable by both  
9 dosing strategy, as well as concurrent medications.  
10 Readministration can be accomplished safely and without  
11 loss of efficacy. And in terms of the future, the focus  
12 should be on continuing pharmacovigilance of retreated  
13 patients rather than accumulating additional information on  
14 HACA titers.

15           Thank you for your attention.

16           DR. SALOMON: Thank you, Dr. Schaible.

17           I'd like to request any questions, comments, or  
18 discussion from the committee. Questions. Yes.

19           DR. SAUSVILLE: So, do you have any idea  
20 quantitatively, when you said there's a titer of X or Y or  
21 Z, what actual amount of antibody you're detecting in here?  
22 Is it micrograms or nanograms per ml? Has that been  
23 calibrated?

24           DR. SCHAIBLE: No, it has not been calibrated.  
25 We just haven't done those types of studies.

1 DR. SALOMON: Abbey.

2 MS. MEYERS: I'm trying to understand what you  
3 said in ordinary, plain English. These products seem to  
4 cause a reaction in a certain number of patients. Right?

5 DR. SCHAIBLE: That's correct.

6 MS. MEYERS: But you don't know whether that  
7 reaction is clinically relevant or not.

8 DR. SCHAIBLE: Right.

9 MS. MEYERS: For example, if they have  
10 antibodies, you don't know whether that means anything.  
11 Right?

12 DR. SCHAIBLE: That's correct. We have  
13 developed methods for determining the presence of  
14 antibodies, but the clinical relevance of those antibodies  
15 I think, based on our experience, is still uncertain, and  
16 it may represent the fact that there are a number of  
17 different types of antibodies that develop against these  
18 agents.

19 MS. MEYERS: But it doesn't mean anything if  
20 you rechallenge the patient later with the same agent.  
21 Right? I mean, they seem to respond just as well after  
22 they --

23 DR. SCHAIBLE: The majority of patients do. I  
24 wouldn't say that there are antibodies that are not  
25 clinically relevant. Certainly there are. We have seen

1 instances of patients who have been previously exposed to  
2 Remicade who then, on a subsequent exposure, very long  
3 after that initial exposure, have developed a delayed  
4 hypersensitivity event. So, we have seen instances of  
5 that. I wouldn't want to say that these are totally  
6 irrelevant.

7 MS. MEYERS: How serious has that been?

8 DR. SCHAIBLE: The events are manageable,  
9 clearly manageable. The events that we've experienced with  
10 Remicade have all been medically manageable and there have  
11 been no long-term sequelae of those events.

12 I think the issue here is whether these types  
13 of assays provide information that is predictive as to when  
14 these types of clinical events may occur, and I think our  
15 experience to date is that the predictive value of these  
16 assays is actually not very good either from a positive  
17 sense or a negative sense.

18 MS. MEYERS: So, you don't know which patients  
19 are going to have this reaction.

20 DR. SCHAIBLE: No. That's very difficult to  
21 determine.

22 MS. MEYERS: I see.

23 And the two products you're talking about, one  
24 is for Crohn's disease, the other one is for heart  
25 problems.

1 DR. SCHAIBLE: It's for coronary angioplasty.

2 MS. MEYERS: And yet, these reactions or these  
3 antibodies seem to appear the same amount in both products.

4 DR. SCHAIBLE: Yes. I think our experience  
5 overall is that they're in the 5 to 15 percent range.

6 MS. MEYERS: So, is this going to happen with  
7 most biological products?

8 DR. SCHAIBLE: I'd be interested to hear the  
9 committee's view on that one.

10 DR. SALOMON: Yes. I think, as usual, Abbey  
11 has done a great job of putting her finger on the key  
12 question.

13 (Laughter.)

14 DR. SALOMON: Jay?

15 DR. SIEGEL: Yes. I have a question.

16 You presented the data with in vitro testing I  
17 guess of IC50 in patients with high titer. One of the  
18 things that we see with some products -- and I don't know  
19 what the data are with your product. That's basically my  
20 question -- is that while there's not neutralizing or  
21 inhibitory activity of antibodies, that in patients with  
22 high titers of antibodies, the half-life changes  
23 considerably.

24 And so, the question is do you have  
25 pharmacokinetic data? And if there is a change in half-

1 | life, does it correlate, as one might expect, with a change  
2 | in the time until -- I guess in the case of ReoPro, there  
3 | are sub-inhibitory levels of antibody on board.

4 |           DR. SCHAIBLE: Well, the experience with those  
5 | assays so far is that we have experience only up to certain  
6 | titers. So, you saw data up to 1 to 3200 titers. There is  
7 | very limited experience beyond that because there are much  
8 | fewer patients who have developed high titer responses.  
9 | So, at least over that range of data, we have not seen any  
10 | inhibition of potency. I really can't speak to whether, if  
11 | you were to really boost the titer, that that might  
12 | ultimately have an effect on potency.

13 |           DR. CHAMPLIN: My question was what fraction of  
14 | these were neutralizing antibodies.

15 |           DR. SCHAIBLE: Neutralizing in terms of whether  
16 | they bind to the portion of the drug that is involved in  
17 | its effectiveness. Our experience is that most of the ones  
18 | with Remicade are. However, with ReoPro, as I've shown you  
19 | the data, it appears that they're not neutralizing in terms  
20 | of the anti-aggregation effects in patients in terms of  
21 | platelet function.

22 |           Yes?

23 |           DR. SAUSVILLE: I was simply going to enlarge  
24 | on, I guess, the point that Jay was getting to. In other  
25 | words, this issue is likely going to occur with,

1 | quote/unquote, every biologic to one degree or another, but  
2 | whether or not it's of clinical significance is a dose and  
3 | nature of molecule type of thing. And I think it's going  
4 | to be very difficult. I mean, it's very good that in your  
5 | experience you appear to have efficacy and the events  
6 | appear to be manageable. But to extrapolate from that  
7 | experience to any other biologic, I think is going to be  
8 | really difficult.

9 | DR. SCHAIBLE: Right.

10 | DR. SAUSVILLE: I think a key harbinger of  
11 | potentially having an effect would be either, as you say,  
12 | the neutralizing question or an obvious change in  
13 | pharmacology. I think it's ultimately going to have to be  
14 | assessed for each product.

15 | DR. SCHAIBLE: Right. I would just add that  
16 | our approach to this also is to gain as much prospective  
17 | data post-marketing as we can, and that involves designing  
18 | and implementing registries. You saw that with the ReoPro  
19 | readministration registry. We're also just implementing  
20 | now one in Crohn's disease because I think in the long term  
21 | you want to know how these patients are responding  
22 | clinically, both in terms of how effective the agents are  
23 | and how well tolerated they are from the clinical  
24 | perspective.

25 | DR. SALOMON: Dr. Miller.

1 DR. MILLER: On the Remicade, what is your  
2 retreatment data? And the reason for that is with the data  
3 you showed here, you continue to have a response in  
4 decrease in swollen joints, and I think with many biologics  
5 that interfere early on in the blockade, you don't know how  
6 long the effector cells from the initial treatment are  
7 still affected. So, I don't think we really know how long  
8 you have to treat patients with some of these biologic  
9 modulators of the immune system.

10 So, my question is, since especially in the  
11 Remicade it appears to be neutralizing antibodies, number  
12 one, you don't know if those doses after the neutralizing  
13 antibodies are truly necessary for continued response. And  
14 so the only way you can get that is to get retreatment data  
15 on patients who actually had neutralizing antibodies.

16 DR. SCHAIBLE: Right.

17 DR. MILLER: Do you have that?

18 DR. SCHAIBLE: No. We have limited data on  
19 that.

20 One of the problems also is that with long-term  
21 repeated dosing, so long as the agent stays in the blood,  
22 it will interfere in the assay for antibodies. So, in  
23 fact, you have to wait a very long time until patients are  
24 essentially off the drug before you can get a valid  
25 measurement as to whether these antibodies are present or



1 not. And these trials are ongoing. So, that's the reason  
2 why we have limited data in terms of taking patients who  
3 have antibodies and then retreating them at that point.

4 DR. SALOMON: Do you have data on immune  
5 complex formation, and do you have data on complement  
6 activation in any of these patients after retreatment?

7 DR. SCHAIBLE: Very little, very little.

8 DR. SALOMON: Another issue that concerns me  
9 about biologics and repeated administration is going to be  
10 whether or not we generate neo-immune responses and  
11 generate auto-antibodies, for example, then which later can  
12 cause problems. I don't know that these antibodies are  
13 more likely than any other, but overall I think it's an  
14 issue with new therapeutics.

15 DR. SCHAIBLE: In terms of antinuclear  
16 antibodies or anti-double-stranded --

17 DR. SALOMON: Have you looked for, for example,  
18 anti-platelet antibodies after ReoPro administration?  
19 Let's say 6 months after these patients have been in the  
20 trial. Have any of them developed platelet auto-  
21 antibodies, and have you measured bleeding times a year,  
22 maybe, after repeated administration?

23 DR. SCHAIBLE: No. I know we definitely  
24 haven't measured bleeding times in that time frame. I  
25 don't know if we've look at any anti-platelet antibodies or

1 not. I'm not aware. If we have, I'm not aware of it.  
2 Sorry.

3 DR. SALOMON: I bring these up just because you  
4 were brave to get up.

5 (Laughter.)

6 DR. SALOMON: Clearly this isn't to discuss  
7 specifically your product, but I think the results you  
8 presented are very apropos obviously to the discussions  
9 that will happen this afternoon where we definitely want to  
10 grapple directly with what kind of assays should sponsors  
11 be developing. So, I hope you'll forgive --

12 DR. SCHAIBLE: It's quite all right. Thank  
13 you.

14 DR. SALOMON: Are there any other comments?

15 MS. MEYERS: There's some biotech products that  
16 have been on the market for a much longer time. Has  
17 anybody spoken to those companies and tried to get this  
18 data from them?

19 DR. SIEGEL: We'll be presenting some of those  
20 data in just a couple hours.

21 DR. SALOMON: Yes. There is an experience to  
22 draw from.

23 Dr. Goldsby, I don't want to exclude you.

24 DR. GOLDSBY: I have no questions.

25 DR. SALOMON: We're trying out this new

1 | technology. I hope you'll forgive me if it seems a little  
2 | awkward.

3 | Are there any other comments?

4 | (No response.)

5 | DR. SALOMON: Well, then I want to thank very  
6 | much Centocor and Dr. Schaible and his colleagues for this.  
7 | I think it was an extremely informative presentation and  
8 | will again, as I said, be very useful this afternoon. I  
9 | hope you're staying around. You'll be here this afternoon.  
10 | Excellent.

11 | At this point, it's also appropriate to ask is  
12 | there anyone else that would like to present anything to  
13 | the committee before we close this portion of the  
14 | committee, the open public portion.

15 | (No response.)

16 | DR. SALOMON: Then for the minutes, let me note  
17 | that no one else wants to address the committee, and we are  
18 | now therefore closing the open public hearing portion.

19 | We would like to move on to topic I, the FDA  
20 | Regulatory Policy Update, and for that I'd like to  
21 | introduce our own Dr. Jay Siegel.

22 | (Laughter.)

23 | DR. SIEGEL: Thanks. I also would like express  
24 | and add my appreciation to Dr. Schaible. I think, as  
25 | you'll soon see, those data will be very useful and mesh

1 well with our presentation and planned discussions  
2 regarding immunogenicity and policy approaches.

3           What I'd like to do today and what actually Dr.  
4 Weiss and I will do in the next couple of presentations is  
5 update and inform the committee regarding some areas of  
6 active policy development. The last 2 to 4 years have been  
7 an extremely active period of time for the FDA vis-a-vis  
8 development of new policies and new guidances in many  
9 areas, in part spurred initially by the anticipation of  
10 Congress' development of the Food and Drug Administration  
11 Modernization Act of November 1997. After that act was  
12 passed, it mandated substantial additional policy and  
13 guidance development. It has been an active period, and it  
14 has impacted a number of areas that this committee and  
15 other committees and members of this committee as they sit  
16 often on other committees that we visit as well have  
17 frequently raised questions on and have shown a great deal  
18 of interest in.

19           So, we're going to pick out a few of those  
20 areas which particularly impact the deliberations of this  
21 committee vis-a-vis product approvals in terms of what is  
22 the standard for proving efficacy for biologics products,  
23 what is the nature and what's new regarding accelerated  
24 approval for products for serious and life-threatening  
25 diseases, and what are the recommendations and requirements

1 and guidelines regarding doing pediatric studies. Those of  
2 you who have been a member of this committee for a while  
3 know that those are issues that come up frequently, and  
4 there have been some significant changes for at least  
5 clarifications in all of those areas. I'm going to focus  
6 primarily on issues regarding the evidence of efficacy and  
7 then Dr. Weiss will be speaking specifically about  
8 pediatric indications.

9           Now, the 1962 drug amendments established a  
10 standard of effectiveness which has been applied, at least  
11 by the Center for Drugs and, to some extent, by the Center  
12 for Biologics over the period since that point in time. It  
13 says that effectiveness must be established by substantial  
14 evidence, and it defined substantial evidence to be  
15 "evidence consisting of adequate and well-controlled  
16 investigations, including clinical investigations, by  
17 experts qualified by scientific training and expertise" --  
18 I omitted a few words there -- "on the basis of which it  
19 could be fairly and responsibly concluded by such experts  
20 that the drug will have the effects it purports or is  
21 represented to have."

22           Although it may not show up, I bolded the  
23 letter "s" in "investigations." One of the most important  
24 phrases here is "adequate and well-controlled  
25 investigations," something that the Centers for Drugs and

1 |     Biologics look for carefully in studies. I bolded the  
2 |     letter "s." As a matter of policy, as well as court  
3 |     decisions, this was taken as a key indication that there  
4 |     needed to be more than one adequate and well-controlled  
5 |     investigation. There's now substantial legal and policy  
6 |     clarification on that issue which is one of the key issues  
7 |     that I'll be discussing over the next several minutes.

8 |             That was from the Food, Drug and Cosmetic Act.  
9 |     All biologics are, to my knowledge, either biological drugs  
10 |     or biological devices, but biologics are additionally  
11 |     covered by the Public Health Service Act which requires  
12 |     that they be safe, pure, and potent. There is substantial  
13 |     case record and legal support for the notion that potency  
14 |     means effectiveness, not simply biological activity.

15 |             But the linkage to the standard that I just  
16 |     read regarding drugs has, at least till recently, until  
17 |     FDAMA of 1997, been indirect. It's now more directly  
18 |     linked.

19 |             On the basis of these different legal  
20 |     approaches, there was, up until maybe five or six years  
21 |     ago, the widespread notion, with some basis in reality,  
22 |     that there was a requirement. It turned out that it wasn't  
23 |     an absolute requirement. But there was essentially a  
24 |     requirement for at least two adequate and well-controlled  
25 |     investigations for drugs and there was a perception, with

1 | again some basis in truth, that biologics sometimes  
2 | required only one efficacy trial. Indeed, the approach in  
3 | Biologics was that the number of trials was just one of  
4 | several indicators of quality and quantity of evidence, and  
5 | it perhaps wasn't as carefully focused on as it was in the  
6 | Center for Drugs, although certainly recognized to be  
7 | important.

8 |           But there was concern both within the agency  
9 | and outside, particularly as biologics began to be applied  
10 | to and used for a broad variety of indications, many of  
11 | which are competing against drugs in a similar indication,  
12 | that there really ought to be some level of harmonization.  
13 | Back about six years ago, Dr. Zoon and I and Bob Temple and  
14 | Janet Woodcock and a few other people began talking and  
15 | trying to come to a meeting of minds and discovered, in  
16 | fact, that we had each evolved to a position that was not  
17 | particularly dissimilar.

18 |           In 1996, the agency began an initiative to  
19 | clarify, because there were a lot of misunderstandings,  
20 | regarding what type of data are needed for a supplemental  
21 | indication, for a new efficacy indication for an already  
22 | approved drug. In doing that, we came to realize that that  
23 | really needed to be broadened. There wasn't really a  
24 | different standard, and many of the issues that applied to  
25 | supplemental indications also applied to first indications.

1 This evolved into really work on an effectiveness guidance.  
2 It was published in draft in early 1997.

3 In late 1997, the Food and Drug Administration  
4 Modernization Act -- and I'll quote its passages --  
5 essentially endorsed most of the concepts in that guidance.  
6 That was clearly the message from Congress as we met with  
7 Congress on these issues. The guidance was then, on the  
8 basis of public comment, finalized and formalized, and I'll  
9 be reviewing it shortly.

10 The Food and Drug Modernization Act has a  
11 section entitled Number of Required Clinical  
12 Investigations, and what it says is, specifically in  
13 clarifying the intents of the words "adequate and well-  
14 controlled investigations," "If the Secretary determines,  
15 based on relevant science, that data from one adequate and  
16 well-controlled clinical investigation and confirmatory  
17 evidence are sufficient to establish effectiveness, the  
18 Secretary may consider such data and evidence to constitute  
19 substantial evidence," substantial evidence being the legal  
20 standard. And the guidance document, again that I will  
21 summarize shortly, goes into significant detail to clarify  
22 what the agency considers to be appropriate confirmatory  
23 evidence in the context of this law. But it also addresses  
24 several other issues.

25 As I noted earlier, there are historically some



1 different approaches between drugs and biologics. The Food  
2 and Drug Modernization Act of 1997, a section entitled  
3 Modernization of Regulation included the following  
4 language. "The HHS Secretary shall take measures to  
5 minimize differences in the review and approval of products  
6 required to have approved biologics license applications  
7 under section 351 of the PHS Act," which is what we grant  
8 our biologics license applications, "and products required  
9 to have approved NDAs under section 505(b)(1) of the  
10 Federal FD&C Act."

11 A letter of congressional intent clarified the  
12 issue, as there was significant confusion, that this was  
13 not intended to change in particular different approaches  
14 to generics for drugs and for biologics, and it was also  
15 clear from discussion that different scientific issues  
16 raised by the different classes of products needed to be  
17 addressed.

18 But one of the issues that this was clearly  
19 intended to address is in fact the focus of my talk today,  
20 the efficacy standard, and lacking specific scientific  
21 reason for differences, clearly the message here is that  
22 such differences should be minimized or eliminated.

23 On the Biologics web site -- Gail, was the  
24 efficacy guidance document distributed to the committee?

25 MS. DAPOLITO: Yes.

1 DR. SIEGEL: It was, okay. So, the first  
2 document you have. There are a couple other documents I'll  
3 talk about which I didn't feel that you'd probably want to  
4 weigh down your briefcases with, but are readily available  
5 to you or anybody through the Internet. A whole slew of  
6 our guidance documents are at the site. The address is  
7 noted on this slide. The Center for Drugs has a site as  
8 well with extensive FDA guidance documents, some of which  
9 you might find interesting. These two documents are indeed  
10 on both sites as they and an increasing number of policy  
11 guidances apply equally to both centers.

12 The guidance that I'm going to focus most of  
13 this talk on, as I've said several times -- and I'll get to  
14 it soon -- is the guidance for industry on providing  
15 clinical evidence of efficacy for human drug and biological  
16 products.

17 I'll note here, although not specifically  
18 discuss, an additional guidance that we put out that really  
19 addresses how this general guidance applies specifically in  
20 the case of cancer treatment. It's entirely consistent,  
21 but it's a specific application in terms of more detail in  
22 the setting of oncology as to what the agency would and  
23 would not or might and might not consider adequate evidence  
24 of efficacy for cancer treatment uses.

25 The introduction to the guidance gives as the

1 | reason for the guidance -- and it's one of several reasons,  
2 | but certainly a critical reason -- that from an historical  
3 | basis, there's been an evolution of clinical development so  
4 | that the types of data generated and the types of  
5 | indications sought are now not what they were in 1962, for  
6 | example, when the clarification on effectiveness for drugs  
7 | legal standard was set.

8 |           There are narrower, more closely related  
9 | indications which occurs as we understand diseases better  
10 | and we understand different subsets of patients and  
11 | diseases. We're beginning to understand more of the  
12 | genetics of diseases, and we have, in many cases, more  
13 | finely focused agents that may fit better in certain stages  
14 | or subsets of diseases. We have many agents that have very  
15 | related similar uses in different populations, in different  
16 | combinations, alone or in combination, in different doses.

17 |           Also there has been a trend from having  
18 | collections of relatively smaller trials typically done at  
19 | a single center to having more rigorously designed and  
20 | analyzed multicenter trials, and all of these have impacted  
21 | the thinking about effectiveness and particularly about  
22 | numbers of clinical trials.

23 |           Now, a key focus of this document and of FDA  
24 | policy is the need for independent substantiation of  
25 | clinical data, and this underlies the issue of how many

1 | clinical trials might be needed and the question of -- I'm  
2 | forgetting what the word was, but the question in FDAMA --  
3 | the legal standard -- I don't want to misquote that. Hold  
4 | on a second -- of confirmatory evidence, of one trial and  
5 | confirmatory evidence.

6 |           This makes quite clear that what the agency is  
7 | interested in is not replication, something that had been  
8 | frequently used to describe the drug standard of more than  
9 | one clinical trial. It's usually not wise to do the exact  
10 | same trial twice. And what the agency is really looking  
11 | for is evidence that substantiates the evidence that might  
12 | be present in a single trial and often may come from a  
13 | related trial but one that differs in ways that the  
14 | document discusses.

15 |           Some of the reasons for substantiation are the  
16 | possibility of systematic bias in a single study, the  
17 | possibility, reflected by the p values, that the positive  
18 | results might result from chance alone, issues regarding  
19 | lack of generalizability if the study is done in a single  
20 | trial with a single regimen and entry criteria and so  
21 | forth. There are often many unanswered questions about  
22 | generalizability and rarely, but sometimes present,  
23 | concerns about fraud.

24 |           The general default and the focus of this  
25 | document -- the general default position of the agency is

1 that the most common and usually best way to provide  
2 independent substantiation is to have two or more adequate  
3 and well-controlled trials, each demonstrating efficacy.

4           However, there are many, many exceptions to  
5 that general default position. The document starts by  
6 listing some areas in which no new efficacy trial may be  
7 required for a new indication. One is the area Dr. Weiss  
8 will be speaking about in more detail: pediatric use where  
9 pharmacokinetic data, together with safety data relevant to  
10 use in children may well bridge the gap from adult use to  
11 pediatric use if enough is known about the pathophysiology  
12 of the disease and the pharmacology of the drug.

13           New formulations and strengths of a drug, if  
14 there are data showing bioequivalence and pharmacokinetic  
15 linking to older formulations and strengths, may well not  
16 require additional trials.

17           Even new doses or regimens. If there's a very  
18 well understood pharmacokinetic and pharmacodynamic  
19 relationship, someone can predict efficacy of those new  
20 regimens.

21           This is a somewhat old slide. I'm not sure of  
22 the status of it now, but what internally in the agency has  
23 been called the "animal rule," which recognizes that there  
24 are some clinical settings in which clinical trials are  
25 impossible. This refers not to the many settings where we

1 | hear, well, we can't really do that trial for this, that,  
2 | or the other reason, but specifically most commonly to  
3 | settings such as preventative or therapeutic treatment for,  
4 | say, a disease caused by a bioterrorism vector where that  
5 | disease does not commonly occur outside of that setting and  
6 | it would be very hard to do a clinical trial demonstrating  
7 | effectiveness. One, with animal studies, together with  
8 | certain pharmacological studies, say, inducing immune  
9 | responses, for example, might be able to approve a product  
10 | despite the lack of the clinical investigations requirement  
11 | of the law.

12 |           The effectiveness standard document then  
13 | clarifies and has for each of these bullets a paragraph or  
14 | a few paragraphs -- clarifies the types of independent  
15 | substantiation that the agency might find acceptable other  
16 | than a second study when there's a single study  
17 | establishing effectiveness of a trial. And these include:  
18 | evidence that different doses, regimens, or dosage forms of  
19 | the product are also effective; evidence that the product  
20 | is also effective in other phases of the disease; evidence  
21 | that the product is effective in other populations; the  
22 | issue of combination or monotherapy. A single trial  
23 | showing that the drug is effective as a monotherapy  
24 | supplemented by a single trial showing that it's effective  
25 | in a certain combination, those two trials may substantiate

1 each other or either might substantiate the first.

2 Effectiveness in a closely related disease. As  
3 I pointed out, disease categories and indications are often  
4 being more and more narrowly defined, but if we know a  
5 product works in one disease and there's a very closely  
6 related disease or even -- as it says diseases with the  
7 same purpose of therapy -- a disease that's physiologically  
8 more different like infections in different organs in the  
9 case of an antibiotic, single studies in two different  
10 organs might suffice.

11 And evidence of different clinical endpoints  
12 might each support the acceptability of a claim in the  
13 other so that if you have one trial showing a drug effect,  
14 say, exercise tolerance, and another showing that it  
15 affects, say, mortality, although neither finding what's  
16 replicated in the other trial, there may well be a setting  
17 where both findings are considered supportable. Again, the  
18 standards by which this sort of inference will be  
19 acceptable are somewhat explained in somewhat more detail  
20 in the document.

21 The next area is an area perhaps of importance  
22 particularly to biologics, although it applies to all  
23 agents: independent substantiation of a single study by  
24 pharmacological or pathophysiological endpoints.

25 Now, the general tenor of this section is that

1 | it's almost always the case that there's a rationale for  
2 | why a drug works and that it has relevant pharmacology.  
3 | And it's not the intent that the agency will take the fact  
4 | that it has any physiological effect that seems relevant as  
5 | substantiating the evidence of efficacy, but it defines  
6 | parameters where that might be the case. When the  
7 | pathophysiology of the disease and the mechanism of action  
8 | of the drug are very well understood, but the  
9 | pharmacological effect is not a validated surrogate or an  
10 | acceptable endpoint for accelerated approval. There are  
11 | some pharmacological effects which in their own right are  
12 | already accepted, and this is a non-issue. If you lower  
13 | blood pressure in hypertension, that's considered an  
14 | accepted surrogate for approval.

15 |           In the case of accelerated approval, as I'll  
16 | talk about a little bit later, a surrogate need not be  
17 | fully validated, but needs to be reasonably likely to  
18 | predict clinical benefit to be acceptable for marketing  
19 | approval.

20 |           The linkage needs to be not just theoretical  
21 | but based on prior therapeutic experience or well  
22 | understood pathophysiology. So, there needs to be a pretty  
23 | strong database to support the notion that this  
24 | physiological effect will correlate with efficacy. An  
25 | example given is replacement therapy. I think there's a



1 specific example of a clotting factor replacement. If the  
2 disease is known to be clearly due to a deficiency and  
3 there are data demonstrating both replacement of that  
4 deficiency and restoration of physiological activity, that  
5 those sort of data may well supplement a single efficacy  
6 trial and establishment of effectiveness.

7           There's a cautionary note of single trials with  
8 compounds with relevant pharmacological activity, such as  
9 suppressing arrhythmias or inotropic agents, which of  
10 course you may well know. In both those cases, we've  
11 observed trials where drugs were effective anti-arrhythmics  
12 or effective inotropes but, when studied in greater detail,  
13 were found to increase mortality in cardiovascular disease.

14           The next section of the document talks about  
15 when a single study may suffice. Generally, this will be  
16 applied to situations where there's a mortality or an  
17 irreversible morbidity effect or prevention of serious  
18 illness. And those are often situations where, in fact,  
19 it's practically or ethically unfeasible to confirm single  
20 studies, if those studies are quite compelling or  
21 convincing. In most other cases, the default position is  
22 that a single study would not suffice.

23           The single study should have generally some of  
24 the following characteristics. These are the  
25 characteristics that are looked at to determine its

1 adequacy. Being large and multicentered, having internal  
2 consistency within the study, factorialization with  
3 internal confirmation.

4 Factorial studies are designs which allow more  
5 than one comparison. So, you may have a placebo versus  
6 drug A by which you can look at efficacy of A, and then you  
7 might have drug B arm versus A plus B, another comparison  
8 of separate patients within the same trial, relevant to the  
9 efficacy of drug A. This pattern is in increasing usage  
10 and provides additional internal confirmation or has a  
11 potential to provide that.

12 Effects on multiple endpoints within the study  
13 that are not closely related to each other, and findings in  
14 a study that are statistically very persuasive. Here too,  
15 there's a caution about considering the totality of the  
16 data, noting cases where a single trial showed efficacy but  
17 other pieces of data suggested that that may not be a  
18 definitive finding, and future trials showed, in fact, that  
19 it could not be replicated.

20 The next section of the document, after dealing  
21 with the quantity, if you will, the number of trials  
22 needed, talks about quality of evidence and quality  
23 assurance because the standard pharmaceutical approach has  
24 been submitting detailed amounts of all data collected in a  
25 trial, together with careful monitoring and checking, 100

1 percent checking, of the validity of each data point. But  
2 not all trials are conducted that way, and there have been  
3 many questions about use of other types of data in the  
4 regulatory process. This document provides guidance in  
5 that regard: the first of two-part design, the use of  
6 literature reports, the use of study reprints, if you will.  
7 The second section is about the use of studies that have  
8 not been quality assured in the typical manner.

9           It provides guidance that I think will be more  
10 useful or mostly useful to sponsors seeking approval as to  
11 what sorts of information they might seek to obtain that  
12 would be useful in improving the value of an article which  
13 was not done perhaps under their sponsorship and for which  
14 they do not have a full data set but they have reprints.  
15 This includes availability of the clinical trial protocol  
16 and its amendments, the existence and availability of a  
17 prospective analytic plan, randomization codes and entry  
18 dates, full accounting for all subjects, a record of  
19 critical data by subject, and information particularly if  
20 safety is an issue, which it isn't always an issue because  
21 often these are drugs that have already been approved with  
22 a large safety database, information on deaths, serious  
23 adverse events, and dropouts.

24           The areas in which use of literature reports  
25 alone are most likely to be acceptable to the agency are

1 those which have multiple independent studies, detailed  
2 reports, objective endpoints subject, therefore, to  
3 problems of bias, robust results by prespecified analyses,  
4 and which were conducted in organizations with established  
5 standard operating procedures and a history of implementing  
6 those procedures for clinical trial development in an  
7 effective manner.

8           Then the issue of studies with non-standard QA,  
9 which means quality assurance that deviates from the  
10 typical industry approach of checking each data point,  
11 visiting all sites before, during, and after the trial, and  
12 providing a reasonably high level assurance of accuracy of  
13 the data submitted. A large number of trials in recent  
14 years have been conducted by multicenter groups, by NIH,  
15 other organizations, which take different approaches,  
16 large, simple trials, many other types of trials which  
17 often are quite acceptable to the agency in terms of the  
18 quality of data.

19           And the guidance provided here is that the  
20 critical factors are that there have been or be a  
21 prospective plan for quality assurance, relatively simple  
22 procedures in the trial which minimized the likelihood that  
23 they are not followed correctly, availability of primary  
24 data in the trial so that the quality can be checked when  
25 questions arise, primary data like medical records, and

1 that the trial have been conducted by a group with  
2 established procedures and a history of implementing them  
3 regarding quality assurance.

4 I'd like to take just a little bit of time,  
5 although it received more prominent billing in my title, to  
6 talk about the fast track policy.

7 A lot of the fast track policy really has to do  
8 with how the agency will interact with sponsors seeking to  
9 develop products for serious and life-threatening illnesses  
10 which have the potential to address unmet medical needs and  
11 really come into play largely in the developmental phase  
12 and address issues like the types of meetings and guidance  
13 that the agency will support. I'm not going to go into too  
14 much detail on that, but I am going to focus on the fast  
15 track policy to the extent that it provides some  
16 clarification regarding the effectiveness standard.

17 The Food and Drug Administration Act of 1997  
18 again instructed the agency to develop guidelines regarding  
19 how we deal with products for serious and life-threatening  
20 illnesses and also presented some new authorities and some  
21 new approaches to older authorities. This provision is  
22 implemented in another document, which I've not distributed  
23 but again is available on the web site cited, entitled  
24 Guidance for Industry: Fast Track Drug Development  
25 Programs - Designation, Development, and Application

1 Review.

2 Now, a lot of what this document does and a lot  
3 of its purpose and a lot of Congress' and industry's  
4 purpose in asking for it was really to consolidate and  
5 clarify programs already in existence. And I wouldn't  
6 underestimate the benefit and value of that. It turns out  
7 that the affected public, including pharmaceutical  
8 sponsors, I think had relatively limited understanding of a  
9 collection of policies that have evolved over the last 10  
10 years, in many cases in response to issues raised by  
11 patient communities, HIV, cancer, and other serious and  
12 life-threatening diseases.

13 But those documents were found in many places,  
14 some of them rather obscure and hard to find, preambles to  
15 regs that few people could locate. So, really one of the  
16 critical issues of this guidance is to put everything in  
17 one place. It's a road map to what the agency will do  
18 regarding treatments for serious or life-threatening  
19 conditions with the potential to address unmet medical  
20 needs.

21 It has some new provisions in it, some new  
22 approaches. One is designation of such products or more  
23 appropriately product development programs, products  
24 together with indications and planned development programs  
25 to achieve those indications essentially prior to filing

1 the IND or at the time of filing of the IND so that while  
2 the agency has always had priority designation for review  
3 of NDAs and BLAs, or at least for many years has, this now  
4 provides this sort of designation early on with impetus for  
5 the agency to provide additional support to development of  
6 those products that receive this designation.

7 I think an important issue is new  
8 clarifications in this document about what the agency means  
9 by a serious of life-threatening condition and particularly  
10 what the agency means by treating a serious or life-  
11 threatening condition. I won't go into detail on this  
12 point, but it talks about differences between treating the  
13 condition, treating manifestations of the condition. It  
14 addresses issues such as treating the side effects of drugs  
15 used in a serious or life-threatening condition, such as  
16 the CSFs that we see from time to time in this committee.  
17 It addresses of what this means vis-a-vis diagnostic agents  
18 and preventative agents. It provides substantial  
19 clarification and clear and, I believe, highly appropriate  
20 standards for what makes a product a priority, what are the  
21 critical features for broad varieties of products.

22 Similarly, it provides clarifications regarding  
23 what the agency means by potential to address an unmet  
24 medical need, what it means to have potential, what exactly  
25 is an unmet medical need in this context. The agency still

1 has ongoing work to further develop standards regarding how  
2 we determine what we call an unmet medical need or what we  
3 mean by the standard used elsewhere of beyond existing  
4 therapies.

5           Now, the part of this document that has the  
6 most relevance to the efficacy and to issues that might  
7 come before this committee, in addition to defining what's  
8 eligible, are other provisions that also pertain to the  
9 accelerated approval regulations, something that has come  
10 up from time to time in a variety of committees, often the  
11 question, well, would this product be eligible for  
12 accelerated approval as opposed to the more traditional  
13 mechanisms of approval. In addition to defining the  
14 criteria related to seriousness and so forth, this document  
15 also provides -- and I'll summarize briefly -- some  
16 clarifications regarding a provision in the accelerated  
17 approval regulations regarding accelerated approval based  
18 on clinical endpoints other than survival or morbidity.  
19 Accelerated approval is generally thought to apply to  
20 surrogate endpoints, and there was considerable  
21 congressional interest and inquiry into the implications of  
22 the application of accelerated approval to clinical  
23 endpoints.

24           There's also a new provision in this document,  
25 probably a fairly important one, but not one that I'll go



1 into detail with this committee, which allows for a company  
2 to submit an incomplete application under certain  
3 circumstances when some portions of the application are  
4 complete. Perhaps the clinical data are complete, but  
5 validation of certain aspects of manufacturing are yet  
6 complete.

7 To talk about what's new in the accelerated  
8 approval regulations, it's probably worth giving a brief  
9 overview of the accelerated approval regulations, something  
10 again that comes up from time to time in our deliberations.

11 It applies to serious or life-threatening  
12 illnesses. Another standard for its implementation is not  
13 the wording in FDAMA, "potential to address unmet medical  
14 need," but is a closely related wording, "meaningful  
15 therapeutic benefit to patients over existing treatment."

16 Importantly, it has a standard of adequate and  
17 well-controlled clinical trials, the same evidentiary  
18 standard that I was just talking about at some length. So,  
19 the nature of the evidence proving the point, if you will,  
20 is not different, and the quality of the evidence and the  
21 standard for the evidence is not different. This is an  
22 important point.

23 There was some confusion as this issue was  
24 under discussion. Would this mean, for example, that now p  
25 values of .1 are okay if it's a serious illness? The

1 answer to that question per this regulation and the  
2 implementation of FDAMA from the agency is, no, that is not  
3 the intent. The evidentiary standard remains the same  
4 except that it can apply to a different sort of endpoint,  
5 not simply a clinical endpoint, but the accelerated  
6 approval regulation has noted that it may also apply to the  
7 use of a surrogate endpoint that is reasonably likely to  
8 predict clinical benefit, reasonably likely being a  
9 standard substantially different from validated to predict  
10 clinical benefit. And it talks about that being based on  
11 pathophysiological, pharmacological, and other types of  
12 data.

13           And the regulation also has this provision  
14 which again had been under-utilized, essentially  
15 unutilized, until recently and which we've attempted to  
16 improve and increase the utilization of through  
17 clarification in the guidance document, the use of clinical  
18 endpoints other than survival or irreversible morbidity.  
19 And I'll give a little more detail on that in just a  
20 moment.

21           Then I think as many of you are aware, the  
22 regulation carries sections about requiring post-approval  
23 studies to confirm the effect on the clinical endpoint or  
24 ultimate outcome in the disease, the potential for certain  
25 restrictions on the use of the compound, mechanisms for

1 withdrawal of those compounds which fail to confirm  
2 efficacy or in which there's a failure of due diligence in  
3 pursuit of the data to confirm efficacy, certain  
4 restrictions on the promotion of such compounds, and some  
5 other issues that I won't go into detail on.

6 I think this is my final slide, which is good  
7 because I'm not holding up here.

8 The guidance document, as I said, on fast  
9 track, for which I've given you the reference, provides  
10 some clarification in some detail and this highlights a few  
11 of the issues, regarding what is intended or meant by  
12 accelerated approval with clinical endpoints. This has  
13 been a somewhat confusing issue because clinical endpoints  
14 have always been acceptable for traditional approval,  
15 whereas accelerated approval allowed surrogate endpoints to  
16 be acceptable in certain circumstances. But the agency's  
17 intent is that there are circumstances in which clinical  
18 endpoints are not and have not been in the past acceptable  
19 for approval and that this is a broadening of approaches of  
20 potential routes to approval that are clarified here.

21 The first one I've listed here is effects on  
22 lesser symptoms which do not per se outweigh risks but are  
23 expected to lead to a favorable effect on ultimate outcome.  
24 So, this in a sense is a surrogate. It's a clinical  
25 endpoint that is functioning as a surrogate for other more

1 | important endpoints.

2 |           I can't speak to specific cases where I know  
3 | this will be the case, but for example, you might imagine a  
4 | serious disease, a type of cancer or infectious disease,  
5 | characterized, say, by night sweats or weight loss where  
6 | one might believe that a drug which had a profound effect  
7 | on those phenomena was reasonably likely to have a profound  
8 | effect on ultimate outcome because its mechanism of action  
9 | was such that it wasn't directly preventing sweating, it  
10 | was really treating the tumor. And one might be able to  
11 | use those endpoints that are reasonably likely to predict,  
12 | again not endorsing a particular endpoint, but that's the  
13 | general notion, that clinical endpoints can also be used as  
14 | surrogates for other more important clinical endpoints  
15 | based on the same standards as non-clinical surrogates have  
16 | been used under the accelerated approval regulations.

17 |           Short-term benefit in chronic conditions where  
18 | short-term benefit per se does not outweigh risk and  
19 | durability is expected but uncertain. Now, in most chronic  
20 | diseases, the agency is going to want some evidence of some  
21 | durability of benefit for chronic use and they will want  
22 | some durability of safety data as well.

23 |           There have been cases where drugs have shown  
24 | remarkable benefit over the period of, say, a year or so  
25 | where the nature of the disease and the process and of the

1 treatment and of the side effects are such that if that  
2 were to wear off before three or four or five years, it  
3 might well be that there isn't a favorable risk/benefit  
4 situation. And this makes clear that rather than require  
5 three to five years of data, the agency also has an option  
6 under certain circumstances to accept one year with the  
7 presumption that efficacy will persist but with the  
8 stringent requirements of the accelerated approval  
9 regulation to require that the persistence of efficacy be,  
10 in fact, proved in the post-marketing period.

11 A third example would be a drug with  
12 substantial benefits that in their own right might be  
13 efficacy data suitable for approval, but where there exists  
14 significant but limited concern regarding adverse events  
15 and ultimate outcomes. So, we've seen cases of, say,  
16 cancer interventions which might have significant impact on  
17 important symptomatology, important complications of  
18 cancer, some of the agents used to prevent the toxicities  
19 of cancer therapy, but where there are variable levels of  
20 concern as to the impact of those agents and even the  
21 possibility of potentially harmful impact on ultimate  
22 outcome on cancer growth.

23 And this makes clear that we see a third  
24 potential approach to data in those cases where the  
25 concerns are high enough that the agent may actually have

1 | an adverse effect on the cancer. We would likely require  
2 | that to be studied in the pre-marketing period.

3 |           Where the concerns are very low and highly  
4 | remote, we may well just do a standard approval with some  
5 | post-marketing collection of data to ensure it's not the  
6 | case.

7 |           Where the concerns are low but not so remote,  
8 | we might do an accelerated approval with the stronger post-  
9 | marketing commitment and potential for withdrawal depending  
10 | on the ultimate outcome.

11 |           So, we believe that this regulation will give  
12 | the agency and, to some extent, its advisory committees  
13 | more flexibility in how we look at the appropriateness of  
14 | data, its adequacy for approval -- it's not a regulation.  
15 | I misspoke. This guidance will clarify ways in which the  
16 | regulation might be used to accomplish those ends while  
17 | still ensuring that products are safe and effective and  
18 | come to market in a timely manner.

19 |           And with that, I'll close. Should I take  
20 | questions now if there are any, or wait till after Dr.  
21 | Weiss?

22 |           DR. SALOMON: I think we have a little extra  
23 | time, Jay, so if there are some specific questions. Yes,  
24 | Dr. Broudy.

25 |           DR. BROUDY: I'd just like to make the point

1 | that we have been de facto using this process in this  
2 | committee's deliberations for the five years that I've been  
3 | on this committee. If you think about the approval of  
4 | G-CSF or GM-CSF or a number of the biological agents, the  
5 | stem cell selection devices, we have not shown that these  
6 | decrease, for example, infectious death during  
7 | hospitalization after leukemia induction chemotherapy or  
8 | decreased death from breast cancer, for example, but we've  
9 | used a surrogate endpoint such as day of neutrophil  
10 | recovery. So, in fact, we've been using these guidelines  
11 | in our deliberations for a number of years, and I don't see  
12 | anything that's truly new about this that differs from what  
13 | we've been doing over the past five years unless you have  
14 | some other points you'd like to make.

15 |           DR. SIEGEL: Well, in fact, the initial  
16 | approvals of those agents and some of their indications  
17 | were accompanied by data demonstrating the decreased  
18 | hospitalization and decreased antibiotic usage and  
19 | decreased episodes of febrile neutropenia which is an  
20 | endpoint which is hybrid, if you will, between a lab value  
21 | and a clinical event, febrile neutropenia. And we, in  
22 | fact, discussed with this committee in 1994 and 1995 which  
23 | of those endpoints to use when. So, I just want to, as a  
24 | record of fact, indicate that to some extent or varying  
25 | degrees we're dealing with endpoints for some products that

1 | have already been validated.

2 |           Having said that, I would have to agree  
3 | entirely with your comments. For the most part, both this  
4 | issue of evidence of effective and these issues of  
5 | acceptability of different types of endpoints clarify  
6 | rather than change approaches the agency has been using.

7 |           There are some subtle changes, and in fact I  
8 | think that one of the things that they accomplish is to  
9 | provide consistency within the agency so that there have  
10 | been different approaches at use within the agency. It's  
11 | my belief and our belief that the approaches that we've  
12 | harmonized on are largely the approaches that have been  
13 | utilized by my office and this committee. So, we may be  
14 | seeing less change than certain other areas, but we're  
15 | providing additional and I think important clarification to  
16 | our reviewers, to sponsors, to the committee.

17 |           There are some things that are more  
18 | specifically new here. I tried to highlight those and some  
19 | options that are new.

20 |           DR. SALOMON: Dr. Auchincloss?

21 |           DR. AUCHINCLOSS: Jay, can you just clarify for  
22 | me what's the difference or the relationship between these  
23 | various guidances which include the fast track guidance and  
24 | the accelerated approval reg?

25 |           DR. SIEGEL: Are you asking what's the



1 difference between regs and guidances in general or how  
2 they relate to each other?

3 DR. AUCHINCLOSS: Is the regulation the basis  
4 for the guidance or are they separate things?

5 DR. SIEGEL: Yes. A regulation is something  
6 that's legally enforceable and that is a way that a  
7 government agency implements its legal mandate. A guidance  
8 document is used as a way to provide guidance as to,  
9 amongst other things, how best to be in conformance with  
10 regulations. Guidance documents clearly and always are a  
11 proposed approach. However, alternative approaches, if  
12 justified, as ways of meeting a regulatory standard or  
13 regulation, can be also be deemed and found to be  
14 acceptable.

15 So, in the specific case, therefore, of the  
16 specific question you're asking, the accelerated approval  
17 regulation and other regulations implemented under the Food  
18 and Drug Administration Modernization Act, the Food, Drug  
19 and Cosmetic Act, and the Public Health Service Act  
20 establish the standards for efficacy, the standards by  
21 which we can approve products, and in the case of  
22 accelerated approval regulation, the standard for  
23 accelerated approval.

24 However, we found, for example, with that  
25 guidance that there was a lot of confusion and sometimes

1 | inconsistency as to what was serious and life-threatening.  
2 | There was some general public thought that that really only  
3 | meant AIDS or only AIDS and cancer, for example. There was  
4 | some confusion as to what the use of a clinical endpoint  
5 | for accelerated approval would be when a clinical endpoint  
6 | should get regular approval. So, a guidance document is an  
7 | important document that provides clarification and guidance  
8 | but doesn't have that sort of regulatory impact.

9 | DR. SALOMON: Dr. Champlin?

10 | DR. CHAMPLIN: The guidelines that you've  
11 | proposed are largely directed to making sure there's a high  
12 | level of confidence that a product is, in fact, safe and  
13 | effective for its approval.

14 | My concern is, as the process becomes  
15 | increasingly onerous and expensive, particularly small  
16 | market or orphan indications then don't seem to be  
17 | economically feasible for companies and corporations to  
18 | develop. As the understanding of medicine advances, we are  
19 | increasingly splitting disease states into smaller and  
20 | smaller entities defined by their pathophysiologic  
21 | mechanisms. So, you're increasingly developing these sort  
22 | of small potential indications for therapeutic  
23 | intervention.

24 | So, the bottom line is that the guidelines  
25 | should ideally be a balance that would favor and enhance

1 and encourage the development of new and effective  
2 treatments. Right now, at least, there's a perception that  
3 the guidelines or at least the regulatory process is an  
4 expensive and onerous one that only justifies development  
5 of a \$100 million drug. So, somehow we have to come to,  
6 again, a balance of those opposing considerations.

7 DR. SIEGEL: I would only comment that your  
8 phrase "as the standards become more onerous, we run into  
9 these problems," that these documents to a large part and  
10 the act itself to a large part represent making standards  
11 less onerous. I'm not specifically addressing whether or  
12 not they're too onerous, which is the point that you're  
13 raising, as I think you have legitimate concerns that, of  
14 course, also need to be balanced against the importance of  
15 having adequate safety and efficacy data.

16 But it should be noted that this evidence of  
17 effective document, for example, represents a significant  
18 move from, in many parts of the agency, routinely requiring  
19 more than one clinical trial to a clarification that there  
20 are many cases in which one clinical trial will suffice  
21 and, in the case of fast track, to providing clarification  
22 regarding a broadening of the types of endpoints that might  
23 be acceptable for approval and types of approaches.

24 On the issue of orphan indications, of course,  
25 I haven't addressed those, but there are in place a

1 substantial amount of laws and policies to try to assist  
2 and facilitate development of products for orphan  
3 indications. Interestingly, many of the products that this  
4 committee sees have been developed under orphan drug  
5 provisions, which is not to say necessarily that what we  
6 have is currently adequate or that there might not be other  
7 ways to improve the process, but just to note that there  
8 are a lot of relevant provisions.

9 DR. SALOMON: Dr. Sausville?

10 DR. SAUSVILLE: I have a question or a comment  
11 and it relates to this use of surrogates and clinical  
12 endpoints. How does the agency impute value to different  
13 types of surrogates? Because I think it's one thing to say  
14 that you're going to use surrogate markers or clinical  
15 endpoints. But I think there's a lot of confusion  
16 certainly among sponsors in terms of when they come to us  
17 and talk about the strategies they might conceivably use  
18 for an investigational agent, and there may actually be  
19 confusion in the clinical community as to what surrogates  
20 to use because ultimately not all surrogates are created  
21 equal I guess. So, I'd be interested in your thinking on  
22 that.

23 Also the comment would be I'm concerned that  
24 tying the, quote/unquote, effects on lesser symptoms to  
25 some expected ultimate outcome could potentially not

1 address clinical needs. And to pursue your example, let's  
2 say that you had a drug that was really good for night  
3 sweats but didn't actually treat the underlying cancer. I  
4 imagine most people who were in the unfortunate position of  
5 being afflicted with that problem would rather not be  
6 sweating even if their survival was not affected. How  
7 would you address that?

8 DR. SIEGEL: Fair enough. Let me address both  
9 parts of those questions.

10 I think the first part is a critical question  
11 of our times. The Food and Drug Administration  
12 Modernization Act of 1997, in fact, instructed not the FDA  
13 but the Department of Health and Human Services to address  
14 the issue of utility of surrogate endpoints, and in part as  
15 a result of that legislation, but also other perceived  
16 needs, as you're quite aware, there have been two NIH/FDA  
17 co-sponsored conferences, one this past April on biomarkers  
18 and surrogate endpoints in clinical diseases, one I guess  
19 it was October or November on issues regarding statistical  
20 and clinical approaches to the validation of surrogates.

21 I wouldn't really be able to answer your  
22 question as to how exactly we determine acceptability of an  
23 endpoint, because it's too complex and dependent on the  
24 specifics of a given case, except to say that we believe  
25 the best time and way to do that is prior to the conduct of

1 the definitive clinical trials. We're quite open in these  
2 settings to meeting with sponsors and encourage that to  
3 discuss the acceptability of the surrogate.

4 And we also believe that there's a role for  
5 advisory committees on critical questions that arise in  
6 that area, and it would be our intent and practice to  
7 invite either individual members of advisory committees  
8 with appropriate expertise to discussions with the sponsor  
9 or, in some cases, to come to an advisory committee, as  
10 we've done in a number of cases in the past specifically to  
11 talk about acceptability of different endpoints in  
12 different diseases.

13 DR. SALOMON: Yes. In fact, I wanted to  
14 interject. I think that that's probably one of several of  
15 the major roles expert advisory committees such as this one  
16 play. I have to say over the last seven, eight years that  
17 I've been participating in various ways with these  
18 committees, we've had just that, meetings where  
19 specifically we dealt in a number of different areas with  
20 the surrogate endpoints. Because your point is well taken,  
21 not all surrogate endpoints are the same.

22 What's more concerning to me is sometimes our  
23 best clinical judgment on the value of the surrogate  
24 endpoint halfway through a trial suddenly becomes very  
25 clear that that surrogate endpoint has a lot less meaning

1 | than it had when we originally made our projections.

2 |           DR. SIEGEL: Yes. The question is also a very  
3 | important one. You asked about the clinical endpoints.  
4 | It's a little clearer, I hope, in the document than I  
5 | presented. But on that case, for example, of night sweats  
6 | -- and this is the confusion because clinical endpoints are  
7 | in fact acceptable for approval in their own right.

8 |           What the issue boils down to is what is the  
9 | collection of benefits that you're going to weigh against  
10 | risks. So, if you had, say, an entirely safe agent that  
11 | prevented night sweats and did nothing else, that would be  
12 | an approvable agent.

13 |           Now, if you had a cancer therapy that caused,  
14 | say, profound neutropenia and intestinal ulceration, and  
15 | your evidence of efficacy was based largely on night  
16 | sweats, but you could establish that that impact on night  
17 | sweats was reasonably likely to predict favorable impact on  
18 | an ultimate outcome of survival or other more important --  
19 | well, the benefit on night sweats per se might not weigh  
20 | adequately against the risks of the drug, but the night  
21 | sweats might be taken as a surrogate for a greater benefit  
22 | that we might consider under accelerated approval. So,  
23 | that's the difference. In fact, that sort of setting is  
24 | not infrequently seen. And in that regard, then the night  
25 | sweats become more like a surrogate because in their own

1 right they wouldn't merit approval because of the toxicity  
2 of the drug.

3 DR. SALOMON: Jay, one of the things you didn't  
4 mention -- and I didn't have a chance to review the  
5 document -- is where do the results of international trials  
6 come in in this approval process. It has been an issue in  
7 the past and I know the FDA has a position on it.

8 DR. SIEGEL: Oh, yes. Through the ICH process,  
9 the International Conference on Harmonization, we've been  
10 quite involved, and I personally have been very involved,  
11 in international negotiations regarding a variety of issues  
12 of international standards, including specifically the  
13 acceptability of foreign data.

14 The current position is that foreign clinical  
15 trials that are adequately and well-controlled and  
16 conducted under good clinical practices can provide a  
17 substantial part of the data in an application, in some  
18 cases, the entirety of the application, with the provisos  
19 that there are often specific concerns regarding the fact  
20 that concomitant therapy may differ, that diagnostic  
21 methods may differ in regions of the world so that  
22 different stages of disease may be treated or assessed  
23 differently and other factors.

24 And so, what this guidance document, the ICH E5  
25 guidance on ethnic factors and the acceptability of foreign



1 data, does is define sets of intrinsic factors, genetic  
2 factors such as liver, metabolic enzymes, and extrinsic  
3 factors such as diet and medical practice issues that might  
4 impact drug efficacy and provides guidance as to how those  
5 factors should be assessed and where they may then call for  
6 a strategy of bridging data so that in some cases foreign  
7 data in their own case might suffice. In some cases,  
8 foreign data with certain bridging data to show that they  
9 are applicable in the U.S. or the home region will suffice.  
10 In some other cases, trials may be required in the U.S.  
11 But that does not exist as a regulatory standard, and we  
12 are quite open to the use of foreign data.

13 DR. SALOMON: Dr. Auchincloss.

14 DR. AUCHINCLOSS: Jay, just a clarification  
15 about how I should be listening to this presentation and  
16 Karen's in just a moment. I found the update on FDA policy  
17 very helpful to me, but I'm not sure what you want of us,  
18 the committee. I don't see questions for us. Is there  
19 some input that you want us to be thinking about?

20 DR. SIEGEL: This was done as an update not  
21 seeking input, which isn't to say that input isn't -- you  
22 know --

23 (Laughter.)

24 DR. AUCHINCLOSS: No, no. I understand.

25 DR. SIEGEL: -- isn't welcome.

1 (Laughter.)

2 DR. SIEGEL: It's just to say, no, I'm not  
3 specifically seeking input.

4 DR. AUCHINCLOSS: Not encouraged.

5 (Laughter.)

6 DR. SALOMON: Dr. Miller.

7 DR. MILLER: Do you have any data on how this  
8 has been implemented, the percentage of drugs that have  
9 applied for fast track or biologics that have applied for  
10 fast track and have been granted a fast track? And are  
11 there any drugs that have gone through the fast track  
12 process? I don't think we've had any biologics that have  
13 actually been approved.

14 DR. SIEGEL: Well, Betty, do you have those  
15 data? Betty Goldman, who is my Associate Director for  
16 Policy, kind of chaired the FDA's policy implementing fast  
17 track guidance and may have some information on that.

18 MS. GOLDMAN: I don't have the numbers with me.  
19 I'd say a couple of months ago, I think at the end of  
20 April, approximately 80 applications for fast track in both  
21 CDER and CBER, Center for Drugs and Center for Biologics.  
22 I think about a third had not been designated, were  
23 actually turned down for designation, and we've had a  
24 couple go through the rolling review, the incomplete  
25 application process.

1 MS. MEYERS: Two-thirds then have been  
2 designated as fast track?

3 MS. GOLDMAN: Of those that have applied. Of  
4 course, it is completely up to the sponsors whether to  
5 apply or not. We don't solicit the applications. Of those  
6 that applied, I would say approximately two-thirds have  
7 been granted.

8 MS. MEYERS: About two-thirds have been. Yes,  
9 it seems to me, because I track new drug approvals every  
10 month, that there are an awful lot of me-too drugs that are  
11 being designated as high priority. For instance, the new  
12 class of arthritis drugs, for example, even though there  
13 are three or four of them, have all been high priority, and  
14 I just don't understand it.

15 DR. SIEGEL: Yes. Let me clarify a few things.  
16 First of all, we're talking about a number of different  
17 things here. Fast track designation is something that  
18 usually occurs not at the approval or priority stage, but  
19 is something that occurs in most cases relatively early in  
20 development and is something that kicks in then additional  
21 meetings and support in terms of planning the development  
22 of a drug even before it has gone into humans.

23 And the reason some get rejected is largely  
24 because the plan for the development may not really address  
25 the issues that fast track was intended to address. Will

1 | this product truly be studied for its potential to treat  
2 | something serious and will it be studied for its potential  
3 | to address unmet medical needs? And we specifically  
4 | exclude me-too type drugs or even drugs that at the end you  
5 | won't know if they're me-too or not or if they offer  
6 | something new from that designation. So, the policy is  
7 | intended to limit to those drugs whose developmental  
8 | program will really establish an important role for the  
9 | drug or establish whether that exists.

10 |           As to the approval issue, I can't speak  
11 | specifically -- I guess you're referring to the Cox II  
12 | drugs. Those are over in the Center for Drugs. It is the  
13 | intent of the priority designation for review as  
14 | implemented in Biologics and I believe also in Drugs,  
15 | although they have a somewhat different standard there,  
16 | that that not be applied to me-too drugs.

17 |           There is another guidance document -- you'll  
18 | find it on the same web site -- as to how each of the  
19 | centers applies its standard of priority designation. It  
20 | came out sometime last year. The language is in there  
21 | regarding the requirement that the drug offer something new  
22 | and different.

23 |           If it's your perception that you don't  
24 | understand why that's applied or you believe it may have  
25 | been applied incorrectly, you might want to inquire.

1 Robert DeLap is the office director I know, a good friend  
2 of mine, who is responsible for the arthritis drugs and  
3 will probably be able to provide some guidance as to that  
4 issue.

5 MS. MEYERS: If three companies come to you  
6 with the same drug and they all ask for priority review,  
7 even though it's the same drug, you might grant priority  
8 review to all three --

9 DR. SIEGEL: Well, what's the same and what's  
10 different is a very complex issue. This committee actually  
11 -- I think it was to this committee that we brought two  
12 drugs actually, Simulect and Zenapax, which were two drugs  
13 for organ transplantation, antibodies to the IL-2 receptor.  
14 Or at least we brought one of them. Do you remember? Did  
15 we bring both of them? We brought one of them, yes. And  
16 that came in at around the same time. These are complex.

17 The simple answer is that if there's a drug  
18 approved for an indication and another drug has not shown  
19 that it does anything beyond being an alternative that is  
20 not a priority. And in fact, there are specific examples  
21 of cases that I can think of in house now where we've made  
22 that very clear where one drug got approved as a priority;  
23 a few months later, the other drug comes in and is not a  
24 priority.

25 Now, if a drug comes in at a time when a

1 competitor drug is under review, well, there's still an  
2 open question as to whether that drug is even going to be  
3 approved, and so in fact, it may well get priority  
4 designation.

5 And then we face the question, well, if we're  
6 halfway through the review, do we change the designation  
7 and what does it mean to change the designation in the  
8 middle of the review if we've already proceeded on a  
9 priority time line. And we don't really have a clear  
10 answer to that question, and often we don't change it,  
11 though.

12 MS. MEYERS: Is it a significant strain on the  
13 FDA staff that two-thirds of your biologics are designated  
14 as priority drugs?

15 DR. SIEGEL: I think it's more than two-thirds,  
16 and the answer is yes. It's a tremendous strain.

17 DR. SCHWIETERMAN: I think it's an excellent  
18 question. I think it's important to keep in mind that the  
19 fast track approvals depend not simply on whether the agent  
20 is similar to the other agents but, as Jay was pointing  
21 out, the indication. So, you can have actually a class of  
22 drugs, all of which work relatively similarly with respect  
23 to their physiological mechanisms, but the sponsor is  
24 pursuing different aspects of that disease.

25 One of the virtues, frankly, of fast track is

1 | that it encourages sponsors to seek out new ways. An  
2 | example: if you give an anti-TNF for the treatment of  
3 | signs and symptoms of Crohn's disease but then you have  
4 | another company that comes out with maybe another agent and  
5 | they seek it for steroid sparing of that same disease, they  
6 | would get fast track designation even though they have  
7 | almost virtually the same agent, these sorts of things.

8 | I think that that was one of the intentions of  
9 | the fast track document. I think that that we think that  
10 | that's actually a good thing because it gets sponsors to --  
11 | and of course, we make them address that particular  
12 | concern.

13 | So, maybe that helps clarify some of your  
14 | questions.

15 | MS. MEYERS: In other words, it's encouraging  
16 | innovation?

17 | DR. SIEGEL: It's encouraging getting better  
18 | and additional and more important clinical data in the  
19 | sense that a drug of the same class, if there's already a  
20 | drug approved that has a certain symptomatic indication and  
21 | a competitor with a drug that is similar and might have a  
22 | similar use but claims to show a survival advantage or some  
23 | other -- our tendency would be to give that a priority in  
24 | part because it might be a superior drug. But even if we  
25 | think that the other drug might also have that effect, it

1 | does in fact encourage sponsors to do trials which provide  
2 | important and useful clinical information, such as impact  
3 | of a drug on survival.

4 |           DR. STEIN: I don't want to prolong the  
5 | discussion, but I want to answer Dr. Miller's question  
6 | about fast track approvals for biologics. Actually the  
7 | agency's first fast track approval was a biologic. It's  
8 | Herceptin, a monoclonal antibody to the HER-2/neu receptor,  
9 | and that was approved in September of 1998.

10 |           DR. SIEGEL: Yes. That was the first approval  
11 | of a product with designation. Right.

12 |           MS. GOLDMAN: I just want to clarify that two-  
13 | thirds of the products that have applied for fast track  
14 | designation received it. They're usually very on in  
15 | development, often before they've done any clinical trials  
16 | whatsoever. So, it's based on animal preclinical  
17 | information or whatever. So, just as most drugs don't  
18 | actually make it through to an NDA or BLA application to  
19 | begin with, they have a long way to go in showing they  
20 | continue to meet the potential to address an unmet medical  
21 | need. That two-thirds shouldn't be linked to the two-  
22 | thirds then going to priority review later on.

23 |           DR. SIEGEL: I clearly made a mistake in  
24 | devoting only three or four slides to fast track and only  
25 | focusing on effectiveness because I think I may have



1 created more confusion than I clarified.

2 But just as an issue of background so you'll  
3 understand it, the fast track is an aggregation, if you  
4 will, of a large number of policies that have to do with  
5 serious and life-threatening illnesses. So, there's early  
6 designation at the IND phase. This is something that's  
7 new. That triggers whole bunches of meetings and support  
8 and so forth, some of which already exist and defined under  
9 subpart (e) regulations promulgated 10 years ago. The  
10 program incorporates but does not automatically trigger the  
11 potential to use the accelerated approval regulation for  
12 approval based on a surrogate. It incorporates and  
13 probably does essentially trigger the priority review  
14 mechanism. If you're a fast track drug and you proved what  
15 you intended to prove, you should meet the standards for  
16 priority review. And it enables and the fast track  
17 designation is required for but is not the sole requirement  
18 for the ability to submit incomplete portions of an  
19 application. And there are other things involved as well.

20 So, when we talk about fast track, we're  
21 talking about a whole collection of policies, all described  
22 in one guidance as to efforts the agency is making to  
23 facilitate development of treatments for serious and life-  
24 threatening illnesses that add to the therapeutic  
25 armamentarium in significant ways. But priority

1 designation, accelerated approval, IND fast track  
2 designation are amongst those programs. So, sometimes  
3 people have referred to those as fast track. There's a  
4 little bit of terminology confusion, but that's basically  
5 the nature of what fast track is.

6 DR. SALOMON: Two more comments. Dr.  
7 Sausville?

8 DR. SAUSVILLE: Maybe the program is too young,  
9 but what fraction of agents that either have been approved  
10 or are in the process of being approved by fast track that  
11 lose that designation owing to clarification of things in  
12 development?

13 I mean, everyone would praise moving forward  
14 with things rapidly. On the other hand, one doesn't want  
15 to create the impression that there are ways of getting  
16 around or parallel tracks or different tracks or depending  
17 on how you couch things, that you might be able to go this  
18 route as opposed to others.

19 DR. SIEGEL: Well, there's no question that  
20 over the course of the development of a drug what was an  
21 unmet medical need may no longer be an unmet medical need,  
22 and what appeared to be the potential to address that need  
23 -- the drug may no longer show that potential.

24 It's too early to say how often that will  
25 happen. And the agency has provided some but not complete

1 clarification as to whether and when it will actually  
2 withdraw fast track designation, something that has yet to  
3 happen. But what would clearly happen is if those things  
4 didn't exist, as you went through development, if you no  
5 longer appeared to kick in the criteria necessary for, say,  
6 accelerated approval based on a surrogate because there was  
7 already an approved treatment for that indication or if you  
8 didn't kick in the criteria for a rolling application or a  
9 priority review, you might not get that even though you had  
10 initially received fast track designation. Whether or not  
11 you would get a letter of de-designation is something  
12 that's not yet clear.

13 DR. SAUSVILLE: I just think that the criteria  
14 for such a letter and the process used to make that  
15 determination needs to be pretty clear to people so that  
16 they're aware.

17 DR. SIEGEL: There are proposals out there.  
18 We're receiving public comment on it. Obviously, there are  
19 significant implications that are of some concern to  
20 sponsors as to what it means to get a letter that says  
21 you've been de-designated and so forth.

22 (Laughter.)

23 DR. SAUSVILLE: You're done.

24 (Laughter.)

25 DR. SIEGEL: And we're aware of that and

1 | working on that issue.

2 | DR. SALOMON: Dr. Vose?

3 | DR. VOSE: I just had one short comment I want  
4 | to make. I want to kind of put a plug in for having the  
5 | appropriate clinical and scientific expertise on the  
6 | committee to try and deal with not only the biologic  
7 | aspects but also the aspects of the specific disease that  
8 | we're dealing with and also to have those people or other  
9 | people involved in a lot of these early meetings that  
10 | you're having because I think they put a neutral  
11 | perspective on. They're not involved with the drug and  
12 | they're not involved with the FDA, but the expert can be  
13 | helpful as far as the overall picture in helping with some  
14 | of those, kind of standing up for the patients and what's  
15 | needed in that disease entity. And I think the ones at  
16 | least I've been involved with have been kind of helpful in  
17 | that situation, and trying to get involved very early I  
18 | think is very important.

19 | DR. SIEGEL: Well, thank you. I think we  
20 | should be doing more of that. I think that would be wise.

21 | For the sake of the new members of the  
22 | committee who may not have experienced this, it's hard  
23 | obviously and it's impossible on this committee to retain  
24 | all the types of clinical expertise pertinent to the  
25 | products that we might face. And so, we may well take a

1 treatment for, say, arthritis or Crohn's disease or  
2 cardiovascular disease to a different advisory committee  
3 that has more of that appropriate expertise.

4           Nonetheless, we find that there are certain  
5 common themes that arise with our products such as  
6 immunogenicity that we're going to discuss today so that we  
7 have a very common practice of asking members of this  
8 committee -- Dr. Vose, I know you've done this on several  
9 occasions -- to sit as members and panelists on other  
10 committees when we take a product. We will invariably ask  
11 at least one and often several members with the most  
12 relevant expertise on this committee to sit with the  
13 Oncological Drugs Committee or the Rheumatoid Arthritis  
14 Committee or whatever it might be to discuss our products.  
15 We very much appreciate that contribution because there is  
16 a broad mix of types of issues that comes up and we  
17 struggle within the rules we operate under to bring  
18 together the right expertise so we can get the best advice  
19 on any given product.

20           DR. SALOMON: Thank you very much. It was very  
21 informative.

22           The next speaker is Dr. Karen Weiss, and she's  
23 going to address the pediatric rule.

24           DR. WEISS: Good morning, everybody. I am  
25 trusting that all the questions that you asked Dr. Siegel

1 means that there are going to be fewer for me, especially  
2 because everybody is going to want to go to the break.

3 But it is a pleasure to be here this morning to  
4 provide you with an update on what has been happening in  
5 the area of pediatric regulations. This has been a very  
6 active, dynamic area in the agency in the last few years.  
7 Our former Commissioner, who was a pediatrician from  
8 Hopkins before he came to the agency, had certainly a very  
9 great interest in pursuing and promoting adequate studies  
10 in pediatrics. Also the American Academy of Pediatrics has  
11 been a tireless advocate in this area. And it has  
12 culminated in a number of important developments in the  
13 last few years that I'm going to go over.

14 First of all, this is just some general  
15 principles that are actually set forth in one of the ICH  
16 documents. It's actually E7 which is a guidance document  
17 on Studies in Support of Special Populations: Geriatrics.  
18 But it's very applicable to what I'm going to what I'm  
19 going to be saying about pediatrics, as well other groups.  
20 And those principles are: Drugs should be studied in all  
21 age groups for which they will have significant utility,  
22 and that patients entering clinical studies should be  
23 reasonably representative of the population that will be  
24 later treated by the drug.

25 I think those are good principles, and I don't

1 think that was really apparent a couple decades ago. There  
2 seemed to be a systematic exclusion of particularly  
3 important groups such as pediatrics, such as geriatrics,  
4 such as women of childbearing potential, and over the last  
5 20 years, there has been a shift towards more inclusion and  
6 representative of these important groups and others as  
7 well.

8 In order to come to the present regulations for  
9 pediatrics, I thought it would be helpful to first go  
10 through a very brief history of what has been happening in  
11 the world of pediatric regulations, and it's fairly short  
12 and fairly brief. It's 1979, 1994, and now 1998.

13 In 1979, that particular regulation -- and I  
14 have the citation in the Federal Register for you on all  
15 these three -- the purpose of that was to establish a  
16 pediatric use section of the labeling. Prior to that time,  
17 there was no requirement in our labeling regulations that  
18 there be any mention, acknowledgement, whatever of  
19 pediatric use of a particular drug/biologic that would be  
20 approved. So, this established it for the first time.

21 I will go over these all in a little bit more  
22 detail.

23 In 1994, it was to try to clarify certain  
24 situations, similar to the effectiveness standard that Jay  
25 Siegel mentioned earlier, where one could perhaps

1 extrapolate efficacy from trials in adults down to  
2 pediatric patients.

3           And then 1998, which is going to be bigger  
4 focus of my presentation.

5           So, 1979, in the regulation what was set forth,  
6 the goal of which to try to ensure that labeling of  
7 approved products would regularly contain adequate  
8 information about prescription drugs in pediatric  
9 populations. As I said, it established for the first time  
10 the particular section in the labeling called the pediatric  
11 use section that was supposed to be filled out with correct  
12 information about pediatric use.

13           The regulation further went on to say that the  
14 basis for the information in the pediatric use section of  
15 our labeling should be substantial evidence, the same  
16 standard that we have, from adequate and well-controlled  
17 studies in the pediatric population unless that requirement  
18 was waived.

19           The problem was that this regulation in 1979  
20 did not have the effect it was intended to have. There was  
21 a pediatric use section of the labeling that was routinely  
22 put into our labels, but it really did not contain  
23 particularly useful information about pediatric use. And  
24 the reason why is because there was a mistaken impression  
25 that the only way to get pediatric claims, pediatric use



1 sections into the label was to conduct adequate and well-  
2 controlled studies in the pediatric population, pretty much  
3 what the regulations said. And there was that waiver  
4 clause, and the waiver was intended when other information  
5 could suffice.

6           However, the particular conditions for when a  
7 waiver should be requested was not very clear. I don't  
8 know if there were any cases where anybody actually asked  
9 for a waiver, and I don't think the agency really had a  
10 particularly good idea of what conditions it would follow,  
11 what criteria to basically grant a waiver.

12           And so the default position is what we  
13 generally had had, which was that prescription drugs  
14 continue to lack information on pediatric use. That  
15 section of the regulations that was required to be filled  
16 out basically contained the standard clause which anybody  
17 who is in pediatrics who has ever looked at labeling to try  
18 to prescribe a particular drug for a pediatric patient  
19 would see the particular statement that would say safety  
20 and effectiveness below the age of X have not been  
21 established, whether it's 6, 2, 12, 16. Whatever age they  
22 had, there was always this particular default position that  
23 was available on the labeling which was not particularly  
24 helpful because in practice, again anybody who had been in  
25 pediatrics would know, you really couldn't use the label to

1 help you, to guide you in terms of prescribing a particular  
2 medication for pediatrics. You didn't really know what  
3 dose to use. People would just take the dose that was  
4 recommended for use in adults and kind of do some  
5 calculation by whatever voodoo they knew about and come up  
6 with a dose for a pediatric patient or they'd take the  
7 tablets and they'd cut them in little pieces and it was  
8 never quite standard. It was very much a problem.

9           So, along came 1994, something that was  
10 referred to commonly as the 1994 rule. That one said, gee,  
11 1979 really wasn't very helpful. It didn't get the desired  
12 effect. There really needs to be more encouragement of  
13 pediatric studies. There is certainly a lot of resistance  
14 to putting pediatric patients on clinical trials, which you  
15 can understand. There are issues about consent, some of  
16 the ethics. If you're going to be doing a placebo-  
17 controlled trial, is that really something you really want  
18 to do in a pediatric patient who really doesn't understand  
19 particularly the potentially painful procedures if you have  
20 to do blood drawing, when you have pediatric patients on  
21 trials who may not really understand. So, that was really  
22 I think probably the bulk of the reason not to put  
23 pediatric patients on trials.

24           Now, where I and many other people come from,  
25 which is the pediatric oncology side of things, that was

1 clearly not the case. Pediatric patients were routinely  
2 put onto clinical studies. But I think for the vast  
3 majority of many of the other products, that really was not  
4 the case.

5           So, in 1994, the final regulations that were  
6 proposed in 1992 and finalized in 1994 stated that there  
7 are times when you don't really have to do the complete  
8 randomized controlled trials that everybody thinks one  
9 needs to have. In fact, there may be cases where one could  
10 extrapolate efficacy from adult populations from adult  
11 data, from adequate and well-controlled trials in adult  
12 studies, and those cases would be when there is substantial  
13 evidence can include studies conducted in adults "when the  
14 agency concludes that the course of the disease and the  
15 drug's effects are sufficiently similar to permit  
16 extrapolation." In those types of cases, generally  
17 pharmacokinetic and additional safety data would be  
18 required. It said "where needed."

19           And you could understand that, for instance, if  
20 you were extrapolating efficacy down from adult studies to  
21 adolescents, you may not need a lot of additional  
22 information on pharmacokinetics, but if you were going to  
23 try to extrapolate efficacy from adults down to the same  
24 condition in a neonate, you might very, very well need a  
25 lot of good pharmacokinetic data to understand the dosing

1 | because the metabolic pathways may be very, very different.

2 |           As this committee probably knows very well,  
3 | since 1994 -- and actually it's about the time when this  
4 | committee first formulated -- we have been asking this  
5 | committee this very question. With many, many products  
6 | that we have taken to this committee, data were based on  
7 | adequate and well-controlled trials in adults, oftentimes  
8 | with some smaller amount of data, primarily  
9 | pharmacokinetics, in pediatric patients. And we almost  
10 | routinely ask this particular committee, can we extrapolate  
11 | efficacy? Is the disease course similar enough that we can  
12 | actually do away with the controlled clinical trials in  
13 | pediatrics and extrapolate efficacy from adults so that we  
14 | can actually put this into the labeling?

15 |           And that has been a source of a lot of  
16 | discussions. I know Ms. Meyers was instrumental in a lot  
17 | of those discussions, a lot of other people here on this  
18 | very topic. I think it has been very good. It's a very  
19 | difficult issue to determine, whether or not you can  
20 | extrapolate efficacy, and there are cases when it's  
21 | probably very easy to do and other cases where it's much  
22 | more difficult.

23 |           The 1994 rule basically called upon sponsors of  
24 | these licensed products or approved drugs to go back and  
25 | review existing data because there was the feeling that

1 | there may already be substantial use in the community of  
2 | these approved products and that in fact it wouldn't be  
3 | terribly onerous. Our manufacturers could go back and  
4 | gather what data had already been done in pediatrics,  
5 | submit those data to the agency, and there would be a  
6 | number of supplements coming in that would fulfill this  
7 | particular requirement to add the appropriate information  
8 | onto the labeling.

9 |           Now, the rule specifically did not require  
10 | sponsors to go forth and conduct studies either for already  
11 | marketed products or for new products coming on to the  
12 | market. It specifically said that our sponsors should not  
13 | propose labeling if they do not believe that the disease  
14 | and the drug effects are similar or if pediatric use not  
15 | otherwise adequately supported. So, there was no specific  
16 | requirement to do studies.

17 |           The 1994 regulation was very helpful, though,  
18 | because it forced all of us to really focus more on  
19 | pediatrics and to think more about it, to regularly discuss  
20 | pediatric studies and pediatric use with our sponsors who  
21 | are coming through in development and again to bring it up  
22 | to committees like this committee on a routine basis. So,  
23 | it did accomplish some things.

24 |           However, in the years after this regulation  
25 | issued, there was growing concern by advocacy groups, a

1 | number of other groups that this particular regulation just  
2 | did not go far enough, that there just wasn't enough data  
3 | being collected, there were just not enough studies being  
4 | done. In fact, when one went back and reviewed the  
5 | approvals, primarily on the drug side, because that's where  
6 | it's really much more of an issue, and did surveys from  
7 | 1991 on through 1997 where applications were coming to the  
8 | agency for an indication that had potential usefulness in  
9 | pediatrics, only at best 30 percent or so of those actually  
10 | contained pediatric information. So, even though it was  
11 | better than nothing, it still had a long way to go and it  
12 | wasn't as complete as it should be.

13 |           So, in 1997, in response to this growing  
14 | concern that 1994 regulations just were not adequate, the  
15 | agency proposed regulations in 1997. These became  
16 | finalized in December of 1998. The title of this  
17 | regulation is called Regulations Requiring Manufacturers to  
18 | Assess the Safety and Effectiveness of New Drugs and  
19 | Biological Products in Pediatric Patients. It's a  
20 | requirement. It's the first time now that we are actually  
21 | requiring these data to be generated. This regulation  
22 | became effective six months after the date it was  
23 | finalized; so April 1st of 1999 it became effective.

24 |           However, even though it became effective April  
25 | 1st, any new application coming before the agency from

1 April 1st for actually the next couple years probably will  
2 not have the data in hand at the time the application is  
3 submitted because, of course, it takes time to generate  
4 clinical data. It may take even more time to generate data  
5 in pediatric patients. Therefore, it's not going to be  
6 until 20 months after the effective date of the rule, which  
7 basically means December of 2000, when those data will have  
8 to be in hand. Obviously, we'll take it sooner if those  
9 data are available sooner, but it's not going to be until  
10 December of 2000 that those have to come in.

11 Let me just step back just a second and just  
12 say that in 1997 when this regulation was first proposed,  
13 it created quite a bit of controversy. There was a big  
14 dichotomy, if you will, of opinion with the proposed  
15 regulation. There were on one hand the advocacy groups who  
16 said this regulation doesn't go far enough. It really  
17 needs to be much more compelling, much more straightforward  
18 in telling sponsors that they have to get these data.  
19 There should be no exceptions, et cetera. And on the other  
20 hand, there were industry representatives, not all of  
21 course, who basically felt that the regulation had gone too  
22 far and that, in fact, FDA was overstepping its bounds. It  
23 was going to create all sorts of problems. Pediatric  
24 patients would be put on studies before it was safe to put  
25 them on studies. It was going to delay approval of

1 | therapies for adults. These were all things that were  
2 | voiced as concerns. And so, there's quite a dichotomy of  
3 | opinion about the 1997 proposal.

4 |           However, despite the fact that there was this  
5 | big dichotomy, one was able to, I think, try to come up  
6 | with some reasonable compromises, and the regulation was  
7 | finalized in 1998. And so, highlights of this 1998  
8 | regulation are as follows.

9 |           There's the presumption that manufacturers will  
10 | assess drugs and biologics in pediatric patients, and that  
11 | assessment should be available during development so that  
12 | at the time the application is submitted to the agency,  
13 | those data will be in hand, and if not at the time the  
14 | application is submitted, sometime soon thereafter. What  
15 | we're talking about are new indications for sure, but even  
16 | other things, new dosage forms, which isn't so much an  
17 | issue in biologics. The majority of our products are  
18 | parenterally administered. But in drugs there are tablets,  
19 | liquids, suspensions, sustained release, all those  
20 | different types of formulations, and if something new comes  
21 | along that might have potential usefulness in pediatric  
22 | patients, that would need to be studied, a new route of  
23 | administration, et cetera. So, all new aspects of a  
24 | particular product, whether it's a brand new application or  
25 | a new indication, et cetera, or new variation, the



1 | presumption is going to be that those data will be in hand  
2 | for pediatric patients and that new drug biologics will  
3 | contain adequate labeling, as I said, at the time of  
4 | approval or very soon thereafter.

5 |           The agency does have the authority to go back  
6 | and require studies on already marketed products, and I'll  
7 | mention that standard or that criteria in a minute.

8 |           Specifically what is not applicable in this  
9 | regulation are orphan drug products, the idea being that  
10 | there should be an incentive to get things on the market  
11 | for orphan indications and that this regulation was not  
12 | going to go further and require -- you can imagine if it's  
13 | an orphan indication for an adult, it's probably going to  
14 | be even much more orphan for a pediatric population. So,  
15 | orphan products are excluded from this particular  
16 | regulation.

17 |           So, what are the conditions where the agency  
18 | will require studies in pediatric patients? One is when  
19 | it's likely to be commonly used in the pediatric  
20 | population.

21 |           What does "commonly used" mean? With the  
22 | proposed regulation, we were taking the criteria that are  
23 | used for orphan drugs, which is if it affects 200,000 or  
24 | less, it's an orphan indication. However, the pediatric  
25 | population doesn't make up the entire universe of the

1 population. It's only a fraction of it. And so, there  
2 were some manipulations that went around and some  
3 mathematics, and what came out in the final regulation was  
4 commonly used would be if it was greater than 50,000 in the  
5 overall pediatric population or 15,000 in any particular  
6 subgroup. And I'll mention a little bit later on about the  
7 different age groups of pediatrics to give you an idea.  
8 So, that was the numbers that were proposed.

9 So, that's one, if it's commonly used.

10 The second is if it provides a meaningful  
11 therapeutic benefit, MTB, to pediatric patients over  
12 existing therapies. It's somewhat similar to what Dr.  
13 Siegel already proposed or mentioned with fast track and  
14 accelerated approval, et cetera, a somewhat similar  
15 concept. So, it could be used in a very small number of  
16 patients but still provide a meaningful therapeutic  
17 benefit.

18 And I put this down because this was well  
19 highlighted in the pediatric regulations, and it's not  
20 mentioned many other places. In some classes of some  
21 diseases, there is really a need for alternative therapies  
22 even if it has not been demonstrated to show a particular  
23 advantage. But you can imagine in AIDS and cancer there's  
24 rapid development of resistance, and those are particular  
25 diseases where one might not have to show that it can only

1 | be used in people for third line, fourth line, fifth line  
2 | therapy, but it's a particular class where it would be  
3 | important to have available therapies and alternatives for  
4 | patients. So, those would also be considered to be drugs  
5 | or biologics that would offer a meaningful therapeutic  
6 | benefit.

7 |           And then for marketed products, a similar  
8 | standard, it's commonly used or offers a meaningful  
9 | therapeutic benefit, and -- it's an "and" -- absence of  
10 | labeling could pose significant risk. It's felt that this  
11 | particular requirement to go back and require studies on  
12 | marketed products would not be used, imposed very often,  
13 | maybe a few times a year. It's going to be a little bit  
14 | difficult I think to determine whether or not absence of  
15 | labeling could pose a significant risk, but that would be  
16 | the criteria that would be used.

17 |           Types of studies that the agency will require  
18 | is very variable. Just like in 1994, the agency said there  
19 | are times when one may not need to do full-fledged,  
20 | randomized efficacy trials, sometimes PK studies, safety  
21 | studies may suffice, the same goes in 1998, a range of  
22 | particular types of trial designs are available and can be  
23 | appropriate depending on the situation. It can range  
24 | anywhere from smaller studies of just some PK again to  
25 | randomized controlled efficacy trials.

1           The particular age ranges that should be  
2 studied is going to depend on what ages are affected by the  
3 particular disease. In 1994, the regulations basically set  
4 forth four different age ranges of pediatric patients:  
5 neonates, infants, children, and adolescents. This is why  
6 I try not to talk about this proposal as being children,  
7 but pediatric patients because children refers to a  
8 particular group age 2 to 12, even though they're all  
9 children. In particular, these adolescent groups are.

10           But anyway, in the 1998 regulations, we didn't  
11 specify particular age ranges with these particular cutoff  
12 dates because we realize it's somewhat arbitrary and there  
13 may be physiological differences why other particular age  
14 groups should be studied as opposed to these definitely  
15 prescribed ones.

16           Most importantly, the kinds of data and studies  
17 that will be required will be only for the indication that  
18 is being claimed. If something is about to be approved or  
19 already approved for an adult indication and there's  
20 substantial off-label use in pediatrics for a different  
21 indication, the agency does not have the authority. It  
22 will not be able to ask for studies in that off-label  
23 indication. So, it's only for the indication being sought.

24           When to conduct studies is a very open question  
25 and one that has been the subject and will continue to be

1 the subject of great debate because one doesn't want to go  
2 into pediatric patients too soon. However, one doesn't  
3 want to have the default that we've had over the last  
4 couple decades, which is to be too late and in fact to not  
5 do these things at all.

6 So, it's going to depend on the seriousness of  
7 the disease, whatever preliminary data are available in  
8 adults, if appropriate. There are some settings where  
9 adult data are not appropriate and not necessary to have  
10 and these new products go right into pediatric populations,  
11 but that isn't always the case.

12 And availability of other therapies for the  
13 particular condition. In general, the regulation says this  
14 I think quite clearly. One would think about going earlier  
15 into pediatric patients if you're talking about a very  
16 serious disease where there are very few or no alternative  
17 therapies, perhaps later for things that are considered to  
18 be me-too type of products. In some cases, one might not  
19 even want to go into pediatric populations until there's  
20 substantial post-marketing safety experience that's  
21 obtained in adults. It's really going to depend on the  
22 particular situation.

23 Now, the regulation says that pediatric data  
24 will be available unless that requirement is waived or  
25 deferred. So, when do we waive the requirement? And it

1 | can be waived for the entire pediatric population or for  
2 | some populations like it can be required for adolescents  
3 | and children but not infants and neonates for instance.  
4 | So, it would be waived if it's not an advance, unlikely to  
5 | be used, or ineffective in those particular populations,  
6 | studies are impractical, too small. The population is  
7 | geographically dispersed and it's just absolutely  
8 | impossible to conduct a trial.

9 |           And then this last one, which has also been the  
10 | subject of quite a bit of controversy, again not for us in  
11 | biologics because we don't deal much with formulations. We  
12 | don't have to think much about taking a tablet or a  
13 | sustained release or a capsule and figuring out how to make  
14 | a liquid formulation that's palatable. Palatable, the big  
15 | thing, of course. If anybody has ever tried to get a  
16 | liquid down the throat of a child, it's easier to do it for  
17 | your dog than it is for your child.

18 |           (Laughter.)

19 |           DR. WEISS: So, the inability to develop the  
20 | pediatric formulation. So, I don't probably have all the  
21 | appreciation for all the chemistry that goes into  
22 | formulations, but when this initial proposal came out, one  
23 | of the controversies was the advocacy groups said that  
24 | should never be a reason to waive the requirement. They  
25 | should just be required to develop a formulation, no ifs,

1 | ands, and but. And others on the other side saying this  
2 | is extremely difficult, extremely expensive, and sometimes  
3 | just not feasible to do. So, if the company has, in good  
4 | faith, attempted and failed to develop a pediatric  
5 | formulation, they will be waived of the requirement.

6 |           Deferral. It's not a waiver, but deferring the  
7 | studies until sometime later on can be available where the  
8 | adult safety or efficacy data are needed to be collected  
9 | before appropriate studies in pediatric patients. Of  
10 | course, then the pediatric studies will be delayed.

11 |           If the product is ready for approval in adults  
12 | but the pediatric studies are underway and have not yet  
13 | completed, the agency has said over and over again that we  
14 | would not delay approval of an important therapy that's  
15 | ready to go out there for adults while waiting for the  
16 | pediatric studies to be completed.

17 |           However, if there is a deferral, one would have  
18 | to reach agreements -- we would all reach agreements --  
19 | regarding the timing of the pediatric data, when we would  
20 | expect it to come in to the agency. And the regulation  
21 | says that the pediatric studies of sponsors is going to be  
22 | required to update the agency on the progress of the  
23 | pediatric studies in their annual reports to the licensing  
24 | applications.

25 |           One of the good aspects of this 1998

1 regulation, just like in 1994, was that it's forcing all of  
2 us to focus in on pediatric development early on in the  
3 development process of the particular product. The  
4 regulation specifically calls for early discussions with  
5 the agency on the need for pediatric studies and the timing  
6 of pediatric studies and the type of pediatric studies that  
7 will be required.

8           Specifically, if we haven't had these  
9 discussions and determined earlier on by the end of phase  
10 II development -- and actually it will be phase I for a  
11 serious or life-threatening disease, but certainly by the  
12 end of phase II for the ordinary types of products, most of  
13 which we don't have in biologics -- we tend to have the  
14 serious, life-threatening kind -- we're supposed to inform  
15 sponsors about whether or not the need to have pediatric  
16 data and when we think it would need to come in, et cetera.  
17 When we have meetings with our sponsors at the end of phase  
18 II to discuss the phase III trials and the entire  
19 development plan, the sponsors are supposed to submit in  
20 their meeting packets their proposals and their plans for  
21 pediatric studies at that particular time, if not before.  
22 And that is specifically called for in the regulations.

23           This is called my carrots and sticks approach.  
24 What are the incentives to doing these pediatric studies?  
25 Because there are some. The main one is exclusivity, which



1 | was actually finalized under the Food and Drug  
2 | Administration Modernization Act of 1997. The agency will  
3 | also and has waived user fees for supplemental applications  
4 | that come in for pediatric use.

5 |           What are the penalties for sponsors who do not  
6 | comply with the 1998 regulations? Well, there can be an  
7 | injunctive action. The product can be considered to be  
8 | misbranded, and one could go to court and the federal  
9 | courts can require these sponsors to do the studies and  
10 | submit data or they will suffer contempt and fines and  
11 | other types of adverse outcomes.

12 |           The agency will specifically, though, not  
13 | withdraw approval. It's not like the accelerated approval  
14 | that's conditional upon doing the required phase IV studies  
15 | to confirm the surrogate. In this case, it would not be  
16 | feasible or possible to withdraw an approval if it has  
17 | already been shown to be safe and effective in adults. So,  
18 | there isn't really that particular stick that is available  
19 | in the accelerated approval regulations.

20 |           Now, exclusivity has been a very important tool  
21 | towards getting pediatric studies. Exclusivity, like I  
22 | said, was finalized when FDAMA was signed into law in  
23 | November of 1997, and it is a very large incentive for  
24 | manufacturers. It calls for tacking on an additional six  
25 | months of exclusivity whether it's orphan drug exclusivity

1 or six months of additional marketing protection under the  
2 patent protection for any drug that qualifies.

3 There are specific procedures that have to be  
4 followed for a particular product to qualify for  
5 exclusivity, and there's a guidance document that the  
6 agency issued called Qualifying for Pediatric Exclusivity  
7 under 505A of the FD&C Act. I'm putting this there because  
8 in the next slide you'll see why -- well, actually before  
9 you do that, let me just make this point.

10 If the companies perform the required studies  
11 to be eligible for exclusivity and those studies are done  
12 and they're inconclusive or they actually fail to show that  
13 there is benefit in pediatric patients, they will still get  
14 the exclusivity. They don't have to have a positive  
15 outcome in those studies. They just have to do those  
16 studies according to the specifications set forth by the  
17 agency and they will get this additional six months of  
18 exclusivity.

19 Now, there are some important differences  
20 between the rule and between the exclusivity provisions of  
21 FDAMA. The rule is mandatory. The exclusivity is  
22 voluntary. Any company can request it. They can ask for  
23 it. The agency can have discussions with those companies  
24 who are thinking about doing studies to get exclusivity,  
25 but they don't have to do it. As a matter of fact, they

1 | can think it out and say, we thought about it and we're  
2 | just not going to do the studies, and that's their  
3 | prerogative. But it's not the case under the rule.

4 |           Under the rule, the studies that have to be  
5 | done are only for the drug and indication being sought.  
6 | One is not going to require things that are not in that  
7 | particular marketing application, other indications where  
8 | there may be utility but are not being pursued by the  
9 | company.

10 |           Under FDAMA, it's the active moiety that is  
11 | under question. Again, this is not so much an issue for  
12 | biological products but for drugs when there are sustained  
13 | release and suspensions and inhalations and suppositories,  
14 | all types of things. They all have the active moiety.  
15 | When the sponsor does studies, as part of the exclusivity,  
16 | the required studies, they will get exclusivity for all the  
17 | active moieties, all formulations of this particular  
18 | product. So, even if some of the formulations are not used  
19 | in pediatrics because they've done their necessary studies  
20 | on those formulations that are felt to be useful in  
21 | pediatrics, they get exclusivity on the whole shebang. And  
22 | so, that's why there's a very large incentive.

23 |           Now, the other important difference is here.  
24 | The rule applies to all drugs and biologics. Under FDAMA,  
25 | it only applies to products that are under 505 of the FD&C

1 Act. Biologicals are under the authority of the Public  
2 Health Service Act. The bottom line is that almost all  
3 biologics are not eligible for exclusivity. For our  
4 products, we don't have this great incentive that's  
5 actually been extremely successful with my colleagues in  
6 the Center for Drugs for getting the studies. When I say  
7 extremely successful, in all the years from 1994 on, there  
8 have been very small numbers of applications that contain  
9 appropriate studies in pediatrics. But since FDAMA went  
10 into effect, there has been well over 100 applications --  
11 excuse me -- not marketing applications, but well over 100  
12 requests from the agency -- it's very complicated and I'm  
13 screwing it up royally.

14 There has been a lot of interest in doing the  
15 pediatric studies to get the exclusivity and there's a lot  
16 of development and a lot of studies underway. FDAMA was  
17 only signed into law in 1997. A few of those have now gone  
18 on to have the pediatric data to be submitted and have  
19 gotten their exclusivity, but there are many, many others  
20 that are under development. The studies are underway.

21 And there's a real incentive because for some  
22 of these products, exclusivity is about to expire, and if  
23 it expires you don't get it added back on again. If it  
24 expires, you've kind of lost the ball game. So, it's very  
25 important to get these studies in and the data submitted to