

1 the agency before exclusivity expires.

2 There has been some interest, by the way, of
3 some people on the legal side to try to see whether or not
4 these provisions can now be extended to biological
5 products, but thus far biologics do not have to deal with
6 the exclusivity issue.

7 The very last slide I had is on a pediatric
8 advisory committee. Our regulations calls for the
9 development of a pediatric advisory committee to tackle
10 important questions about pediatric studies. And that has
11 been done now. There is a pediatric advisory committee
12 that is a subcommittee of the Anti-Infective Advisory
13 Committee. That subcommittee is made up of expertise from
14 a number of different advisory committees.

15 I tried very, very hard to get somebody from
16 the BRM Advisory Committee added to this committee, but it
17 was very difficult because of the timing. There were not
18 many options in terms of when the first meeting of the
19 pediatric subcommittee could be held, and there are people
20 who are SGEs who can be used for the pediatric advisory
21 committee like Malcom Brenner, Joanne Kurtzberg, people
22 with relevant pediatric expertise in some of the types of
23 therapies that we have.

24 This committee is supposed draw on expertise,
25 like I said, from existing advisory committees. It's going

1 to include people with ethics backgrounds, clinical
2 pharmacology backgrounds, an industry representative. And
3 they are supposed to tackle a range of important pressing
4 issues in the area of pediatric development. The idea,
5 though, is that a disease specific application for
6 pediatrics would go to the right committee. If it's for an
7 asthma drug, it would go to the Pulmonary Advisory
8 Committee, for instance. If it's an oncology drug, it
9 would go to ODAC. But this committee would tackle issues
10 on a much broader basis.

11 That's my final slide. I also just wanted to
12 mention that in addition to all the things that I
13 mentioned, there are a number of other activities that are
14 going on with respect to pediatrics. There's a pediatric
15 pharmacokinetics guidance document that was issued as a
16 proposal in the end of this year, and comments are being
17 incorporated and a final document should issue.

18 There is a big effort going on in international
19 harmonization. I'm a member of that delegation. We're
20 going to be meeting again in Washington in October of this
21 year to hopefully finalize an international guidance on
22 pediatric studies. It's very interesting because we're
23 trying to harmonize with Japan and Europe, and there's a
24 big difference, particularly among the Japanese, with
25 respect to putting pediatric patients on clinical studies

1 and their views. So, it has been a very good learning
2 process and hopefully it will be a very useful document as
3 well.

4 So, with that, I'll be happy to take any
5 questions the committee might have. Thank you for your
6 attention.

7 DR. SALOMON: Thank you, Karen.

8 I just wanted to make a point for those of you
9 who are following time there was a policy to allow the time
10 to expand just a little bit having to do with just
11 efficiencies a little later so that people shouldn't be
12 over-concerned that we're a few minutes late. So, there is
13 time for some discussion here.

14 MS. MEYERS: If biologics are exempt from the
15 pediatric rule, does that mean that FDA doesn't really have
16 the right to say you want all the new biologics --

17 DR. WEISS: Excuse me. Abbey, biologics are
18 not exempt from the pediatric rule. They're exempt from
19 the exclusivity provisions. Biologicals have to comply
20 with the provisions of the 1998 rule, meaning that they
21 have to conduct studies in pediatric patients. If it's
22 likely to be used or offers a therapeutic benefit, they
23 have to have those data either at the time of the approval
24 or it could be deferred to some time later on. But they
25 have to comply.

1 MS. MEYERS: I'd just like to comment that it's
2 a very interesting phenomenon because it's about 20 years
3 now that we've been advocating to do something about the
4 pediatric uses of drugs. Our organization has worked for
5 it. We're just so happy to see that something was finally
6 being done about it.

7 All of the excuses that the industry used all
8 of those years about it not being ethical and being too
9 expensive and all of these things that could be well
10 documented, every one of them didn't even complain on the
11 day that FDAMA passed because they knew that they were
12 going to get six more months and essentially a patent
13 extension. And for some of these drugs, actually a half a
14 billion dollars in six months, a billion dollars, or \$2
15 billion for six months.

16 Now, when the regulations were issued -- and it
17 just confirms the same principle as the Orphan Drug Act
18 which is: build it and they will come. Tell them they're
19 going to make money and they're going to do it. Suddenly
20 it's very ethical to do these types of studies.

21 Now, this has just caused a big problem in the
22 consumer community in two ways, first, the healthy
23 consumers and then of course the sick consumers. But the
24 healthy consumers who are drowning under the cost of drugs,
25 particularly the elderly, are just waiting for these

1 patents to expire so they can buy a generic. And this has
2 put off generics for six more months buying some drugs that
3 are huge, big sellers, I mean, ulcer drugs, et cetera.

4 Then FDA said if you get this pediatric
5 exclusivity, you're going to get it on a form of your
6 drugs. So, somebody came along and did some exclusivity
7 trial. I forget if it was Advil or Tylenol, some
8 unbelievable drug. I think they did it on the liquid
9 formulation of pediatrics, and they got six more months of
10 exclusivity on a pill that all these other people need, and
11 they have caused a tremendous uproar because that means
12 they have to pay an inflated price for six more months.
13 That's major in their minds.

14 So, I wonder, if you're going to do a pediatric
15 study and you get exclusivity on the liquid form on
16 something like aspirin, you shouldn't get it on all
17 aspirin. You should get it just on that liquid form. So,
18 I don't know what led FDA to come to the decision that you
19 were going to do this which is going to cost United States
20 consumers multi-billions of dollars every year.

21 The second issue is exempting the orphan drugs,
22 and I don't understand why that was done, especially
23 without consultation with the orphan disease community. We
24 are American citizens. FDA is a consumer protection
25 agency. When we get orphan drugs on the market, we want

1 | them to be as safe and effective as any other drug. We
2 | don't want you to say let's make an exception for orphans
3 | and put out dangerous drugs or drugs that don't work.

4 | But you've done this here with exempting the
5 | pediatric requirement for orphan drugs even though a huge
6 | number of orphan drugs are cancer drugs that get approved
7 | for cancer, and once they're out there, they used on every
8 | type of cancer and we have no pediatric data.

9 | So, I'm a little bit upset about that. Can you
10 | respond?

11 | (Laughter.)

12 | DR. WEISS: I think you have raised good
13 | points. I don't know if there are any avenues at this
14 | point with this regulation being finalized to address them.
15 | Exclusivity -- I can't really talk a lot on it. I don't
16 | know what the thinking was and what the negotiations were
17 | that were going on. Given the fact that biological
18 | products were not eligible for the exclusivity, I haven't
19 | paid as much attention to all of the legislative history
20 | with it.

21 | I suspect, though -- and I don't know if
22 | anybody from industry who is in the audience knows or can
23 | comment on that -- that I'm sure that as part of the
24 | negotiations under FDAMA, you're right, people probably
25 | looked at the incentives and said, we need a whopping big

1 | incentive and this is what we need.

2 | So, most people here in this room were probably
3 | not involved in those discussions. So, I really can't
4 | specifically comment on that.

5 | With respect to the orphans -- and it's too bad
6 | that somebody from Orphan Drugs is not here -- we're
7 | actually going to be meeting and have some more discussions
8 | about this issue about exempting orphans for pediatrics
9 | because I think there is some concern, just what you
10 | raised. I don't know what's going to happen with that, but
11 | I think there needs to be some more discussion.

12 | I can tell you from personal experience with
13 | even some of our particular products that you have orphan
14 | indications that have been studied for adults. We haven't
15 | had problems thus far with talking to our sponsors and
16 | saying, you know, yes, you actually could be exempt and are
17 | exempt, but you really need to get these data and it's the
18 | right thing to do. And we have not met with obstacles in
19 | terms of people not doing the studies.

20 | In pediatrics, I think for oncology from my
21 | personal experience in the field -- I don't know, Ed, maybe
22 | you can comment more. People who have spoken a lot in this
23 | area have actually felt that many of the studies for cancer
24 | have actually come through first in pediatrics. I mean,
25 | there are differences of opinions about that, but I think

1 most of us on the cancer side are really happy with the
2 fact that there are these big, cooperative groups ongoing
3 and a lot of effort in studies of pediatric cancer drugs.

4 DR. VOSE: I just want to say I haven't had
5 such good luck in trying to get sponsors to use that clause
6 of FDAMA because the last three lymphoma drugs, like
7 diffuse sparse cell lymphoma drugs which does affect the
8 pediatric population, I've tried to just get them to do
9 studies down to 13, and they won't even do adolescents.
10 So, I think I can't say I've had very good luck at all with
11 that.

12 DR. SAUSVILLE: If I could continue with the
13 discussion. I was actually very concerned that if you look
14 at the Federal Register list, forgetting orphans, but just
15 the diseases that waivers are automatically granted to, 12
16 out of the 20 categories are cancer. So, one could imagine
17 a scenario where, to elaborate on the point made, that you
18 go for an indication in, say, pancreatic cancer and then
19 clearly the pediatric patients might then not be addressed
20 because the indication gives you an automatic waiver. So,
21 I think this issue needs to be thought through, and I agree
22 that sponsors, sometimes where the perceived market is in
23 an adult population, might not actually be very motivated,
24 as it were, to pursue the pediatric --

25 DR. VOSE: But even in lymphoma where it does

1 affect pediatrics, they weren't willing to.

2 DR. SAUSVILLE: So, that would only augur more
3 unfavorably for drugs that might have a perceived larger
4 market share --

5 DR. WEISS: I can tell you the exclusivity has
6 a sunset clause of 2002, and in 2001, there's supposed to
7 be a report to Congress about this to see how good it's
8 working.

9 Part of the controversy, when we finalized 1998
10 regulations, on particularly, I think, part of the
11 industry's side was, hey, we have these incentives now. We
12 don't need a mandatory, forced-down-our-throat type of
13 regulation. We're going to do it because we have the
14 incentives. So, I think a big part of this report to
15 Congress is going to see how well the incentives have
16 worked and whether or not that will continue.

17 DR. SALOMON: Dr. Miller?

18 DR. MILLER: I have just a clarification. If
19 you have the rule and it applies under the rule, can they
20 still get the incentives? Why do you give incentives if
21 it's something that's already a rule?

22 DR. WEISS: It's felt that the rule was still
23 going to be necessary because, for instance, biologics are
24 not eligible, certain antibiotics and things that are off
25 patent would not be eligible. There may be things that

1 | there would be the exclusivity, but maybe studies would
2 | have to be done in neonates. Neonates in particular have
3 | been real therapeutic orphans, the feeling is, in terms of
4 | actually having clinical trials conducted in those
5 | populations. So, the feeling, as outlined in the preamble
6 | of the regulation, was that despite the fact that we have
7 | the exclusivity, there was still going to be a large number
8 | of gaps and that the regulations, if you will, might help
9 | fill in those gaps where the information was not being
10 | collected.

11 | DR. MILLER: That wasn't my question. My
12 | question was, once you have the rule, are they still
13 | eligible for the exclusivity? Once you make a rule, you
14 | don't have to give carrots and sticks anymore.

15 | DR. WEISS: Because you do it.

16 | Well, the idea, though, is that if the sponsors
17 | meet the provisions of exclusivity, they will get the
18 | exclusivity. It's a little bit confusing and every time I
19 | think I understand it and I reread it again, I realize that
20 | it's still quite confusing. But the idea is to actually
21 | allow everybody the opportunity first to have exclusivity
22 | and then to apply in those situations the rule when they
23 | have not gone forth with the exclusivity.

24 | The exclusivity is probably more for existing
25 | products, for the marketed products, because the rule

1 | really isn't really go back and do much for the marketed
2 | products.

3 | DR. MILLER: Right, but why do new products --

4 | DR. SALOMON: One way would be, if you're
5 | thinking about the negotiation process that led up to the
6 | rule, whether we agree or not, it's going to cost the
7 | sponsors money to do these trials and submit them to the
8 | FDA and go through all the different changes to comply with
9 | the rule. It doesn't surprise me very much that in
10 | negotiating the final wording of the rule, that this got
11 | incorporated for a large number of drugs already out there.

12 | DR. SIEGEL: Well, now, it should be noted --
13 | please correct me if I'm wrong. Dr. Weiss understands this
14 | a lot better than I and that may be why I'm more able to
15 | understand the confusion.

16 | (Laughter.)

17 | DR. SIEGEL: Exclusivity is a law. So, you
18 | could question whether or not Congress did the right thing
19 | and they're going to revisit it in a couple of years. But
20 | to the extent that the company does something that meets
21 | the criteria in the law, they will get exclusivity.

22 | Now, the agency in promulgating the rule
23 | recognizes that that law does not cover all the things that
24 | are needed and that financial incentives may not get all
25 | the things that are needed, so additionally provides for

1 | some requirements, not all of which necessarily have all
2 | the teeth that it might take to enforce it or all the
3 | carrots that it might take to enforce it, but that's how we
4 | come to this combination of approaches.

5 | DR. SALOMON: The concern I'd like to express
6 | is when you start applying this rule that you go overboard
7 | with it. I was just on a site visit for a series of trials
8 | sponsored by the NIH, and I know it's not the FDA, but it's
9 | pertinent in that they put in the description of the RFA
10 | that it should be done in children too, which was to me the
11 | NIH's interpretation of the pediatric rule, for a
12 | complicated trial involving stem cell transplantation in
13 | patients with autoimmune disease. And it was completely
14 | ridiculous to be doing this in kids, and it was entirely
15 | experimental. But the people applying for the grants were
16 | being compliant with what had been put out in a federal
17 | document describing the RFA.

18 | So, my only point is that I think there's got
19 | to be an expert committee, and the FDA really needs to pay
20 | attention when the pediatric rule is necessary to be
21 | applied. Otherwise, the other side of the coin here is
22 | you're going to force a lot of children to undergo
23 | experimental therapies. It's inappropriate.

24 | DR. WEISS: I think there has to be a balance.
25 | It's not an easy call to make. But we're finding the same

1 | thing that you just outlined, Dr. Salomon, that we're in
2 | the position sometimes of saying to our companies, back
3 | off. I think I'd like to have more safety data or I'd like
4 | to see how this performs in some of the adult settings
5 | first before I want you to go into pediatric patients. So,
6 | it's a little bit of the shoe on the other foot from what
7 | we've had experience in in the past.

8 | Because there is the rule out there and
9 | everybody knows they're required to have these studies, but
10 | for something that's a me-too type of therapy, you might
11 | want to wait because you know that post-marketing you might
12 | see adverse events that haven't been determined or elicited
13 | in a smaller database pre-marketing.

14 | DR. SCHWIETERMAN: Dr. Salomon, I'd just like
15 | to add I think you raise a very good point. It's another
16 | useful role of the many useful roles that I think these
17 | committees have. In fact, this very issue has come up and
18 | I think we're very likely to bring it to a committee,
19 | probably the Arthritis Advisory Committee, supplemented
20 | with members of this committee, to discuss that because I
21 | think you're absolutely right.

22 | MS. MEYERS: It's an example of why politicians
23 | shouldn't do things involving medicine when they don't
24 | understand medicine.

25 | (Laughter.)

1 DR. SALOMON: They thought they were doing the
2 right thing I'm sure and that's usual.

3 I was in a debate in Switzerland. The guy was
4 a politician; he was a senator. And he said to me, when
5 politicians have questions, they have to act. And my only
6 response was that I hoped that reasoning never got across
7 the ocean.

8 (Laughter.)

9 DR. SALOMON: Anyway, with that, unless there's
10 anyone else with a really pressing comment, I'd like to
11 thank everyone for the first part of the morning and take a
12 10-minute break and then we'll get started again.

13 (Recess.)

14 DR. SALOMON: So, as we restart this session
15 this morning, I'd like to introduce Dr. Hugh Auchincloss
16 who's going to present the report of the
17 Xenotransplantation Subcommittee which he chairs. Dr.
18 Auchincloss.

19 DR. AUCHINCLOSS: So, what I'm doing is I'm
20 bringing to you a report of a subcommittee of the
21 Biological Response Modifiers Advisory Committee. That
22 subcommittee is the Xeno Subcommittee, or
23 Xenotransplantation Subcommittee.

24 I should point out to you that Abbey Meyers was
25 present as a member of that committee and your chairman,

1 | Dan Salomon, was a member of that committee.

2 | And just to tell you about the report,
3 | following the meeting, I prepared a summary statement of
4 | what I thought had transpired. That was circulated to all
5 | members of the committee and, in fact, many of the visitors
6 | as well for some clarifications and extra points which were
7 | added. Then that report was approved by the subcommittee
8 | members, at least by a majority of them, and everybody who
9 | was a member of the committee had an opportunity to send in
10 | some additional comments. We have one additional comment
11 | from Leroy Walters which is included with the document that
12 | you received, and I think Dan Salomon himself may want to
13 | make some additional comments as well.

14 | Because you have had the document and had a
15 | chance to read it, I'm not going to read it in full. What
16 | I want to do is give you the three-minute version and then
17 | answer questions, as much as you'd like, again for
18 | clarification.

19 | There were essentially three areas for
20 | discussion. The first was the FDA came to us and said, we
21 | have a lot of data that has been presented to us by various
22 | potential sponsors relative to the question of PERV
23 | infection of potential human recipients or in fact human
24 | recipients of animal tissues. Can you help us to evaluate
25 | the data?

1 We looked at a large amount of data that, in
2 sum, indicated that there was no evidence at this time that
3 any human or non-human primate recipient of the xenograft
4 had demonstrated evidence of an infection with porcine
5 endogenous retrovirus. So, that was the conclusion that
6 the data suggested.

7 The question then became in the face of a large
8 amount of negative data, how valuable, how reliable is that
9 data, particularly in a situation where you don't have a
10 positive control? And so, a substantial amount of
11 discussion took place in which experts who were members of
12 the subcommittee suggested kinds of assays and improvements
13 in assays that should be sought over time that would give
14 us increased confidence that negative data was as
15 reassuring as it seems on the surface to be and that those
16 kinds of recommendations are included in my summary
17 document. So, that was question number one.

18 Question number two had to do with what I've
19 termed a concept of relative risk. In effect what the FDA
20 said to us is we've set up a definition of
21 xenotransplantation which is reasonably comprehensive, and
22 it means that we've got a lot of regulating to do. Can you
23 point out some areas in xenotransplantation that are so
24 unrisky that we might be able to be a little bit less
25 stringent? That's probably not the right word, but where

1 | the review process could perhaps be streamlined in some
2 | fashion.

3 | I would say that the subcommittee probably had
4 | trouble with that concept. If the potential benefits of
5 | xenotransplantation were like this, our judgment about the
6 | real level of risk was something very small like this. To
7 | try and make gradations of risk within this narrow band of
8 | very small risks didn't seem to us a particularly useful
9 | concept at this time. That isn't to say that there aren't
10 | some forms of xenotransplantation that are more risky than
11 | others, but that the difference between them in the grand
12 | scale was too small.

13 | I'm not sure that we have fully grappled with
14 | this larger issue. There may, in fact, be forms of, quote,
15 | xenotransplantation that are encompassed by the definition
16 | in which the same types of review process and the
17 | application of the guidelines suggested by the FDA might
18 | not be fully required. One example was that contact
19 | between human cells and those from invertebrate animal
20 | sources probably would not require the same degree of
21 | scrutiny, but even that point I think the subcommittee
22 | members ended up saying we're not terribly expert in that
23 | particular issue.

24 | The third question that came to us was the FDA
25 | basically said we have a number of potential sponsors of

1 solid organ xenotransplantation trials who are beginning to
2 indicate that they are thinking about initiating clinical
3 trials. What they're asking us and, therefore, what we're
4 asking you is, what do you expect to see in the way of
5 preclinical data that would be thought of as reasonable as
6 a basis for initiating clinical trials?

7 There there was again a great deal of
8 discussion, and I don't mean to suggest that there was any
9 unanimity.

10 First of all, let me make a point that I think
11 is very important for clarification. There are trials of
12 clinical xenotransplantation being conducted at this time
13 under supervision by the FDA that involve cellular
14 transplants. So, this conversation that we were having
15 about preclinical data and how good should it be applied
16 only to solid organ transplantation, effectively pig
17 hearts, kidneys, or livers, and it really came down to
18 kidneys or hearts that we were talking about.

19 In general, what the committee ended up saying
20 was that data from non-human primate experiments is
21 probably the best data that you're going to get. It's an
22 important set of data that you need. It has some
23 limitations, but it probably is the right model to be
24 working with, and that frankly the results that we would
25 expect to see in a non-human primate model should be pretty

1 | darned good and should be in the realm of what you would
2 | expect to see in human recipients before initiating
3 | clinical trials.

4 | Again, I will not try to go through the wide
5 | range of opinions that were expressed by committee members
6 | -- and there were, indeed, a range of opinions -- because
7 | that's not so important to air here. It's in the document.
8 | I think the important thing was to air for the FDA and for
9 | the potential sponsors here's the kind of range of feeling
10 | that you will encounter when you come back to us with real
11 | proposals for clinical trials when you have a subcommittee
12 | or a committee of experts, somewhat like us, listening to
13 | your proposals.

14 | So, Mr. Chairman, I think I'll stop there and
15 | basically respond to questions, and I believe you may want
16 | to make some additional comments as well.

17 | DR. SALOMON: Thank you, Hugh.

18 | Essentially, as presented, I would think that
19 | was a very fair representation of what happened at the
20 | committee. I think some of the interesting things that are
21 | challenges in these things came up during the committee
22 | discussions that I just wanted to add here.

23 | One issue has been an assumption that cellular
24 | transplantation of xeno-derived tissue, specifically pig
25 | extracorporeal circulation, pig liver, extracorporeal

1 | circulation on whole livers and cells, use of fetal pig
2 | cells in mesencephalon transplants, that these which are
3 | now approved and ongoing clinical trials somehow represent
4 | less risk of transmission of porcine endogenous retroviral
5 | infections than the next major step that
6 | xenotransplantation will take, and that is of actual whole
7 | organ transplantation or eyelid transplantation.

8 | Based on a general approach, taking that as a
9 | starting assumption, there was then a lot of discussion
10 | about what was the quality of data in an animal model that
11 | would be required to justify clinical trials. I would say
12 | that there are many of us in the field that, number one,
13 | don't necessarily accept this starting assumption, that we
14 | think that the risk of transmission of this endogenous
15 | retrovirus is as great with cellular transplants as it is
16 | with organ transplants or, I think as Dr. Auchincloss also
17 | did a very nice job, we can get into arguments that then
18 | come down to very small, incremental risks very difficult
19 | to quantify.

20 | Should we move forward to clinical trials then
21 | became a major focus of the latter half of the
22 | subcommittee's discussion. And it is true that in that
23 | subcommittee, the majority felt that we were not ready to
24 | move forward into clinical trials of organ transplants. I
25 | just would like to point out in closing that there is a

1 minority of that subcommittee, which obviously I represent,
2 that does not agree with that position, and it would be a
3 long discussion about the details of why. I think Dr.
4 Auchincloss has not gone into the details, and so I won't
5 either. But I think it's just important for the record
6 that majority/minority -- does it really represent majority
7 or the minority of the transplant community? I'm certainly
8 not in a position to speak to that today, but I think that
9 overall I've already noted to Dr. Auchincloss privately
10 that I think he did a very fair job in the report itself,
11 showing that there was discussion. I just wanted to
12 highlight that there are some differences in opinion that
13 will have to get sorted out over the next few years.

14 DR. SIEGEL: I'd just like to rephrase the
15 summary of the second point in a somewhat different
16 language.

17 DR. AUCHINCLOSS: Thank you. I think it needs
18 it.

19 DR. SIEGEL: I didn't want to offend you. I'm
20 glad you welcome me to do that.

21 The issue that we raised is really less one of
22 graduation of risk and are there some things that are so
23 low risk, but just that what we're seeing, particularly in
24 the area of cellular therapies, is a broad spectrum of
25 products with a broad spectrum of types of risks. What we

1 | sought and, I think as Dr. Auchincloss acknowledges, began
2 | to receive in a process that undoubtedly will continue is
3 | guidance as to which of those factors are likely to make a
4 | difference and what sorts of differences they're likely to
5 | make, not to say that there are necessarily high or low
6 | risk factors, but that, for example, exposure of cells or
7 | use of an animal cell line may require different types of
8 | controls from use of fresh animal tissue.

9 | Certainly the many controls in our guidance
10 | document regarding veterinary care and feed records of
11 | source animals may be less critical regarding cell lines,
12 | and the committee indicated that, versus other controls
13 | that can be done in terms of studying cell lines for
14 | presence of adventitious issues.

15 | And similarly issues such as vertebrate versus
16 | nonvertebrate species raising different issues that may for
17 | certain controls that we've recommended because of concerns
18 | about certain pathogens, be they, say, trions or
19 | retroviruses or herpesviruses, may well apply differently
20 | to different species.

21 | We also discussed other issues such as duration
22 | of exposure, dose of exposure, use of barriers ex vivo and
23 | in vivo exposure around which I think the committee largely
24 | said, as summarized, that, well, in general there's not
25 | enough about any one of those to make a general comment

1 | that one could significantly lower guards or significant
2 | concerns on the basis of those issues.

3 | DR. AUCHINCLOSS: Thanks, Jay. As soon as I
4 | used the word "stringency," I knew that I was in trouble
5 | because that clearly is not the point of this. It was not
6 | a matter of lower degrees of stringency, it was a matter of
7 | are there different kinds of controls that should be in
8 | place.

9 | Abbey, you were there also. Would you like to
10 | any other --

11 | MS. MEYERS: Would you believe I have nothing
12 | to say?

13 | (Laughter.)

14 | DR. SALOMON: No. No, I don't actually.

15 | (Laughter.)

16 | DR. SALOMON: That's why I'm looking at you
17 | incredulously here.

18 | (Laughter.)

19 | MS. MEYERS: Actually I thought it was a
20 | terrific report. It really was. It was very accurate and
21 | very unbiased, and I thought it was terrific.

22 | DR. SALOMON: As I already said, I endorse
23 | that. I also think it was fair. Dr. Auchincloss and I
24 | routinely disagree. We expect that from each other, and
25 | there has been a long record of that, collegially I hope.

1 With that, I'd like to call this committee to a
2 vote on accepting the subcommittee report by Dr.
3 Auchincloss.

4 DR. MILLER: Second.

5 DR. SALOMON: Second?

6 We have a vote. Do we go around? Okay.

7 Dr. Auchincloss, do you accept your own report?

8 (Laughter.)

9 DR. AUCHINCLOSS: Yes.

10 DR. O'FALLON: Yes.

11 DR. CHAMPLIN: Yes.

12 DR. SAUSVILLE: Yes.

13 DR. VOSE: Yes.

14 DR. SALOMON: Yes.

15 DR. BROUDY: Yes.

16 MS. MEYERS: Yes.

17 DR. MILLER: Yes.

18 DR. SALOMON: Dr. Goldsby?

19 DR. GOLDSBY: Yes.

20 DR. SALOMON: Yes. Was that yes, Dr. Goldsby,
21 or yes, you accept the report?

22 (No response.)

23 DR. SALOMON: Well, as a triumph of technology,
24 we'll accept that as a yes from Dr. Goldsby.

25 (Laughter.)

1 DR. SALOMON: Then with that, I would say
2 unanimously we've accepted the report. Thank you very
3 much, Dr. Auchincloss, for all the work.

4 DR. SIEGEL: Not to interrupt that concept,
5 which is what I wanted to add to, that I know for myself
6 and many others in the agency that the written summary --
7 and I think for everyone at the meeting -- that we saw of
8 that discussion that came from Dr. Auchincloss was one of
9 the best summaries of an advisory committee meeting or, in
10 fact, of any meeting that I've ever seen. And what's more
11 it issued within a day or two of the meeting. I was
12 totally flabbergasted, and I think that we really owe a
13 great debt because those written summaries, as well as
14 transcripts, are important reference documents for us to
15 make sure that we can do our best to follow the advice of
16 the committee.

17 DR. SALOMON: I don't believe it's in my
18 purview to vote a special commendation to Dr.
19 Auchincloss --

20 (Laughter.)

21 DR. SALOMON: -- at this point, but perhaps at
22 the break you can inform me if I have that power. But if I
23 did, I would confirm it now from the chair.

24 Well, then the next thing that we're going to
25 do is invite Dr. Zoon and Dr. Siegel to submit the

1 presentation of certificates for retiring members.

2 DR. ZOON: Well, this is one of the few
3 pleasurable events we have at our advisory committee.

4 (Laughter.)

5 DR. ZOON: And it's one that we look forward to
6 which is special recognition and special thanks for those
7 serving on our committee and the time and dedication that
8 they have spent working on important public health issues.

9 I would just like to say that while this is a
10 new tradition of giving certificates from the Center to our
11 advisory committee, I think that it's one that has long
12 reflected our appreciation for the hard work and the
13 devotion that many of you do and we recognize for very
14 little.

15 We thank all the ongoing committee members and
16 hope they keep staying with us, but also special thanks to
17 those who have served.

18 I'm going to let Jay say a few words before we
19 present the certificates to the departing members, but one,
20 this has been a committee that has a very special place
21 certainly for me. I was there when this committee was
22 first formed, and it's very impressive to see the evolution
23 of this committee and the importance of this committee and
24 the subcommittees that were created especially as we just
25 finished discussing on the xenotransplantation issues.

1 So, I want to thank all of you very sincerely
2 and special recognition for those who are leaving the
3 committee today.

4 I'll ask Dr. Siegel to say a few words.

5 DR. SIEGEL: I suspect that the politically
6 correct thing to do would be to allow my boss to do this
7 job, but she graciously consented to let me participate as
8 well because I do feel extremely strongly about the value
9 that your services and advice and contributions over the
10 year have added to the process. I wanted an opportunity to
11 formally acknowledge that in a manner as I have hopefully
12 done, although I'm sure inadequately to the way I feel, in
13 many informal discussions and conversations.

14 I would also like to say that in fact while
15 often contentious and stressful, I almost always enjoy
16 advisory committee meetings. I think there is a lot of
17 pleasurable experiences. They're certainly, at the very
18 least, intellectually challenging and stimulating. I look
19 forward to them. There's always anxiety as to whether
20 we'll get ready in time, but they are always interesting
21 experiences. As I've commented on many occasions, it beats
22 working.

23 (Laughter.)

24 DR. SIEGEL: So, we haven't exactly planned out
25 the way we'll do this, but let me just say a couple of

1 quick comments then about the individuals who are leaving
2 the committee.

3 Abbey Meyers who has been our consumer
4 representative, I think maybe the first one on this
5 committee, and a tireless advocate always on behalf of good
6 issues and good causes, somebody who, like most of our best
7 committee members, has not been reluctant to speak her
8 mind, not been reluctant to ask for explanations or
9 information when needed, and to give opinions when needed,
10 and whose input has been tremendously valuable.

11 Virginia Broudy, who has always been a sound
12 voice of good scientific judgment and advice, of reason, a
13 builder of consensus, somebody who has I think never made a
14 comment that I wished hadn't been made --

15 (Laughter.)

16 DR. SIEGEL: -- which occasionally happens, not
17 that I'm the final arbiter of what are good comments or bad
18 ones.

19 DR. ZOON: You better stop there.

20 (Laughter.)

21 DR. SIEGEL: What I mean to say has always made
22 comments that have been extremely helpful and useful to the
23 committee and to the agency.

24 And Julie Vose, who has worked several years as
25 our Chair and before that as a member who was selected as

1 Chair based in significant part on her performance as a
2 member and who has as Chair I think -- Dan Salomon's
3 comments are correct. She's a wonderful role model for a
4 Chair and has done an outstanding job of keeping the
5 committee focused, of ensuring that we get the advice we
6 need, and providing sound answers to questions, both her
7 own answers and summaries of the consensus expressed by
8 other committee members.

9 So, we have wonderful new people joining the
10 committee. I look forward to their contributions, but I
11 must say that this also represents a significant loss. We
12 will, in fact, be asking each and every one of you from
13 time to time to come back as consultants to continue to
14 share your expertise with us. And thank you.

15 DR. ZOON: I just want to say once you've been
16 on an FDA committee, you're never off.

17 (Laughter.)

18 DR. ZOON: It's tradition.

19 Abbey, could you please come up? Abbey, thank
20 you very much. We have a plaque and a letter of
21 appreciation.

22 (Applause.)

23 DR. ZOON: Virginia? Thank you very much for
24 all your service.

25 (Applause.)

1 DR. ZOON: And certainly but not least, Julie,
2 thank you. It has been a pleasure.

3 (Applause.)

4 DR. SALOMON: Miraculously we're basically five
5 minutes off time, which is I guess good.

6 Well, then what I'd like to do is begin the
7 third topic of today's meeting entitled Immune Reactions
8 Against Therapeutic and Diagnostic Biological Products.
9 This will be introduced by Dr. Zoon in a talk entitled
10 Immunogenicity of Therapeutic Proteins.

11 DR. ZOON: This is an area that has a great
12 deal of history and one which we would like to bring to
13 this committee, one, to acquaint you with the issues and,
14 second, to get your advice on a number of particular
15 matters. This deals with the immunogenicity of therapeutic
16 proteins.

17 To start, why is immunogenicity an important
18 property? And it's important because it distinguishes many
19 biological products for most small drug molecules. As
20 macro-molecules, often even those that have great
21 similarity to naturally present proteins in the body, they
22 present themselves in such a way to be immunogenic, and the
23 consequences of this are something that we would like to
24 share with you today as a result of our experience of at
25 least a decade and a half on recombinant and DNA-derived

1 proteins and monoclonals.

2 But I think it's clear, as we look at this
3 data, even from these new molecules that are currently used
4 for therapy and diagnosis, that this is not an issue that
5 was just a result of the biotechnology era. Many of the
6 issues that we have seen actually occurred in the pre-
7 biotech era, going back to the earliest days of the 1900s
8 looking at equine antitoxins and the fact that antibodies
9 were raised against these causing serum sickness.

10 Initially other products, plasma-derived
11 products, such as Factor VIII for hemophilia, was also an
12 issue where antibodies were raised against these
13 macromolecules, and in fact with natural Factor VIII
14 derived from plasma, up to 30 percent of individuals
15 treated had antibodies raised against this particular
16 product. Of those, about 18 percent had clinical
17 significance and patients were actually changed to porcine
18 immunoglobulin, to porcine Factor VIII.

19 So, I think there is a history even with
20 naturally derived products that we have in the experience
21 from the past and ones again such as we've seen not only
22 with Factor VIII but also with insulin where the presence
23 of these antibodies could actually abrogate the biological
24 response or clinical effectiveness of those particular
25 molecules. In addition, they could have adverse event

1 consequences, such as immune complexes being formed that
2 might have some clinical adverse events.

3 So, in the age of biotechnology and
4 particularly early days of not only products such as
5 insulin and growth hormone that we had experience with, but
6 also products that you'll hear about in greater depth this
7 morning, such as interferon and some of the monoclonal
8 antibodies, have raised important clinical issues both from
9 the efficacy issues surrounding the presence of the
10 antibodies and their ability to neutralize the biological
11 activity as well as some of the adverse events.

12 We actually have for you today a summary of all
13 the major products that CBER regulates with respect to
14 these. Some of these products will be looked at in greater
15 depth, and hopefully this will set the stage for the issues
16 that we would like you to address.

17 While we're looking at a number of these
18 particular areas, I would just like to say that this
19 particular topic of the relevance of immunogenicity and
20 immunotoxicity has been a question that has been raised and
21 has waxed and waned in importance I would say over the past
22 15 to 20 years. I think our experience of late, although
23 the issues come and go, has raised a new particular
24 attention to this, and I would like to particularly alert
25 this committee that it's something that we have always been

1 | concerned about. Although some of those concerns might
2 | have been theoretical in the past, our experience to date
3 | now has enough in our database to suggest that this is
4 | something we need to pay greater attention to.

5 | Really the purpose of the presentations today
6 | will be to provide you an overview of the data that we have
7 | available, to serve as a basis for the discussion of issues
8 | in product development related to immunogenicity, and to
9 | provide background material for what I believe are
10 | important future discussions related to these products.

11 | Clearly the issues to be discussed will include
12 | factors which contribute to immunogenicity, how these
13 | antibodies are measured and some of the important factors
14 | surrounding both the timing of measurement of the
15 | antibodies, as well as the methods themselves, the immune
16 | response data on a number of therapeutic products, and also
17 | their clinical implications.

18 | The agenda for this morning will cover
19 | presentations related to antibodies by Dr. Kathryn Stein, a
20 | presentation on interferons by Joseph Bekisz, and a
21 | presentation on all the rest of the products by Amy
22 | Rosenberg. I think it's very important to put this in the
23 | context of the whole, and while we have a great deal of
24 | experience with antibodies and interferon, some of the
25 | issues Amy is going to raise in her presentation actually

1 raise a number of serious concerns. And then it will
2 finish up with a short presentation by Dr. Bill
3 Schwieterman regarding the clinical issues.

4 We'll then go on and have the committee discuss
5 the implications of these data looking specifically at
6 assays, some of the preclinical models and development
7 issues we'd like to see, some of the clinical development
8 issues that we might want to look at, as well as product
9 labeling and claims.

10 I think this will be a very fruitful
11 discussion. I myself am very interested in following this
12 issue and working with the committee on this topic. So,
13 thank you very much.

14 Now, I'll ask Dr. Stein, if Dr. Salomon agrees.

15 DR. SALOMON: Thank you, Dr. Zoon.

16 DR. STEIN: Thank you very much and good
17 morning to members of the committee and the audience.

18 This morning I'm going to discuss the
19 immunogenicity of monoclonal antibody products.

20 Over the years, we've seen an evolution of
21 monoclonal antibody products from entirely murine to
22 entirely human antibodies. In the evolution of these
23 products, we've seen various combinations, including
24 chimeric antibodies in which the variable region of the
25 antibody is from the original murine source and the

1 constant regions are from a human source, and more recently
2 humanized antibodies in which only the complementarity
3 determining regions, shown here as the purple stripes,
4 responsible for the antibody specificity are derived from
5 the original murine antibody and the rest of the molecule
6 is human. And then there are a variety of sources of human
7 antibody, although to date we have less experience with
8 fully human antibodies in the clinic than we do with
9 chimeric or humanized antibodies.

10 Among the other products we regulate are bi-
11 specific antibodies where half molecules of two different
12 specificities are combined to form an entire antibody that
13 sees two antigens, various fragments, recombinant
14 fragments, or naturally derived Fab or Fab prime fragments.

15 This is a three-dimensional structure of an
16 antibody molecule showing the two heavy chains in blue and
17 red and the two light chains. It shows that the antibody
18 combining site is formed by the union of the hypervariable
19 regions or complementarity-determining regions derived from
20 both the heavy chain and the light chain. This region
21 confers the specificity of each antibody clone and thus
22 each antibody has a unique site related to its specificity
23 that has the potential to form an antigenic determinant.

24 Thus, antibodies are inherently immunogenic and
25 each monoclonal antibody has the potential to be

1 immunogenic. These antibodies are unique. They must be
2 evaluated individually, and comparisons across antibody
3 products for immunogenicity can be quite problematic.

4 The types of antibodies and the jargon
5 associated with that are human anti-mouse antibodies, human
6 anti-chimeric antibodies, and human anti-humanized, or
7 anti-human antibody.

8 Such antibodies can inhibit function, for
9 example, anti-idiotypes that see the antigen combining
10 site. They can alter the bioavailability, either
11 antibodies to the idiotype or to the Fc region. They can
12 also cause adverse events, again, both types of antibodies.

13 What contributes to the immunogenicity of
14 antibody molecules? Impurities can contribute, those
15 derived from the cell substrate or media components that
16 co-purify with the antibody. They can be directly
17 immunogenic. They can act as an adjuvant. There could
18 also be product-related impurities such as fragments and
19 aggregates that are more immunogenic than the intact
20 molecule.

21 Modified antibodies such as conjugates of
22 drugs, toxins, chelators, or antibody fusion products can
23 have added immunogenicity due to the added substance, can
24 create new antigenic determinants at the conjugation or
25 fusion site, and antibody fragments, for example, Fab or

1 Fab prime fragments, can expose new antigenic determinants.

2 Antibodies used as ancillary products, which
3 may not be readily obvious in the final product, can also
4 contribute immunogenicity. For example, antibodies used to
5 deplete cells either in solution or immobilized on some
6 device can either leach off the device or remain bound to
7 cells and be immunogenic, and antibodies used in affinity
8 chromatography can leach off in the purification process of
9 the final product. We try to control for these as best we
10 can, but in the case, for example, of cell depletion
11 antibodies, there may be undetectable amounts of antibodies
12 bound to a cell that later turn out to have been
13 immunogenic.

14 The measurement of immunogenicity of antibodies
15 is very critical, and it has a number of parameters and
16 influences. First, the detection assay. The sensitivity
17 and specificity of the assay is important and this will
18 differ from product to product. Again as I mentioned,
19 comparison of immunogenicity across products can be very
20 problematic because the sensitivity and specificity of the
21 assay is not always known and it may differ widely from
22 product to product.

23 For antibodies, there are HAMA, HACA, and HAHA
24 antibodies that can be formed against, in the case of HAMA,
25 species-specific antibodies for murine antibodies,

1 antibodies to the class and subclass of the product,
2 antibodies to polymorphic determinants in the constant
3 region, the allotypic determinants, and as I mentioned,
4 antibodies to the idio type. I would stress here again that
5 all antibodies have idiotypic determinants and even fully
6 human antibodies can be expected to have some
7 immunogenicity related to the idiotypic determinant.

8 The measurement of antibodies to products are
9 influenced by the timing of sample collection. I think as
10 you heard this morning from Centocor, the presence of
11 circulating product itself may inhibit the assay that's
12 used, and therefore measurements taken with high levels of
13 circulating product may not be meaningful. Many of the
14 sponsors who are measuring HAMA, HACA, or HAHA antibodies
15 use a sandwich type of assay where the product is
16 immobilized on a plate, the product is labeled as a
17 detection system, and they use the patient's serum to
18 cross-link if antibodies to the product are present. These
19 type of assays can be very highly inhibited by the presence
20 of circulating product and complexes.

21 There are also many clinical factors which
22 influence the immunogenicity of antibodies. For example,
23 the patient population, the genetic background. As I
24 mentioned, constant regions of antibodies have allotypic
25 determinants, and depending on the patient's genetic

1 | makeup, they may or may not make antibodies to the
2 | allotype. This is a particular issue in the case of
3 | patients with rheumatoid arthritis who have preexisting
4 | rheumatoid factors which are IgM antibodies directed
5 | against IgG constant region determinants. Autoimmune
6 | diseases where patients may be prone to make antibodies to
7 | a greater extent than patients without autoimmune diseases.

8 | Preexisting antibodies not only of the
9 | rheumatoid factor but, for example, for antibody conjugates
10 | where a bacterial toxin may be coupled to an antibody, for
11 | example, diphtheria toxin. Patients may have and usually
12 | will have preexisting antibodies to diphtheria toxin, and
13 | those will be on board prior to treatment.

14 | Intercurrent illnesses that disrupt the
15 | distribution of proteins, for example, kidney and liver
16 | disease, may contribute to the immunogenicity of a product
17 | and disruption of the blood/brain barrier may affect
18 | immunogenicity as well and the consequences of antibody
19 | formation.

20 | Concomitant medications are an important
21 | consideration. Chemotherapy will suppress the ability of a
22 | patient to make antibodies, and immunosuppressant drugs
23 | even when given with products, as you'll see with OKT3, can
24 | have effects or not have effects. A highly immunogenic
25 | antibody such as OKT3 is still immunogenic in the face of

1 immunosuppressive therapy; whereas, you heard this morning
2 from Centocor, their antibody product given with
3 methotrexate had lower immunogenicity than without
4 methotrexate.

5 Generally, immunogenicity increases with dose
6 and frequency of administration, but there may be
7 exceptions. And we've seen some indications of high dose
8 tolerance; that is, very large amounts of antibodies and
9 given for a long period of time may be less immunogenic
10 than lower doses. We heard some of that from Centocor as
11 well about different doses of their product. Their talk
12 this morning really set the stage for this discussion.

13 The route of administration is also a potential
14 contributor toward immunogenicity. In general,
15 subcutaneous routes are more immunogenic than intramuscular
16 or intravenous, but we don't have a lot of solid data on
17 that.

18 What are the consequences of antibody
19 formation? First of all, they can limit the usefulness of
20 preclinical animal studies. Even in non-human primates and
21 even including chimps, human or humanized antibodies can be
22 immunogenic. So, starting with the most closely related
23 species to humans, you can still have problems related to
24 the immunogenicity, and that will limit the interpretation
25 of PK bioavailability and also will confound the safety

1 data.

2 Antibodies can affect the bioavailability of a
3 product both by increasing and decreasing. For example, an
4 Fab product, for which an antibody is present, will
5 effectively be increased in size. A whole antibody
6 directed against the Fab will increase the size of the Fab
7 and therefore increase its bioavailability. Antibodies can
8 also decrease the availability of an antibody by increasing
9 the clearance.

10 There can also be changes in the initial volume
11 of distribution at the first time point due to removal of
12 complexes from the circulation, and so the timing of
13 sampling is very important.

14 Antibodies can cause loss of effectiveness
15 neutralizing antibodies, in particular anti-idiotypic
16 antibodies. However, antibodies to the constant region
17 where an effector function is important for the function,
18 for example, ADCC, could also have effects on the
19 effectiveness of the antibody.

20 We are, of course, concerned about adverse
21 events that are related to antibody formation: injection
22 site reactions, systemic reactions which range from mild to
23 life-threatening anaphylaxis.

24 If you have antibodies on board, it can limit
25 the utility of another monoclonal antibody of the same

1 species.

2 It also has the potential to interfere with
3 monoclonal based diagnostic tests. This is particularly
4 true for murine antibodies where many diagnostic tests,
5 particularly for cancer antigens, are murine monoclonal
6 antibody based.

7 I'd now like to turn to the reported data that
8 we have on immune responses to murine chimeric and
9 humanized monoclonal antibodies and again would like to
10 caution that comparisons across products are fraught with
11 problems. So, what we're going to look at is the data
12 reported for a given product with the assay used by the
13 company during their clinical trials. I've separated them
14 by species or by type of antibody.

15 So, the first is murine monoclonal antibodies.
16 You may not be able to read all this, but these are the
17 licensed products going from OKT3, which is the first
18 licensed murine monoclonal, which is an anti-CD3. It's an
19 IgG2a kappa and it's a whole molecule.

20 Another whole molecule, the other whole murine
21 antibody, is Oncoscint, which is an antibody to TAG 72.
22 This is an IgG1 kappa.

23 Both of these antibodies have shown antibody
24 formation in a high proportion of patients, over 80 percent
25 in the case of OKT3 which is given over multiple days in

1 the presence of immunosuppressive drugs and Oncoscint which
2 is given once and has been reported to be immunogenic in 55
3 percent of patients.

4 The other murine antibodies are Fab prime
5 fragments or Fab fragments, and the reported immunogenicity
6 ranges from less than 1 percent for CEA-scan to 6 percent
7 for Verluma.

8 Now, in general and as expected, murine
9 antibodies are much more highly immunogenic than either
10 chimeric or humanized, and I think you'll see on the next
11 two slides that there's really very little difference in
12 immunogenicity between the chimeric and the humanized
13 antibodies in contrast to the whole antibodies that you see
14 of the murine species.

15 Now, what you'll see on the next slides is that
16 most of those antibodies are in fact whole antibodies and
17 given multiple times for therapeutic as opposed to
18 diagnostic reasons. They show immunogenicity in the low
19 range comparable to the one-time use of murine Fab
20 fragments.

21 These are the chimeric antibodies: ReoPro,
22 which you heard about earlier this morning; Rituxan,
23 Simulect, and Remicade, which you also heard about.
24 They're IgG1 kappa antibodies. ReoPro is an Fab fragment.
25 The others are whole antibodies. The reported

1 immunogenicity ranges from less 1 percent to 13 percent.

2 Humanized antibodies. We have three licensed
3 products. Zenapax, which is an anti-IL-2 receptor. This
4 is an IgG1 kappa. Synagis, an anti-RSV, an IgG1 kappa, and
5 Herceptin, an antibody to the HER-2/neu receptor. This is
6 also an IgG1 kappa. The immunogenicity reported for these
7 antibodies ranges from less than .1 percent to 8 percent.
8 These antibodies -- the 8 percent directed at the Zenapax
9 antibody -- are anti-idiotypic antibodies, as might be
10 expected for a humanized antibody.

11 We've also seen some unusual reactions. You
12 heard some reference made to Remicade earlier. In a small
13 group of patients who were treated 2 to 4 years after their
14 initial treatment with Remicade, 10 of 40 patients had
15 delayed reactions 3 to 12 days following readministration.
16 These were serious reactions consisting of myalgia, rash,
17 fever, polyarthralgia and some other symptoms in a smaller
18 number of patients. This may be an unusual situation
19 because of the long interval, but it's something that we
20 must consider in evaluation of other products and what
21 happens with a patient who has been untreated for a long
22 period of time.

23 DR. CHAMPLIN: (Inaudible.)

24 DR. STEIN: Yes.

25 MS. MEYERS: What did you say?

1 DR. CHAMPLIN: I just asked if it was a serum
2 sickness-like syndrome with antibodies to the antibody.

3 DR. STEIN: Yes. The answer is yes.

4 We also saw some reactions with Enbrel which is
5 not a monoclonal antibody intact entirely, but it's a
6 soluble TNF receptor fused to the constant region, the Fc
7 region of an antibody. For this product, some recall
8 injection site reactions were seen consisting primarily of
9 redness at the site of a previous injection in 15 of 213
10 patients studied in a controlled trial. This was most
11 prevalent during the first or second months of treatment,
12 but not subsequent months.

13 So, what are the issues that we have to deal
14 with? I have these listed as issues for the future, but
15 they're really ongoing issues with regard to the potential
16 for immunogenicity of antibodies.

17 We're seeing more and more therapeutic
18 antibodies. In fact, most of the antibodies we see now in
19 clinical trials are for treatment, and many of those are
20 for treatment of chronic diseases. As I mentioned, even
21 fully humanized antibodies may be expected to be
22 immunogenic in the idiotypic region, and so this is an
23 issue that we need to be concerned about and to gather more
24 data.

25 We have made an effort in the Division of

1 Monoclonal Antibodies and other divisions in the Office of
2 Therapeutics to encourage sponsors -- and I guess
3 throughout the Center -- to remove all animal and human-
4 derived, blood-derived products from their process of
5 manufacturing. Although we don't have any specific data to
6 date that any immunogenicity is directly attributable to
7 approaching contaminant from media or cell substrate
8 proteins, we think this is an issue that must be kept in
9 mind not only for immunogenicity considerations, but also
10 transmission of infectious agents that may be associated
11 with these products.

12 Finally, we do have new methods of production
13 of antibodies including phage display libraries. With this
14 technology, we can expect to see new combinations of heavy
15 and light chains or new idiotypes that are not found in the
16 repertoire due to the way this technology is employed.
17 There are many rounds of antigen selection from these phage
18 display antibodies, and any combination of heavy and light
19 chains that happens to bind antigen might be selected even
20 though it might not normally be expressed in the
21 repertoire.

22 Finally on this list, we are seeing production
23 of antibodies in plants which is a relatively new
24 technology. Plants, as I'm sure you're aware, have unusual
25 sugars. We don't know whether this is going to have an

1 effect on the immunogenicity of antibodies, but it may very
2 well and this is something that we need to pay attention
3 to.

4 I think I'm going to stop there.

5 DR. SALOMON: When we discussed how we were
6 going to present this, the idea was that if anyone had a
7 specific question of the speaker, so specifically on what
8 Dr. Stein has just presented, that we'll go ahead and take
9 a couple questions with the idea of not generating
10 discussion, but mainly making sure that everyone, when it
11 was fresh in our minds, was clear on what had been
12 presented and save discussion issues. So, let me open it
13 for that.

14 Dr. Auchincloss.

15 DR. AUCHINCLOSS: Well, this issue of comparing
16 products -- and let me just give you one example from your
17 two slides, and you can tell me whether they're real
18 differences or not. Under the chimeric monoclonal
19 antibodies, you have Simulect anti-IL-2 receptor with
20 evidence of less than 1 percent frequency of antibody
21 responses. And then under humanized monoclonal antibodies,
22 you had Zenapax with an 8 percent incidence.

23 Do you believe that those differences are real?
24 Do you have an explanation for why in that case the
25 humanized antibody to the same receptor should have such a

1 high rate of antibody, or do you think it's just assay
2 differences? Or how do we explain that?

3 DR. STEIN: Well, let me answer the last part
4 first which is because it's an anti-idiotypic antibody,
5 theoretically a human idio type or a humanized idio type
6 could be more or less immunogenic. It really depends on
7 the primary sequence of the CDRs. We know that there are
8 many species conserved residues in those regions, and so I
9 don't think it's possible to predict that humanized
10 antibodies or even fully human antibodies will be
11 necessarily less immunogenic than chimeric ones.

12 I think if you look at the numbers across those
13 two slides, I don't think we can say that any of those are
14 significantly different from others. We don't have the
15 data comparing these products side by side in a single
16 laboratory, and as I mentioned, because antibodies are
17 unique and you have to have the specific product to measure
18 anti-idiotypic antibodies, it's really impossible to
19 compare.

20 So, I would say that comparing all the products
21 -- the only thing that we can say is that whole antibody of
22 a murine origin is far more immunogenic than chimeric or
23 humanized antibodies. I think beyond that, it would be a
24 real stretch to say that there were any significant
25 differences.

1 Also to go further and just allude a little bit
2 to the discussion coming up later, we would not allow
3 comparisons in advertising of those types of differences.

4 DR. AUCHINCLOSS: Well, I know that's where
5 you're going, and so that's what I'm trying to figure out
6 here. You do not believe that there's a difference between
7 less than 1 percent and 8 percent frequency.

8 DR. STEIN: I think we don't have the data to
9 know that.

10 DR. SALOMON: Dr. O'Fallon?

11 DR. O'FALLON: My question pertains to your
12 statement that specificity and sensitivity are important.
13 Specificity and sensitivity could relate directly to the
14 question that Hugh just asked. If the specificity of two
15 different assays is different, you're going to have quite
16 different results and the difference between 8 percent and
17 1 percent could be due completely to specificity.

18 So, you must have hundreds of different assays
19 here. Do you have data about the sensitivity and
20 specificity of these assays? I don't need an answer, but I
21 think in our discussion that's going to be a very important
22 concept that has to be discussed.

23 DR. STEIN: The assay for HAMA, HACA, or HAHA
24 is something that is reviewed by the product reviewer for
25 each product. We encourage as sensitive an assay as

1 possible with appropriate specificities; that is, not only
2 should the assay be able to detect class and subclass
3 specific antibodies, but the assay should be able to detect
4 anti-idiotypic antibodies. Beyond that, we just don't have
5 comparative data, and again because idiotypic assays would
6 have to be unique to the product, it may be impossible.

7 We could develop standards of some sort that
8 would translate back to micrograms per ml of antibodies,
9 and that may be something that should be done. We just
10 don't have that kind of comparative data. But all of these
11 differences in specificity and sensitivity could contribute
12 to differences in the results.

13 DR. SALOMON: Dr. Broudy?

14 DR. BROUDY: I think one very important point
15 is not just what percent have HACA or HAMA, but what is the
16 effect of the HACA or HAMA on the biological effect of the
17 therapeutic antibody product. That comes up, for example,
18 very well in Factor VIII where 30 percent have antibodies
19 and a small percent have antibodies that are neutralizing
20 and then the patient has tremendous bleeding problems due
21 to their anti-Factor VIII antibodies. So, in addition to
22 just the percent that have the HACA or HAMA, we'd like to
23 know how does that impact the therapeutic efficacy of the
24 infused antibody product. And that must be known, for
25 example, for OKT3.

1 DR. STEIN: For OKT3, there was a report in
2 1991 which surveyed all of the studies where titers in
3 excess of 1 to 1,000 were associated with loss of
4 effectiveness. But I think in many of the other cases, we
5 don't know that a particular adverse event or loss of
6 effectiveness is associated with these antibodies, and I
7 think that's a problem that we have to face. And even for
8 some of these antibodies, I think it's fair to say we don't
9 know the exact mechanism of action, so we don't have very
10 good assays to measure that.

11 DR. SALOMON: Dr. Vose?

12 DR. VOSE: I just want to say I think that's a
13 very important point, that we shouldn't automatically
14 assume that these antibodies dictate and therefore describe
15 that the patient won't have a benefit, and that for each
16 individual specificity, we need to really try to take that
17 into consideration, so that we shouldn't just try and put
18 that in every antibody.

19 DR. STEIN: Right. I think particularly for
20 new products, but for those existing products, it's very
21 important to know what the antibody status of the patient
22 is. I think in many instances for imaging agents, we've
23 seen loss of the ability to image a patient a second time
24 even though the product may be indicated for single use.
25 If a patient is re-imaged and has a HAMA titer, you may

1 | lose the ability to image with that antibody. So, there
2 | are some instances where we may have a better idea of the
3 | effects than others, but in most cases we don't really know
4 | to what to attribute this.

5 | DR. SAUSVILLE: And with that specific example,
6 | also to follow on on Dr. Vose's comment, the dose of
7 | administered material becomes variable because a given
8 | titer might have more import if you're giving a very small
9 | amount of the labeled product as opposed to milligrams and
10 | milligrams of a therapeutic product. So, I think that's a
11 | factor also.

12 | DR. STEIN: It certainly is.

13 | DR. SALOMON: Again, I think these are all
14 | great discussion points. We will return to these really
15 | and try and focus on them to answer the FDA's questions.

16 | I'd like to also put on the table for later
17 | discussion the whole idea of showing that there's an
18 | antibody against a biologic is one thing, but we haven't
19 | talked about showing what that antibody is against since
20 | these preparations are often contaminated by fragments of
21 | the proteins, aggregates of the proteins. These may,
22 | indeed, be more immunogenic than the intact properly
23 | natured form of the protein itself. I think the
24 | implications of that and where we think about where we
25 | should look at specificity and how that applies on what the

1 sponsor does in terms of production control I think is
2 another unique element of the biologics. I hope we'll
3 return to that one as well.

4 DR. STEIN: One thing that I didn't mention
5 today and primarily because it's a large issue in and of
6 itself, but proteins undergo post-translational
7 modifications. You lose amino groups, so there's
8 deamidation. There are oxidations of methionines. There's
9 decarboxylation and there are a variety of other
10 modifications that could contribute to immunogenicity. And
11 that's a three-day symposium I think, so I didn't get into
12 that. But one should be aware that these are other
13 possible causes of immunogenicity.

14 DR. SALOMON: Well, we've got a rich number of
15 topics to discuss later. Thank you very much.

16 I'd like to introduce Joseph Bekisz to discuss
17 the immunogenicity of interferons.

18 DR. BEKISZ: Good afternoon. I'm going to be
19 discussing the immunogenicity of interferons. I am going
20 to discuss some important issues associated with the
21 formation and detection of anti-interferon antibodies, give
22 an overview of the eight CBER licensed interferons, and
23 discuss a few ongoing issues. But I will begin with some
24 background information on the three types of interferons.

25 To begin with, interferon alpha. Its actions

1 are antiviral, antiproliferative, and immunomodulatory.
2 There can either be 165 or 166 amino acids. This is
3 because in some interferon alpha subtypes there is an extra
4 amino acid at position 44. There are two disulfide bonds,
5 one between positions 1 and 98 or 99, depending upon the
6 size of the subtype, and between positions 29 and 138 which
7 has been found to be essential for biological activity.

8 Interferon alpha is unique in that it consists
9 of a family of at least 13 subtypes encoded for by 13
10 functional interferon alpha genes which show 70 percent
11 homology. It contains one potential N-linked glycosylation
12 site and there are 30 percent homology in amino acid
13 structure to interferon beta.

14 Interferon beta. Again its actions are
15 antiviral, antiproliferative, and immunomodulatory.
16 There's only one interferon beta gene. The molecule has
17 166 amino acids with one glycosylation site. We see one
18 disulfide bond between amino acid positions 31 and 141, and
19 this has been found to be necessary for biological
20 activity. Its sources are fibroblasts and some epithelial
21 cells.

22 Interferon gamma again has the same actions as
23 interferons alpha and beta, but its antiviral and
24 antiproliferative activities are less than that in
25 interferon alpha and beta. Interferon gamma is involved in

1 | virtually all immune and inflammatory responses, for
2 | example, activation growth and differentiation of T- and B-
3 | cells. It has 143 amino acids with no disulfide bonds and
4 | two glycosylation sites. There is no or very little
5 | homology with interferon alpha and beta.

6 | It should be mentioned in discussing interferon
7 | alpha, beta, and gamma that interferon alpha and beta show
8 | the same receptor, whereas interferon gamma has its own
9 | receptor.

10 | Types of interferon antibodies. There are
11 | binding antibodies which have been found to be IgM and IgG,
12 | and for detection of these particular antibodies, we see at
13 | least two different in vitro assays, an ELISA, which is an
14 | enzyme linked assay, and an IRMA, which is an
15 | immunoradiometric assay.

16 | In neutralizing antibodies, they are usually
17 | IgG and they are directed against the biologically active
18 | or antiviral site of the interferon molecule. They are
19 | detected by a cytopathic effect assay. Interferon has the
20 | ability to protect cells against viral challenge. If there
21 | is a neutralizing antibody in patient's sera and it's mixed
22 | with the interferon, that activity is neutralized.

23 | With respect to preexisting antibodies, these
24 | are not usually seen or seen at very low titers in healthy
25 | individuals, but they are seen in some cancer patients and

1 | in some patients with viral diseases, specifically in HIV
2 | patients.

3 | The important issues which should be considered
4 | in discussing antibody formation and detection are route of
5 | administration, patient population, dosing regimen,
6 | cumulative dose, and dosing duration, the disease in
7 | question, the product characteristics, and the assay
8 | methodology used to detect both binding and neutralizing
9 | antibodies.

10 | The route of administration. As Dr. Stein
11 | mentioned, it's also seen with interferons that
12 | seroconversion is more frequent with patients who receive
13 | interferon by the subcutaneous route compared to patients
14 | who receive IV infusion.

15 | With respect to patient population, there
16 | doesn't appear to be any correlation between sex and age.
17 | There has been a suggestion that there is a correlation to
18 | ethnic origin, but the important point, obviously, here is
19 | immune status. If a patient is immunosuppressed, that is
20 | something that obviously must be considered when analyzing
21 | the numbers that we see.

22 | The dosing regimen and cumulative dose,
23 | duration of treatment. In this particular study, what is
24 | seen, and what has been reported elsewhere, is that the
25 | higher cumulative dose is associated with a lower

1 neutralizing antibody formation. Here in the first
2 treatment, the cumulative dose is between 504 million and
3 524 million units which translates to 2.5 milligrams. The
4 percent neutralizing activity is 13 percent. The
5 cumulative dose in the second two treatments are exactly
6 the same. There is a slight difference in neutralizing
7 antibody activity which may or may not be associated with
8 the different regimen. There is not a great deal of data
9 out there, but the one thing that has been purported by a
10 number of individuals is that there was a correlation
11 between the cumulative dose and the percent neutralizing
12 antibody formation.

13 The disease itself. As you will see in a later
14 slides, there are a number of indications for interferon
15 ranging from hairy cell leukemia, hepatitis C, Kaposi's
16 sarcoma. In general, the higher antibody titers are seen
17 in patients with infectious diseases compared to cancer
18 patients. This may have something to do with the fact that
19 in a number of instances cancer patients are
20 immunosuppressed to begin with, but direct comparisons are
21 difficult due to the number of variables that we're
22 discussing here: patient population, dosing regimen, and
23 so forth.

24 With respect to product characteristics, in
25 mouse models anyway, oxidation may increase immunogenicity.

1 | The reason for this has been purported to be that oxidation
2 | leads to aggregation of the interferon resulting in
3 | interferon interferon aggregates or, depending upon the
4 | formulation of the interferon, if there's HSA present,
5 | leading to interferon HSA aggregates as well.

6 | It has also been noted that contamination with
7 | certain altered forms may enhance immunogenicity. By
8 | altered forms, I'm referring to acetylated forms of
9 | interferon, and there are some altered forms of interferon
10 | which only contain -- like for example, an interferon alpha
11 | which has two disulfide bonds, an altered form might be an
12 | interferon which has only one disulfide bridge. Both have
13 | been shown to increase immunogenicity.

14 | The assay methodology that's used. The
15 | frequency of antibody detection is higher with the
16 | immunoassay compared to the neutralization assay. This is
17 | because the immunoassay, whether it be an ELISA or IRMA or
18 | radioimmune assay, will detect both neutralizing antibodies
19 | and binding antibodies. The way things are done normally
20 | in a test, the patient's sera is screened using an in vitro
21 | assay like ELISA. If there are positives, then the
22 | patient's sera is put into a neutralization assay to
23 | determine the amount of neutralizing antibody that has been
24 | formed.

25 | The next four slides are eight licensed

1 | interferons. The first two on this slide are both
2 | recombinant interferon alpha. Intron-A is interferon alpha
3 | 2b. Roferon is interferon alpha 2a. They are both
4 | recombinant, as I said, made in E. coli. They differ only
5 | by one amino acid. The indications for Intron range from
6 | hairy cell leukemia, where 0 percent neutralizing antibody
7 | response is reported, to 13 percent for chronic hepatitis
8 | C. There are three different routes of administration for
9 | Intron, IM, SC, and IV, and only IM is used for Roferon.

10 | Continuing with the interferon alphas, the
11 | first two, Alferon and Wellferon, are both natural
12 | products. One is leukocyte interferon and one is
13 | lymphoblastoid. Their indications are condyloma acuminata
14 | and chronic hepatitis C. There is no neutralizing antibody
15 | response for the leukocyte interferon. For Wellferon,
16 | which has just been recently licensed, a 6.7 percent
17 | neutralizing antibody response is reported. For Infergen,
18 | which is consensus interferon, which is indicated for
19 | chronic hepatitis and given subQ, there is no neutralizing
20 | antibody data for this, only binding antibody data, which
21 | is reported as being 15 percent.

22 | The beta interferons, Betaseron and Avonex,
23 | interferon beta 1b and interferon beta 1a, both are
24 | indicated for the treatment of multiple sclerosis. The
25 | difference here that you see is in route of administration.

1 One is given subcutaneously. One is given IM. But there
2 is a difference in neutralizing antibody response, with
3 Betaseron giving 45 percent and Avonex 15 percent
4 neutralizing antibody response.

5 Actimmune, the only licensed interferon gamma
6 1b, is indicated for the treatment of chronic granulomatous
7 disease given subQ, and there is no antibody response here.

8 With respect to ongoing issues, treatment in
9 the face of antibodies, obviously by definition,
10 neutralizing antibodies neutralize the activity of
11 interferon. Binding antibodies may affect or be associated
12 with the bioavailability of the interferon. So, it's an
13 issue that must be addressed.

14 In most studies that are done, there's a
15 predetermined time that the patients on the study are going
16 to be given interferon. They determined at the end of the
17 study or through the study how much, if any, binding and/or
18 neutralizing antibodies are formed.

19 One point of interest is a study that was done
20 by Dr. Ron Styce and colleague at NCI a few years ago. He
21 decided to take a look at the antibody response in patients
22 who were on interferon therapy for a long term. He found
23 that of 35 patients tested, 16 when they went into the
24 study, patients were given interferon up to 2 weeks before
25 entering the study. After that time, they were tested on a

1 weekly basis for both binding and neutralizing antibodies.
2 16 patients did not develop any antibody at all, and they
3 remained so. 9 developed non-neutralizing antibody and
4 lost it over a period of 14.5 months, and 10 patients who
5 developed neutralizing antibody, 3 became negative, 5
6 developed non-neutralizing antibody, and 2 retained their
7 neutralizing antibody. This is something that is very
8 interesting because it was something that was not really
9 looked into before. Patients were on a study for a
10 particular length of time. It was decided how much
11 antibody they were making, and that was it.

12 One other thing that was determined in this
13 particular study is that the neutralizing antibodies that
14 were formed were specific for the antibody that was given,
15 which in this case was recombinant interferon alpha 2a,
16 which leads into the next point.

17 I would like to discuss this recombinant
18 interferon alpha followed by natural interferon alpha.

19 Patients who became nonresponders after
20 treatment with recombinant interferon alpha -- it has been
21 found that when they were given a second course of natural
22 interferon alpha, they became responders. This was also a
23 study done with interferon alpha 2a and the natural
24 interferon that was used was leukocyte interferon.

25 I think, given all the variables that have been

1 mentioned, it's obvious that standardization of assay
2 methods is something that must strongly be considered.
3 Obviously there are certain things here that we can
4 control. One of the things that we can control is an assay
5 method. Ever since antibodies to interferon was first
6 described in 1981, the numbers in studies have varied
7 tremendously, and people have been looking for a gold
8 standard to use for assays both for binding antibodies and
9 neutralizing antibodies for some time. This is something
10 that's difficult, but it's something that really must be
11 considered because, for example, even in a neutralization
12 assay, all else being held constant, if the cell line in
13 the cell/virus combination that's used in the assay is
14 changed, the numbers can be affected dramatically.

15 The last issue is pegylated interferon. This
16 is interferon with a polyethylene glycol moiety, chemical
17 modification. It has been shown that addition of this
18 moiety will increase the circulating half-life by two fold
19 of the interferon in the system. It's also been suggested
20 that this will decrease the immunogenicity of the
21 interferon.

22 This particular area of study of anti-
23 interferon antibodies has been going on, like I said, since
24 they were first described. It's something that's
25 continuing. But I believe, to reiterate, that

1 | standardization is something that we really must look into
2 | further because the numbers that you saw -- it is a
3 | difficult thing to compare basically between the interferon
4 | alphas when we don't have a standardized assay method to
5 | consider.

6 | I think I'll stop there. Thank you.

7 | DR. SALOMON: Thank you.

8 | I actually wanted to start by asking one
9 | question. You've already somewhat addressed it just in
10 | that last slide. When you get neutralizing antibodies
11 | against a biologic, one of the concerns I have is if in
12 | some patients we're giving a biologic that also is a
13 | natural product, we're just giving more. The interferon is
14 | actually a pretty good example, but there are others, of
15 | course, like the colony stimulating factors, GM-CSF, G-CSF,
16 | et cetera, erythropoietin.

17 | So, in the case in which you're getting
18 | neutralizing anti-interferon antibodies, has anyone looked
19 | to see whether or not that is also neutralizing natural
20 | occurring interferon? Now, your last slide you did kind of
21 | give one piece of that answer. Right?

22 | DR. BEKISZ: It has been shown that recombinant
23 | interferon alpha 2a and 2b in neutralization assays cross-
24 | react, but there is no cross-reactivity with natural
25 | interferon alphas in anything I've seen. This even comes

1 from our laboratory. We've done it ourselves and seen no
2 cross-reactivity with natural interferon alpha.

3 DR. SALOMON: Yes, Dr. Broudy.

4 DR. BROUDY: I think the point that our
5 Chairman was just making is a very important point because
6 particularly in the area of the colony stimulating factors,
7 for example, thrombopoietin in which you give an exogenous
8 agent and then you get an exogenous agent which cross-
9 reacts with the native thrombopoietin, then the patient's
10 platelet counts drop. So, this is one of the other
11 dangers, is not just that you will lose the biological
12 activity of your administered product, but you then may
13 drop out temporarily or long term the activity of your
14 native protein. I guess that's one of the major concerns
15 that I have for this.

16 DR. SALOMON: Dr. Zoon.

17 DR. ZOON: Just to follow up what Mr. Bekisz
18 said, in our studies, looking at human lymphoblastoid
19 interferon, we have done a study where we had obtained
20 serum from the patients that were antibody positive after
21 being treated with interferon alpha 2a or alpha 2b. What
22 we looked at is the binding to the different interferon
23 subtypes. As Mr. Bekisz said, there are over 13 functional
24 genes, and we've been able to isolate 21 components of
25 interferon alpha from a natural preparation.

1 If you look at the cross-reactivity of the
2 antibody raised against the recombinant interferon against
3 each of the subtypes using a binding assay, you will find
4 that there's a differential binding to the different alpha
5 subtypes, and depending on the patient's serum, you won't
6 see the same pattern each time they bind. So, whatever the
7 response is, it doesn't appear to be to a particular
8 epitope on the interferon. In fact, when you look at the
9 cross-reactivity, it seems to be somewhat patient-specific.

10 DR. SALOMON: But the data you have, Kathy, I
11 guess could be interpreted saying that, indeed, you are
12 getting antibodies that are cross-reactive with natural
13 forms of the molecule.

14 DR. ZOON: Yes.

15 DR. SALOMON: And it could be different from
16 patient to patient, but that won't do the patient any good.
17 Right?

18 DR. ZOON: Right. Joe was primarily speaking
19 about neutralizing activity, where I was talking about
20 binding to ELISA plates. It is very difficult to see
21 neutralization, especially if you're only doing a
22 subfraction of a larger whole, even if some of them
23 reacted. There may be some that neutralize some of the
24 natural. It's just the sensitivity of that assay probably
25 isn't enough to pick it up.

1 DR. BROUDY: Just one other question. You
2 presented the data on the 35 patients that were treated
3 with interferon and then followed sequentially.

4 DR. BEKISZ: Yes.

5 DR. BROUDY: And in many of them, their
6 neutralizing antibodies went away or became non-
7 neutralizing antibodies. But I guess I'd just like to make
8 the point that what would you expect to happen if you
9 rechallenge the patient then with the interferon. Would
10 you like to comment on that? Or perhaps should I address
11 this comment to you?

12 DR. SIEGEL: Those studies were in the face of
13 continuing treatment.

14 DR. BROUDY: Oh, these were getting ongoing
15 treatment. Oh, I thought you treated them and then watched
16 what -- because I guess I would have expected that the
17 titers would then go up again.

18 DR. SIEGEL: They went down.

19 DR. BEKISZ: Oh, I'm sorry. I mustn't have
20 been clear. No, this was an ongoing treatment.

21 DR. SIEGEL: But another aspect of that
22 question that we don't have a lot of data bearing is what
23 might happen if you interrupted and restarted treatment.
24 There are some data that might suggest -- and this is
25 involved in one of the questions -- that interruption and

1 restarting treatment may raise concerns, or intermittent
2 treatment may be more immunogenic than continuous chronic
3 treatment.

4 DR. SALOMON: Dr. Champlin?

5 DR. CHAMPLIN: I was just going to comment that
6 in a system with a lot of redundancy like interferons, if
7 you neutralize one of your 14 interferons, you probably
8 won't lose much, as opposed to a thrombopoietin where it's
9 a single gain system, and you lose that and you have a
10 major problem.

11 DR. SALOMON: I had one question and that was
12 what's an IRMA, an immunoradiometric assay.

13 DR. BEKISZ: Immunoradiometric assay.

14 DR. SALOMON: Yes. What is that?

15 DR. BEKISZ: It is, to the best of my
16 knowledge, the antigen is -- like for example, in an ELISA,
17 you would coat the plate with your antigen. You come back
18 with the patient's sera, and instead of an ELISA where you
19 would have an antigen to human IgG or human IgM, which is
20 bound to an ELISA, this is tagged. This is hot with
21 radionuclide, and it's just read that way.

22 DR. SALOMON: Oh, okay. I had this idea that
23 it was like adding antibody to a cell culture and looking
24 at a CTL effect.

25 DR. ZOON: It's radiolabeled NK-2 monoclonal

1 antibody.

2 I just want to make one other point. There's
3 very little literature available in looking at the impact
4 of neutralizing antibodies on patient treatment. There is
5 one study by Peter von Wushoff where he was treating CML
6 patients with interferon alpha 2b. The patients that
7 developed high titer neutralizing antibodies had an
8 abrogation of the biological response so that they didn't
9 respond. When he switched them to natural interferon, they
10 responded again. Thus again, while there might be some
11 cross-reactivity with some of the natural forms, at least
12 the ones that weren't neutralized were still able to
13 maintain the biological response.

14 DR. SALOMON: I think that's a very good start
15 to this afternoon's session. Just so the committee knows,
16 I guess there's a balcony area, as usual, reserved down in
17 the cafeteria downstairs or the restaurant downstairs.
18 We'll be back at 1:30 for resuming the session. Thank you.

19 (Whereupon, at 12:41 p.m., the committee was
20 recessed, to reconvene at 1:30 p.m., this same day.)

21

22

23

24

25

AFTERNOON SESSION

(1:45 p.m.)

1
2
3 DR. SALOMON: Well, welcome back, everybody,
4 from lunch. What I'd like to do is start again.

5 I remind everybody we're doing topic III,
6 Immune Reactions Against Therapeutic and Diagnostic
7 Biological Products. I think we've got the discussion off
8 to a pretty good start. Let's see if we can continue that
9 momentum.

10 Dr. Rosenberg is our next speaker, and the
11 title of her talk is Immunogenicity of Other Therapeutic
12 Proteins which, as promised by Dr. Zoon, is all other
13 proteins.

14 (Laughter.)

15 DR. ROSENBERG: Good afternoon, everyone. I've
16 been asked, as you've heard, to present the spectrum of
17 immunogenicity of other therapeutic proteins.

18 I encourage people to move to the front because
19 of the encyclopedic nature of this presentation. Some of
20 the slides are rather small.

21 So, before I begin this talk, I just want to
22 introduce some caveats. I'm not going to talk about immune
23 responses to cell and gene therapies. This will be a
24 separate topic taken up in greater detail in subsequent
25 presentations.

1 The other caveat is that with all of these
2 discussions of immunogenicity, immunogenicity is really
3 defined exclusively by detection of circulating antibody.
4 And the sensitivity of such assays may be low.

5 So, with regard to the spectrum of immune
6 responses to biological therapeutics, it ranges basically
7 from no apparent immune response, again limited by the
8 sensitivity of assays -- I, unfortunately, don't have a lot
9 of information on assay sensitivity -- to generation of
10 antibodies which are binding but not neutralizing, to
11 generation of antibodies which are in fact neutralizing
12 and, in fact, to generation of neutralizing antibodies that
13 cross-react on the endogenous factor. The discussion just
14 before lunch actually anticipated many of the issues that
15 I'll discuss.

16 The detection of antibodies that cross-react on
17 the endogenous factor is primarily determined by either
18 detecting by a direct analysis taking the natural product
19 and looking for binding in vitro or by effects in vivo,
20 that is, the symptoms of a factor deficiency, of the cell
21 loss, et cetera.

22 The non-antigen-specific immune type of
23 responses that, for instance, we saw with stem cell factor
24 and was presented in great detail will not be covered
25 today.

1 So, factors that may impact on the detection of
2 immunogenicity: basically the type and sensitivity of the
3 assay. So, for instance, assessment of serum antibody,
4 which is what is almost universally performed, is not
5 necessarily as sensitive as an ELISPOT or a plaque-type
6 forming assay. In some studies from our Center, the
7 ELISPOT assay was shown to be 200-fold more sensitive in
8 detecting antibodies than a serum assessment.

9 One questions whether assessment of T helper
10 cell activity may be of use and will it be more sensitive
11 while retaining specificity. I think that with regard to
12 that, because T-cells are necessary in inducing immune
13 responses, in inducing antibody responses, one may actually
14 be able to detect by, for instance, cytokine release assays
15 the activation of T-cells. So, in this regard, although
16 T-cells and B-cells here shown by an APC here with surface
17 immunoglobulin, basically collaboration is required between
18 T-cells and B-cells to produce at least an IgG response.

19 However, T-cells and B-cells see very different
20 portions or parts of a biological therapeutic, and this has
21 very interesting implications for their contributions to an
22 immune response. So, the surface immunoglobulin receptor
23 on B-cells can see portions of the native protein, so
24 portions of the intact biological agent. Whereas, T-cells,
25 as shown by the green peptide fragment that's encased

1 within the MHC molecule here, only see peptide fragments of
2 the biological therapeutic; so that whereas B-cells are
3 then theoretically able to neutralize the activity of a
4 therapeutic protein either by direct binding through its
5 surface immunoglobulin or through the generation of
6 antibodies, T-cells, which see the peptide fragments are
7 not able to do so.

8 However, an interesting implication of the
9 specificity and the recognition requirements for T-cells is
10 that T-cells may actually participate further in generation
11 of immune responses by destroying cells that take up the
12 biological therapeutic and process and present peptides in
13 association with cell MHC. I think this hasn't been looked
14 for, and I think it is of interest. So, whether this
15 occurs by receptor mediated endocytosis of a cytokine
16 molecule or just by other mechanisms, cells that are
17 targeted by a biological therapeutic may be susceptible to
18 destruction by T-cells.

19 With regard to the second point, the detection
20 of cross-reactivity on endogenous factor, by looking for
21 effects in vivo, I think it was mentioned here that this in
22 large part depends on the extent to which the biological
23 activity mediated by that factor is mediated by other
24 endogenous factors. So, in the case of interferon alphas
25 where you have maybe 21 species, the loss of 1 is not going

1 to necessarily be detected by just looking in vivo. In the
2 case of other molecules, which are either primary or solely
3 responsible for maintenance of a cellular level, the loss
4 of that will be picked up very rapidly by assessment of
5 activity in vivo.

6 So, many factors that impact on immunogenicity
7 have been discussed and I won't repeat them. Clearly in
8 the products I'm going to show you, the immunologic status
9 of the patient population is something which appears to be
10 critical, at least in some cases.

11 A factor that hasn't been mentioned or has been
12 briefly touched on is that of the immunomodulatory
13 properties of the agent. So, agents that are in general
14 immunostimulatory may actually up-regulate immune responses
15 to themselves; whereas, those that are down-regulatory may
16 actually be able to down-modulate an immune response to
17 itself. This is something that I think you'll see in the
18 subsequent discussion.

19 So, I'll start with thrombolytics.
20 Thrombolytics are of particular interest with regard to
21 immunogenicity because of their very widespread use in
22 immunocompetent populations: acute myocardial infarction,
23 deep vein thrombosis, et cetera.

24 So, with regard to this, we have three
25 products. The top line is urokinase, which is a natural

1 human product produced by a human kidney cell line. In
2 this case, no antibodies have been reported.

3 Again, the format I show here involves the
4 production cell and, of course, the production cell is
5 important because of glycosylation patterns, whether the
6 antibodies were seen in preclinical models, whether binding
7 antibody was appreciated, whether neutralizing antibody was
8 observed, and whether you see cross-reactivity on the
9 native protein, and as a further measure of immunogenicity,
10 looking for allergic reactions which may indicate
11 hypersensitivity or IgE mediated phenomena.

12 So, urokinase, no binding antibodies have been
13 reported, and allergic reactions are rare.

14 Similarly for tPA, which is a recombinant human
15 product produced in CHO cells, a mammalian cell line. So,
16 the glycosylation pattern should approximate that of
17 primates. Antibodies were seen in animals, but the
18 frequency of binding antibodies in a large number of
19 patients was very low. It's .18 percent. And no
20 neutralizing activity was observed.

21 One thing I should point in addition is that
22 although binding antibodies may not be detected in a
23 neutralizing assay, neutralizing assays usually involve the
24 inhibition of proliferation of a factor-dependent cell line
25 by dilutions of patient sera. At least in theory, in vivo

1 binding antibodies may have the capacity to eliminate your
2 biological therapeutic by Fc receptor mediated uptake and
3 destruction in the reticuloendothelial system.

4 So, for tPA basically we don't see a high level
5 of immune responses generated. Allergic reactions were
6 rare.

7 The third thrombolytic is streptokinase, and
8 that's a bacterial product produced in group C strep. In
9 this case, there are variable levels of anti-streptokinase
10 antibodies present in individuals as a result of a recent
11 strep infection. So, in this case there are a lot of
12 allergic reactions that have been noticed on infusion, 1 to
13 4 percent, and some anaphylactic and anaphylactoid
14 responses. The manufacturer cautions against
15 readministration within a period of 5 days to 12 months of
16 either administration of streptokinase or the development
17 of a strep infection.

18 So, in terms of the licensed hematopoietic
19 growth factors, we have erythropoietin, G-CSF, and GM-CSF.
20 The immunogenicity issue was of increasing importance with
21 regard to these products because both erythropoietin and
22 G-CSF are increasingly being used off label in healthy
23 human populations. So, I think it's critical for us to be
24 able to look and see precisely what studies have been done
25 in normal volunteers. In fact, with regard to G-CSF, there

1 | were two recent NIH/FDA joint conferences that were
2 | concerned with the effects of this agent in normal
3 | volunteers in the setting of allogeneic bone marrow
4 | transplantation or peripheral stem cell transplantation.

5 | So, the first one, erythropoietin. It's
6 | recombinant human. It's produced in CHO cells. Antibodies
7 | were observed in preclinical animal models. However, no
8 | antibodies were reported in either binding or neutralizing
9 | assays, and allergic reactions are rare.

10 | G-CSF, produced in E. coli and therefore not
11 | glycosylated, unlike the human homologue, nonetheless was
12 | also not reported to elicit antibodies, and allergic
13 | reactions were rare.

14 | GM-CSF, which is a recombinant human product
15 | produced in yeast and used in the treatment of AML and bone
16 | marrow transplantation, elicited antibodies, about 2
17 | percent, and these antibodies were neutralizing. Whether
18 | they cross-reacted on native protein, the endogenous
19 | protein, is unknown because clearly the activity on
20 | granulocytes could be compensated for by G-CSF, the
21 | activity on monocytes could be compensated for by M-CSF. I
22 | would have to go back and check whether direct binding
23 | activity was observed. In addition, allergic reactions
24 | were reported.

25 | Now, GM-CSF has a lot of immunomodulatory

1 activity as well which is worth considering in that it is a
2 very potent activator of dendritic cells, which are the
3 professional antigen presenting cells in vivo. Whether
4 this is a factor in the elicitation of antibodies to this
5 is not known. Also, the glycosylation patterns mediated by
6 yeast are quite distinct from that of mammals, and
7 whether that's a contributory factor is also unknown.

8 We come now to the investigational
9 hematopoietic growth factors, and what I've shown here are
10 three of the thrombopoietic growth factors: the dual cmlp
11 receptor agonist and IL-3 receptor agonist, the peg-MGDF,
12 and the recombinant TPO product. These are all recombinant
13 products. The first two are produced in E. coli, the last
14 one produced in CHO cells.

15 Of great interest with these molecules, as has
16 already been mentioned, is their immunogenicity so that
17 antibody was observed in preclinical animal models and non-
18 human primates. Unlike most of the situations we see,
19 where the animal response is restricted to the xeno
20 components, the reactivity here actually cross-reacted on
21 the endogenous TPO of these animals. This is probably due
22 to the fact that there is very high sequence homology among
23 all species. It's over 80 percent in the business end of
24 the molecule in mice to man and higher in non-human
25 primates. So, this was surprising.

1 These agents were used to treat oncology
2 patients and in one study a group of normal volunteers.
3 They were all injected subcutaneous.

4 Of interest here, on the screening antibody or
5 the binding antibody was that this was found to be a highly
6 sensitive assay, and it was positive in some subjects prior
7 to administration of the agent. This was the case for
8 actually more than one of these studies. So, it either
9 indicates that the screening antibody assay is highly
10 insensitive, or it indicates that in fact in either normal
11 or oncology patients there is a certain percentage of
12 persons who have extant antibodies to TPO. The
13 implications of that are rather interesting to consider but
14 not the subject for a conversation here.

15 With regard to the neutralizing antibody in the
16 first trial with the dual cml IL-3 receptor agonist, there
17 was a report of neutralizing antibody. This was, however,
18 reported as weakly positive. Neutralizing antibodies were
19 observed in the oncology patients in the peg-MGDF study and
20 were present at higher levels in the normal volunteers, so
21 about threefold higher in the normal volunteers than in the
22 oncology patients. In the full-length TPO molecule, there
23 was also a report of a neutralizing antibody which was read
24 as potentially neutralizing in that it was of low titer, it
25 was transient, and actually is considered partially

1 neutralizing.

2 Again of great interest is the fact that
3 clinical findings of thrombocytopenia were observed in many
4 of these studies, and the thrombocytopenia was not of
5 necessarily short duration. No allergic reactions were
6 seen to these products.

7 So, I believe this is the worst nightmare
8 scenario where you generate an antibody response that is
9 fully capable of cross-reacting on the endogenous factor.
10 It was picked up because of the loss of platelets. And
11 what these data really do indicate is that TPO is the
12 primary, if not sole, agent that's responsible for levels
13 of platelets. One would have expected that if IL-11, for
14 instance, were important in regulating levels of platelets,
15 that that would have compensated, but it did not.

16 Turning to licensed interleukins, we have two,
17 IL-2 and IL-11, both recombinant human products produced in
18 E. coli. Both elicited antibodies in preclinical models.
19 Both used in an oncology setting. The fascinating aspect
20 here is with IL-2. Binding or screening antibodies were
21 observed in an extremely high percentage, 66 to 74 percent
22 of patients in the renal cell carcinoma and melanoma
23 trials. In those particular trials, none of those
24 antibodies proved to be neutralizing.

25 In a different study, 1 out of 106 patients

1 manifested a neutralizing antibody which did cross-react on
2 native protein. Apparently there were no perceived effects
3 on this patient in vivo.

4 Again, IL-2 is one of many factors which can
5 regulate T-cells. IL-4, IL-10, IL-15, for instance,
6 perhaps compensate in this regard.

7 In addition, allergic reactions have been
8 reported, and of greater interest is the fact that
9 administration of IL-2 in some cases led to the
10 exacerbation or the initiation of autoimmune or
11 inflammatory disorders, and hypersensitivity responses were
12 also seen in combination with other agents. I think this
13 speaks to the high percentage of antibodies here and the
14 initiation of autoimmune disease speaks to the
15 immunomodulatory properties of this molecule.

16 In contrast, IL-11, which is basically a down-
17 modulatory cytokine in immune responses, there were
18 antibodies that were picked up in the binding assay, 1
19 percent, but none of them were neutralizing. Just some
20 minor local allergic responses were seen.

21 So, I think here it may be an example where the
22 immunomodulatory properties of these cytokines figure into
23 the immune response against a therapeutic protein.

24 Looking at the earlier investigational
25 interleukins, I have limited data here. However, with

1 regard to IL-1 alpha, a pro-inflammatory cytokine, no
2 neutralizing antibodies were reported, nor for IL-3 or the
3 IL-3/GM-CSF fusion protein.

4 With regard to the more recent trials using
5 interleukins that figure very prominently in T-cell
6 activation, we see some surprises here. So, IL-4, which is
7 the prototypic B-cell stimulating factor which also
8 stimulates T-cells, has been tested. It's a recombinant
9 human product that's made in E. coli. It was administered
10 subQ. So, basically it seemed to have all the necessities
11 for generating an immune response to itself. However, no
12 binding antibodies were observed and certainly no
13 neutralizing antibodies, and no allergic responses were
14 observed for a factor whose administration or whose
15 activity skews to IgE mediated responses. So, this was a
16 surprise and it may reflect the sensitivity of the assay.
17 It may reflect the dosing schedule. This may be the
18 subject of further inquiry.

19 Again, another surprise was with regard to
20 IL-12 which is a potent immune activator. IL-12 in this
21 case is a recombinant human product produced in CHO cells,
22 but injection of this product failed to produce antibodies
23 that were perceived in the binding assay.

24 So, a few surprises here that don't quite fit
25 what the expected activities are.

1 One product that's approved for wound healing
2 is platelet-derived growth factor. It's a recombinant
3 human product produced in yeast. It has been used to treat
4 diabetic foot ulcers and the route of administration is
5 topical. 2 of 475 patients showed some activity in the
6 binding assay, but in this case the nonspecific binding
7 could not be excluded. No neutralizing antibodies were
8 perceived in the use of this product.

9 So, within our office and our Center, we have
10 agents for which we expect preexisting immunity. This was
11 touched on earlier. I mentioned streptokinase is one. In
12 addition, we have an IL-2 diphtheria toxin conjugate
13 molecule which is recombinant produced in E. coli and used
14 to treat cutaneous T-cell lymphoma. In the binding assay,
15 39 percent of persons prior to study had antibodies to
16 diphtheria toxin, and by the end of the third course of
17 treatment, virtually 100 percent of patients had antibodies
18 to diphtheria toxin, measurable ones anyway.

19 What's of interest here is that by the end of
20 treatment, 50 percent of patients had antibodies detectable
21 to IL-2. Given the dosage here, one wonders whether or not
22 the diphtheria toxin acted as an adjuvant to elicit
23 immunity to IL-2. The neutralizing antibody certainly is
24 neutralizing for diphtheria toxin, and that made a
25 difference in terms of the pharmacodynamics and kinetics in

1 vivo. However, it's not clear whether or not there were
2 neutralizing antibodies to IL-2. There's a 2 percent
3 incidence of allergic reactions.

4 The other product that is of bacterial origin
5 is asparaginase used to treat acute ALL. It's a bacterial
6 product produced in E. coli. Although there were few
7 details -- in fact, no details -- provided in the package
8 insert on the incidence of antibody, nonetheless the very
9 high level of allergic reactions, 3 to 73 percent and
10 really tending on the higher side, indicate that such
11 antibodies must in fact be present. And this is with the
12 first course of therapy. In fact, the manufacturer has a
13 scheme for skin testing and desensitization should skin
14 tests be positive prior to therapy.

15 The peg-asparaginase product whereby you get
16 pegylation actually appears to decrease the incidence of
17 these allergic reactions, 11 to 12 percent, at least in
18 non-sensitized patients. The effects of pegylation on this
19 product and on others may be to down regulate the immune
20 responses. However, in other circumstances, pegylation has
21 the potential to increase immunogenicity, and this will be
22 something that we would like to look into further.

23 I wanted to point out to you that we have some
24 agents that are administered over a protracted period of
25 time in patients. Among these are, of course, epoietin in

1 | the setting of renal failure.

2 | One I haven't mentioned before is DNase which
3 | is used to treat cystic fibrosis and it's administered by
4 | an inhalational route. Binding antibodies were reported in
5 | 2 to 4 percent, and we don't have any information as to
6 | whether these had neutralizing activity. This I think
7 | would be of great interest in persons who have to take this
8 | perhaps for the rest of their lives. There is a certain
9 | incidence of allergic reactions manifested as rash, 3
10 | percent in this case.

11 | G-CSF is chronically administered to patients
12 | with severe chronic neutropenia. Again, the incidence of
13 | antibodies -- I'm not sure how well it's been studied in
14 | that particular patient population.

15 | That about wraps up the encyclopedic tour of
16 | the other biological therapeutics. I think we've
17 | identified clear areas for further follow-up. Particularly
18 | worrisome are the cross-reactive kinds of responses that
19 | we've seen with regard to TPO that led to thrombocytopenia
20 | in some instances. I think the guidance from the committee
21 | would be of great help with regard to what is needed to
22 | prevent this in the future.

23 | Thank you.

24 | DR. SALOMON: Thank you very much, Amy.

25 | I had one question. What does NA exactly mean

1 | in these?

2 | DR. ROSENBERG: I'm sorry. It's not
3 | applicable.

4 | DR. SALOMON: So, I don't understand. For
5 | example, if you've got interleukin-3 or interleukin-1
6 | alpha, why would cross-reactivity on the native protein not
7 | be applicable?

8 | DR. ROSENBERG: No. It would be applicable,
9 | but we don't see anything in terms of neutralizing
10 | activity. So, with regard to that, the likelihood of
11 | cross-reacting on the native protein is virtually nil. So,
12 | binding antibodies most commonly are specific to unique
13 | determinants as a result of the production; whereas,
14 | neutralizing really seem to hit the business end of the
15 | molecule.

16 | DR. SALOMON: I mean, there wasn't any data on
17 | binding antibodies, so I was getting a little confused.

18 | DR. ROSENBERG: Yes. No, I'm sorry. I was
19 | limited in part as to what I could retrieve.

20 | DR. SALOMON: I think you did a great job.
21 | There's a lot of data here.

22 | DR. ROSENBERG: Well, thank you.

23 | DR. SALOMON: I was just trying to get a handle
24 | on it.

25 | Yes.

1 DR. MILLER: Can you comment on the IL-2
2 diphtheria toxin drug and comment on the clinical effects
3 of the neutralizing antibody? My understanding, looking at
4 it, is that by the time you're done with the course of
5 therapy, there's such a high level. But looking at the
6 data, there's no evidence that there's a continued
7 response. Well, there's a response, but whether or not you
8 need all those courses. Is there any data about why you
9 should keep giving that drug with 90 percent
10 neutralization?

11 DR. ROSENBERG: I'm not as familiar with this
12 as I'd like to be, but one thing that is mentioned in the
13 package insert is that the administration in patients who
14 did manifest antibodies didn't seem to correlate with
15 treatment effect. So, whatever effect it had on the tumor
16 itself did not correlate with the presence of antibodies.
17 That I could glean.

18 But your question is a good one and I don't
19 really have the answer to that.

20 DR. KEEGAN: Dr. Miller?

21 DR. ROSENBERG: Oh, Dr. Keegan may have a
22 better answer for you.

23 DR. MILLER: As you continue treating -- when
24 you stop in a T-cell disease, your clinical response keeps
25 going and going and going often if it's going to respond.

1 It can takes month after the treatment is done before the
2 final plaques resolve. So, I was just wondering from a
3 utility standpoint about any -- I mean, I think that's
4 something we're going to have to discuss.

5 DR. KEEGAN: I'm sorry, Dr. Miller. There was
6 a question that was addressed as an area that we didn't
7 have much information on at the time that this was
8 presented before the advisory committee, and because of the
9 lack of information, it was recommended and it has been
10 made into a post-marketing commitment for the company to
11 further investigate the impact of additional cycles/optimal
12 duration of therapy relationships between antibody
13 responses.

14 DR. SIEGEL: You've touched upon a problem that
15 has somewhat vexed us in this area. I was thinking, as
16 some of the questions came about, about what is the
17 clinical significance, which is that in the chronic
18 diseases or diseases which require chronic therapy, what
19 we've seen -- and this has occurred on more than one
20 occasion -- is, of course, the antibodies are generally,
21 with some exceptions, as we've heard about, not there at
22 present and may occur a few months into therapy. If we're
23 talking about an imaging agent, we may immediately know
24 there are antibodies there. You can get immediate feedback
25 whether the drug is still working or not. But a lot of

1 | biologic response modifying agents -- the time course of
2 | efficacy is not closely related to or the relationship is
3 | unknown of the time course of therapy and of having active
4 | drug on board to the response.

5 | So, if you're treating an immunomodulator to
6 | treat arthritis and you treat for three months and the
7 | arthritis is doing fine and antibodies come up and you see
8 | that the arthritis is still doing fine a half year later,
9 | you don't necessarily know that the drug is still active or
10 | whether three months of therapy is enough to give nine
11 | months of response. So, it's often the case with these
12 | drugs that knowing whether an antibody response has a
13 | clinical impact is not so easy to determine.

14 | DR. O'FALLON: Until you can get to the point
15 | where you know the specificity is near 100 percent,
16 | however, you don't know whether you've got true antibody
17 | present or you're just dealing with a measurement that is
18 | detecting an awful lot of false positives. What you just
19 | described as one possible explanation, another possible
20 | explanation is all those people were not really positive
21 | antibody responders after all. It was just a very poor
22 | assay.

23 | DR. SIEGEL: Yes, in some cases that could be
24 | the case.

25 | Often we have good information about

1 | specificity, and in some of these cases, we'll see
2 | pharmacokinetic changes so we know that antibodies are
3 | doing something, but we don't know what the clinical
4 | implications are. So, the half-life of the molecule will
5 | be shortened. We know that they're binding in vitro, but
6 | we don't know whether that has any meaning because again
7 | the association between the half-life and the
8 | pharmacokinetics and the efficacy are not well known.

9 | Specificity is an important issue and a lot of
10 | companies have expressed some concern, I think
11 | appropriately, that assays may be picking up non-specific
12 | responses. The concern, of course, is sometimes you can
13 | technically get around this, but usually there's a drug
14 | tradeoff between specificity and sensitivity.

15 | DR. O'FALLON: Of course, there is. It's the
16 | way it works.

17 | DR. SIEGEL: In general, to investigate these
18 | problems, our preference is to cast the broader net and to
19 | look at sensitive assays and then try to investigate, when
20 | we see something, whether it has any clinical
21 | meaningfulness.

22 | DR. CHAMPLIN: In this example of the IL-2
23 | diphtheria toxin, it could be antibodies to the diphtheria
24 | toxin won't matter at all since the IL-2 is what targets
25 | the conjugate to the cell and is internalized and the toxin

1 | may still be active. So, you could actually test that in
2 | vitro without even needing clinical data to see if, in the
3 | presence of antibody, the agent would still kill cells.

4 | DR. MILLER: But the clearance of the
5 | protein --

6 | DR. CHAMPLIN: Clearance, yes, could change.

7 | DR. KEEGAN: I don't know which members from
8 | this committee were actually participants in the ODAC, but
9 | at least some were. As you know, Dr. Vose, there was very
10 | clear data showing that the pharmacokinetic impact of the
11 | antibodies was very significant. There were great
12 | alterations. Dr. Sausville was there as well, as I recall.
13 | So, these were likely not false positive results, but were
14 | results that impacted at least upon the pharmacokinetic
15 | effects of the profile of the product.

16 | DR. SALOMON: An interesting direction right
17 | now in cell biology is probably pertinent to raise here,
18 | and that is, there's been an assumption for a long time
19 | that a lot of these factors -- growth factors, for example,
20 | chemokines for another example -- act primarily as soluble
21 | molecules. However, a lot of new data suggests that that's
22 | actually probably not true at all, and that most of these
23 | factors are being presented. They're being presented by
24 | extracellular matrix molecules. They're being presented by
25 | charged moieties like heparin groups on cell surfaces.

1 | There's data for TNF. There's data for IL-8. There's data
2 | for STF-1. There are a lot of results out there suggesting
3 | this is a factor.

4 | So, measuring soluble concentrations or
5 | suggesting that antibodies enhance clearance may actually
6 | not be measuring the biologically relevant presentation of
7 | these molecules in the body. That may be why you see that
8 | they disappear, you can't detect them any longer in plasma
9 | or serum assays, yet they're functional.

10 | DR. ROSENBERG: Yes. Fred Finkelman's IL-4-
11 | 11b-11 conjugate is of interest in that regard, and that's
12 | an antibody complex to IL-4 in which he sees actually more
13 | prolonged activity because of the release. So, that's
14 | another one where that might figure.

15 | DR. SAUSVILLE: I would simply say that in
16 | reference to the IL-2 diphtheria toxin, Dr. Keegan
17 | mentioned that in that particular case the need for more
18 | studies was clearly part of the post-marketing activities.
19 | But I think for each one of these products, though, the
20 | issues are so particular to each one that I think we're
21 | going to have to really think about these biologic issues
22 | that you raised in designing an assessment of these issues
23 | that are never, I think, going to be modeled very well in
24 | preclinical or early phase trials.

25 | DR. SALOMON: A point well taken.

1 I'm sorry. Yes, please.

2 DR. CHAMPLIN: One last comment. I recall six
3 months or so ago there was an article about PIXI, an
4 IL-3/GM-CSF conjugate, whereas both of the independent
5 drugs did not elicit antibodies, the conjugate actually
6 did, which limited the ability to deliver repeated dosing.
7 I think Dan Longo was the author, if I recall.

8 DR. ROSENBERG: We have to revisit the
9 package --

10 DR. CHAMPLIN: And it raised the concept that
11 even if the component agents are not immunogenic, when you
12 make a conjugate of it, the binding factor perhaps was the
13 target for an immune response.

14 DR. ROSENBERG: Yes. The data I have regarding
15 PIXI was early and limited and should be revisited.

16 DR. SALOMON: Well, I think someone earlier --
17 I believe it was Dr. Stein -- had mentioned the idea that
18 there may be aggregations after oxidation. No. But
19 anyway, aggregation, oxidation, and that you could even
20 present it with human serum albumen, HSA, in the
21 preparations. So, the idea that these sort of aggregates
22 could act almost like adjuvants is an interesting thing to
23 bring up.

24 DR. ROSENBERG: Yes, it's of interest in that
25 IL-2 is actually aggregated; the therapeutic IL-2 is

1 aggregated. That may in part explain its --

2 DR. SALOMON: Well, a lot of molecules,
3 including peptides at high concentrations such as you give
4 trying to reduce the volume you're giving to patients, will
5 end up aggregating even though once -- the concentrations
6 they would normally be in a biological fluid with loss of
7 protein, there would be no aggregation, but in a normal
8 saline or water, as you're injecting it in the patient or
9 during the preparation, they're aggregated.

10 Thank you very much, Amy.

11 Then the last speaker before we get into the
12 discussion of the questions in earnest is Dr. William
13 Schwieterman, and he'll present a clinical perspective.

14 DR. SCHWIETERMAN: Thank you very much, Dr.
15 Salomon.

16 Actually I only have a handful of slides
17 because I think the other speakers nicely covered many of
18 the issues relevant to the clinical issues and that the
19 committee has brought up a number of the issues already
20 that I think bear some discussion. It was quite clear
21 early on in the organization of this session here for this
22 particular committee that there were many, many issues that
23 could possibly be discussed. As Dr. Zoon mentioned in her
24 introductory talk, it's very clear that we will need to
25 come back to this committee periodically to discuss these

1 various issues. As Dr. Sausville rightly pointed out, many
2 of the issues don't lend themselves to general discussion
3 since they get down to the particulars of the product, the
4 assay, the indication, the issue at hand.

5 So, what I'd like to do here today is simply
6 describe in some broad brush strokes some of the issues
7 that I think we felt were particularly important, whether
8 because they were more common, more practical, practically
9 oriented or more clinically relevant to some of the issues
10 that are happening now, and then get right into the
11 questions with the idea that we'll focus on some of these
12 more pertinent areas, but that if others on the committee
13 want to bring up other points of discussion with regard to
14 clinical assays, with regard to treating through therapies,
15 with regard to many of the things that we talked about in
16 some of the telephone conversations earlier -- Dr. Salomon
17 brought up today again about what to do when you see
18 assays; how do you appropriately characterize what's
19 relevant or not with regard to whether that has an adverse
20 effect on either the safety or efficacy of a product --
21 that we can have that sort of a discussion.

22 So, with that, I'll simply start by saying that
23 we have four questions listed for the committee in two
24 different broad areas: three with regard to product
25 development, and one with regard to product labeling. The

1 three that are involved with product development involve a
2 question, again a general sort of question, on preclinical
3 issues. We have a question on assay development. Many of
4 you understand the issues. We've had something of a
5 discussion already about this. And the issue of repeat
6 administration is something that I think that we would very
7 much like input from this committee on given that many of
8 the biological therapies that are now being brought to us,
9 and they're even being approved, involve the treatment of
10 chronic conditions with chronic therapies.

11 And then we'll end the formal discussion with
12 our final question about product labeling. This question
13 essentially revolves around the issue that Dr. Auchincloss
14 and others were talking about earlier this morning about
15 the nature of comparing data and what ought or ought not go
16 into the label for the label to become maximally
17 informative for patient and caregiver alike.

18 With that, I'll simply go through now what I
19 think are many of the issues we've discussed. Again, this
20 is a partial list. I think it's clear that animal data
21 often are non-predictive. This is an issue not only for
22 immunogenicity but for many other aspects of product
23 development, but certainly one that's relevant for this
24 discussion.

25 Secondly, PK and PD measures are very often

1 helpful in phase I. We've seen examples already of the
2 kind of dissociations, whether it's in the initial volume
3 of distribution, whether it's in serial measurements of
4 PK/PD profiles after repeat administration. PK/PD often
5 allow you your first glimpse into what the actual effects
6 of an immunogenic antibody might have, and they play a
7 particularly helpful role early in product development.

8 Concomitant immunosuppressives is something we
9 touched upon earlier and something that actually as a
10 clinician in the FDA that we have to deal with on a fairly
11 regular basis. Really there are two issues surrounding
12 this.

13 One is what are the considerations about
14 immunogenicity when the product itself is
15 immunosuppressive? You give an agent, it suppresses the
16 immune response, and thereby is effective hopefully but
17 also self-tolerizing in the sense that it dissipates any
18 antibody responses that occur in the short term. What are
19 the implications of that for product development? We'll
20 get into this with repeat administration and so forth.

21 And secondly, I guess the second issue here is
22 what about sponsors that want to pursue product development
23 with combination therapy with other immunosuppressives? It
24 has been a rule of thumb that we have that we like to
25 adequately characterize these products with regard to their

1 immunogenicity so as not to default to a combination
2 therapy that may not be necessary, in other words, to get
3 some data. But certainly there are judgment calls as to
4 when and when not to ask for monotherapy when you could
5 suppress the immunogenicity otherwise.

6 The second slide has to do with the one I
7 talked about earlier, the repeat administration study.
8 There are obvious questions here, but the durability of
9 safety and efficacy. I listed here two examples. I think
10 there are several more you could easily think of, but the
11 two that come up very often are should you require or ask
12 for or think about readministration studies when you
13 anticipate that there are going to be intermittent courses
14 of therapy, whether it's a single therapy, for example,
15 with a diagnostic agent, whether it's a chronic disease and
16 the patient is going to be on that treatment for a long
17 duration and therefore very likely to have certain periods
18 of time when they would not be on that therapy for whatever
19 reason, whether it's their own compliance with the product,
20 whether there are drug holidays, for other reasons, et
21 cetera. When do we start asking sponsors to evaluate these
22 likelihoods in the future given that there are implications
23 for starting and stopping therapy with regard to
24 immunogenicity and therefore the safety and efficacy of the
25 drug profile in the long term?

1 The second point I brought up here is obviously
2 something we discussed already. Neutralization of
3 endogenous function is a real concern when you're giving
4 biologic therapies. Perhaps it's one of the obvious
5 distinguishing features of biological therapies from some
6 other drug moieties. We've heard some examples already
7 today of when this actually occurred. And there are other
8 considerations with regard to idiotypic networks, and Dr.
9 Salomon brought up with autoimmune disease and so forth,
10 that are related to this particular phenomenon. Biological
11 therapies are very often ones that might actually involve
12 risks with regard to these sorts of things, and I think
13 that this committee has accurately pointed out some of
14 these risks.

15 The third and final slide on the product
16 development issues -- again, it's a partial list of things
17 -- has do to with question 2. The first item does.
18 Mainly, we very often have requests to companies to pursue
19 improved assay development for their products. An example
20 is Enbrel for phase IV. Immunex has been quite forthcoming
21 in wanting to pursue a better characterization of their
22 antibody profile, and we believe that this is something
23 that is probably not likely to be the first time, as
24 products come to market, that we attempt to get better
25 handles on the assays and so forth.

1 And we have a question to this committee, a
2 general sort of question, on what they think ought to be
3 proper recommendations in this regard since, obviously,
4 it's very important that we get accurate information on
5 this, particularly with chronic therapies.

6 The second point is something again that's not
7 necessarily specific to immunogenicity. Phase IV studies
8 often help complete the safety database in a number of
9 areas. But I think it's safe to say that immunogenicity is
10 something that we often look for in phase IV, just given
11 the sheer numbers, ask for the other adverse events, and so
12 forth. Dr. Schaible again has pointed out that Centocor
13 has experienced some post-marketing AEs, but they're
14 actively pursuing studies of this. I think of this as
15 something that is again not going to be specific for any
16 one product, but something that's likely to continue again
17 in the future.

18 I'll just simply finalize the product
19 development issues by saying that registries of studies of
20 readministration may be helpful since, obviously, it's
21 important to collect these data on these particular areas.

22 Two more slides on product labeling and
23 promotion, and these all revolve around question 4, which
24 I'll get to in a second. Let me just make some basic
25 points.

1 Immunogenicity data is required for the package
2 insert. It's the nature of the data that we need and how
3 it's presented that really is at issue.

4 The location in the package insert varies
5 somewhat. It very often ends up in the safety/adverse
6 events section. Sometimes there are labels where the
7 antibody data, immunogenicity data is in the clinical
8 trials description section. I guess the question to the
9 committee, is this relevant, is this important? Ought
10 there to be a format that we use and so forth? What ought
11 to be the disclaimers? Again, this is coming up in
12 question 4.

13 The third point I just want to mention here is
14 that we consider it important that the label include
15 information sometimes on other kinds of therapies, other
16 kinds of diagnostic tools that might be adversely affected.
17 This is a generic question. If there's going to be a
18 cross-reactivity of a particular kind of isotype or other
19 kind of class effect that might somehow influence other
20 therapies or other diagnostic tools, I think that that's
21 something that needs to be considered.

22 Finally, but certainly not least, is the topic
23 that started out today's discussion for this particular
24 topic, and that is the comparison of data between products
25 is difficult given the things that we've discussed today,