

1 Your in vitro systems really give you an
2 indication of whether there will be or won't be an
3 interaction. It seems to me that you still have a difficulty
4 as a continuum of magnitude of response, and where does that
5 magnitude start to interaction with the therapeutic
6 boundaries. So, it is a difficult area to make absolute
7 statements because each statement has to be tempered to the
8 drug. I am just curious as to what the current role is, and
9 are you proposing changing that?

10 DR. HUANG: Yes, as was discussed earlier this
11 morning, we would like to see more information and actually
12 more prospectively designed studies so that we can use the
13 information to help us answer the question. A lot of times
14 what we are doing is, when we have the problem, we go back
15 and look at our data to see where it comes from. Oftentimes,
16 if the drug interactions are conducted very early on we
17 actually don't have the information. So, initially we may
18 come to a conclusion but later on, with more information
19 available, we might change our conclusion for that drug
20 interaction result, depending on how comfortable you are
21 with the boundary. For example, if the patient has never
22 been exposed to certain levels we may not be comfortable but
23 later on if the information is provided which actually gives
24 us confidence on the safety -- if you have a number of
25 patients exposed to that level without additional adverse

1 events, that would be really helpful.

2 DR. BYRN: Roger?

3 DR. WILLIAMS: Well, I am very interested in the
4 committee's discussion because I think you are struggling
5 with things we sort of struggle with all the time. I would
6 like to make some general comments. Again tied back to what
7 we have talked about a lot in this committee meeting, risk
8 assessment, risk management and risk communication, I think
9 we are talking now about risk assessment, probably in terms
10 of the three questions or four questions -- I guess there
11 are only three for Louis; I added another one.

12 Risk management and then risk communication -- let
13 me start with risk management, I think somehow risk
14 management involves putting something in the labeling. But I
15 might also remind everybody that for mibefradil the way we
16 managed that risk was to take mibefradil out of the market
17 because we felt there was such a pervasive risk for so many
18 drugs that we couldn't allow it as a safety and efficacy
19 sort of situation.

20 You see, there are many different things that the
21 agency does in terms of risk management. And, we have done
22 it now for terfenadine. It also happened for astemizole. I
23 think as a society we are sort of saying collectively these
24 drugs can't be labeled in such a way that safe use is
25 allowed. So, there are some very thorny issues going on with

1 risk management.

2 Let me back up to risk assessment in terms of the
3 three questions. I think if I speak to the substrate drugs
4 somehow the basic question is has an interaction occurred
5 such that I need to change my dose? I am watching Louis to
6 see what he thinks about that question. Which is sort of
7 like the bioavailability/bioequivalence question. Do I need
8 to change the strength of the product to bring it back up to
9 where I thought it should be in the first place? So, I think
10 somehow that is a more refined view of the question of a
11 drug-drug interaction.

12 Now, willing to assume gets to the issue of are we
13 willing to say that our Bayesian understanding -- I am trying
14 to use Louis' terminology -- is such that we can rely solely
15 on a pharmacokinetic systemic exposure measure? For the most
16 part, we are willing to do that. I guess it is based on our
17 collective understanding that we don't see too many PD
18 alterations in the exposure-response relationship.

19 Now, the third question, of course, is the one
20 that I always struggle with because it is the regulatory
21 standard question. It relates to that whole issue of goal
22 posts, equivalence criteria, confidence intervals. We have
23 agreed I think collectively now that we do not want to use a
24 frequentist statistic approach on this. It is an equivalence
25 question.

1 Then, once you get past that branch point you get
2 to the point is it a switching question or a population
3 question. If it is a population question -- and I sort of
4 feel that it is a switching question; you heard me argue
5 that case. Then you get into the question if it is
6 switching, do you just want to do comparison of means or do
7 you want to take into account variances.

8 Now, as we talk about all this and you get to the
9 practical reality of what we really observe, the practical
10 reality is that in 80 percent of the cases we don't see
11 drug-drug interactions that are of any importance. Maybe
12 even some of the ones that we say are important and somehow
13 intrude themselves in the labeling aren't important. For
14 example, we might say AUC increases 30 percent. Well, if you
15 scale because of a highly variable reference, in this case
16 the substrate without the interacting drug, you might be
17 willing to say to the practitioner community nothing is
18 going on here that you should need to be clear about. So our
19 communication becomes faulty because we haven't taken into
20 account variability of the reference drug.

21 The committee is expert on all these criteria and
22 I am sure you can think about all the permutations, but I
23 will close by saying this, you know, a lot of times we see
24 doubling of the systemic exposure measure and a sponsor will
25 say, "gee, that's okay". I saw that dose range in my clinical

1 trials -- you know, that I could go from 10 mg to 100 mg and
2 the study population was okay." I will argue that is okay if
3 you are using it as a prescribing approach, but I wouldn't
4 argue that you could have somebody stabilized on 10 mg of a
5 drug and then the next day give them 100 mg and say that it
6 is okay. If you do say that, believe me, I am going to widen
7 the generic substitution windows quite a lot. That is all I
8 wanted to say.

9 DR. BYRN: Any other comments from the committee
10 on that issue?

11 [No response]

12 I think this is a little bit of a continuation of
13 what Roger was discussing but let's see if there are any
14 comments on the committee on this general question under the
15 first bullet.

16 [No response]

17 Do you want to ask the question a different way?
18 It doesn't seem that anyone has a comment or maybe we are
19 just not experts on labeling. Arthur?

20 DR. ROSENBERG: I would like to join those two
21 bullets together, and I think that if a risk assessment
22 level can be assigned, then that can be communicated to the
23 healthcare providers by means other than the web page or by
24 conventional means. In California there is a law that when a
25 prescription is dispensed the pharmacist must have a patient

1 consult the first time he fills that prescription for that
2 patient. So, every time a pharmacist receives a prescription
3 from a doctor for a drug that he has been notified by the
4 FDA has a risk assessment of A, he has to notify the doctor.
5 So, the doctor would be notified 12 times if he goes to 12
6 different pharmacies but at least he will be notified, and
7 there is a way of mechanistically forcing that through law.

8 DR. LESKO: Steve, I want to ask the question a
9 different way.

10 DR. BYRN: Go ahead.

11 DR. LESKO: When we think about current labeling
12 and drug interactions there is a number of sort of
13 observations. One is that all of the drug interactions in
14 the label sometimes are clumped together without
15 distinguishing features between those that are highly
16 probable in terms of their occurrence or in terms of their
17 relative risk if they did occur. So, I think this question
18 sort of gets to can we leverage, at this point in time,
19 information that comes out of drug development in
20 applications in a better way to communicate the risk and
21 probability level of an interaction?

22 For example, we can get quite a bit of information
23 from in vitro studies that might be done on a substrate and
24 inhibitors of a substrate that could sort of steer one
25 towards which clinical studies would eventually be done in a

1 drug development plan. The reality is the clinical studies
2 are relatively limited in numbers so you tend to rely on
3 those plus some extrapolation perhaps, or some extension of
4 the in vitro information.

5 So, the question really comes down to
6 scientifically, are we in a position to utilize this
7 information to assign risk levels to potential interactions
8 and then convey that into a label in a way that would say
9 high probability or high risk, medium risk, low risk, and
10 then base that risk category on in vitro data, supplemented
11 with what we know about in vivo studies? Then, in doing
12 that, would that help manage drug interaction risk better in
13 terms of the prescriber and patient? That is kind of the
14 rephrasing.

15 DR. BYRN: Robert?

16 DR. BRANCH: Just recently I had a patient come up
17 who is currently a depressed individual who is
18 hypolipidemic. He has thin bones. He has a large prostate,
19 and he has an infection. He is on 12 different drugs. And,
20 the question is, is it reasonable to take all these drugs?
21 Do they have a potential for interaction? I would echo the
22 difficulty of going through that list of 12 different drugs
23 and looking at the product labels. I am doing that exercise
24 with him right now because he is an intelligent lawyer who
25 is looking very hard at his own treatment.

1 I think the idea of creating a risk profile or a
2 risk rating within this would be a tremendous help to
3 pharmacists and their communication to patients, and to
4 physicians in terms of being able to create a rank order of
5 priorities. It is just so complicated. You then put in some
6 demographics of gender, age and a few other variables into
7 the individual and you have a very complicated picture.

8 I think that within that the only way of trying to
9 come up with an overall recommendation to an individual is
10 to create a relative risk to each component. I think this is
11 a great idea.

12 DR. BYRN: Other comments?

13 DR. LESKO: Going beyond the idea, would one be
14 comfortable assigning that risk based on in vitro data that
15 comes out of these typical microsomal studies where we might
16 look at, say, the KI value or the unbound concentration
17 level and use that information to assign this risk, as
18 opposed to relying strictly on in vivo data? So, it is sort
19 of a question as to where is the state of the knowledge and
20 the confidence level in these parameters in terms of using
21 them in this kind of way. Can we be misled, or to what
22 extent would we have to be careful about that?

23 DR. BRANCH: It seems to me that we are in the
24 process of trying to validate the in vitro to in vivo
25 information right now. If you look at p450, the correlations

1 appear to be standing up reasonably well. If we look at
2 p-glycoprotein we certainly haven't got to the full level of
3 understanding to be a good predictive model.

4 So, I think as the science leads you, you can have
5 a level of confidence in prediction. But I think the
6 difficulty is the accurate prediction of what happens in
7 vivo from the in vitro data.

8 DR. DOULL: I would agree with that. You know, if
9 your laboratory data indicates that you have the potential
10 for a risk from some kind of an interaction, that is only
11 half the information. You need to know the magnitude of that
12 potential.

13 There are books on drug interactions, you know,
14 millions I suppose of those kinds of interactions. But we
15 don't teach our students about all those interactions. You
16 have a relatively small list and you say to them, "these are
17 the ones you really need to be concerned about." So, if you
18 do p450 tests and come out with 10,000 potential reactions,
19 for example, you don't really do us all a great service by
20 telling us about that unless you also say, "these ones you
21 really have to worry about because they're going to happen
22 and you're going to see those, and you ought to be alert to
23 it."

24 So risk communication has somehow got to -- you
25 know, we have to be able to not only talk about the

1 potential risk but we also have to figure out how we are
2 going to communicate that information.

3 Let me give you an example. We have a schoolhouse,
4 out in western Kansas, where recently the exterminator went
5 bananas and got too much chlordane into it -- it wasn't
6 chlordane because that is bad; whatever he was using. So,
7 the school board called and said, "you know, we have to do
8 something about cleaning up this place." We said, "well,
9 yeah, you have to do all these things. That will clean it up
10 and get it down to this level." Then I went out to talk to
11 the physicians out in that community and all the townspeople
12 came whose kids were going to that school. They said,
13 "how-come you're saying it's okay for our kids to be exposed
14 to 2-3 ppm? This stuff's a carcinogen. We want a zero level
15 out there." And I spent three hours trying to communicate to
16 that group that, in fact, 1-2 ppm was, in fact, a safe
17 level.

18 It is hard to communicate the argument that, you
19 know, there is something less than zero exposure which will
20 not have any risk. I don't know how we do that but I think
21 one way is comparative risk. If you can say, yes, the risk
22 for this interaction from these two drugs is like the risk
23 of getting struck by lightning or an airplane going down,
24 sometimes that helps. Not always, but sometimes that helps.

25 DR. LESKO: I totally agree. I think we have the

1 same problem with the drug risk, and that is a good point,
2 communicating that relative risk.

3 DR. GOLDBERG: But I also think that forewarned is
4 forearmed, and if this is a way of potentially having a
5 database picked up by physicians in their clinical practice,
6 by being alerted to the potential risk, I think it has
7 value. That would come into the question of risk level --
8 known to be clinically relevant; or unknown whether it is
9 clinically relevant but is laboratory relevant. It would be
10 some kind of information that could be imparted and the
11 physician would know to look for problems.

12 DR. BYRN: Go ahead, Shiew-Mei.

13 DR. HUANG: I was going to extend the question,
14 the first one. Dr. Lesko talked about the in vitro use of I
15 over KI but once we identify this compound as an inhibitor,
16 for example, mibefradil and ketoconazole, in vitro they show
17 they are inhibitors. But how do we differentiate these two
18 from others and, therefore, the initial recommendation that
19 they will receive through comments is that, once we identify
20 this compound as an inhibitor based on in vitro data, then
21 we select certain substrates, which is also clinically
22 relevant and can show pharmacodynamic effects, and based on
23 one standard substrate and see how this AUC is changed of
24 the pharmacodynamics are changed for us to assign a risk
25 level. I want to ask are we there yet? Do we have a standard

1 substrate? In particular 53A4, are we comfortable with one
2 substrate? And, if we compare all agents and their effect on
3 that agent, that will give us a comfort level of how potent
4 this one is? I mean, mibefradil in some cases is actually
5 more potent than ketaconazole since it has some
6 mechanism-based inhibition. So, are we comfortable at this
7 stage to assign that level?

8 I think our working group has said we will
9 continue to take up that suggestion and work on this, but I
10 would like to hear your comments.

11 DR. BYRN: I am comfortable with a working group
12 coming up with some of these answers. I don't know about
13 other people. Yes, Robert?

14 DR. BRANCH: As a point of clarification, the
15 amount of change that mibefradil did I thought was about the
16 same as ketaconazole. Ketaconazole hasn't been taken off the
17 market as a dangerous drug yet. I thought that mibefradil
18 was taken off the market because it really wasn't thought to
19 have much of an advantage in efficacy over alternative drugs
20 and the company voluntarily withdrew it. Was it really taken
21 off the market or was it voluntarily withdrawn from the
22 market?

23 DR. HUANG: It is voluntary withdrawal, but there
24 was a lot of discussion between the FDA and the sponsor,
25 especially after the congestive heart failure, showing there

1 is no advantage. Therefore, the risk-benefit assessment
2 indicated that really the risk outweighs the benefit.

3 DR. BRANCH: But would you then say that
4 ketoconazole should have strong warnings? Or, maybe it
5 already does have. I haven't actually looked to see in the
6 boxes for it.

7 DR. HUANG: Ketoconazole is almost standard in the
8 labeling of any 3A4 substrate.

9 DR. BYRN: I have just a general question. What is
10 the status of computer programs that healthcare
11 professionals have access to with the respect to the level
12 of risk, and especially the question Robert asked? Do they
13 assess risk or do they just put out 100 interactions?

14 DR. HUANG: We recently looked at the first data
15 bank and also Micromedics system, and these are two, I
16 believe, that are used in large hospitals, and they did
17 assign risk levels. That depends on the outcome of
18 interaction, how serious the adverse events are. They say it
19 is high, moderate or mild. But it doesn't give you the
20 extent of interaction. So you wouldn't be able to get the
21 information like mibefradil, how that would affect others.

22 DR. BYRN: Are those widely available or just
23 available in the hospitals?

24 DR. HUANG: My understanding is that it is the
25 most often used. It is 80, 90 percent that is being used.

1 DR. LESKO: Steve, both hospital and community
2 practice has access to these databases and software
3 programs, and they are apparently widely used. We are very
4 interested in this because I think we are trying to link to
5 these databases in some way -- there may be a way to update
6 them quicker through the review of drug interaction
7 information, and then somehow transmit that information to
8 these databases in a more efficient way so that they are up
9 to date so that the healthcare provider and patient can
10 benefit from the knowledge quicker than waiting for some
11 events to occur perhaps, or something like that.

12 DR. BYRN: I was even imagining where the FDA
13 would have a computer program that they would make
14 available. That is probably going too far.

15 DR. LESKO: It is a great idea. It sounds like a
16 budget issue.

17 DR. BYRN: Yes, I am sure and maybe a liability
18 issue too.

19 DR. DOULL: Micromedics you have to buy. If it
20 were on the internet and anybody could get a hold of it,
21 then it would certainly have a lot wider application. But I
22 am sure that is a pretty expensive undertaking, to replicate
23 the Micromedics database.

24 DR. BYRN: Are there any other questions?

25 [No response]

1 Thank you very much, and we will take our lunch
2 break and reassemble at one o'clock.

3 [Whereupon, the proceedings were recessed, to be
4 resumed at 1:00 p.m.]

AFTERNOON PROCEEDINGS

1
2 DR. BYRN: We are going to have a report from the
3 nonclinical studies subcommittee, and it is going to begin
4 with Jim MacGregor and then continue with Jack Reynolds. So,
5 Jim, the floor is yours.

Nonclinical Studies Subcommittee Report on Research Topics**Overview**

7
8 DR. MACGREGOR: Thanks, Steve.

9 [Slide]

10 What I would like to do is introduce a new
11 subcommittee. This committee has just come into existence
12 within the past month. For those of you who heard me before
13 the committee, at least two times I have update the
14 committee on the activities on the Collaboration for Drug
15 Development Improvement or CDDI, and the concept behind that
16 initiative in trying to develop a forum for collaboration
17 among the FDA, industry, university academia and public
18 institutions to address issues of common interest science
19 related to the drug development process.

20 The last time I did that, which was a little less
21 than a year ago, the CDDI had actually come to a stage where
22 the nonclinical committee, of which I was the chair at that
23 time, had selected a number of individual projects that it
24 thought it might move forward with which had been approved
25 by the CDDI Structure Committee, which consisted of a

1 steering committee and also a management team that was
2 overseeing that initiative.

3 So, at that point when I last talked to you, we
4 were ready to move ahead and actually begin to formulate
5 some of these collaborative activities but, unfortunately,
6 the CDDI itself had not yet formalized itself into a working
7 structure, and so far still has not formalized itself into a
8 working structure. So, the question arose what is the best
9 way forward for the nonclinical technical committee to be
10 sure that they remained on target as far as FDA interactions
11 with these various groups in fostering collaborative science
12 and, at the same time, being able to move forward in some
13 formal vehicle that provided appropriate input from the
14 public and all of the various stakeholder groups that are
15 involved.

16 It seemed logical that perhaps doing that under
17 the auspices of this advisory committee would be the best
18 forward. As a result of that, it was decided to form this
19 committee and to define a structure under which this
20 advisory committee and specifically the new subcommittee of
21 the advisory committee could serve as a steering committee
22 to oversee and facilitate the kind of activities that had
23 been discussed and endorsed under the CDDI initiative.

24 So, what we are doing today is we are asking you,
25 the full committee, to consider the concepts and the ideas

1 that we have formulated on how this could work through a
2 subcommittee, to comment on that and, hopefully, to endorse
3 the general idea that using an advisory subcommittee is, in
4 fact, a good structure to try to identify priority areas of
5 common interest science, and to actually perform something
6 of an activist role in overseeing and facilitating the
7 execution of that science.

8 [Slide]

9 This summarizes the two main functions that we are
10 envisioning for this subcommittee. That is, to provide
11 advice on improved scientific approaches to nonclinical drug
12 development drug regulation, which is a function that this
13 committee has been serving over the past number years. But
14 now we are introducing the second bullet, and thinking about
15 how the committee could oversee a subcommittee that actually
16 provided a means to foster these collaborations and to
17 facilitate the execution of these collaborations.

18 [Slide]

19 So, this subcommittee came together for the first
20 time just barely three weeks ago, on August 31. The
21 composition of the committee is the old CDDI nonclinical
22 technical committee -- in just a moment I will show you the
23 members -- with the addition of two ACPS committee members,
24 which is a requirement of a subcommittee, who are Jack Dean
25 and Gloria Anderson. We had an organizational meeting, not

1 yet an open public meeting, just to discuss the details of
2 how this might work; what might be the specific objectives
3 that might be undertaken by a subcommittee such as this.

4 These are the objectives that we formulated: To
5 recommendation approaches and mechanisms; to improve
6 nonclinical information for effective drug development;
7 improve predictability of nonclinical tests for human
8 outcomes; to improve the linkage between nonclinical and
9 clinical studies; and then, secondly, to facilitate
10 cooperative approaches to advancing the science and
11 regulation of drug development.

12 [Slide]

13 Now, the envisioned collaborators is something
14 that I think will be an ongoing topic of discussion, and
15 this is something we need to think of up front.

16 To go back to the history of the CDDI, the
17 collaboration there was initially developed among two of the
18 FDA centers, CDER and CBER; two industry organizations,
19 PhRMA and Bio, the Pharmaceutical Research Manufacturers
20 Association of America and the Biotech Industry
21 organization; and academia.

22 At our first meeting we had a lot of discussion
23 centered around the need to bring in the public sector and,
24 in particular discussing the concept that perhaps NIH is an
25 obvious collaborator that ought to be brought in that had

1 not been part of the original CDDI. So, the idea here is to
2 bring together representatives from the FDA, industry,
3 academia, and the public and public institutions to identify
4 areas of common interest science where the FDA should be
5 collaborating and taking collaborative approaches.

6 Then, we are raising this kind of novel idea,
7 which I think is a role that advisory committees have not
8 traditionally undertaken, to actually serve as a steering
9 committee for activities that might arise from these
10 recommendations.

11 [Slide]

12 At this first meeting that we had on the 31st, we
13 discussed the major focus areas that had previously been
14 extensively discussed under the CDDI, and there was
15 consensus among the members present that the five general
16 focus areas that had been identified under the CDDI were, in
17 fact, appropriate areas to consider pursuing.

18 So, these were basically optimizing regulatory
19 scientific approaches; the general area of biomarkers and
20 surrogate markers; non-invasive technologies; models for
21 metabolic profiling and interaction; and knowledge
22 management and communication.

23 [Slide]

24 So, I have given you a little bit of the history
25 of the CDDI and how this particular technical committee --

1 if you recall, there are actually five technical committees
2 under CDDI -- the other committees, in fact, are not as far
3 along as this committee in terms of choosing specific
4 projects that they might want to initiate. At the meeting
5 that we had on the 31st, as I have already said, we
6 identified a need to define exactly what would be the
7 objectives and the operating principles of carrying out
8 these functions through a subcommittee. I think we have
9 defined objectives and we are setting them out for you for
10 your comment.

11 Operating principles -- I will tell you a little
12 bit about our thinking. They are not yet fully defined, and
13 I think Jack Reynolds may address this in a little more
14 detail as well. Obviously, focus areas need to be defined,
15 and then specific initial projects and mechanisms for
16 implementing collaborative activities need to be undertaken.

17 So, we are envisioning that this would work by the
18 nonclinical subcommittee, serving as a steering committee
19 for expert groups so that the steering committee would
20 identify areas where common interest science should be
21 undertaken, and then setting up appropriate expert groups to
22 actually carry out the science.

23 Another issue that we will come to in the future
24 will be that of resources, and that is something else that
25 you might want to discuss as a committee. In our initial

1 discussions our thought is that we initially ought to focus
2 on common interest areas of collaboration where there would
3 be value added by bringing together groups that are already
4 working in an area, such that it would not be necessary to
5 bring in outside funds. But at some stage, and certainly
6 under the CDDI discussions, the whole concept is that it
7 would be nice to have a way to bring in external funding to
8 foster collaborations. Certainly, if any of these
9 collaborations require the exchange of funds, then some
10 appropriate mechanism would need to be set up for that. For
11 example, CRADA or memoranda of understanding, or at the
12 extreme, as the product quality research initiative that you
13 are already familiar with, to set up a separate entity such
14 as a non-profit foundation that could serve that role. That
15 can work. It has worked, and it has come into being under
16 the product quality research initially initiative and now
17 formal institute which, obviously, a number of you are
18 involved with and familiar with.

19 [Slide]

20 Here are the current members: myself; Dave Essayan
21 from CBER; Jack Reynolds from PhRMA; Joy Cavagnaro, the Bio
22 representative; Jay Goodman from Michigan State, who is the
23 current president of the Society of Toxicology; and then
24 from the full committee, Jack Dean who, we were hoping
25 would, in fact, be present as a full member of the committee

1 but there have been some technical delays in that. Jack did
2 participate in the subcommittee meeting and we are hoping he
3 will become a full member of the committee and remain the
4 committee representative because he has been very active in
5 this area. Gloria Anderson, who is present and also is the
6 consumer representative and gives us an avenue to consumer
7 representation on the committee.

8 [Slide]

9 Now, in terms of the working structure, an
10 advisory subcommittee is set up to bring external advice to
11 FDA, and it needs to have two things: It needs to have an
12 FDA coordinator and it needs to have a chair to organize and
13 run the committee. I have been serving the function of chair
14 and, from FDA's perspective, it is envisioned that I would
15 continue as the FDA coordinator for the subcommittee, and
16 the consensus of the nonclinical committee, when we did meet
17 three weeks ago, was that Jack Reynolds should assume the
18 chairmanship of the subcommittee. We also had more detailed
19 discussions, that I think we do not want to divert into
20 today, about how long should a chair hold the chair and
21 should there be a vice-chair and transition, and so on. So,
22 we need to think about operating principles and how this
23 would all work.

24 But basically we did agree that this committee
25 should serve a steering committee role and work through

1 expert working groups to manage and execute projects; that
2 we should try to encompass the identified collaborators that
3 had already expressed an interest in collaboration. The
4 initial members have been chosen through the mechanism of
5 allowing the organizations each to name their
6 representatives.

7 So, the way we got these individual people was
8 PhRMA recommended Jack, whom I will introduce in a moment --
9 I will just introduce him right now, I guess, since he will
10 be coming up in a second. Jack is the vice president of
11 global safety evaluation for Pfizer and he is chair of the
12 PhRMA drug safety committee, called the DruSafe Committee.
13 PhRMA has operated under the principle so far that the chair
14 of their DruSafe Committee would be their representative to
15 the collaboration.

16 Bio has identified Joy Cavagnaro. Jay Goodman was
17 invited because we thought that we ought to have a channel
18 to the professional society, the SOT that would be involved.
19 Then the two CDER centers identified their representatives.
20 So, that is how these individuals came to be on the
21 committee. Then, Jack Dean is coming on the committee
22 because he has been very active in a number of the areas of
23 science that we are considering.

24 So, basically that is as far as we have gotten in
25 terms of the general operating structure, how we would like

1 to function, and so on. Jack will come up now and he will
2 talk in a little bit more detail about his personal
3 perspective and industry perspective on the collaboration,
4 and he will tell you just a little bit more in detail about
5 some of the specific focus areas because we did discuss
6 potential specific project areas. We didn't come to
7 conclusions yet, but Jack will summarize those discussions
8 for you.

9 DR. BYRN: James, would it be more politically
10 correct to industry when you invite PhRMA to participate to
11 also include people like GPIA?

12 DR. MACGREGOR: There is a question we are
13 presenting to you for discussion because what we are doing
14 to get started is we are bringing the preexisting technical
15 committee from the CDDI into this committee structure.
16 Kimberly will have to tell you what this meeting was. This
17 was an organizational, definitional meeting. To move ahead,
18 we ought to have the endorsement of this committee that this
19 is a good idea, and then we will need to set down some
20 operating principles, like who should participate; how do
21 you go about selecting that, and so on. Those are issues
22 that will need to be decided if everyone agrees that it is a
23 good idea. If we don't all agree that it is a good idea,
24 then there won't be much point in going into those details.

25 DR. REYNOLDS: Jim, thank you very much and,

1 Steve, as the chair, thank you for allowing me the
2 opportunity to come and speak to you about this new
3 subcommittee.

4 [Slide]

5 I would like to start off with my commendation to
6 Roger Williams and to Carl Peck and others who saw the
7 wisdom of the CDDI activity, and I am happy that it has
8 evolved into the advisory committee structure because I
9 think that is a very effective way for us to conduct our
10 business. But I would also like to acknowledge all of the
11 work that Jim MacGregor has done for the subcommittee, and I
12 think his homework and his diligence in preparing a lot of
13 our initial topics for discussion. It is really going to
14 allow us to get off to a very rapid start, and I think that
15 is very good.

16 Just as a personal comment, as my role as chair, I
17 think it is important to understand what really motivates me
18 to do that. During my career in pharmaceutical development,
19 which spans almost twenty years, I participated very
20 actively in two projects where I was a benefactor of FDA and
21 industry and academic collaborations. I was very active at
22 Bristol Meyers at one time working with anti-AIDS drugs but
23 then, also, with Taxol, and both of those projects were
24 projects that could not have proceeded at the pace at which
25 they did if there was not a very active collaboration,

1 especially with FDA and industry, and I think we all know
2 today those have been very successful drugs and they have
3 saved hundreds of thousands, if not more, lives. So, that is
4 the kind of thing that gets me involved in this, and I see
5 some of the activities that we are going to discuss to be of
6 a similar kind of nature, that we can really impact both the
7 development and regulatory components of that and harness
8 new technologies, and I am looking forward to that
9 challenge.

10 [Slide]

11 As jim briefly stated and I will discuss just a
12 little bit more, and part of my comments will be intended to
13 give you some of our thought processes, some of the thinking
14 that is going to drive our activities, one, so you can
15 understand what we are thinking about but, two, so you can
16 comment on that and redirect our activities or thinking if
17 the advisory committee thinks that they need to do that.

18 But what we think is our major objective, as Jim
19 highlighted, is to improve the design, the application and
20 the utility of nonclinical studies, and we think that most
21 of that activity would focus around enhancing candidate
22 selection, especially within the pharmaceutical industry. I
23 think from a toxicology and a drug safety evaluation
24 perspective, we see this technology and our activity having
25 a lot of benefit in the area of developing risk assessment

1 metrics. And, I think the most important outcome of all this
2 activity will be to facilitate clinical development.

3 One of the topics that many of us see these days,
4 and some of us more than others are aware of, are aspects
5 around risk management. I happen to think a lot of the
6 activities that the committee will be focusing on, in fact,
7 will make significant contributions to our ability to manage
8 risks better. I think our ability to quickly and very
9 effectively define mechanistic assessments of what
10 toxicities or adverse events are will be very beneficial,
11 and I think we will be able, with the new technologies, to
12 very early on, even in the discovery phase of
13 pharmaceuticals, begin to develop surrogates of both
14 response to disease, characterization of disease, but also
15 develop much better ways to monitor and predict adverse
16 events.

17 [Slide]

18 So, what we intend to do as an overriding
19 objective is to really position the science around these new
20 technologies, the evolving technologies, as a basis for
21 regulatory guidance. We think this will facilitate drug
22 development. It surely should reduce drug development time
23 and make it more effective and I think, importantly, we
24 should be in a position that we will help to retain and
25 build confidence that the regulators and industry can bring

1 safe drugs to the market that are effective, all the while
2 reducing time and cost of drug development.

3 [Slide]

4 So, one of the major ways we see our activities
5 functioning is, in many ways, like a technical steering
6 committee for the FDA. Jim alluded to the notion that we are
7 trying to identify areas of collaboration, and we really do
8 want this to be an open and broad participatory kind of
9 activity. Obviously, we are collaborating with FDA and
10 industry. We have academic representatives, and we will have
11 many more. We will probably have collaborations with
12 government or other non-profit organization and I think,
13 importantly, we want to make sure that the public, special
14 interest groups or others, has an opportunity to engage in
15 our ongoing activities as well.

16 [Slide]

17 Some of the ways we see that we will accomplish
18 our activities -- one is in the meetings of the subcommittee
19 and reports back to this committee, but I think one of the
20 main things we will do is form working groups around the
21 topics to be selected, but we will spend time selecting
22 experts and opinion leaders in these areas, bringing them
23 together to discuss the science, come to some conclusion
24 there, all of which we hope to facilitate and focus the
25 evolving science on these new technologies.

1 I think we have a broad array of potential
2 collaborators that we want to draw from. I think we might be
3 able to initiate collaboration directly with some of these
4 persons but I think, importantly, we also may be able to
5 establish CRADAs or other ways in which we can initiate this
6 activity.

7 [Slide]

8 One of the things that I am personally not clear
9 on, and I think Jim and I have talked about this and we will
10 need advice from this committee and from the FDA, is really
11 how do we derive mechanisms of resource exchange between FDA
12 and collaborators? We want to make sure that there is equity
13 among all participants. We want to avoid any even
14 appearances of conflict of interest and we, obviously, want
15 to have the input of special interest or broad interest
16 public groups as well.

17 What we see as the outcome of our activity or the
18 output of our activities, obviously the report back to this
19 committee will be very important but we can see some of our
20 activities resulting in conferences around special topics.
21 We can see perhaps literature publications resulting from
22 this, and perhaps recommendations in the form of guidance
23 documents or other public notices. We think those will be
24 some important outcomes from this activity.

25 [Slide]

1 So, I will talk now about some of the topics that
2 we have looked at. We have kind of narrowed down the topics
3 to three fairly broad areas. One is the screening IND.
4 Another is an area of biomarkers, and we are just using the
5 term biomarkers to encompass molecular biology and other
6 broader aspects. I think we want to focus as well perhaps on
7 novel and non-invasive technologies that could be used both
8 preclinically but also perhaps used clinically as well.

9 We do need to have further discussions. It is
10 Jim's and my hope that by the end of this year we will have
11 narrowed our choices down and actually have selected at
12 least three topics for discussion. But we want to have more
13 and broader discussions to ensure that we engage in
14 activities where we can have the broadest impact. We do want
15 to have topics that do have broad industry and regulatory
16 interest, and we are going to work very hard to try to do
17 that before the end of the year.

18 [Slide]

19 So, just briefly to describe the context in which
20 I see a lot of our activities occurring, many of you know,
21 some better than I, that the whole drug discovery and
22 development paradigm is just really evolving, if not
23 undergoing a revolution right before our very eyes.

24 A lot of what is going on in the pharmaceutical
25 industry is being propelled by a field we all know of, the

1 genomics, high put-through screening and combinatorial
2 chemistry. Through those activities, which are all
3 technology driven, there has been a remarkable increase in
4 the number of potentially acceptable new clinical entities
5 that we could select for development.

6 I think, in addition, a lot of the diseases that
7 especially the pharmaceutical industry is focusing on really
8 are complicated diseases. They are really multifactorial
9 diseases with many potential areas for intervention. All of
10 this has caused extended development times. It has caused us
11 to have to conduct larger clinical trials because of our
12 inability to define precise or robust markers of some of
13 these complicated and chronic diseases. In fact, in some
14 cases for some of the diseases this activity has really
15 caused us to even be pretty competitive for some of the
16 patient populations that we need to work on to show our
17 drugs work.

18 I think that we are focusing on more complex
19 disease states. In the pharmaceutical industry the
20 consolidation within the pharmaceutical industry I think is
21 having maybe not such an obvious impact, but what it is
22 really causing is that there are fewer companies who are
23 more intensely focused on specific disease targets, and I
24 think that really intensifies the amount of knowledge that
25 can be generated around these disease targets, and I think a

1 lot of our activity will find ways to better manage and to
2 make decisions around that burgeoning amount of information.

3 Also, because of this intense focus on chronic
4 diseases that take long periods of time to study, especially
5 since the pharmaceutical industry obviously has to make
6 money or they are not in business, it really is almost
7 impossible for us to tolerate iterations in drug development
8 cycles. So, we really do feel compelled to get it right the
9 first time, both from a cost perspective but I think,
10 moreover, in the public's interest it really does behoove us
11 to get good drugs to the marketplace as quickly as we
12 possibly can irrespective of what companies have to do to
13 make money.

14 [Slide]

15 So again, I think to kind of put the perspective
16 from industry. as I see it at least, with these burgeoning
17 numbers of precisely targeted potential therapies, we as an
18 industry cannot build our buildings fast enough. We can't
19 train and hire specialists fast enough. We can't even
20 synthesize the bulk material fast enough and expand clinical
21 trials broadly enough to really keep up with this rapid pace
22 of discovery, and accommodate and thoroughly investigate all
23 the numbers of potential drug candidates that are being
24 presented to us.'

25 On the other hand, I think we really do need to

1 position ourself to take full advantage of these new
2 technologies and how they may facilitate and improve our
3 decision-making processes and utilize these enhancing
4 technologies.

5 All of that, to me, says we just absolutely have
6 to evolve new drug discovery and development paradigms. I
7 think the activity of this subcommittee perhaps will help us
8 focus on some of that.

9 [Slide]

10 So, I think some of the aspects we see that may
11 come from some of these new paradigms that we would discuss
12 or advocate -- I think it will allow, especially us in the
13 pharmaceutical industry, to achieve proof of concept sooner.
14 Proof of concept to most of you probably has a different
15 definition but, at least to us at Pfizer, the proof of
16 concept for us is any point in which we make a business
17 decision to rapidly accelerate our expenditure on a
18 particular drug candidate. So, in many respects, when we
19 talk about proof of concept it really doesn't have a medical
20 or biological point to it; it is where we decide to rapidly
21 increase our investment there. Anything we can do to
22 facilitate our getting to that decision point, like applying
23 new technologies, I think will serve all of the
24 pharmaceutical industry very well.

25 Again, I mentioned that I think it is imperative

1 that both from the regulatory side but also from the
2 development side we keep up with this rapidly increasing
3 pace of drug discovery.

4 For many things that we need to do to help clarify
5 both disease states for the chronic and complicated diseases
6 that we want to study, but also because of the precise
7 targeting of many of the drug candidates that we select, we
8 really do need to find better ways to get this drugs into
9 the clinics earlier so we can make better decisions around
10 those drug candidates.

11 At Pfizer, and I don't know how broadly that
12 applies but certainly this group would know, we have used
13 the term "clinical discovery." I think for us it has a
14 particular relevance.

15 But to repeat myself somewhat, I really think this
16 activity can result in getting beneficial therapies to
17 patients sooner and I think, more importantly -- and I think
18 it is good that we think about that, this kind of activity
19 can really demonstrate regulatory leadership in helping to
20 implement commercial innovations.

21 [Slide]

22 So, one of the topics that we thought we would
23 probably focus is a screening IND. I have been involved in
24 aspects of a screening IND for several years. Three years
25 ago PhRMA and FDA had a workshop on essentially what was at

1 the time considered the screening IND. So I think that if
2 this committee were to engage in that activity we could, in
3 fact, capitalize on the homework and the previous work that
4 has been done around the screening IND.

5 But some of our thinking there is that we really
6 haven't been able to clarify what are the appropriate
7 preclinical toxicity studies that would underpin the
8 screening IND, which is intended to be a low-dose,
9 single-dose human study. I think there need to be agreements
10 on what, in fact, is the nature of the drug substance that
11 would be used in these early and very limited clinical
12 trials. It is virtually impossible for us to fully
13 characterize and to fully work up early materials at this
14 stage for screening INDs as we would even do for a regular
15 IND or we would do for more extensive clinical trials.

16 So, I think there are a lot of things that we can
17 do to expedite and to reduce the burden upon
18 characterization of this early drug substance. The term I
19 like to use is that we need to think about minimally
20 characterized drug substance that would or could be used in
21 these early studies.

22 I think also from a clinical perspective there is
23 a need to clarify and articulate the potential values of
24 these early clinical trials or screening INDS. I think that
25 new technologies will really facilitate some of this early

1 work, and I think that it will really allow us to make
2 better assessments of an increased number of early drug
3 candidates.

4 I think in particular around the screening IND
5 that this advisory committee, but also this subcommittee, is
6 well positioned to make decisions around and to advise the
7 FDA on activities to the screening IND.

8 [Slide]

9 So, the steps forward for the screening IND, what
10 we would propose to do, if this is one of the topics that we
11 would choose, is to really define and understand what are
12 the regulatory hurdles. I understand there are some
13 regulatory hurdles to a screening IND. We would certainly
14 look to the FDA to handle most of that.

15 We need to come to some consensus on what are the
16 preclinical studies that would be needed to underpin a
17 screening IND. As I said, FDA and PhRMA have had some
18 activities around this, but we would collaborate and seek
19 the advice of other organizations, one of which would be the
20 Society of Toxicity. I think we also need to come to an
21 agreement on what could be minimally characterized drug
22 substance. I think, again, the FDA, of course, and PhRMA
23 have had some activity on this but we would seek out
24 scientific groups like the American Association of
25 Pharmaceutical Science to help us with those concepts as

1 well.

2 [Slide]

3 So, for further steps I think we really do need to
4 have this activity culminate in the definition of what is a
5 screening IND, to articulate clearly what are the potential
6 values that it could bring to the facilitation of drug
7 discovery and drug development. Again, I think this
8 subcommittee and the full advisory committee here would do
9 most of that.

10 But I think what may not be immediately obvious
11 but what I would like to present is that a lot of the
12 activity around a screening IND can really be propelled by
13 and really link very closely with a lot of the biomarker
14 activity that we would like to become involved in.

15 I think a very important part of what we would do
16 as a subcommittee is to be certain that we are able to
17 communicate the success of new drug development paradigms,
18 and I think by being able to communicate what those
19 successes are, that can serve as prototypes and models for
20 others to plan and strategize around drug development and
21 get the full benefit of the activities that we would
22 undertake.

23 [Slide]

24 Around the area of biomarkers, most of us know
25 that throughout history there have been waves of innovation.

1 I think that we are right on the crest of a significant wave
2 of innovation that entails genomics, proteomics, certainly
3 computer hardware and instrumentation, as well as computers
4 and information technology. I think that when one looks at
5 that as a whole, there are tremendous opportunities for us
6 in the pharmaceutical industry to capitalize on these new
7 waves of innovation.

8 But some of the areas where we think this
9 committee can especially capture some of those opportunities
10 is to minimize the impact of inter-individual and
11 interspecies differences. I think that has been a major
12 problem for us over the years. I think that we will be able
13 to more precisely define our disease targets as well as
14 define our adverse effects that result from drug
15 administration, and I think one thing that has happened in
16 some circumstances is that by focusing on biomarkers and
17 understanding them we can even find additional or unexpected
18 indications as we work through the clinical trial process.

19 [Slide]

20 So, I think that it is pretty intuitive that there
21 are broad and very potentially useful applications of these
22 new technologies in pharmaceuticals and medicine. But I
23 think you probably know this is an incredibly expensive area
24 of focus, both in terms of the equipment and the reagents
25 that are required to utilize this technology, but most of

1 these new technologies require a pretty substantial
2 supporting infrastructure.

3 Because of the expense here and because of the
4 potential commercial applications, most of the activity with
5 these new technologies, that I see at least, is being driven
6 by industry. But even though it is being driven by industry
7 and we are trained to commercialize this, there are just
8 innumerable regulatory interfaces of this technology.

9 [Slide]

10 Because there are numerous interfaces, I certainly
11 acknowledge and I think our committee wants to keep at the
12 forefront of our thoughts that there is going to be the need
13 for regulatory standards and guidance around this
14 technology. But I think because it is being driven
15 predominantly by industry the rush to regulate this
16 activity, in my view and many of my colleagues' view, really
17 can have a stifling effect on our ability to explore these
18 technologies. So, I think there is really a need to allow
19 maximum flexibility to explore these technologies, and I
20 think that this committee activity will put the FDA and
21 industry in a position of partnering around this activity
22 while this exploration goes on.

23 As I mentioned before, and as all of you can read
24 in newspapers and is a focus of many public activities, we
25 really do need to find ways to enhance risk management

1 around the drug discovery and development process, and i
2 think that our ability to explore and look at better ways to
3 enhance risk management will be a very outcome from this
4 activity.

5 It will, of course, improve our cost efficiency
6 and cost effectiveness of drug development but I think, as
7 we all want to do, it will bring effective drugs and safer
8 drugs to more patients and to a broader patient population
9 than we could do without this new technology.

10 [Slide]

11 I think that our committee will have an impact on
12 our ability to explore these applications and to identify
13 the opportunities for the application of this. I think an
14 important role that this subcommittee could serve -- again
15 because this technology is being drive in large part by
16 industry there really isn't the academic check and balance
17 that we see with a lot of technologies. I think by the time
18 a lot of academics would write grants or get funding to
19 conduct a lot of this, either the technology would have
20 changed or the issues or questions would have changed. So, I
21 think this subcommittee, in fact, could serve as a very good
22 sounding board, if not a gatekeeper in some ways, for the
23 application of some of these technologies.

24 I think it also will serve the FDA's needs well to
25 prepare to formulate guidance documents, to derive the

1 appropriate and timely documents. I think most of that
2 activity would be focused around establishing surrogates of
3 efficacy. Certainly, I think we can help the FDA come up
4 with new paradigms in terms of clinical trial designs and
5 more effectively monitor adverse effects, all of which I
6 think will lead to important improvements in our ability to
7 manage risk of pharmaceuticals.

8 [Slide]

9 So, the area of biomarkers is a very broad and
10 rapidly moving area. For the subcommittee activities, we
11 feel we want to focus on areas that we, predominantly as
12 nonclinical persons, can impact. So, we will focus on
13 biomarkers that might be used in early clinical trials. A
14 lot of those biomarkers and activities are around proteomics
15 and, a term we are becoming more familiar with,
16 toxicogenomics. Most of that is involved in looking at gene
17 expression for repair genes and other genes of damage or
18 drug injury to cells. I think aside from proteomics and
19 toxicogenomics, this activity will allow us to develop
20 molecular toxicity endpoints that can be used both in
21 preclinical studies but that can also be carried over to
22 clinical studies.

23 Because these technologies are really information
24 intense and there are a horrendous amount of data generated
25 from these technologies, I think it is important for us to

1 be mindful that we need to find ways that we can integrate
2 these data across different platforms and across different
3 divisions and different specialties.

4 But I think one of the important outcomes of a lot
5 of the biomarker activity, in fact, will be our ability to
6 define rapid markers for toxicity and to gain rapid insights
7 into the mechanisms of adverse effects of drugs.

8 [Slide]

9 The last point I would say is that we do want to
10 explore and to try to facilitate ways of using non-invasive
11 new technologies. One outcome is that we want to evaluate
12 the potential outcomes of new technology, tools for
13 application in nonclinical and early clinical trials.

14 [Slide]

15 One area that we are initially thinking about
16 focusing on is in magnetic resonance microscopy. I think
17 that there have been recent advances in MRI that will allow
18 expanded applications of this technology. There have been
19 numerous applications of this in the preclinical studies,
20 many of which could bear on our ability to define more
21 efficacious drugs but I think, importantly, we might be able
22 to find safer drugs by helping to define pathologic states
23 and measure intrinsic toxicities even with cells. An
24 important area I think where this has some opportunities is
25 in the area of neurotoxicity.

1 So, I think that is all that I have to say.

2 DR. BYRN: Are there questions for Jack, questions
3 of clarification?

4 [No response]

5 Thanks very much, Jack. As far as I know, there
6 have been no submissions of names for an open public
7 hearing. So, we will simply ask, does any of the audience
8 want to comment on either or both of the presentations?

9 [No response]

10 **Committee Discussion**

11 Then let's move ahead into the committee
12 discussion. Jim, do you have a set of questions you want us
13 to address, or would you like us just to discuss different
14 issues? I know you listed a set of questions. Do you want to
15 put that slide back up, or do you just want to maybe remind
16 us what they are and then we can discuss them?

17 DR. MACGREGOR: Let me first make one comment that
18 I forgot to make, which is that you should have all received
19 in your information packet a copy of the minutes of that
20 meeting. So, hopefully, you do all have that.

21 I think it is really up to you how you would like
22 to proceed. We certainly did raise some specific questions
23 but I am thinking that perhaps some free-ranging discussion
24 on the general concept might be valuable. I think perhaps
25 the principal question we are asking here is just for

1 comment back on this general idea that the nonclinical
2 subcommittee can extend its advisory role which it has been
3 serving for some time, but to extend it to actually serve as
4 an advisory body to oversee some of these collaborative
5 research initiatives and provide a better vehicle for
6 providing scientific advice to the FDA on how to undertake
7 these collaborations.

8 I guess the one thing that I might add is that in
9 many of these areas that we have identified for potential
10 collaboration there are activities going on already within
11 the FDA and the NIH and various individual companies,
12 particular in the areas of molecular toxicology and
13 genomics. Just the concept of harnessing that into a
14 collaborative undertaking could really have tremendous
15 benefit to all parties. So, I think that would, you know, be
16 the principal question.

17 Then once we get past that, we have posed some
18 specific issues that we will need to grapple with. You know,
19 we would welcome getting comments right now so that when we
20 meet again we will have to grapple with the specifics of how
21 do we select who should be involved and other issues, you
22 know, doing that through an advisory committee. It would be
23 useful to us to hear from you now before we meet again and
24 begin grappling.

25 DR. BYRN: Okay. First, are there any general

1 comments that anybody wanted to make? I have some questions
2 for Jack but I think it might be better to hold those until
3 we have some general discussions. Any other general
4 comments?

5 DR. BRANCH: I think this is a great idea but I
6 have a comment to start with. I was thinking at the
7 beginning part of the discussion that this was based around
8 preclinical but, in fact, it is translational, biotech,
9 informatics. It is a much broader base and I would urge you
10 to get a more exciting title than "nonclinical." I think you
11 can get something that really does reflect what this can
12 contribute and I don't really like the title right now.

13 My fundamental question comes back to seeking
14 clarification of what is the role of this committee? You
15 said you would like the subcommittee to report to this
16 present body. My understanding of what your historical
17 perspective was is that the CDDI was the original concept to
18 be able to bring the FDA, PhRMA, Biotech, academia, NIH all
19 to the same table. That is not working fast enough. You are
20 creating an active working group and the question is how do
21 you make that working group effective and how does it
22 report?

23 My question is how would reporting to this group
24 actually help you in these endeavors, and by reporting to
25 this group, does that actually limit your sphere of activity

1 because this group is a constituted group for the FDA? It is
2 one of those particular stakeholders. It seems to me that,
3 if anything, you are compromising your potential for the
4 broader range of interactions. So, is this an appropriate
5 reporting mechanism?

6 DR. MACGREGOR: I might actually add some thoughts
7 to your comment by way of answer, and that is, I think there
8 are a number of things that advisory committees do that we
9 actually didn't talk about in our presentation that could
10 serve as a very significant benefit. For example, there are
11 place through the advisory committee structured ways of
12 announcing meetings, holding meetings, rooms available for
13 meetings, travel funds to bring the principal technical
14 travelers, which helps a lot for the university
15 representatives who need travel assistance, and also systems
16 such as public dockets that if you want to go out with, say,
17 a Federal Register solicitation for participants and expert
18 groups, or whatever, there is an existing mechanism there
19 where you can open a docket and nominations can come in, and
20 that can all be collated. So, there are a lot of mechanistic
21 advantages to doing it through an established advisory
22 committee in the advisory committee system.

23 I think the question that you are really asking is
24 does the full committee have the correct expertise to be the
25 oversight body. I think that is really more, if I understand

1 your question, correctly. I think the idea really that we
2 are putting forward is that the nonclinical subcommittee
3 could try to assure, with oversight and recommendation from
4 the full committee, that appropriate range of expertise
5 exists there to appropriately steer the working groups.

6 I think that is really what we are asking, rather
7 than having the whole thing steered out of here because it
8 is a very heterogeneous membership, and even in our case
9 where you would pull together people who are very focused in
10 the nonclinical development and, as you pointed out or Jack
11 pointed out, the really critical nonclinical, early clinical
12 overlap phase which is an area I think where we could really
13 have very major impact. So, it is a question of what is the
14 most efficient structure. So, we are putting up one for
15 discussion. We would like to hear your comments. I guess my
16 personal feeling is that there are enough advantages there
17 that this seems like a reasonable way to proceed. That is
18 what we are trying to do at this meeting, get the pros and
19 cons and recommendations.

20 DR. REYNOLDS: One comment I would make is that
21 one advantage in working with this committee is that this
22 full committee really operates in the sphere of early
23 clinical studies, clinical pharmacology, as well as
24 chemistry. If we look at the screening IND, and I have
25 really been active in that or have been trying to be active

1 in that area over the last several years, it is really
2 difficult to bring the nonclinical people, if you will, both
3 discovery as well as drug safety evaluation and toxicology
4 people to the table with the chemistry people and with the
5 clinical pharmacology people who do the early clinical
6 trials to try to focus on what are the cross-discipline, if
7 you will, issues to move forward. Because once you resolve
8 toxicology issues, for example, within drug safety
9 evaluation you want to go forward with a rapid screening
10 paradigm or screening IND, the next thing you come up
11 against are the people within our company, at least in the
12 chemistry area, who say, "well, you know, you can't do this
13 unless you have full GMP material." And, so we spend an
14 awful lot of resources trying to characterize this material,
15 which is probably excessive. The same thing is true on the
16 clinical side. A lot of clinical people don't think about
17 the value that these kinds of things may bring to the table.

18 So at least from my perspective, I think this full
19 committee and our reporting to the full committee,
20 representing nonclinical ideas, can really bring forward a
21 lot of these cross-discipline, if you will, areas that we
22 run up against and bring all stakeholders in these areas to
23 the table and come to some decisions around what we should
24 do and what we shouldn't do in these areas.

25 So, I am not that familiar with the reporting

1 relationship of advisory committees but, certainly from my
2 functioning with this committee, I think this is an
3 important, if not the appropriate, reporting relationship
4 for us because it really can facilitate bringing these
5 activities together.

6 DR. BYRN: I just want to go on from what Jack was
7 saying because I think we have some of the skills needed to
8 advise this committee because, it seems to me, we have these
9 three issues, this preclinical toxicology issue, the drug
10 substance and the bio, whatever type of bio studies would be
11 done, and we have chemists, we have toxicology people and we
12 have clinical people on this group. So, we could but I
13 wouldn't say we have all the experts. I mean, I like your
14 idea of bringing additional experts.

15 Maybe people can tell but I am really interested
16 in this topic. I think this issue is probably one of the
17 most important issues we have talked about. If we can figure
18 out a way to get drugs on the market faster, this is going
19 to be a tremendous public health thing. The advantage of
20 getting drugs on the market faster is there is a huge
21 financial incentive in the pharmaceutical business. So, we
22 have a chance -- everybody will win. The public will win and
23 the industry will win. So, if we can figure out how to this,
24 it would be very exciting to be part of it.

25 But I think we have quite a few -- back to the

1 original question, I think we have quite a few of the skills
2 needed. Did you want to say something, John?

3 DR. DOULL: yes, I agree. It is potentially
4 win-win. You know, there is no question that we are seeing
5 an explosion in genomics and molecular biology and that it
6 will have profound influences on how we do tox. and how we
7 develop new drugs, and so on.

8 The idea that somehow that is going to move things
9 along -- there will be a whole grab-bag of goodies out
10 there, and how we can facilitate getting those things in the
11 hands of the clinician early on is great, tremendous. Like
12 Steve says, a tremendous idea.

13 However, there are some problems. I think one
14 thing we need to do is look ahead to see how we are going to
15 deal with those problems. One of the problems -- if you look
16 at what is happening to genomic or genetically altered drugs
17 and foods, for example, in Europe, and you look at the
18 hassle that they are having getting approval of that sort of
19 thing, I don't see why we wouldn't have the same sort of
20 problems and we need to figure out ahead of time, you know,
21 how we are going to avoid the trap that we are kind of in
22 now with genetically altered things.

23 We need to see that obstacle and figure out a good
24 way to get around it. It is like Food and Drug using
25 radiation to kill E. coli. I mean, you know, it is such a

1 sensible idea and, yet, we seem to have a terrible time
2 actually getting that done.

3 I think it is more important that we pay some
4 attention to those problems and get an early start on
5 finding solutions because I don't think there is going to be
6 any shortage of new genomic advances that are going to
7 enhance what we are doing, and we will open up a whole array
8 of new potential drugs. But I think some of those problems
9 are major problems and I am not sure we have a good -- the
10 old ways we have handled them have not been good and we need
11 a way to begin to do that.

12 DR. BYRN: So, maybe we should go back on this
13 general question of is it reasonable for this committee to
14 report -- the nonclinical studies committee to report to our
15 committee, to the advisory committee on pharmaceutical
16 sciences. Are there any other thoughts on that that anybody
17 would like to raise?

18 DR. DOULL: Steve, I think this morning Roger was
19 talking about, you know, risk management, risk assessment
20 and risk communications. So, clearly, that is an area that
21 this committee is, or should be, or will be concerned about.
22 The problems of risk communication are not unique genomics.
23 Those are general sort of problems, and an area that we
24 don't have a lot of expertise on. There is nothing inherent
25 in science that helps you a lot with risk communication.

1 That is kind of a new area where we need to figure out
2 effective ways to do that.

3 You know, I don't know what expertise you need for
4 that. The Academy has had some committees over there that
5 have looked at risk communication, and they have assembled
6 all sorts of panels to get into that. You know, you read
7 those documents and they are saying all the right words but
8 they have no pat answers that get you around the corners.
9 So, that is a major problem, and I think it is a problem for
10 all of us, for the full committee and for the subcommittee.

11 DR. REYNOLDS: Just to comment to John on risk
12 communication, I certainly agree with you. I think it is a
13 challenge for both regulators as well the industry to
14 communicate risk to the public and others. I think that one
15 of the real things that may come forward from this
16 technology, and one of the best things we do when we
17 communicate is to have something to communicate about. And,
18 when we can generate data that is relevant data that we
19 understand around issues of risk, I think that is one of the
20 most powerful tools that we can develop around risk
21 communication, having some real things to talk about, and I
22 think this technology can help us do that.

23 DR. BYRN: Can I ask a question? I don't know
24 whether this is appropriate but I can't wait any longer to
25 ask it. Are you advising or proposing a scenario something

1 like this, that a minimally -- let's not argue about this
2 just now but a minimally characterized drug substance would
3 be tested at a very low dose under an SIND in humans, maybe
4 with a very minimal -- let's just go on with this scenario,
5 let's say you did an Ames test and a couple of other tox.
6 tests, and it passed those, and then you put it in a very
7 low level in human and measure biomarkers for both
8 toxicology and clinical efficacy. Is that what the proposal
9 is in a nutshell?

10 DR. REYNOLDS: Yes, you have capsulized it very
11 well, with the caveat that as we discussed three years ago
12 with this PhRMA-FDA workshop, the screening IND -- it is not
13 our intention that a screening IND would be used to derive
14 any issues of safety in a classic sense. That is to say we
15 would not dose humans at a level at which we would expect
16 any toxicity to occur but biomarkers could help us derive
17 safety parameters from these studies.

18 But more importantly, and I think most of the
19 discussion that I have participated in around the screening
20 IND, it enhances the selection of early drug candidates, or
21 helps you make decisions around proof of concept, or whether
22 you are going to be able to target the right disease target
23 that you want to in people. So, safety was not a mainstay of
24 the screening IND.

25 DR. BYRN: But it could become part of it if you

1 did look at safety biomarkers and clinical biomarkers.

2 DR. REYNOLDS: That is correct.

3 DR. BYRN: Then, at that point, just to go on with
4 the scenario, let's say that the drug does the same things
5 in humans at the low dose that it did in tests, whatever --
6 the genomic or the high put-through tests, and it didn't
7 show any alarming markers for toxicology, at that point it
8 would be resynthesized, or larger quantity, well
9 characterized, and I guess there might be innovations in
10 this area too, but then regular toxicology, if I can use
11 that term, and regular clinical trials would be done. Is
12 that the whole scenario?

13 DR. REYNOLDS: That is almost exactly right. As I
14 understand it, one of the regulatory impediments to the
15 screening IND is the notion that once a sponsor opens an IND
16 there is no mechanism for closing a screening IND and
17 reopening a full or a real IND.

18 But it was the consensus of the workshop that a
19 screening IND was not a way to jump-start real clinical
20 development, if you will. It was to facilitate
21 decision-making around new drug candidates, in the Pfizer
22 context at least, to allow us to come to proof of concept or
23 come to decision-making around particular drug candidates
24 and to invest in them.

25 I would just make one comment. I think that when

1 we had the workshop with FDA a couple of years ago what was
2 not obvious at the time is that most drug companies,
3 international drug companies, do the screening IND kind of
4 activity overseas. I think that was one of the drivers, at
5 least in my opinion, that caused the FDA to want to try to
6 understand this early clinical development paradigm. For
7 example, at Pfizer we do the preponderance of early work in
8 Europe. Then, once we establish some of these early
9 indicators that there are drugs that we want to develop,
10 then we do bring them to the U.S. of course.

11 I think many of you in the clinical pharmacology
12 arena know that we don't have as many clinical pharmacology
13 centers in the U.S. as we used to because, simply, we
14 haven't found ways to facilitate early clinical development.
15 So, there is that somewhat self-serving need I guess but I
16 think, more importantly, it really does allow us to make
17 better decisions about our drugs earlier if we could get
18 something like that to work.

19 DR. BYRN: Roger?

20 DR. WILLIAMS: I probably have various comments
21 but I guess, first of all, I want to thank Jim and Jack for
22 very lucid presentations.

23 I think one of the values of this is just to help
24 understand what we are all talking about. I have heard many
25 different kinds of ideas about just what a screening IND is.

1 Some people talk about cassette dosing. Some people talk
2 about, you know, you can tie a non-commercial IND onto a
3 commercial IND. So, I just think clarifying what we are
4 talking about and what we need will be very valuable.

5 DR. BYRN: Just one more question, Jack. So, can
6 low dose be done overseas right now?

7 DR. REYNOLDS: Yes, it can.

8 DR. BYRN: And it is?

9 DR. REYNOLDS: It is done, yes.

10 DR. BYRN: Would there be an advantage to bring it
11 back to the U.S.?

12 DR. REYNOLDS: Well, I think in some respects a
13 lot of this activity depends on a lot of the academic work
14 that goes on. I think there is competition for patients in
15 some of these situations, and to just have the flexibility
16 to operate in major regulated countries of the world --
17 Europe and U.S. -- on an equal basis is helpful.

18 DR. BYRN: Are you representing PhRMA?

19 DR. REYNOLDS: Yes.

20 DR. BYRN: So, PhRMA's position would be that it
21 would be advantageous to be able to do this kind of study in
22 the U.S.?

23 DR. REYNOLDS: Yes, very much so. Yes. Again,
24 this, in my mind -- and I think I probably speak for the
25 consensus of PhRMA people, this is not necessarily

1 commercially driven. I mean, there just are a lot of
2 clinical centers in the U.S. There is a very large number of
3 patients in the U.S. and there is a need for this. So, it is
4 not necessarily commercially driven for us. It just makes a
5 lot of sense, and we ought to be able to maximize our
6 flexibility to do this kind of work.

7 DR. BYRN: Judy?

8 DR. BOEHLERT: I would just add for the
9 international companies it probably doesn't matter very much
10 whether they do it in the U.S. or Europe, but for the
11 domestic companies it would probably make it a whole lot
12 easier if they didn't have to place those studies overseas,
13 if they could place them in the U.S. market. You know, it is
14 a real issue for industry. You know, I worked in drug
15 development for many years and screening INDs have been
16 talked about for a long time without clear direction on how
17 to go and what to do. So, I support the concept very much
18 and I find it also a very exciting idea to pursue.

19 DR. BYRN: Just one more question about is this
20 the right committee. So, the way this would work is the
21 nonclinical study subcommittee would do some studies and
22 determine that a screening IND is a worthwhile idea. You
23 would bring that back to this committee as a concept for
24 discussion, just like the site specific stability committee
25 could have brought something here? What is the scenario, the

1 logistics scenario?

2 DR. MACGREGOR: I think in our early discussions
3 with Kimberly, and Kimberly might want to jump in with the
4 technicalities here -- it is our understanding that a
5 subcommittee can actually function to move ahead with these
6 projects and periodically come back with the broad story to
7 the full committee to make sure we are on the right track.
8 So, I think our current vision is that the subcommittee
9 could, in fact, function to bring together appropriate
10 experts to move these ahead, and we would be the close
11 trackers and then periodically the plan, where we are going
12 and the choices would come before this committee for
13 oversight.

14 DR. BYRN: We would be like the board of directors
15 or the overseers. Then, the agency of this move forward
16 working group would be formed on a SINB that would be just
17 agency people because that is the requirement of a working
18 group. Then, in parallel with this external group, they
19 would work on the same issues. Ultimately a guidance would
20 come out on a SINB?

21 DR. REYNOLDS: That is exactly how I would see the
22 outcome to be. This activity, either in parallel with FDA,
23 and I understand there might be some statutory reason why we
24 can't work in parallel but at least, whether sequentially or
25 in parallel, it would result in a guidance document to

1 industry on a screening IND that would define what it is;
2 its potential utility and what the outcome of a screening
3 IND would be.

4 DR. BYRN: Robert?

5 DR. BRANCH: Is there any way that this committee
6 can help in terms of endorsement so that, say, the genomics
7 idea is enhanced with NIH, in terms of looking for
8 extramural support for this work? You have lots of ideas and
9 you don't have any money to put behind it. So, the CDDI, as
10 I initially heard, was a way to try and formulate a resource
11 pool, and that hasn't come through. But is there any way
12 that if this committee is involved we can endorse a platform
13 which says it would be good for ideas that are formulated by
14 your group to get some sort of recognition from NIH, from
15 PhRMA, from Bio to be able to support the activities?

16 DR. REYNOLDS: I think probably the best source of
17 support is just having a forum in which we can bring some of
18 these things to the floor and get buy-in from opinion
19 leaders and senior people in that, and then help us, if you
20 will, bring experts together and formulate opinions and
21 consensus documents around what some of these activities
22 are. So, I think that is probably the most important thing
23 that this committee could do for us. I am sure there are
24 others, and Jim has thought a lot more about it than I have.

25 DR. MACGREGOR: I would certainly endorse that

1 idea. I think we already heard this morning from Greg
2 Downing that there are a lot of things going on in this
3 biomarker area, and it is true that various industry groups
4 and the NIH, FDA, ourselves, we are all working in the area.
5 To take that example initially, very much could be achieved
6 just by providing the forum that enables these various
7 groups to coordinate what they are doing, and to be sure
8 that FDA in particular, from our point of view, is
9 adequately plugged into that so that we are an integral part
10 of the development of these new technologies.

11 DR. BYRN: So, it seems like there seems to be
12 general consensus. I am not sure, but is there general
13 consensus that we would support the idea that this committee
14 report to our committee?

15 [Several participants nod in agreement]

16 So, I would say, on my part, that there is
17 enthusiastic -- it is a pretty exciting idea. You had some
18 other questions, Jim, that you wanted us to address? Why
19 don't we just try and go through those?

20 DR. MACGREGOR: Certainly we are going to need to
21 go back and think through some basic operating principles,
22 and address the kinds of issues that Dr. Goldberg brought up
23 as far as participants and what kind of mechanism do we use
24 to be sure that everyone has access to what we are doing,
25 and can provide ideas, and be brought in, in an appropriate

1 manner.

2 Certainly a large part of the idea of choosing the
3 advisory committee format is that I think that choice in
4 itself goes a long way to assure that there is open public
5 access, that the public is involved and that we don't leave
6 any major party out because that is the function of the
7 advisory committee process, to make sure that all those
8 things happen.

9 Having said that, I guess one of our next jobs as
10 a subcommittee is going to be to go away and think about and
11 define how we are actually going to proceed. You have
12 already seen some of our ideas by who has presently been
13 invited to the organizational meeting and is participating.

14 So, I guess the next question would be are there
15 issues that this committee sees that we need to take into
16 account in defining these operating principles?

17 Maybe we can start with general issues and then
18 maybe Jim could tell us how he envisions our work and we
19 could go back in a little more detail. So, are there general
20 operating principles that we want to discuss? The only one I
21 would have is that it might be wise to have this on our
22 advisory committee agenda for the next few times with an
23 open hearing section. It may not even need to be long, but
24 it would then give an opportunity for anybody that we had
25 not included for one reason or another, they would have this

1 fail-safe mechanism to come and, if you will, complain to us
2 or make their point to us. That way, I think we can avoid
3 any appearance of disenfranchising people.

4 DR. REYNOLDS: That sounds like a good idea to me.
5 Kimberly, you might want to say something about the process
6 because I haven't been through this yet with the
7 subcommittee. So, this is new to me but my understanding is
8 that our subcommittee meetings also need to have public
9 announcements. So, they will all be announced publicly and
10 there will be the opportunity for public to attend those
11 subcommittee meetings as well.

12 DR. BYRN: Are there any other general comments?

13 [No response]

14 DR. MACGREGOR: Kimberly, why don't you tell us
15 how a subcommittee works, and then Jim can tell us how he
16 plans to do it?

17 MS. TOPPER: Basically, a subcommittee functions
18 exactly like this regular advisory committee, except that
19 they have the responsibility of reporting back to their
20 parent advisory committee. They are required to report back
21 at least once a year, but they function exactly like an
22 advisory committee.

23 DR. BYRN: So, they could call their own meetings,
24 select their own members?

25 MS. TOPPER: Yes, they can. At the time that a

1 subcommittee meets, we do notify all of our parent
2 committees that the meeting is taking place, but at no time
3 are you all required to be there unless you are one of the
4 two members that are required, and basically they only get
5 to choose one member. One member is automatically assigned,
6 and that is our consumer rep. because our subcommittees do
7 have consumer representation on them.

8 DR. BYRN: And, does the notice go up on the net
9 and so on?

10 MS. TOPPER: It is announced just like this. It
11 goes up on the net. It is up on the 800 line. Actually,
12 everything is listed under the parent committee. So it will
13 be Advisory Committee for Pharmaceutical Science,
14 Nonclinical Subcommittee, or whatever the new name happens
15 to be.

16 DR. BYRN: Jim, how would you proceed then based
17 on that?

18 DR. MACGREGOR: I think the plan that we are
19 proposing is that we would hold the first official public
20 meeting as soon as we can get it scheduled and announced,
21 and that we would have a full-day meeting in which we would
22 try to work through and lay down our operating principles.
23 We would also bring in some experts in the focus areas that
24 we have identified to try to define specific activities that
25 we would want to move forward with.

1 Then our vision I think is that the outcome of
2 that is, once we have selected specific activities, we would
3 bring together expert working groups that would actually
4 carry those out. That would be achieved by operating
5 principles that we would lay down, but would probably
6 include public announcement in the Federal Register for
7 nominations, and probably would include specific
8 solicitation by the subcommittee of recommendations from
9 appropriate professional societies. For example, in
10 nonclinical safety biomarkers we would go to the Society of
11 Toxicology and ask them to recommendation appropriate
12 technical experts involved in the field.

13 Then depending on how we would set up the
14 representation by continuing principle collaborators who
15 would be the members of the committee, for example, PhRMA
16 and Bio, we would ask those organizations also to submit
17 nominations. Then, it is my understanding that the
18 subcommittee is then empowered to select from among these
19 nominations the actual members.

20 DR. BYRN: So, is there any committee input into
21 that?

22 DR. MACGREGOR: If I just compare it to PQRI, PQRI
23 has the main committee and then technical committees --
24 well, it really has a steering committee, the technical
25 committees and then the working groups. You are going to

1 have a flatter organization than that. You are going to have
2 the main committee and working groups. Okay?

3 DR. BYRN: Another question, will you need to have
4 a way to get funds to do certain projects? You know, PQRI
5 has dealt with this by setting up a non-profit organization.

6 DR. MACGREGOR: As I alluded to that in my
7 introduction, it is something we need to come to grips with
8 when we identify projects that need funds. This is all to be
9 decided so I am giving you my personal, off the top of my
10 head feeling. But I would think that we might first try to
11 identify a few things that we think we could accomplish by
12 identifying interested parties that could come to the table
13 and truly collaborate so we wouldn't really need to raise
14 funds. So, we could identify collaborators that have
15 resources.

16 Then as we move along we would discuss what would
17 be the most appropriate mechanism. Would it be a foundation?
18 And, it may depend. In some cases CRADAs might be the right
19 vehicle. If a lot of enthusiasm for this general approach
20 were to arise, there might be a general foundation of some
21 sort. There has even been discussion in the FDA science
22 board of the idea of having an FDA science foundation where
23 funds could come into the FDA for various activities. So, it
24 could take various forms, but my guess would be that we
25 would begin with things we could do right away with groups

1 that have resources. We would try to build it and when it
2 got big enough and we had to exchange, we would look for the
3 appropriate vehicles, and at that point think about whether
4 a foundation would be appropriate or whether CRADAs would
5 suffice, or some other mechanism.

6 DR. BYRN: Any input on the committee on any of
7 these organizational matters? Any more input?

8 [No response]

9 Do you have any additional questions? Your next
10 question?

11 DR. MACGREGOR: I guess the other obvious question
12 is comment on the focus areas that we have identified. So,
13 we have basically identified three that we thought we would
14 focus on initially.

15 Let me just back up. We identified five general
16 areas that the subcommittee had already endorsed, and we
17 thought that from among those five, three specific areas to
18 pursue first might be -- and this has not yet been fully
19 agreed to by the subcommittee but that will be the topic of
20 the next meeting, but the three that are on the table for
21 discussion are the screening IND, the general area of
22 molecular biomarkers of safety, with focus on the safety
23 rather than the efficacy side initially, and then among the
24 non-invasive technology area high resolution magnetic
25 imaging as a technology that we feel might hold some promise

1 for allowing biomarkers to be better measured in the human,
2 as well as in animal models, and also where the technology
3 has come to a point where it might be applicable to both
4 nonclinical animal studies and the human to provide what I
5 like to call the bridge between the nonclinical and the
6 clinical studies, and a way to get a handle on the principal
7 endpoint that we, in fact, use in the nonclinical studies,
8 which is tissue pathology, because magnetic imaging has now
9 come to a point where it is beginning to be feasible to look
10 at tissue pathology in live animals. If we can do that, we
11 can make the same measurement in human and animals, which we
12 do not do the way we now do nonclinical toxicology.

13 DR. BYRN: We are now being asked to comment on
14 these three areas, the SIND, biomarkers for safety studies,
15 and MRI microscopy for tissue pathology. This is maybe a
16 slight change from what Jim said but I think it is general.
17 Those are the three areas. Would people like to comment on
18 those or suggest any other areas?

19 DR. BRANCH: I would like to endorse those areas.
20 I just wonder if you are taking imaging whether you should
21 broaden it out a little bit because I think that PET has
22 really some very attractive opportunities, but it has some
23 technological barriers which, in some cases, are just the
24 logistics of making the isotopes for the drugs. If resources
25 can be targeted for that, that would really allow that

1 technology to take off.

2 DR. REYNOLDS: So perhaps you might be one of our
3 first experts that we tap into to help us focus our thoughts
4 on that.

5 DR. MACGREGOR: PET is something that the previous
6 technical committee had discussed and, in a way, may have
7 been, say, a close second to magnetic imaging as a first
8 choice of something to do. In fact, we are looking into that
9 technology in our own laboratories, and there is actually an
10 active industry group, the Society for Nuclear Imaging and
11 Drug Development, which is an industry consortium of people
12 that focused on imaging technology in general but with heavy
13 emphasis on PET. So, there are some existing organizations
14 you could tie into, and I think it is a very good suggestion
15 for something we should think about because it would be easy
16 to tie into and we, at FDA, are looking into that area
17 already as well.

18 DR. BYRN: A suggestion I would have on the SIND,
19 obviously, I am really interested in this concept of
20 minimally characterized drug substances, which I think can
21 be worked out in committee, but also there may need to be
22 some, and I don't think this is a show-stopping issue,
23 consideration of formulations -- what type of formulations
24 are used? Are they liquid formulations? Injectables?
25 Probably there needs to be a little bit of attention on that

1 in that committee.

2 DR. REYNOLDS: As you well know, the formulations
3 are driven by the physical chemical properties of the
4 molecule but, yes, clearly that is a consideration because
5 the more complicated the formulation, obviously the more
6 drug substance it takes, the longer it takes to come up with
7 that. Absolutely.

8 DR. BYRN: So, it sounds like there is an
9 endorsement of these three topics, with a couple of
10 additions to those. Are there any other topics that the
11 committee is aware of? Yes, Robert?

12 DR. BRANCH: Sorry to keep coming back. Clearly,
13 CBER and CDER have joint interests in this whole area
14 because a lot of the genomics is being driven through
15 biological product. It sounds as though what you are
16 thinking and generating would be useful for both aspects. Is
17 there any discussion about that particular integration?

18 DR. REYNOLDS: Well, clearly we have good
19 representation from CBER in David Essayan at the onset, but
20 I think we have always viewed this activity, in the months
21 that I have been associated with it, to encompass CBER and
22 CDER as well as maybe other companies or interest groups
23 that deal with drugs.

24 DR. MACGREGOR: It is moving back to that issue of
25 representation I guess, and the logic of the representation

1 is pretty obvious, that PhRMA and Bio represent the two
2 trade organizations that deal with the kind of scientific
3 research that goes into pioneer development. So that is why
4 they were chosen, and CBER and CDER are the two centers that
5 are involved in therapeutic development. So, that is
6 basically our rationale for the initial composition. So, I
7 guess I could kick that back to the committee for your
8 thoughts. Is that the way we should go, or are there other
9 organizations that we should consider having involved at
10 this stage?

11 DR. BRANCH: In terms of the regulatory input, you
12 are coming back to this group but I presume that is an
13 equivalent group for CBER. Would there be joint reporting?
14 The deliberations could be as relevant for them as they are
15 for this group here.

16 The other question I had was in terms of your
17 areas of selection. It sounded as though you had actually
18 included informatics. That sort of raises up a whole another
19 array of questions. Is that intentionally going to be part
20 of your purview as well, or is that going to be a separate
21 issue?

22 DR. REYNOLDS: Maybe I will respond first since I
23 made the comment. To me, it wasn't so much that it would be
24 part of these activities, I think we certainly have to be
25 mindful of dealing with this information. The pharmaceutical

1 companies obviously have most of the resources we need in
2 place to deal with informatics, but I think even from a
3 regulatory side, they are probably less prepared to deal
4 with this information than the industry is, and I think we
5 need to be mindful of that. There would be significant lead
6 time for the FDA and others to build this infrastructure to
7 handle this information. So, it is just something I think we
8 need to be mindful of when we are doing this.

9 But it certainly wasn't my intention, and Jim may
10 think differently, but it certainly wasn't my intention that
11 we would deal specifically with informatics, other than
12 knowing it is a very critical part for this area.

13 DR. BYRN: Do you want us to endorse this list of
14 members for the nonclinical studies subcommittee? Would that
15 be helpful?

16 DR. MACGREGOR: I think that would be helpful.

17 DR. BYRN: I will turn to the second page of the
18 handout. We have a list of members. I don't know whether we
19 need to take a vote or just try to make sure that we think
20 that this is an appropriate and broad enough representation
21 for this nonclinical study subcommittee.

22 DR. DOULL: Yes, you mentioned NIH and, clearly, I
23 think that is an option you ought to consider, and there are
24 some other options too. I am not sure we should buy into a
25 rigid list. I think we need to say that you need

1 representation from the stakeholders, and that you appear to
2 be headed in that direction and should be encouraged to do
3 so. That may mean you may add additional groups, and so on,
4 if necessary.

5 DR. REYNOLDS: Yes, John, we certainly saw the NIH
6 to be one of the major and probably one of the initial
7 collaborators, and you will see that reflected in the
8 minutes. It was just a matter of timing when we would
9 partner with them. But you are exactly right, and I think we
10 do reflect the committee's mind set that NIH is a very
11 important collaborator for us, and we will get them involved
12 sooner than later I am sure.

13 DR. BYRN: So, you could report back to us at one
14 of your reports whether you decide to expand. But other than
15 NIH, are there others that you think ought to be contacted
16 right away?

17 DR. DOULL: Well, I was thinking about the
18 European Society of Toxicology, but I don't know. But I
19 think as we think about it other ideas may come along that
20 you might like to think about.

21 DR. BYRN: Are there any other suggestions from
22 anybody else on the committee? Can we just take it that this
23 membership is endorsed with these additional comments? Is
24 that enough endorsement, Roger, for this committee?

25 DR. WILLIAMS: Sure.

1 Jim, next question or any other questions? We are
2 getting close; I am just making sure. This is one of the
3 advantages of Friday afternoon. You can get a lot of work
4 done in a very short period of time --

5 [Laughter]

6 -- and there is generally a movement towards
7 consensus at this time also.

8 DR. WILLIAMS: I will be extremely brief. Again,
9 thanks to the committee for the discussion and I will say
10 some more general words in a minute about that.

11 I always want to focus this committee on the
12 science and technical issues because policies and procedures
13 I think are not within their mandate. Is that right,
14 Kimberly? So, I am glad to hear everything the committee
15 said. For example, when you endorse the membership, you are
16 endorsing them in terms of their science and technical
17 skills. So, that is a very powerful endorsement coming from
18 you as experts.

19 We could talk a long time about why we are doing
20 this, but there is a motivation here which is that it makes
21 it appropriate. If the agency meets with people, you know,
22 behind closed doors -- and I think it is more than ten or
23 something like that -- we start to violate the Federal
24 Advisory Committee Act. So, what Jim has done here with Jack
25 very creatively is figure out a mechanism to allow this to

1 happen. I think it is a wonderful collaborative idea.

2 I will just tell you this, that when I came to the
3 agency in nine years ago there was, and sometimes there
4 continues to be, a very strong feeling in the agency that
5 there is "us" and there is "them" and you have to maintain
6 that distance. And, I can tell you some people feel that
7 that is the appropriate thing to do.

8 There was another view when I came into the
9 agency, people were being accused of collaborating too much
10 and taking bribes, which is another way of collaboration --

11 [Laughter]

12 -- that we certainly don't want to encourage. So,
13 I think we are striking an excellent balance here, and I
14 can't imagine turning back from this because we have worked
15 so hard to do it appropriately, and I think the payoffs have
16 been so remarkable, not just in this environment but in ICH
17 and PQRI and Site Specific Stability. I mean, it is really a
18 good way to work, and I think we have to give it a lot of
19 enthusiasm, support and endorsement.

20 DR. REYNOLDS: If I may make just one comment to
21 echo what Roger had said, I think working for an
22 international pharmaceutical company for a large number of
23 years, and also seeing even in the public press comments of
24 pharmaceutical industry in Europe especially, one of the
25 real advantages that we have had in the U.S., and I have had

1 as a drug development specialist, is in fact this open and
2 very constructive dialogue with the regulatory agency. I
3 think it has benefited all of us, and has really benefited
4 the U.S. base and also the entire pharmaceutical industry
5 with the kind of things that Roger has mentioned. I too see
6 this subcommittee activity to embrace that, and I think it
7 should be very productive.

8 DR. BYRN: We are now almost concluded, unless
9 there are any other comments that anyone would like to make.
10 This concludes our discussion. We wish you luck and we will
11 look forward to hearing from you in this very exciting
12 endeavor.

13 There has been a request just to go on and
14 conclude. I think that is what Roger is really to do. So we
15 will move to the 3:30 entry, which is entitled committee
16 function and awards.

17 **Committee Function and Awards**

18 DR. WILLIAMS: Thank you, Steve. I could probably
19 stand up here and talk a long time but I will try to be
20 brief.

21 First of all, I want to thank all the committee.
22 Thanks to advisors and consultants, you do a terrific job
23 and, from the heart, I really mean it.

24 I am about to thank Antiretroviral because he is
25 departing the committee and I will have some special thanks

1 there, but before we get to that I want to just speak
2 generally about the advisory committee process in the FDA,
3 and I think it is one of our glories.

4 I am reminded of something my parents sometimes
5 say to me. They are both ninety. I am very lucky to have
6 ninety-year old parents. Every now and then they fuss about
7 age and I say, "well, consider the alternative." And, I feel
8 that way sometimes about we do here. You know, advisory
9 committees are burdensome and you all do so much work to
10 come here and spend two days with us and we deeply
11 appreciate it. The alternative of not doing something like
12 this, to me, is so awful. I just really love the advisory
13 committee process and I have enjoyed every meeting we have
14 had over the last nine years.

15 We always speak to our constituencies, but the
16 real constituency out there are 275 million Americans. So,
17 you guys are helping them. I think because so much of what
18 we do becomes globalized, you are helping the global
19 community as well which is -- what? -- about six billion.
20 So, don't think the fact that you don't have a lot of
21 constituencies sitting in here this afternoon on a beautiful
22 day -- you really have a lot of people riding on your
23 shoulders.

24 With that in mind, I will say we always like to
25 celebrate people who are completing their term of service on

1 the advisory committee. And, Kimberly, what is that term of
2 service? Three years? Four. Basically it is a four-year term
3 -- a lot of work. We try to meet once or twice a year so you
4 can see there are hundreds and hundreds of hours that people
5 to commit to be an advisory committee member.

6 We are losing three now. We are losing our chair,
7 Bob Taylor, who is here, in Washington, a very distinguished
8 clinical pharmacologist and pharmacologist I believe down in
9 Howard University. We are also losing Jim Stewart, who is an
10 expert analytical chemist at the University of Georgia, in
11 Athens. And, Arthur, I am very said to say we are losing you
12 as well but we have been delighted to have you here. You
13 have been a very thoughtful, articulate commentor on what we
14 do. Of course, we have a plaque -- I won't say suitable for
15 framing because it already has sort of a frame, and a very
16 nice congratulatory letter of thanks from our Commissioner,
17 Dr. Jane Henney.

18 So, congratulations and thank you very much for
19 helping us.

20 [Applause]

21 With that, Steve, I will turn it back to you, and
22 thank you especially for being a chairman on short notice.

23 DR. GOLDBERG: I would like to thank Roger and the
24 FDA staff for affording me the privilege of serving on this
25 committee. It is not often that somebody is asked to serve

1 and then feels served. I think that the interaction of the
2 staff here and the advisory committee brings a wide
3 diversity and it has been very enlightening to me, and I
4 thank you all for that enlightenment.

5 DR. BYRN: Thanks very much, Arthur, and I know on
6 behalf of the committee we all wish you well, and I am sure
7 we will see you at several meetings. Roger?

8 DR. WILLIAMS: I also want to thank Norm Pound.
9 Norm has been a very patient witness observer to our events.
10 Norm is from the Therapeutic Products Programme, in Canada,
11 and whenever we can we would like to reach out to our
12 regulatory counterparts. Norm has sat here, as I said, very
13 patiently listening to us, and we are delighted you came,
14 Norm. So, thanks very much.

15 DR. POUND: Thank you for having me.


16 DR. BYRN: Are there any other comments? If not, I
17 think we can assume that there is a unanimous motion to
18 adjourn. Is that correct? And, we wish everybody safe
19 travel and we will see you at the next meeting.

20 [Whereupon, at 2:47 p.m. the proceedings were
21 adjourned.]

22

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Alice Toigo", is written above a solid horizontal line.

ALICE TOIGO