

This transcript has not been edited and FDA makes no representation regarding its accuracy.

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION JUL 21 09:40
7458 03

+ + + + +

WORKSHOP ON

INNOVATIVE SYSTEMS FOR DELIVERY OF DRUGS AND

BIOLOGICS:

SCIENTIFIC, CLINICAL, AND REGULATORY CHALLENGES

+ + + + +

TUESDAY, JULY 8, 2003

+ + + + +

The workshop was held in the Grand Ballroom of the Bethesda Marriott, 5151 Pooks Hill Road, Bethesda, Maryland, at 8:00 a.m.

PRESENT:

DAVID FEIGAL, JR., M.D., M.P.H., Center Director,

CDRH

MARK McCLELLAN, M.D., Ph.D., Commissioner, FDA

ROBERT LANGER, Ph.D., Massachusetts Institute of

Technology

DAVID C. KLONOFF, M.D., U.C. San Francisco

2003N-0203

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

TR1

PRESENT (Continued):

JONATHAN B. KRUSKAL, M.D., Ph.D., Harvard Medical
School

RICHARD E. KUNTZ, M.D., M.Sc., Harvard Medical
School

AJAZ HUSSAIN, Ph.D., Nektar Therapeutics

CHET LEACH, Ph.D., Nektar Therapeutics

BILL VAN ANTWERP, Ph.D., Medtronic MiniMed

KEVIN C. SKINNER, V.M.D., Genzyme Corporation

JONATHAN S. KAHAN, ESQ., Hogan and Hartson, L.L.P.

KEITH SMITH, Becton, Dickinson, and Company

CHRISTINE ALLISON, M.S., RAC, Eli Lilly and Company

JOHN JENKINS, CDER

MARK KRAMER, FDA Office of Combination Products

ASHLEY B. BOAM, MSBE, CDRH

RICHARD P. FELTEN, CDRH

DAN SHAMES, M.D., CDER

JESSE GOODMAN, M.D., FDA

NANCY ISAAC, Aerogen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

C-O-N-T-E-N-T-S

	PAGE
Welcome, David Feigal, Jr., M.D., M.P.H.	6
Presentation of Mark McClellan, M.D., Ph.D.	9
Presentation of Robert Langer, Ph.D.	26
Presentation of David C. Klonoff, M.D.	71
Presentation of Jonathan B. Kruskal, M.D., Ph.D.	119
Presentation of Richard E. Kuntz, M.D., M.Sc.	155
Presentation of Chet Leach, Ph.D.	188
Presentation of Bill Van Antwerp, Ph.D.	210
Presentation of Kevin C. Skinner, V.M.D.	224
Presentation of Ajaz Hussain, Ph.D.	235
Public Comment, Dr. Paul Goldfarb	254
Presentation of Jonathan S. Kahan, Esq.	271
Presentation of Keith Smith	297
Presentation of Christine Allison, M.S., RAC	322
Presentation of Mark Kramer	339
Presentation of Ashley B. Boam	359

P-R-O-C-E-E-D-I-N-G-S

(8:14 a.m..)

DR. PROVOST: Good morning. We're going to go ahead and get started.

My name is Mariam Provost. I work for the FDA and the Center for Devices and Radiological Health.

And I just want to make a few announcements before we introduce the first speaker. I also want to say welcome to the people across the hall on watching us on the TV and also people who are phoning in. We also are providing this conference through an audio hookup. So welcome to everybody.

And I also want to say thank you to all of the speakers who have agreed to come today. I think we have a very interesting program. It's a very full program. So there's just a couple of things that I want to mention.

In order so that we can stay on time as best we can, we have structured the morning session so that there's a question and answer period. So we

1 would ask that if you do have questions, if you
2 could wait until the question and answer period to
3 ask them, I think that will help us to keep on time.

4 I also do want to mention there is going
5 to be a panel discussion at the end of the day. So
6 if you don't get a chance to ask some burning
7 questions because of the limited time, you can save
8 your questions for the end of the day, and we do
9 have 45 minutes set aside for panel discussion.

10 We are, as I mentioned, audio
11 broadcasting this conference. It's also being
12 transcribed. So if you do have a question, we ask
13 that you identify yourself and also please speak
14 into the microphone so that everybody can hear.

15 And, finally, very important, lunch.
16 We're a pretty big group here today. So that
17 everybody can get lunch and get lunch on time, we've
18 arranged with the hotel to provide a box lunch, and
19 there is an attendant from the hotel who is here out
20 in the hallway, and they ask that you order the
21 lunch by 9:30 this morning.

22 So if you want to get a lunch, please, I

1 urge you to order your lunch now so that it will be
2 here when you need it.

3 And that's all for the announcements of
4 that type. I would just like to introduce Dr. David
5 Feigal, who is the Director of the Center for
6 Devices and Radiological Health, who is going to
7 give us some welcoming remarks.

8 (Applause.)

9 DR. FEIGAL: Well, thanks.

10 One of the most important things that I
11 could do this morning is to thank Mariam Provost and
12 Vickie Babb, who arranged this meeting on relatively
13 short notice as far as meetings go, and the
14 challenge of finding a room like this that's ideally
15 configured for talks and speeches. The only one
16 that actually was more interesting was one once
17 where we had a lot of press with lights, and it had
18 mirrored columns all around the room.

19 (Laughter.)

20 DR. FEIGAL: So every time they would
21 turn it was like begin inside a prism. It was very
22 interesting.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One of the real challenges in medical
2 product development is to bring the first of a kind
3 to the market. As we've looked back at what kinds
4 of applications are approved rapidly and which
5 applications take longer, it's quite clear that the
6 first of a kind products are often difficult.

7 It's also very clear that if we can sit
8 down and have a discussion of what is needed to
9 establish the kinds of information that you need to
10 bring a product to market, that you can facilitate
11 this process. And there are times when this is done
12 quite formally in the shape of developing guidances.

13 There are other times when it seems to
14 evolve product by product.

15 Now, it's challenging to bring one new
16 product to market. It's even more challenging when
17 you have a combination of products. When you have
18 two products, one of which may be novel, both of
19 which may be novel, you have particular challenges
20 to know exactly what is the regulatory path going to
21 be.

22 It's also a challenge when you yoke

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 together a pharmaceutical, which often comes from a
2 very large and well resourced company, with the
3 device industry where many of the innovators are
4 small companies work on closer margins, on more
5 rapid cycles.

6 There's a difference in the way that the
7 intellectual property of devices is protected than
8 drugs. So there are many, many challenges, and as
9 we looked for topics that we could address and begin
10 to develop, the whole concept of combination
11 products and particularly products where there was a
12 novel mechanism of delivering a drug or a biological
13 therapeutic seem to be particularly timely.

14 So my task this morning is to moderate
15 the session, introduce our speakers, and this
16 afternoon we'll come back and have time to actually
17 more explicitly talk about some of the regulatory
18 challenges.

19 As you are aware, if you followed some
20 of the developments in the center in the last four
21 or five years, we view the regulatory process as an
22 intensely scientific one. This isn't a type of a

1 decision-making that can be done simply by
2 developing checklists and looking for completeness
3 or other types of processes.

4 So it's appropriate that we begin this
5 morning with an intense look at some of the science
6 and some of the exciting science in some of the
7 important disease areas, and that we begin the
8 morning with some remarks from Dr. Mark McClellan,
9 who is Commissioner of the FDA and who is
10 responsible for this meeting, which will probably
11 just be the start of a series of workshop-styled
12 meetings on product development.

13 So with that, let me turn the mic over
14 to Mark and let's get started.

15 DR. McCLELLAN: Thank you, David.

16 It's a pleasure to be here with you all
17 this morning at this new workshop on innovative
18 systems for the delivery of drugs and biologics.
19 This is a particularly important pleasure for me
20 because of all of the people here with our devices
21 center and with the biologics center and our drugs
22 center who have contributed to this effort.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 As David mentioned, this is an early
2 effort in what I think will be a series of programs
3 designed to focus on the important questions of
4 emerging technologies and our effective approaches
5 to regulating them, to demonstrating that they're
6 safe and effective in getting better treatments to
7 patients as quickly as possible.

8 I also want to spend a minute thanking
9 Dr. Robert Langer for his work and his contribution
10 to FDA, in general, and to this meeting, in
11 particular. Dr. Langer just finished as Chair of
12 our Science Board for several years, and that was
13 only one of many efforts in improving biomedical
14 technology.

15 Dr. Langer is a professor at MIT who has
16 made many contributions in chemical and biological
17 engineering, ranging from insights in basic science
18 and improvements in biomedical technology to
19 actually bringing those products to market through
20 patents and through the development process.

21 And I think Dr. Langer's efforts
22 exemplify the kind of work that we want to highlight

1 here and the kind of perspectives that we want to
2 bring to the FDA's efforts in these development
3 workshops.

4 One of his recent articles, which is in
5 the packet included for this meeting, is on how to
6 get drugs where they need to go, and I think this
7 conference and the efforts that will follow from it
8 are an effort to build on that by figuring out how
9 to get drugs where they need to go quicker and more
10 effectively and more safely. That's the goal that
11 we are attempting to fill with this workshop effort.

12 As Dr. Feigal mentioned, this is the
13 first in a number of workshop that have developed
14 from a strategic planning process that we've
15 undertaken at the FDA over the last six months or
16 so. This is an effort to develop clear guidance,
17 clear regulatory pathways for product developers in
18 a range of innovative areas. It includes not only
19 novel systems for delivering drugs and biologics
20 where they need to go, but also such areas as
21 pharmacogenomics and cell and gene therapy, as well
22 as many priority areas for product development, such

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as cancer treatments, obesity treatments, and
2 treatments for diabetes.

3 In our strategic planning process, these
4 were areas where our staff felt that there were
5 opportunities if not to actually identify more clear
6 and effective regulatory pathways, at least a need
7 to take stock of recent developments in the sciences
8 as applied to product development.

9 And so that's why we're having these
10 activities where we can get people together and
11 figure out if there are clear ways in which we could
12 improve regulatory pathways. This is more important
13 today than ever because of the tremendous potential
14 out there for improvements in medical technology.

15 You all are quite familiar with what
16 innovations in health care have brought to patients
17 in recent decades. For example, treatment of heart
18 attack, which used to involve largely supportive
19 care as recently as a few decades ago, has
20 transformed as a result of innovations in drugs,
21 biologics, devices, and combination products, has
22 transformed heart attack care into a condition that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 most people now should expect to survive.

2 This is a big change in recent decades.
3 Diabetes is also an area where tremendous changes
4 have occurred, and some of the greatest improvements
5 in the treatment of these conditions have come from
6 combination products, devices and drugs, devices and
7 biologics working together. These involve
8 treatments that may permit the delivery of
9 medications more accurately and effectively, as is
10 the case in a product that we approved yesterday
11 that combined a blood sugar monitoring system with a
12 continuous insulin delivery pump to permit more
13 accurate and at least the promise of more accurate
14 and timely delivery of insulin on an ongoing basis.

15 It includes treatments that permit drugs
16 to get to the right place in the body more
17 accurately, as in some of the liposomal delivery
18 systems that have been developed recently. It
19 includes ways of targeting particular cells more
20 effectively, for example, through new
21 nanotechnologies.

22 So there are many applications of new

1 technology in the area of combination products, and
2 the potential for these technologies to have an
3 impact on improving patient care in the years ahead,
4 I think, is even greater. But it's not something
5 that's going to happen automatically.

6 And one of the things that has concerned
7 me since coming to FDA is all that I've been able to
8 learn about some of the challenges facing product
9 development today. If you look at just plain, old
10 drugs, small molecule drugs which in many ways are
11 not the only kind of innovative treatment coming
12 along now, the development process has gotten
13 considerably longer and more expensive, and this is
14 not something I think is the fault of regulation
15 primarily or maybe even at all, but it is a fact.

16 It now costs, according to some
17 estimates, over \$800 million to develop a new drug,
18 and while that number is somewhat controversial,
19 there's no arguing with the fact that it has gotten
20 a lot more expensive than it used to be because of a
21 more extensive preclinical development and testing
22 process.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It has also gotten more uncertain than
2 it used to be with only a small fraction of the
3 drugs that enter clinical development actually
4 resulting in applications to the FDA and only less
5 than one in two that make it even to the advanced
6 phases of clinical testing, the so-called Phase III
7 trials, resulting in applications to FDA.

8 And in the past few years, we've seen a
9 downturn in the number of new product applications
10 coming into the agency, and so that's an area of
11 concern where, on the one hand, the amount of
12 investment in new research and development both in
13 the private sector and in the government through
14 increases in the NIH budget have reached an all time
15 high, but on the other hand, we're not yet seeing
16 that translate into a significant upturn in the
17 number of valuable new products reaching patients.

18 And this may be something that is just
19 going to take a matter of time to resolve. I've got
20 a lot of long term confidence in the biomedical
21 industry to improve care, but this delay is
22 something that adds to health care costs because of

1 the cost that goes along with developing new
2 products and has an impact on quality of care
3 because it results in longer times before patients
4 can get access to safe and effective new treatments.

5 So this is a real challenge as products
6 become more complex, and in meeting this challenge
7 FDA can and will maintain its gold standard for the
8 world for product approvals. That means we will
9 continue to make sure that products are safe and
10 effective before we approve them.

11 At the same time, with all of these
12 insights coming in the form of new products, I think
13 there are opportunities to find way to make that
14 development process work more efficiently. Again,
15 this is not something that the agency can solve by
16 itself through just reducing review times and the
17 like. It's something that will require some
18 creative thinking and efforts to make sure we are
19 applying the best and latest translational science
20 to our regulatory processes, to make sure that we
21 are using the most effective mechanisms for
22 designing studies, for developing endpoints, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doing follow-up studies after approval and the like,
2 to get to our determinations of safety and
3 effectiveness as efficiently as possible.

4 So as part of this effort, which is a
5 key element in FDA's strategic plan, we announced a
6 new FDA initiative on improving medical innovation
7 earlier this year. The goal of this set of efforts
8 is to bring more clarity and consistency to the
9 review process for new and emerging medical
10 technologies, and we're aiming to do that in several
11 ways.

12 First, as Dr. Feigal mentioned, we're
13 conducting an internal review, a root cause analysis
14 of cases where new products took more than one cycle
15 to reach a determination of safety and
16 effectiveness.

17 In our preliminary results, it looks
18 like in a lot of cases the multiple cycles were
19 unavoidable. New things were discovered in
20 development process late, clinical results that were
21 unanticipated, that required some further evaluation
22 and the like.

1 But in some cases, it appeared that
2 earlier and clearer communication with product
3 developers about the standards for approval and
4 about what exactly was required for approval would
5 have helped, would have helped them get it right the
6 first time on their product applications.

7 So a couple of the other major
8 components of this initiative are designed to try to
9 address that issue.

10 We are also doing a number of guidance
11 development programs like this meeting here today.
12 This discussion is intended to lead to written
13 guidance that can help in the development of
14 products in the area of novel delivery systems for
15 drugs and biologics, and I mentioned some other
16 areas of emerging technology where we are conducting
17 similar kinds of activities.

18 In addition, we are in the process of
19 implementing some quality systems for product
20 reviews. We have a lot of expertise in the agency
21 on the best ways to approve and review new products,
22 and we want to make sure that the best practices in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 various parts of our agency are shared throughout
2 the agency and are used to implement more efficient
3 regulatory processes.

4 And this is something that we are
5 undertaking, in part, in conjunction with outside
6 consultants in developing better performance
7 measures, in part through internal work to identify
8 best practices, develop performance measures related
9 to them, and implement them more widely throughout
10 the agency.

11 So these are all major elements of our
12 effort to improve the innovation process, but I
13 wanted to ask you to take a step back and think more
14 broadly about this. Most of the time and product
15 development obviously doesn't occur in the review
16 times at the FDA. Most of the time in product
17 development occurs between the time someone has a
18 good idea in the basic biomedical sciences of a
19 proof of concept and then starts moving that idea
20 into preclinical and then clinical testing.

21 That's a process that can take many
22 years and, as I mentioned earlier, can be very

1 costly and have many uncertainties along the way.
2 Anything that we can do through clarifying what our
3 regulatory standards are to make that part of the
4 process work more efficiently as well will only add
5 to these potential savings and reductions in
6 uncertainty in the process of product development.

7 So it's not just about our review time.
8 It's about clarity in what is needed for determining
9 that a product is safe and effective, and so that's
10 why it's very important to have many of you here
11 today who are involved in product development, who
12 have terrific experience in the regulatory process
13 and who can give us hopefully some insights that can
14 serve as a basis for our written guidance to make
15 this whole process work more efficiently in such
16 emerging areas of technology as novel systems for
17 delivering drugs and biologics.

18 This is an area where these combination
19 product areas have not gone as smoothly as they
20 might in the past, and we are already taking some
21 steps to try to address that. One of the first
22 things that I did as Commissioner was set up a new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Office of Combination Products, headed by the very
2 capable Mark Kramer, in the Office of the
3 Commissioner to provide better oversight and to help
4 develop clear guidance about jurisdictional issues
5 and other issues that are unique to combination
6 products.

7 One of the other things that I'm working
8 hard on now with Dr. Feigal and the rest of CDRH and
9 our Office of Combination Products is the effective
10 implementation of the new Medical Device User Fee
11 and Modernization Act. This is a very important
12 piece of legislation that will give us additional
13 resources not only to turn around reviews more
14 quickly, but hopefully to spend some more time and
15 effort on identifying more efficient regulatory
16 practices, to have those kinds of early
17 conversations with product developers that help us
18 by making sure we understand some of the latest
19 technologies that are coming along and how to best
20 evaluate them, and to help product developers by
21 giving them some of our insights in terms of what it
22 actually takes to demonstrate that a product is safe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and effective and meets FDA's regulatory standards.

2 We are fully committed to the goals of
3 the Medical Device Users Fee Modernization Act, and
4 we will implement this program successfully. We're
5 working closely with OMB and others on Capitol Hill
6 to make sure that the adequate funding will be there
7 to meet those program goals, and we're going to
8 succeed.

9 So this is a very important time in
10 product development for combination products and
11 novel drug and biologic delivery systems for a
12 number of reasons. We've got new resources. We
13 have new programs in place already, and we have a
14 strong commitment from the people at CDRH, CBER, and
15 CDER to find more effective ways to implement, to
16 determine that these new technologies coming along
17 are safe and effective, and it couldn't happen at a
18 more critical time with the investment in biomedical
19 R&D in these combination product areas at the
20 highest levels ever and the potential for important
21 new technologies reaching patients.

22 If we can demonstrate they're safe and

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effective, the potential is greater than ever. So
2 this is a critical time for health policy. We think
3 that at FDA we're in a great position to help with
4 this innovation process, and we've got more
5 experience and data on the factors that influence
6 success or failure of new treatments than anyone
7 else, and we want to find ways to bring that
8 knowledge to bear and bring some of the new insights
9 in biomedical research to bear in these areas as
10 effectively as possible.

11 So I am looking forward to hearing from
12 all of you here today and hearing about the results
13 of this conference. It sounds like a great set of
14 sessions this morning on reviewing some promising
15 clinical applications in the areas of novel delivery
16 systems and some of the preclinical challenges, this
17 afternoon moving on to perspectives from product
18 developers and the FDA on challenges for product
19 development, all with the goal of finding a clear
20 basis for the regulatory processes that we require
21 for demonstrating the products are safe and
22 effective, and getting safe and effective products

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to market as inexpensively and with as little
2 uncertainty as possible.

3 Thank you all for participating in this
4 effort. As I said, this is, I hope, going to be an
5 early step in an ongoing effort to make sure that
6 our regulatory processes are up to date and are
7 helping patients get access to safe and effective
8 treatments as quickly as possible and at the lowest
9 possible cost.

10 And we definitely need this to be a
11 collaborative effort. We've got a lot of good ideas
12 internally. We need to bounce them off people
13 outside the agency, and there are also a lot of good
14 ideas outside, given all of the progress that has
15 occurred recently in such areas as novel delivery
16 systems.

17 So this seems like the right time and
18 the right topic for a kickoff conference on
19 improving innovation process, and I want to thank
20 you all again for coming here today and also for
21 listening to me this morning.

22 Thanks very much.

1 (Applause.)

2 DR. FEIGAL: Well, it's my pleasure this
3 morning to introduce our keynote speaker, Professor
4 Robert Langer. Again, thanks are in order for the
5 service that he provided by chairing the Science
6 Board, and part of that time period was when the
7 center itself actually went through an external
8 review of our science program. So we appreciated
9 his efforts in that very much.

10 Dr. Langer is the Kenneth J.
11 Germeshausen, Professor of Chemical and Biomedical
12 Engineering at MIT and is a member of the National
13 Academy of Engineering, National Academy of
14 Sciences, and the Institute of Medicine, one of the
15 few people to hold memberships in all three of those
16 academies.

17 The kinds and nature of the
18 contributions that Professor Langer have made are
19 particularly relevant to our program here today, and
20 without any further ado, let me ask Dr. Langer to
21 come and begin.

22 (Applause.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LANGER: David and Mark, thank you
2 very much. It's an honor for me to be able to speak
3 to you all today, and it was certainly an honor for
4 me to work with the FDA as well.

5 I've had the fortune over the years of
6 giving a lot of talks. Usually I end up giving them
7 at universities, though I've given them at
8 companies, too. And usually what I talk about are
9 drug delivery systems.

10 A few years ago, though, I was giving a
11 talk at the University of California at Berkeley.
12 So it was the other side of the country, and I got
13 in very late at night, and I was trying to think how
14 to introduce my talk. And I thought for a second
15 and I said, "Well, probably everyone here has taken
16 drugs."

17 (Laughter.)

18 DR. LANGER: That's what they did, too.
19 They laughed, but of course, what I meant were drugs
20 like all the ones that are regulated by FDA, and
21 that is what I want to talk about today.

22 In particular, what I will try to do

1 this morning is to give you an overview, and
2 obviously it can't be complete, but what I'll try to
3 do is go over a little bit about why drug delivery
4 is important, where it is in terms of some of the
5 products, and where it's going.

6 Let me start with a slide. I just want
7 to make sure. Do I do something to get this on?
8 I'll try to tell some more jokes in the meantime.

9 (Pause in proceedings.)

10 DR. LANGER: So anyhow, I think I can do
11 this almost without slides, but at least the first
12 couple of ones.

13 Thank you very much, Mary.

14 So what I was going to say, and people
15 obviously have probably seen things like this
16 before, but if you take a drug, really any drug and
17 really by almost any means, mouth, skin, whatever,
18 the drug level starts out very low, reaches a peak,
19 and then goes down, and that peak and valley level,
20 the problem is those peaks can cause huge safety
21 problems, sometimes death, and the valleys, the
22 drugs, are not effective.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One example I sometimes use in class
2 are, you know, sleeping pills. If you take too much
3 you could die. If you take too little, you don't go
4 to sleep. I mean, there's various ones you could
5 think about.

6 So that provides the motivation for
7 could you come up with a way -- and this is not
8 always what you want to do, but for a lot of cases
9 what you'd like to be able to do is take a drug and
10 have it go to the desired range and stay there for
11 as long as possible.

12 Let me just give you a striking example
13 of that that ALZA did working with Pfizer. So they
14 had a drug that was called Nifedipine, which also is
15 known as Procardia, a calcium channel blocker, and
16 all throughout the 1980s it was taken by a soft
17 gelatin capsule, so sort of immediate release. It
18 was quite a successful product. It sold about \$300
19 million a year.

20 It was always, though, if you took it
21 and got the soft gelatin capsule, peaks and valleys
22 just like you saw.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ALZA, using an osmotic pump system, and
2 I'll mention that a little bit more later, figured
3 out a way to get it at pretty constant release.
4 That product actually became very successful. Not
5 only was it used for angina. It also got a new
6 approval for congestive heart failure.

7 What happened if you looked at the side
8 effects are huge. Here you can just look at the
9 comparison of the two, and if you compare things
10 like headaches or flushing or dizziness or
11 palpitations, there's a huge difference.

12 Taking the controlled release form you
13 get many, many fewer side effects than the soft
14 gelatin capsule form, and from a cost standpoint,
15 from the company's standpoint, it became a \$1.5
16 billion a year product rather quickly.

17 Let me mention a few products to just
18 give you an idea, though I imagine people are aware
19 of this, of the range of things that are already
20 being used in this actually very young field. I
21 think if you look at this, almost all controlled
22 release systems at least that I'll be talking about.

1 will be approved in the last 20 years or the last 21
2 years. This was about one of the earliest ones.

3 It's the nitroglycerine patch, one of a
4 number of transdermal systems that can deliver drugs
5 just passively in this case through the skin. Here
6 it does it for a 24-hour period. Over 500 million
7 of these were used last year.

8 This is the longest. Sometimes people
9 ask me how long can a controlled drug delivery
10 system go. Well, this is the longest one that I
11 know of. This is the Norplant. These are little
12 silicone capsules that you can place underneath the
13 skin for contraception. They're approved in over 50
14 countries.

15 And what you can see here is these
16 capsules, which are simply the size of match sticks,
17 are able to release the drug for over 2,000 days or
18 five years from these tiny little implants.

19 This is the very first controlled
20 release system for a protein. For many years people
21 didn't think you could ever deliver proteins.
22 Alcomes, which is a company I've been associated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with for a number of years, developed along with
2 Genentec tiny little microcapsules that you could
3 put human growth hormone in.

4 Normally a patient with pituitary
5 dwarfism would take the shots once a day. Now with
6 this you could take them once a month.

7 And another one, the last that I'll
8 mention at least right now is really a very
9 innovative thing that ALZA did for Ritalin. If any
10 of you have children that have attention hyper
11 deficit disorder, they may take this.

12 Normally what people had to do was take
13 Ritalin, you know, several times a day, and if
14 you're a small child that means you might have to go
15 to the nurse. It might be embarrassing, and maybe
16 it doesn't even work as well in the regular forms.

17 ALZA discovered that actually you don't
18 only want to get steady release. You actually want
19 to have a time where the release is increasing, and
20 because they were able to design a special version
21 of an osmotic pump shown here where they've got an
22 overcoat, they're actually able to do this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So they developed a system called
2 Concerta based on an osmotic pill that you could
3 take, a child could take generally once a day to
4 treat ADHD.

5 Let me just give you some statistics,
6 again, for part of this overview that adverse drug
7 effects where people take drugs kind of the way
8 they're supposed to, they can cause up to 15 percent
9 of hospital admissions. This was in JAMA a few
10 years ago. One hundred thousand deaths; that's more
11 than four times the number of deaths caused by AIDS
12 in this country, \$136 billion in health care costs.

13 Patient compliance, that can cause up to
14 ten percent of hospital admissions, particularly in
15 the elderly that forget to take drugs.

16 And of course, one of the things that
17 motivates a lot of companies, which it should, is
18 can you make a profit in this area. And if you look
19 at this, as I mentioned, controlled drug delivery
20 systems in the 1980s, the sales were about zero. In
21 2001, they're about \$20 billion, and my expectation
22 is that number will go up rapidly for a single

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reason that I'll mention later and will be talked
2 about later today.

3 Just look at drug eluting stents,
4 totally based on controlled release technology.
5 Sales are projected to be five to seven billion
6 dollars rather quickly. So there's enormous
7 opportunity in this area, as well.

8 The advantages of controlled drug
9 delivery are reduction of adverse side effects,
10 which I've mentioned. You can keep drug levels in
11 the desirable range, and much less drug is desired.

12 You get improved patient compliance, and
13 as we will go over all day, new therapies are
14 possible.

15 People -- I just want to grab myself
16 some water -- people, you know, you can approach
17 drug delivery from a number of standpoints. One
18 standpoint is pretty much every part of the body --
19 this may be a little hard to see in the back -- but
20 I think that sometimes people ask me, "Will there
21 ever be an ideal delivery system that you could just
22 take by one way?" And I think the answer is no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 People have been successful at
2 delivering drugs orally, nasally, transdermally,
3 through the lung, transmucosally, like vaginal,
4 buccal, in the eye, by liposomes, by injection. All
5 of these, almost all of them are multi-billion
6 dollar markets in and of themselves.

7 So there have been successful products
8 in almost all of these areas, and I expect that that
9 will continue because there's enormous opportunity
10 in each of these areas. There's specific diseases
11 in some of those areas, and many of these areas can
12 be a portal to the rest of the body for delivering
13 drugs.

14 I thought I would try to focus in the
15 interest of some of the goals of this meeting on
16 four areas: the need for new materials;
17 nanotechnologies; noninvasive delivery; and high
18 throughput approaches.

19 So I'll focus on each of these just in
20 context to illustrate what I see as some of the
21 ongoing work and some of the challenges ahead.

22 First, let me go over new materials.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You know, this is something that I got involved in
2 personally in the 1970s. I was actually very
3 surprised to see this. My own background is a
4 chemical engineer, but when I got done with my
5 degree I worked at Boston Children's Hospital, and
6 being a chemical engineer, I guess I just thought
7 naively that the people who were driving the work
8 for bringing new materials into medicine would have
9 been older chemical engineers or chemists or
10 material scientists.

11 But when I looked into this, I found
12 that it was rarely the case. Almost always the
13 driving force for bringing materials into medicine
14 were clinicians, and they wanted to solve a problem
15 and solve it as quickly as they could, which is
16 good.

17 But what they would do is generally they
18 would take a material that was usually in their
19 house and that kind of resembled the organ or tissue
20 they wanted to fix, and they'd use it in the human
21 body. And that led to some progress, but also to
22 some problems.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And just to give you some examples, this
2 may be cut off a little bit, but it's an artificial
3 heart. But you probably figured that out. Anyhow,
4 let me just tell you a story or two, and these are
5 all true.

6 In 1967, clinicians at the NIH wanted to
7 come with an artificial heart, and they wanted
8 something with a good flex life, you know, for a
9 heart. And they said, "What object has a good flex
10 life?"

11 And they said a lady's girdle material.
12 What's that made out of? It's made out of
13 polyetherurethane. So that's what they began to
14 make the artificial heart out of. That was 1967.

15 Now we're in 2003. It's still made of
16 that, and you can imagine from a regulatory
17 standpoint once you start going down that path it's
18 not so easy to stop. And that happens in many
19 different areas. Dialysis tubing was originally
20 sausage casing. Vascular graft, that's an
21 artificial blood vessel. It was a surgeon in Texas
22 going to clothes store, and breast implants. One of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those was a lubricant -- oh, thank you -- one was a
2 lubricant. The other was a mattress stuffing.
3 Probably you figured out the logic.

4 But these are all true, and that's often
5 how materials have come into medicine, and I started
6 thinking in the '70s, well, you know, maybe you
7 could take a different approach, and I believe we're
8 going to start to see more and more of that today.

9 And that is rather than take these
10 materials that might exist in your house, could you
11 actually ask the question what do you really want in
12 a biomedical material or drug delivery system from a
13 chemistry standpoint, biology standpoint and
14 engineering standpoint, and could you synthesize it
15 from first principles.

16 I thought I'd give you an example.

17 At any rate, let me give you that
18 example. When we started in the 1970s, there was
19 only one material approved by the FDA that was
20 synthetic degradable material, suture materials like
21 polyesters, and they displayed bulk erosion kind of
22 like this. So it would start out -- now this isn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 working either. That's okay. I'll go over here.
2 I'll get some exercise this morning. Oh, but I
3 think -- is that going to be a problem?

4 (Laughter.)

5 DR. LANGER: Maybe we had better get one
6 that works. Anyhow, so it might be good if we got
7 one that works. At any rate, I'll try to do this
8 with my pointing. It might be harder on some of the
9 slides.

10 Thank you very, very much. Will you
11 remind me to give it back up? Okay. I got it.
12 Thank you very much.

13 Okay. So bulk erosion, usually you put
14 the drug uniformly throughout. It starts out
15 getting spongy, and then it could fall apart. That,
16 by the way, is fine for a lot of drugs, but if you
17 had a really toxic drug like, say, insulin or a
18 cancer drug, it might not be so good because you
19 could get bursts of the drug coming out.

20 So we said from an engineering
21 standpoint what you'd really like is this: surface
22 erosion, kind of like the way a bar of soap

1 dissolves. So the challenge is how could you do it.

2 So I won't go through all of the
3 chemistry, but basically what we did is we took this
4 from an engineering design standpoint. We said,
5 well, what are the right bonds. We thought
6 anhydride bonds. We thought what are the right
7 monomers, and we came up with a couple of monomers,
8 very hydrophobic ones, that could keep water out.
9 This is extremely hydrophobic CPPP, and sebacic acid
10 is a little less so.

11 What was interesting is that by simply
12 adjusting the ratio of those two not only could you
13 get surface erosion, but you could get these to
14 dissolve at almost any rate you want, from zero
15 percent sebacic acid. So about eight percent is
16 gone in 14 weeks. It will take three or four years
17 for one of these to dissolve fully.

18 But if you add a little bit more sebacic
19 acid, it dissolves faster- that's 15 percent, 55
20 percent, it dissolves faster, 79 percent it's all
21 gone in two weeks.

22 So you could simply dial in your monomer

1 ratio and make these last for whatever length of
2 time you want.

3 So with that, you could think about
4 using it for all kinds of applications. One of the
5 early applications that came up was Henry Brown, a
6 young neurosurgeon -- he's now head of neurosurgery,
7 chief of neurosurgery at Johns Hopkins -- came to
8 see me in the 1980s, mid-1980s, and he said, "Could
9 we change the way people do chemotherapy with this
10 kind of approach? Could we do local chemotherapy?"

11 So here was the idea. He would normally
12 go in, operate on patients, take as much of the
13 tumor out as he could in the brain. He would always
14 do this, as would everybody else. But he said is
15 after that, you know, they have to give this drug,
16 BCNU, intravenously. Could we do local
17 chemotherapy? This drug is enormously toxic.

18 So the idea was could you take polymers
19 like this, allow him as a neurosurgeon to put the
20 drug in in little wafers that would locally deliver
21 it to any remaining tumor he couldn't get. The idea
22 is that could tremendously spare the body the side

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effects of this terribly toxic drug, but give high
2 concentrations right to the brain tumor where you
3 want it to be.

4 So let me just show you that. If
5 anybody is squeamish and doesn't like to look at
6 blood -- and I'm serious about this -- don't look,
7 but here is what it looks like, a little wafer the
8 size of a dime going in. Usually you put seven or
9 eight and then close it up.

10 I always show those slides rather
11 quickly. You know, it's very hard to get good
12 advice when you give a talk, but a few years ago my
13 wife Laura came to one of my talks. She's a
14 neuroscientist, and I asked her at the end. I
15 actually was showing those slides.

16 I said, "What did you think of the
17 talk?"

18 And she said, "Well, Bob, the talk was
19 okay." That's actually very high praise.

20 (Laughter.)

21 DR. LANGER: But she said, "You know,
22 there was this 12-minute period of that talk where

1 you had those two bloody slides on and you explained
2 every detail of it to the audience." This was all
3 chemical engineers I was speaking to, and she said,
4 "I don't know if you were looking, but they were all
5 turning green and looking at the floor."

6 So ever after that I've done just what I
7 did today, showed them real quickly and I warn
8 people. But I do want to tell you a sequel to that.
9 I give talks to lots of different groups, and I
10 happened to be giving a dinner speech to a group of
11 neurosurgeons and neurologists, all M.D.s, and I did
12 the same thing, and at the end of the talk a number
13 of the neurosurgeons came up to me and they said,
14 "You know those two bloody slides you showed?"

15 I said, "Yes."

16 They said, "Those were fine. No
17 problem," but they said, "Those chemical formulas."

18 (Laughter.)

19 DR. LANGER: You know, right after
20 dinner. So you have to be very careful who you
21 speak to.

22 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LANGER: At any rate, there are a
2 lot of challenges -- I'm just going to go back a
3 slide -- that we had to overcome, which are typical
4 I think in any of these areas, and I'll just go over
5 those briefly. These were all actually things at
6 the National Institutes of Health Study Sections,
7 other professors told us why we couldn't get it to
8 work, but basically it's just a synthesis,
9 reactivity, strength of the material, toxicity,
10 diffusion of the drug, manufacturing, and so forth.

11 All of these were challenges that had to
12 be overcome. Actually they made for a lot of good
13 theses in our lab. Later on we licensed to do a
14 company, Guilford Pharmaceutical, and actually the
15 FDA did approve this originally in 1996. It was the
16 first time that a local chemotherapy system got
17 approved. That was approved in 1996 for recurrent
18 glioblastoma. It was extended this year for primary
19 glioblastoma, but it's an example which I wanted to
20 pick of how you could use new materials to create
21 new therapies in drug delivery.

22 Also, it illustrates a very early

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example of local chemotherapy, which I think is very
2 powerful, and of course, I think the most powerful
3 example of that which you'll hear more about later
4 today is applying this idea to coated stents where
5 basically you put stents in to keep blood vessels
6 open, but the problem is, as people will hear about,
7 that for a fairly high percentage of the time those
8 vessels will close due to restenosis, smooth muscle
9 proliferation, and so forth.

10 But you can take some pretty toxic drugs
11 like Taxol and repromicin or others, put them on a
12 tiny polymer film, locally deliver them, and the
13 results have been very, very dramatic by many
14 companies in terms of keeping these blood vessels
15 open.

16 That's the first topic that I wanted to
17 mention, is this idea then of new materials and
18 local delivery.

19 The second is nanotechnology.
20 Nanotechnology is something I'm sure everybody reads
21 about in the newspapers. Probably everybody wonders
22 what it really is. Even I wonder what it is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sometimes because it has so many definitions.

2 But I think there's several ways
3 nanotechnology can make a huge impact, and I thought
4 I'd give you a couple of examples.

5 The first actually is work that was done
6 originally by John Santini when he was my graduate
7 student at MIT and now president of MicroCHIPS,
8 which is a company I'm also affiliated with. I had
9 this idea about ten years ago. I was watching this
10 TV show on how microchips are made in the computer
11 industry, like Intel, and I thought, gee, this would
12 be a very interesting way of doing drug delivery.

13 So along with Michael Sema and John, we
14 came up with originally a very early design, which
15 I'm showing here, and the idea is rather than take a
16 chip for your television set or your computer, what
17 you could do is build little nanowells -- I'll show
18 you these in a minute -- into little chips.
19 Originally they were made of silicon and covered
20 with gold.

21 They can, by the way -- some of our more
22 recent students have made them out of polymers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They can be made out of almost anything, but
2 basically you can build little wells into them.
3 This is a cut-away. So on one side there's an
4 impermeable epoxy, and here we're using gold as sort
5 of the cover. They're hermetically sealed. You can
6 actually keep them on the shelf or in the body for a
7 couple of years. Nothing will happen.

8 But if you apply selectively one volt to
9 any of these welds, they're all individually
10 addressable. What will happen is the gold will come
11 off, and the drug will come right out. And you can
12 program these to get almost any delivery pattern you
13 want because if you want to get instantaneous
14 release, well, then you just have the gold come off.

15 But let's say you wanted the release to
16 be more slow. You could put a polymer or a gel
17 right underneath it. Also, you could deliver one
18 drug multiple times. I'll show you an example of
19 that in a Pulsatile fashion, but if you wanted to
20 actually delivery many drugs, like say we've often
21 considered this like a pharmacy on a chip, you could
22 do that. If the drugs are potent enough, you could

1 put all of the drugs you want on such a chip.

2 And you can make them very tiny like
3 nano, which is what I'm talking about, but you could
4 make them bigger, too, if you had drugs that were
5 less potent.

6 Let me actually show you what they look
7 like in a picture. This is from MicroCHIPS, and
8 this is a real good example of nanotechnology.
9 These are pencils, and here's the chip. Here's one
10 side and here's the other. There's hundreds of
11 wells. Each of these contains a different drug or
12 the same drug at a different dose.

13 And then what's done to use these,
14 they're battery powered, and you could control them
15 by telemetry. In other words, the same way you
16 might open up a garage door, you could open any of
17 these wells. You could envision a day when you
18 might have a wristwatch or some unit like that that
19 you could just do remote control, and you could open
20 up any individual well whenever you want. And then
21 it's like encased in something like a little
22 pacemaker.

1 Also what I believe we'll see in the
2 further future is even very smart systems where you
3 could put biocensors -- I think Dr. Klonoff may talk
4 about this more -- where you could put biocensors on
5 these chips along with a microprocessor and a power
6 source, and you could get direct control.

7 Let me actually show you how this works.
8 What I'm going to show you is a quick video. You
9 have to look quickly, but I'm going to just show you
10 a single well where you're going to be looking at
11 the top of the well, and then we're just going to
12 apply this one volt selectively, and what you're
13 going to see is the top dissolve, and then you'll
14 see a little conical bottom, and so let's take a
15 look at that.

16 Here's the video. This is the top.
17 Immediately the gold came right off. As soon as it
18 does, the drug can come out. So basically it's just
19 that quick. It can be made instantaneous.

20 Here's an in vivo. This is done in
21 animals, and this just shows you you can get very
22 reproducible Pulsatile release of the single drug,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and you could do this with multiple drugs if you
2 wanted to.

3 So this is, I think, one example of
4 nanotechnology where you have little nanowells, and
5 also a good example of another thing that people
6 sometimes hear, MEMS devices, micro electrical
7 mechanical system.

8 The second example of nanotechnology
9 that I wanted to do is at a more molecular level.
10 There are a whole range of different polymer
11 therapeutics that you might think about as nanosized
12 medicines. Some of these are things like polymer
13 drugs. You could actually take a drug, and I'll
14 give you examples in a minute, but I just wanted to
15 show you the range and also the sizes so that you
16 could have polymers combined to drugs which could
17 change the drug's properties.

18 You can have polymer protein conjugates
19 A very good example of this which we hear a lot
20 about are PEGylated, and I'll mention this more.
21 You could take a protein, which might normally have
22 a short half life or might be immunogenic, and yet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you can conjugate polyethylene glycol to it, and
2 change both of those.

3 Another example are polymers for DNA
4 delivery, and I'll talk about this more, but it's a
5 huge area because if you wanted to deliver DNA,
6 right now the only way to do it is with viral
7 vectors, and I think everybody here at the FDA and
8 elsewhere have made it pretty clear there are some
9 huge safety issues with it.

10 Could you make nanosized polymers -- and
11 I'll mention a method later -- where you might be
12 able to make a polymer behave like a virus, but
13 without the safety problems associated with it?

14 Polymer drug conjugates where you could
15 actually target the drug to a particular place in
16 the body, like, say, a tumor.

17 And finally, micelles.

18 So all of these things are being
19 studied. I'll just go over one or two a little bit,
20 but some of them are already approved. The first
21 one is the drug attached to a polymer that's been
22 approved for liver cancer in Japan, and then there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are a variety of pegylated molecules like
2 asparaginase, interferon on CGSF, which have been
3 approved by the FDA for different diseases.

4 Now, these have made a very substantial
5 impact on medicine already, but there's more coming,
6 and some of the things that are coming are to really
7 engineer drugs with polymers to get very desired
8 properties. So maybe you could put in a targeting
9 residue so that it would target to a receptor.
10 Maybe you would have a biodegradable linker, and
11 people are already studying these for cancer.

12 Apart of the basis for treating cancer
13 is that a tumor has very leaky blood vessels. So
14 you might imagine a polymer, since it's big. Well,
15 if the blood vessel is not leaky, it's not going to
16 get out.

17 But when it gets in the leaky region of
18 the polymer, it will get out, and that's called the
19 EPR effect. And there are a variety of these
20 systems in various stages of clinical trials for
21 delivering drugs like Taxol or camptothecin, and
22 some of them even have targeting moieties on them,

1 like lactosamine.

2 So many, many that probably will keep
3 the FDA busy over the next number of years.

4 The third thing that I wanted to go over
5 is the idea of noninvasive delivery of complex
6 molecules. Can you do it orally? This would be
7 like a protein or DNA.

8 Can you do it transdermally? And I'll
9 talk a little bit about both of these.

10 Pulmonary, actually somebody from Nektar
11 is going to be speaking. So I thought I'd let them
12 do that, and there's also various other routes that
13 we might consider, but I'm going to just focus a
14 little bit on these two today where there has been
15 probably a lot of work done, as has there been
16 there.

17 So first, oral. There has been at least
18 four strategies that have been used: carriers, and
19 I'll give an example of those; nanoparticles that
20 might be able to be taken up by M cells, for
21 example in the Peyer patch (phonetic); targeting to
22 various receptors in the gut or Peyer patches.

1 And even bioadhesive approaches are
2 being worked on by a variety of groups. I was just
3 going to mention one briefly, which is the carrier
4 approach, which is probably furthest along, and
5 David Klonoff may talk about this more, too, but
6 there's a company, Emispheres, which has synthesized
7 some molecules which they call eligens, and their
8 idea is to complex them to drugs, to deliver them
9 transcellularly without compromising cell integrity.

10 And you see an example of this top panel
11 here where insulin is being delivered to a cell
12 monolayer at different concentrations, and you see
13 it getting through, and yet the lower panel seems to
14 show that it doesn't affect the tight junctions and
15 so forth.

16 They've used this to deliver insulin in
17 patients. This is oral insulin, and they have also
18 used it to deliver human growth hormone.

19 Probably the big issue that will come up
20 here for oral delivery with any of these things are
21 two or three things. One is bioavailability. Do
22 you get enough in? And two is safety, or do other

1 things also get transported? And these will be some
2 of the key issues to look at.

3 Nonetheless, I think it's very exciting
4 that we're already seeing a delivery of large
5 molecules in people.

6 The second area that I want to talk
7 about is the skin, and the skin has been a normally
8 incredibly impenetrable barrier though right now
9 there are ten transdermal products on the market,
10 all by passive delivery, a \$3 billion market, and
11 some of them are huge, like Fentanyl patches, which
12 are for pain management. People, I'm sure, are
13 familiar with smoking cessation, and so forth.

14 Well, why is it so hard to get drugs
15 through the skin? Well, first, I think we should
16 all be very glad that it's hard because otherwise
17 we'd get infected.

18 But what makes it hard is all of the
19 resistance to the skin is the outermost part of it,
20 the stratum corneum. It's only 15 cells layers
21 thick, and it looks like a brick wall if you looked
22 at it under the microscope. You have dead cells,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 keratinocytes, and lipid bilayers here. So it's
2 like bricks and mortar, and it provides a
3 terrifically tight barrier to get through.

4 That being said, a variety of groups are
5 trying to do this. Vyteris is a company, a spinoff
6 of Becton-Dickinson, and they've developed an
7 approach using iontophoresis. This is using an
8 electric field basically to transport drugs across
9 the skin. This is their system, and they've
10 actually developed pretty advanced approaches. They
11 are actually delivering in this case calcitonin in
12 people this way.

13 ALZA, which has certainly been a leader
14 in transdermal drug delivery, has developed what we
15 call the E-TRANS system, and here they're putting
16 Fentanyl, the drug I mentioned, which they've worked
17 on with Johnson & Johnson, which has been very, very
18 successful, and here they're putting it on the skin,
19 and it slowly delivers it, but as I'll show you, by
20 applying the electric field, and this is sort of
21 what it looks like; they've got an on demand button,
22 a red light diode. This is kind of the structure of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it.

2 But what you can do is if you want to
3 get a pulse of Fentanyl, feel more pain, you can
4 just do this rather quickly. So this can actually
5 provide Pulsatile Fentanyl delivery using, again,
6 iontophoresis.

7 And here we're looking at them
8 delivering another peptide. This is luteinizing
9 hormone releasing hormone in a Pulsatile fashion.
10 This is a drug that people might use for fertility
11 control. It has also been used for other treatments
12 as well.

13 ALZA has also developed a kind of micro
14 needle approach. As I mentioned, really if you want
15 to deliver a drug through the skin, if you could get
16 through relatively painlessly that thin stratum
17 corneum, you could deliver a drug. So they've
18 developed what's called the macroflux transdermal
19 patch, which has these little protrusions. You can
20 put it in the skin, and here they've done like an
21 example of delivering what are called anti-sensal
22 nucleotides (phonetic). These are about 7,000

1 molecular weight through the skin this way. So
2 that's another approach.

3 And the final transdermal approach that
4 I wanted to mention is one that I've been involved
5 in. You'll see Coats in our laboratory and then
6 Santra Company that we've been involved in that has
7 developed what's called the SonoPrep system, and the
8 idea is you can take ultrasound, and this probably
9 gets an order of magnitude more increase in flux
10 than you get with iontophoresis, but it's at an
11 earlier stage.

12 But the idea is that you can apply the
13 ultrasound maybe for about 15 seconds, and that will
14 permeabilize the skin. You could then put a patch
15 on, and that patch, for example, could deliver
16 insulin. Here's an example. You could actually
17 lower blood sugar. It's being tested on man. You
18 could deliver pain medications, and also I think
19 what's particularly exciting is you could do
20 noninvasive extraction.

21 Both Signas, a company in California,
22 and Santra have been developing noninvasive ways.

1 Say if you open up the barrier for delivery, you
2 could also open it up for getting interstitial fluid
3 out, and so you might be able to, for example, which
4 is in clinical trials now, detect glucose or many,
5 many other different substances.

6 And again, I think we will see all
7 examples of this someday

8 So the final thing that I wanted to go
9 over today before summarizing is could we look at
10 high throughput approaches, and I thought I'd give
11 you two examples of that.

12 First, what I mean by high throughput
13 approaches. If I looked at the pharmaceutical
14 industry and I don't mean to be insulting by this,
15 but from the formulation standpoint, it's sometimes
16 a little bit slow. I mean, basically people make
17 the drug, and then you have a formulation
18 pharmaceutical R&D department, and they have to
19 formulate it.

20 It's a huge, huge challenge as everybody
21 knows, and I thought I'd just pick a couple of
22 examples where you could maybe use high throughput

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 approaches to make these faster. One of them is
2 gene therapy. As I've already mentioned, gene
3 therapy is an area which I think is badly in need of
4 better delivery systems.

5 In fact, it's interesting. If you talk
6 to the gene therapy experts, like Endar Verma
7 (phonetic), for example, I remember he was quoted a
8 few years ago. Somebody asked him what are the
9 three biggest problems in gene therapy. In other
10 words, why is gene therapy not being used in
11 patients today.

12 And he said, "Well, there are three big
13 problems," he said, "delivery, delivery, and
14 delivery."

15 And that I think is true. It has been a
16 huge, huge problem, and it is unsolved. Richard
17 Mulligan, whom I work closely with, another gene
18 therapy expert, the same thing. How could se solve
19 that?

20 Well, one strategy might be to come up
21 with better viral vectors that would be safer.
22 That's a strategy. Another might be nonviral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vectors. Could you make polymers that could behave
2 like a virus, but without the safety problems of a
3 virus?

4 And I won't go through that much of the
5 science. I just want to illustrate the high
6 throughput idea, but David Lynn, one of the graduate
7 students in my lab -- he's now a professor at
8 Wisconsin -- came up with the idea of synthesizing
9 certain polymers that would, in fact, have many
10 properties of like a virus. They would be taken up
11 by cells and so forth.

12 But what was particularly interesting is
13 he came up with a synthesis approach where he could
14 make what are called polybeta amino esters, where he
15 would simply take amines like this and conjugate
16 them to diacrolates (phonetic) like this, and the
17 idea is that with this particular set of chemical
18 structures, he could take a whole range of
19 commercially available starting materials. He could
20 polymerize them in a single step. Many
21 polymerizations, by the way, are done in many, many
22 steps, but the particular beauty of this is there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 no byproduct. So no purification and no protection
2 and de-production steps.

3 So you could actually set this up for
4 high throughput. So Dan Anderson, another postdoc
5 of mine -- this slide is a little busy, but it will
6 get across the point -- basically what he did is he
7 took 909 amino monomers. Those are shown here.
8 Twenty-five diacrolate (phonetic) monomers, and he
9 used a robot and developed high throughput synthesis
10 methods and then also high throughput screening
11 methods using cells, and what he was able to do in
12 really a couple of weeks is make over 2,000
13 structurally diverse polymers, like a whole polymer
14 library.

15 And here's the robot, and he could take
16 these polymers, semi-automate it, cell based, and
17 screen up all of these polymers, 1,000 in a day, and
18 he found 46 new polymers. This is just coming out
19 in publication very soon. Forty-six new polymers
20 that could at least themselves deliver DNA as good
21 or better as polyethylene amine, which is one of the
22 standard polymer vectors.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Again, it's just an illustration, I
2 mean, of where high throughput could make an impact,
3 but I think there will be many other examples as
4 people think about this.

5 And the final example that I wanted to
6 pick is a company that I've been associated with
7 called Transform Pharmaceuticals, and here the idea
8 is could you apply high throughput approaches and
9 robotics and bioinformatics to pharmaceutical
10 formulations.

11 And Colin Gardner, who used to be VP of
12 R&D at Merck, Sharpe & Dome, and now is chief
13 scientific officer at Transform, has done this, but
14 basically if you look at what we'll call form, which
15 might be crystal structure, which is a real big deal
16 in drug delivery and formulation. Traditionally,
17 you might take a month or two and there's almost no
18 informatics or data mining done. This is classical

19 What Transform has done, and they're
20 working with Johnson & Johnson, Eli Lilly, many
21 other pharmaceutical companies, but basically
22 they're able to because of the use of robotics

1 approaches, and I'll show you this in a minute or
2 two, are able to do 200 to 20,000 experiments in two
3 to four weeks, and every time they do an experiment,
4 they use all of this informatics to tell them how to
5 do the next set of experiments. So you can be
6 faster and faster and smarter and smarter, and I
7 think this is going to be the kind of way that will
8 make sense more and more.

9 And let me illustrate that a little bit,
10 and what I'll also do is show you a video to just
11 give you a little feel for the high throughput idea
12 that they're doing.

13 And in particular, what I want to show
14 you is how they're analyzed. In other words,
15 they're using robotics to make these things, but you
16 also have to do analysis. Let's say you want to
17 make a new crystal structure. How do you know that
18 it's a new crystal structure or an old crystal
19 structure?

20 So what they've done is they've used
21 Raman Microscopy to analyze these, and I just
22 thought I'd show you this video rather quickly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So the idea -- if I can do this -- so
2 here you're looking at the robot. It just takes the
3 vial after all of these thousands and thousands of
4 things, puts it into -- it's all done robotically,
5 no people -- puts it in here, puts it under the
6 microscope. Here's the crystal.

7 Now this is real time, looking at Raman
8 spectrographs. Then it takes all of the data like
9 this, mines them, and you see three crystal
10 structures. So all done real time, and this, I
11 expect, is going to be more and more what you could
12 see in the future.

13 Well, does it make a difference? Let me
14 just show you a couple of key publications that
15 Transform has just done. They took acetaminophen.
16 I think people are familiar with that. That's
17 Tylenol, and they, by using this approach because
18 you could do it so much faster, so much better, came
19 out with the new crystal structure for Tylenol.

20 They did 10,000 experiments in three
21 iterations in six weeks, published in JACS last
22 year. So that's one example.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 An even more striking example is this
2 one, which certainly the FDA probably would be
3 familiar with, Ritonavir. This was an Abbott drug.
4 Here's what happened in initially. Abbott launched
5 this drug for AIDS. It was originally in crystal
6 form I, but 1.5 years after the launch, it converted
7 it into an unanticipated crystal form. Sometimes
8 this happens. Form II, but that form was 50 percent
9 less soluble.

10 So Abbott obviously was compelled to
11 recall and reformulate it. It cost them hundreds of
12 millions of dollars and so forth.

13 Using the high throughput approach, what
14 did Transform do in just a few weeks? Well, even
15 though Abbott could never get Form I back,
16 Transform, since they were able to do tens of
17 thousands of these, got Form I back, got Form II
18 obviously, also found three new forms that were
19 never found before. So characterized them, you
20 know, and made them.

21 This was just published in PNAS just a
22 couple of months ago. Again, an illustration of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what high throughput might be able to do for you.

2 So let me end the talk by telling you
3 some even further future challenges about what I
4 expect, and I've been trying to keep on time. So I
5 think some of the challenges which I've begun to
6 touch on, they'll increase. One of the tremendously
7 exciting things in the times we live in are all of
8 the new pharmaceuticals that are being made.

9 Certainly we've had protein therapeutics
10 for years, and yet there are still very serious
11 delivery challenges. Today there's still only, you
12 know, a few examples of the pegylated one and the
13 neutropin depo where you can deliver proteins, and I
14 think that the opportunity for delivering proteins
15 is just huge, whether you could do it by controlled
16 release to make it last longer or noninvasively.
17 That will be very big.

18 DNA, obviously we've talked about that.
19 Delivery is probably the central problem, and so
20 there needs to be a lot of -- that's a big
21 challenge.

22 And there's even newer things that are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 coming out. People may be familiar with RNA
2 interference. These are really incredibly exciting
3 molecules. Our Science magazine called them the
4 molecule of the year last year. These are very
5 powerful, very specific units, about 20 base pairs
6 of RNA that can interfere with cell function.

7 Another big area, and this was mentioned
8 actually in Mark McClellan's introduction, is the
9 delivery of cells. Could you deliver cells to the
10 human body to create new tissues, a field we call
11 tissue engineering?

12 And finally, I think there is going to
13 be deliveries to new locales. In particular, one of
14 the big areas I expect will be delivery to the back
15 of the eye, just picking this as an example, which
16 is clearly going to be critical because right now
17 there are many new drugs that people are developing
18 for treating diseases like macular degeneration or
19 diabetic retinopathy, but the target is the retina,
20 and these drugs, it's going to be very hard to get
21 the drugs back there.

22 So there's a number of companies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 developing approaches to deliver the drug to the
2 back of the eye.

3 I think there's also enormous
4 opportunities in other areas of the body, like could
5 you deliver a drug to the nerves, for example to
6 great herpes or other diseases, and I'm just really
7 giving you -- and probably many, many others,
8 delivery to the ears, .i.e., you know, for children.
9 You could go on and on, but I think there's many
10 great opportunities and yet many challenges where
11 drug delivery can make a huge difference.

12 That's largely what I'd like to say to
13 you today, but what I want to end with is one of the
14 things that has been a personal pleasure for me is
15 to be having been involved in the drug delivery
16 field for now about 30 years. It has been wonderful
17 to see the enormous progress that has been made by
18 so many scientists and so many people throughout the
19 world to the point where we see all of these kinds
20 of therapies and many more that you'll hear about
21 today that are really relieving suffering and
22 prolonging life and obviously will do so more and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more in the years to come.

2 Thank you so very much.

3 (Applause.)

4 DR. FEIGAL: You did get us almost back
5 on schedule, and we have time for some questions.

6 DR. LANGER: Maybe it was very clear and
7 people want to leave very much.

8 DR. FEIGAL: Yes.

9 PARTICIPANT: (Inaudible.)

10 DR. LANGER: So the question is what are
11 my thoughts on personalized medicine and individual
12 therapy. Well, I think that that will come to pass.
13 I think that in the genomic era we will see
14 examples, you know, where we're learning more and
15 more about individual people.

16 I think something like that microchip
17 that I mentioned is a very interesting example of
18 where you could some day, you know, create like this
19 pharmacy in a chip for individuals. You know, maybe
20 that would be a pill or a patch in some form, but I
21 think that that drug delivery can make a huge impact
22 in that area at some point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Yes.

2 PARTICIPANT: (Inaudible.)

3 DR. LANGER: Would I like to speculate
4 in the regulatory process? Actually, you know, let
5 me make two points on that. The answer is no, but
6 one thing I should --

7 (Laughter.)

8 DR. LANGER: -- but one thing I probably
9 should have mentioned that I think also could be
10 interesting by these techniques is actually record
11 keeping. You know, I also think that when you do
12 things by these kinds of smart medical things that,
13 let's say, it was a chip or something else, that
14 whenever somebody takes a medicine that you could
15 actually get a permanent record of whatever drug
16 somebody gets and, you know, have that transported
17 to your computer so that that would probably be
18 useful information for the FDA as well as the
19 patients and doctors and medical companies to have.

20 It's hard to say. You know, when we
21 deal with things like personalized medicine, it's,
22 at this point, easy to say things will happen. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think you have to break it down into individual
2 cases to say exactly what the regulatory process
3 would look like or should look like. I think it's
4 too diffuse at this point really for me or probably
5 anybody to comment on, other than to know that many
6 years from now we'll probably see something like
7 that.

8 DR. FEIGAL: Well, let me thank you very
9 much for coming and joining us this morning.

10 DR. LANGER: It's a pleasure to see you.

11 DR. FEIGAL: Thank you.

12 DR. LANGER: Thank you.

13 (Applause.)

14 DR. FEIGAL: Well, continuing with our
15 program and actually even with the novelty of being
16 a little bit ahead of schedule, our next speaker
17 this morning is David Klonoff, who is here from the
18 Mills Peninsula Diabetes Research Institute in San
19 Francisco, talking about how these technologies will
20 have an impact on diabetes.

21 DR. KLONOFF: Good morning. I'd like to
22 thank Dr. Provost and the people at the FDA for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inviting me to come out from California to talk
2 about diabetes.

3 I'm an endocrinologist. My topic today
4 is novel technologies for treatment of diabetes.
5 I'll be discussing metabolic monitoring, the
6 artificial pancreas, and alternate routes for
7 administering insulin.

8 This is where I'm from, and this is the
9 hospital that I work at in San Mateo, California.
10 I'm editor of Diabetes Technology and Therapeutics,
11 which is a journal that covers an area I'm very
12 passionate about, which is new technology to help
13 people with diabetes, and through the journal we
14 also organize an annual diabetes technology meeting.
15 and some of you in the room have attended that
16 meeting.

17 When I was asked to discuss new
18 technologies for delivery of insulin, I thought
19 first that I would approach it from the standpoint
20 of asking three questions. First, with respect to
21 insulin, why develop this type of new technology?

22 What is the new technology?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And how good is the new technology?

2 So the first question I'm going to
3 address is why develop the new technology. I think
4 everybody knows that giving insulin through shots
5 hurts. It's even in the latest issue of Popular
6 Mechanics. Certainly all of my patients know
7 insulin hurts, and basically that means that there
8 are barriers to the use of insulin.

9 When I tell patients that they need to
10 use insulin, they usually don't want to go onto
11 insulin, and I think that if we can discover some
12 new routes of insulin delivery, we can help overcome
13 barriers to the use of insulin for patients who
14 really need this drug.

15 And some of these barriers now include
16 the pain and trauma from being pricked by an insulin
17 injection needle, the inconvenience of carrying
18 needles, and also the risk of hypoglycemia from an
19 inadvertent excessive dose of insulin or
20 hypoglycemia from rapid absorption of insulin.

21 So there's clearly room for new routes
22 of insulin delivery. So the second question is:

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what is the new technology? How can insulin be
2 delivered?

3 Well, I'm going to be covering metabolic
4 monitoring, as well as the other topics. So first,
5 metabolic monitoring.

6 This is the current status of metabolic
7 monitoring in diabetes. First, in terms of
8 performance, the blood glucose monitors that are now
9 available require less blood than ever, less times
10 than ever to get the reading, and they provide more
11 data management, better performance.

12 Second, they're greater options in terms
13 of body fluids that are tested. It's not just blood
14 anymore. In terms of sites, it's not just the
15 fingertip anymore. And in terms of how automatic
16 the readings are, it's not just whenever the patient
17 remembers to check themselves anymore.

18 Finally, we're starting to see a trend
19 in integration. There are two themes in diabetes
20 technology now. One is better blood glucose
21 monitoring. One is better insulin delivery.
22 They're starting to come together within products,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 within the same product.

2 And so what we see is that these blood
3 glucose monitors are now being linked to insulin
4 delivery systems. So, again, the current status of
5 metabolic monitoring is greater performance, greater
6 options, greater integration.

7 I'm going to discuss the performance.
8 The current level of performance of blood glucose
9 monitors is that the blood volume that's required is
10 now as little as 0.3 microliters, which is about one
11 tenth of the volume that was required ten years ago.
12 So we've come an order of magnitude in ten years.

13 Second, the measurement time. We can
14 now get a reading in as little as five seconds,
15 which is also one tenth of the time that was
16 required ten years ago. This is quite a dramatic
17 improvement in just ten years.

18 Finally, a typical blood glucose monitor
19 can store up to 3,000 results. This goes along with
20 the issue Dr. Langer raised about storing data, and
21 this means that you can provide with this
22 information mean blood glucose levels over the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 previous one week, two weeks or four weeks, and this
2 type of data can now be downloaded into a computer
3 or to personal digital assistant.

4 That's performance. Next, as far as
5 metabolic monitoring is the options. What we're
6 seeing now is that we have options that are
7 available on current blood glucose monitors, but
8 we're seeing some new options that are emerging that
9 are starting to become available and will become
10 available in future blood glucose monitoring. These
11 involve the body fluid sampled, the sites that are
12 sampled, and what I call the automaticity, how often
13 and how it's tested.

14 So where currently you've all seen a
15 blood glucose monitor, it's invasive. It's jabbing
16 the fingertip to get a sample of blood, and that
17 hurts also. People don't like that.

18 What we'd like to see is more
19 noninvasive or minimally invasive technology, and
20 it's coming. It doesn't have to be the fingertip
21 where all of the nerve endings are. People can now
22 check blood glucose at alternate sites, such as the

1 forearm or the thigh. Fewer nerve endings; patients
2 are more likely to want to test themselves.

3 Finally, the glucose testing is not just
4 intermittent, but it can be done continuously, which
5 means it does not require patient effort to remember
6 to check themselves. So I'm going to cover each of
7 these options.

8 First, the non -- minimally invasive,
9 then the alternate site, and then the continuous.
10 So regarding noninvasive and minimally invasive
11 monitoring, first, I'm going to define noninvasive
12 blood glucose monitoring. This is an area that all
13 of my patients ask about: when is it going to be
14 here?

15 A noninvasive blood glucose monitor
16 generates and processes optical signals. It does
17 not harvest body fluids, and it measures three
18 compartments when optical energy is put into the
19 body. It measures blood, interstitial fluid, and
20 skin cells as a blended reading, and most of the
21 noninvasive monitors that are being developed are
22 using optical energy, applied to the skin. There are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a few that are applied to the eye and instead could
2 measure aqueous humor.

3 So what you see is you're applying an
4 energy source, and it goes to these three body
5 compartments, the blood, the interstitial fluid, and
6 the intercellular fluid. They all reflect in some
7 way, depending on the property of optical energy
8 that's being used, the radiation into a detector,
9 and you get a blended reading. And it's up to the
10 engineers to sort this out and figure out how much
11 glucose is in each compartment and whether there's
12 any type of a lag between the reading that comes
13 back out of interstitial fluid or intercellular
14 fluid relative to blood.

15 Now, when you apply optical energy,
16 there are various measurable effects of this light
17 that can be measured, and it turns out that there's
18 a scientist or company somewhere that's using every
19 one of these principles of light to measure glucose,
20 and part of the issue is finding the right wave
21 length and the right type of energy.

22 But I've made a list of the measurable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effects on light that glucose can have. You can
2 measure absorption of light or scattering,
3 refraction, Raman scattering, rotation,
4 fluorescence, impedance, photoacoustic heating, and
5 there is at least one company working in each of
6 these areas.

7 This is a picture from my hospital of a
8 patient who has given permission to show his
9 picture, having a noninvasive blood glucose test
10 done. This is a glucose clamp study where we keep
11 the blood sugar constant by infusing high doses of
12 glucose and high doses of insulin, and then we can
13 keep the glucose level constant for a long period
14 and make multiple measurements. His right arm has
15 three IVs in it. His left arm is on the noninvasive
16 monitor which is purposely not being shown in this
17 picture, but he's going to be here 12 hours for this
18 study.

19 Now I'm going to discuss minimally
20 invasive blood glucose monitoring. A minimal
21 invasive blood glucose monitor means that the skin
22 barrier is disrupted, but not a blood vessel. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you're digging, but not all the way into a blood
2 vessel. So what you're measuring is either
3 interstitial fluid or some other extravascular fluid
4 that's harvested from skin.

5 It turns out you can measure some type
6 of a diluted interstitial fluid or real interstitial
7 fluid. You can make a reading either
8 intermittently, if you sort of drill intermittently,
9 or you can leave the sensor under the skin, and then
10 you can read a continuous reading. You can either
11 pull the interstitial fluid out so that it will
12 harvest it, so to speak, and measure it after it is
13 out of the body, measure continuously, or you can
14 leave a sensor under the skin and measure it
15 continuously in the body.

16 There are a number of ways of pulling
17 interstitial fluid out of the body, different types
18 of ways of disrupting the skin so that you can
19 measure the glucose concentration of the
20 interstitial fluid, and it turns out in most cases
21 that the interstitial fluid glucose concentration
22 and the blood glucose concentration are very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 similar. Now, they're not always the same, and
2 that's a subject of research in itself, but they're
3 often about the same, and that's just the first
4 approximation.

5 So these are some methods that are being
6 used now to pull out interstitial fluid. You can
7 apply current, and that method is known as reverse
8 iontophoresis. You can apply laser to drill a hole,
9 in effect. That's called microporation. You can
10 use ultrasound, such as what Santra Medical is
11 using. We saw a picture of that. That's referred
12 to as cavitation, creating a space between cells and
13 the interstitial fluid sort of comes up to the
14 surface.

15 You can puncture the skin with a very
16 fine needle. You can abrade the skin surface with
17 powder. You can dissolve the lipid barrier of the
18 skin on the surface with chemicals. You can apply
19 very strong suction or you can penetrate the skin
20 with a fiber optic filament. And these methods are
21 all ways of disrupting the skin, but not going so
22 deep as to draw blood.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is the last method that I mention.
2 This is a technology that we're about to be testing
3 at Mills Peninsula Health Services in which you have
4 a fiber optic filament that's inserted to measure
5 epidermal interstitial fluid glucose, and this
6 method just stays in the epidermis and through an
7 optical fluorescent reaction on the surface. You
8 actually send light down the needle it fluoresces
9 according to how much glucose there is, and you're
10 measuring the fluorescence within the needle.

11 Okay. I've talked about one type of
12 emerging option, which is noninvasive and minimally
13 invasive monitoring. Another option that's
14 available for patients now is alternate site blood
15 glucose testing.

16 This is one of my patients about to do
17 violence to herself, and imagine if you had diabetes
18 and you had to check yourself four times a day, or
19 maybe even seven times a day. This would really be
20 a drag.

21 Imagine if you could check a blood
22 sugar, but you didn't have to use your fingertip, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you could go to a place where it just doesn't hurt
2 as much. That's known as alternate site blood
3 glucose testing.

4 The status is now that five of the six
5 major manufacturers have products approved for
6 alternate site by the FDA, and I know that the sixth
7 company is working on it, and we'll probably hear
8 something from them any time. So basically I expect
9 that at least five, probably six of the six will
10 have products approved by the FDA.

11 Clearly there's less pain than a
12 fingertip site. As far as when is it okay to check
13 an alternate site, there's a question of the lag for
14 two hours after meals and during hypoglycemia, which
15 means that as the blood sugar is rising and you know
16 it's rising if you do fingertip blood glucose
17 levels, it doesn't rise as quickly from the
18 alternate site.

19 So if you check the blood sugar at the
20 route of the alternate sites, using the forearm or
21 thigh, within the first two hours you might think
22 it's not as high as it really is because of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 This is one of the first people in the
2 world to have an alternate site. He's one of the
3 first 50 people. He's one of my patients who also
4 gave me permission to show his picture here today,
5 and as you can see, he's not really sure if this is
6 going to work out, but he's being tested on the
7 forearm.

8 This product is so historic in the field
9 of alternate site testing it has actually already
10 been on the market and gone off the market, but this
11 is the first alternate site blood glucose monitor.
12 It was called the Atlast by Amira Medical, and Amira
13 Medical was later purchased by Roche Diagnostics,
14 and one of the things Roche did was take this
15 product off the market.

16 But this is the beginning of an era of
17 alternate site testing.

18 Now, the next option that has become
19 available for patients is continuous blood glucose
20 monitoring. There are four products that one can
21 use in the world for continuous blood glucose
22 monitoring or continuous glucose monitoring. Two of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them are available in the United States and Europe,
2 and the other two are available only in Europe and
3 are not FDA approved for use in the U.S.

4 These are the products, and I'm going to
5 say something about each of them. Starting with the
6 continuous glucose monitoring system and the
7 Glucowatch, the Glucoday and the Pendra.

8 So first,, the continuous glucose
9 monitoring system which has recently been upgraded
10 to a second generation product. Now it's known as
11 continuous glucose monitoring system gold And this
12 is manufactured by Medtronic MiniMed in Northridge,
13 California, and it consists of three parts.

14 First is a sensor that goes under the
15 skin, and it can sit there for 72 hours in the
16 subcutaneous tissue. There's a little wire from it,
17 and this wire is connected to a monitor that stays
18 out of the body. It looks like a pager, about the
19 size of a pager, and that stores the data. It
20 stores the data but does not project the data in
21 real time, just like a 24-hour Holter monitor stores
22 the heartbeat data but does not tell the patient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what the heartbeats are at that time. And it could
2 be, in future generations we could see real time
3 data, but what's on the market at this time is more
4 like a Holter monitor.

5 And then you can take this monitor and
6 put it into a docking station, and then link it to
7 your PC to download the data, and what you get is a
8 picture that looks somewhat like this, which each
9 color throughout the day is a blood glucose level,
10 and what you look for is certain times of the day
11 when there's a pattern when things look really high,
12 like maybe at this time of day here, or maybe when
13 they look really low, like around this time of day
14 here.

15 And thanks to some technology that has
16 to do with smoothing, Medtronic MiniMed has recently
17 found a way to connect the lines at midnight so that
18 there's less of a disparity.

19 Next I'm going to talk about the
20 Gluowatch G-2 biographer. Gluowatch is well known
21 among diabetes circles. We're on our second
22 generation device now. This is what it looks like.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It could be useful for a person at night
2 because it has an alarm to wake them during
3 hypoglycemia. This is manufactured in the county I
4 live in, San Mateo County, by Cygnus in Redwood
5 City.

6 So what you see here are three types of
7 input. This device tells you the time, which is in
8 green. It tells you the blood sugar level, and
9 there's a trend arrow, and this trend arrow to me is
10 a nice idea. It's really easy to make, but what you
11 see is if your blood sugar is sort of borderline,
12 and it's on the way down, it's really important.
13 Now you've got to eat or take some action, or
14 conceivably if your blood sugar is high and it's on
15 the way up, it's time for some extra insulin.

16 I should point out that under the terms
17 of this product being cleared, it's not cleared for
18 use such that you can take the blood sugar reading
19 and act upon it. For example, if you see a 110 and
20 your doctor has said when the blood sugar is under
21 120 you've got to eat and you're seeing 110, does
22 that mean now you should eat because the doctor said

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 under 120 you should eat?

2 No, because the terms of the clearance,
3 what's said, is that this information, if you're
4 going take some action based on the reading, you
5 have to go check yourself with the traditional blood
6 glucose monitor and use that information to decide
7 what to do.

8 So it tells you, in effect, if the
9 number looks alarming that it's time to check
10 yourself with the traditional blood glucose monitor.
11 I think this was possibly a step to give a patient
12 an extra measure of safety because this is an early
13 device that measures glucose in a nontraditional
14 way, and by putting in this extra step, if for some
15 reason the device was not giving you an accurate
16 reading, you're still protected because you're going
17 to go out and do a traditional blood glucose
18 reading.

19 Here's how it works. This is a cut-
20 away. On the face you have a display unit in
21 purple. Now we go to the bottom, and what's
22 happening is that the electrodes in yellow create an

1 electrical current. The current pulls salt from the
2 skin toward the surface. The salt carries water
3 that's dissolved, and water carries glucose that's
4 dissolved within the water.

5 So what you're pulling up, in effect, is
6 a diluted form of interstitial fluid. The glucose
7 is trapped in these glucopads, also known as
8 autosensors, and a chemical reaction occurs with the
9 biosensor, and you get a glucose reading.

10 The way this device operates is there's
11 a two-hour warm-up. You put it on and it gives you
12 no readings. Then after the two hours you still
13 need to do a single calibration every 13 hours,
14 which is how long it lasts. That is, you get
15 readings for 13 hours. It will deliver six glucose
16 measurements per hour that you can read. So every
17 ten minutes it gives you a reading. It has a
18 programmable alarm for panic values, such as that
19 woman who was sleeping. If the blood sugar gets
20 below a certain level, it will make a noise and wake
21 her up.

22 Excessive sweat cuts off the

1 measurements, and it uses a triple A battery every
2 13 hours. This is a product that's approved in
3 Italy. It's a continuous glucose monitor known as
4 Glucoday. It uses a principle called microdialysis
5 in which interstitial fluid is sort of rinsed, and
6 the fluid that comes out contains glucose and using
7 a proprietary formula, the concentration of glucose
8 in this rinsed fluid is supposed to be proportionate
9 to glucose in the interstitial fluid, which is
10 supposed to be proportionate to blood glucose.

11 Another product which recently received
12 clearance in Europe is the Pendra. This is an
13 interesting device by Pendragon Medical in
14 Switzerland. This is actually a noninvasive
15 monitor. So there's no fluid. The skin doesn't
16 become wet underneath, and it uses a method known as
17 radio frequency impedance, and it sends a radio wave
18 to the blood.

19 And it turns out that, according to the
20 Pendra, that if the blood glucose level changes,
21 that changes the shape of red cells, and that
22 changes the dielectric properties of red cells and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 changes the impedance. So they're measuring glucose
2 indirectly by this method.

3 I made a list of some other promising
4 technologies for implanted, either minimally
5 invasive or invasive, monitors that will be used as
6 continuous sensors in the future. I think any one
7 of these on the list could be the next continuous
8 sensor that we're going to see.

9 And the type of signal that they read
10 generally is either an electrochemical signal, such
11 as an enzyme sensor under the skin, or it measures
12 optical energy.

13 So when we go through these, I see first
14 you can have a microdialysis catheter
15 subcutaneously. That's what the Glucoday uses, and
16 recently Roche Diagnostics has announced that
17 they're very interested in this type of technology
18 as well, microdialysis.

19 Viscometry is a method in which you're
20 rinsing interstitial fluid out as you would with
21 microdialysis, but there's sort of a catch to it, is
22 that you're using a solution that contains dextran

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and Concanavalin A, and in effect, the higher the
2 glucose concentration, the more glucose will be
3 pulled off the dextran onto the Con A, and the
4 viscosity is less, vice versa.

5 So, in effect, high glucose is low
6 viscosity. Low glucose is high viscosity, and
7 that's a viscometry method. This method has been
8 developed by Disetronic in Switzerland, and Roche
9 Diagnostics recently acquired Disetronic, and we'll
10 see what happens to viscometry.

11 Another method could be to implant a
12 Fluorophore, an agent that fluoresces under the skin
13 or put a tatoo under the skin and then you
14 interrogate this agent with light. That could be a
15 continuous monitor.

16 And there's a company near here in
17 Germantown, Maryland, called Centers for Medicine
18 and Science, that's working on this technology.

19 You could use an enzyme tipped catheter
20 implant, which is essentially what the continuous
21 glucose monitoring system gold uses, and there can
22 always be a new generation by Medtronic MiniMed or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some other company, and this could either be a
2 subcutaneous device or an intravenous sensor, and
3 here again Medtronic MiniMed is working on one and
4 so is Animas in Pennsylvania.

5 And Animas' method is using a near
6 infrared spectrophotometer intravenously, which is
7 pretty interesting. The idea is that you've got a
8 noninvasive type method, which is shining light, but
9 they actually have the light and the receptor so
10 small and so close to each other that they could
11 implant that as a unit into a blood vessel.

12 Okay. The last area where there are
13 more options in terms of metabolic monitoring are
14 integration. I can tell you at the American
15 Diabetes Association meeting, which was in New
16 Orleans last month, for the first time I was struck
17 by a trend which is integration between blood
18 glucose monitors and insulin delivery systems.

19 People are talking about it, but it was
20 everywhere you looked at the ADA meeting.

21 Now, there's two kinds of integration.
22 There's the mechanical integration where two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different systems are located next to each other,
2 but they don't necessarily work together, but even
3 more important is functional integration where they
4 actually function together.

5 Now, the first mechanically integrated
6 system was known as the InDuo, and when it came out,
7 the manufacturers, Novo Nordisk, which is an insulin
8 company and Live Scan, which is primarily a blood
9 glucose monitoring company, asked the question, "How
10 did you manage before InDuo?"

11 And they showed this mess of stuff that
12 you have to deal with because now they came out with
13 the first ever -- I insert the word "mechanically" -
14 - integrated blood glucose monitor plus insulin
15 delivery system, but there's no sharing of data.
16 This looks like a blood glucose monitor on the
17 outside, but it's a shell. When you lift it up, it
18 contains an insulin delivery system, which is
19 basically a fancy pen system for delivering insulin.

20 So it contains both, but there's still
21 just two things that are linked together. They
22 don't necessarily work together.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The second mechanically integrated blood
2 glucose monitoring plus insulin delivery system was
3 created by BD. They call this the Latitude diabetes
4 management system, and this system contains various
5 features that a person with diabetes would need, a
6 glucose monitor; this is in millimoles per liter,
7 not milligrams per deciliter, and it contains a
8 lancet, and it contains an area where you can put a
9 pen.

10 So here, again, these are mechanically
11 integrated, but not functionally integrated. Now
12 we're getting into functional integration, and these
13 are four recent alliances that I've become aware of
14 -- just some of them recently, some of them still
15 not very long ago. I've listed them from top to
16 bottom according to the size of the insulin pump
17 company.

18 Medtronic MiniMed is the largest insulin
19 pump company, and they've recently formed an
20 alliance with BD, which is a blood glucose
21 monitoring company.

22 The second largest is Disetronic out of

1 Switzerland. They've recently not just formed an
2 alliance, but been acquired by Roche diagnostics.
3 That's definitely an alliance.

4 The third largest, Animas from
5 Pennsylvania. They formed an alliance with
6 Lifescan.

7 And then the fourth largest, which is
8 pretty new on the market in insulin pumps is Deltec
9 in Minnesota, and they formed an alliance with
10 TheraSense.

11 I'll say a little bit more about what
12 each of these alliances consist of. This slide was
13 stamped on the upper right corner "FDA Clearance
14 July 7th, 2003." So as Dr. McClellan stated, we now
15 see that here we have FDA clearance for a
16 combination product which is a blood glucose monitor
17 developed by BD and MiniMed called the Paradigm Link
18 Monitor. Here's the person sort of on the run
19 holding their blood glucose monitor, and by radio it
20 sends a message to the insulin pump that the person
21 is wearing, the Medtronic MiniMed Paradigm 512 pump.

22 So now for the first time you have an

1 FDA approved combination product which is
2 functionally integrated. The glucose level is
3 projected into the pump.

4 Medtronic MiniMed then took it even one
5 step further in terms of integration, and they
6 created a type of software which they say does --
7 they call it diabetes math. It tells you based on -
8 - you know what's your blood sugar now, and it tells
9 you there. You know what you want your blood sugar
10 to be. You program that in. You know what you're
11 about to eat. The device is pre-programmed with how
12 sensitive you are to insulin and how sensitive you
13 are to calories.

14 And with that information, as well as
15 one other factor which is how recently did you take
16 some insulin, it will tell you what type of a bolus
17 of insulin you need to get your blood sugar down to
18 this target level.

19 And they actually did a study which they
20 published in Diabetes Technology and Therapeutics in
21 June where they took some experienced people with
22 diabetes and said, "Okay. You must know how much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 regular insulin to give yourself at a meal, and so
2 half of them, use how much you think you should use.
3 The other half, don't use what you think you should
4 use. Use what our diabetes math formula tells you."

5 And it turns out that the control was
6 exactly the same, but from the standpoint of the
7 manufacturer, that was a good thing because there
8 are a lot of people with diabetes who aren't so
9 experienced and have a lot of trouble figuring it
10 out, and the machine --the software-- did as well as
11 what an experienced person could do. So that's a
12 promising sign as far as integration goes.

13 Now, Disetronic and Roche, what do they
14 have planned? This is a part of a press release on
15 May 2nd, after we see the Roche acquire Disetronic.
16 By combining the two businesses, Roche will be able
17 to offer comprehensive diabetes management solutions
18 from blood glucose meters for self-monitoring to
19 sophisticated, programmable insulin pumps that allow
20 patients to continually administer insulin doses
21 according to their individual needs.

22 So to me, this sounds like they are

1 getting ready to integrate their pumps and their
2 glucose measurement systems.

3 Now, Animas and Lifescan. I'm not sure
4 exactly what they're doing together, but I can tell
5 you that each company has a link to the other on
6 their Web site and to no other diabetes company. So
7 that tells me something is going on.

8 Finally, Deltec and TheraSense have
9 created a product which is not FDA approved, but
10 people from both companies are optimistic, and what
11 you have here is an insulin pump made by Deltec and
12 then clipped over it, this basically just looks like
13 a little holster or clip. It's actually a
14 TheraSense Freestyle blood glucose monitor.

15 So we look at this from the front and
16 from the back. So one thing that TheraSense is good
17 at doing is creating blood glucose monitors in
18 different shapes, and they have created a monitor
19 that basically looks like a clip, and you stick the
20 strip in the bottom of it, and you get a reading
21 here.

22 So I'd say from a design standpoint this