

ADVISORY COMMITTEE ON BLOOD SAFETY AND AVAILABILITY
DEPARTMENT OF HEALTH AND HUMAN SERVICES

THIRTY-FIRST MEETING
May 10 & 11, 2007
GEORGETOWN UNIVERSITY CONFERENCE HOTEL
3800 RESERVOIR ROAD, NW
WASHINGTON, DC 20057
THURSDAY, MAY 10, 2007

AGENDA:

- 08:30 Doors Open Open to the Public
- 09:00 Call to Order
Arthur W. Bracey, M.D.
- 09:05 Introduction of New Members Roll Call
Conflict of Interest
Jerry A. Holmberg, Ph.D.
- 09:10 Chairman's Comments
Arthur W. Bracey, M.D.
- 09:15 Introduction of the Assistant Secretary for
Health
Swearing in of new members
John O. Agwunobi, M.D.
- 09:30 Committee Questions to Dr. Agwunobi Committee
- 10:00 Committee Updates:
Update on ACBSA Charter and Previous
Recommendations
Jerry A. Holmberg, Ph.D.
Update on FDA's Risk Communication on
Plasma Derived Factor VIII Factor IX
Mark Weinstein, Ph.D., OBRR, FDA
Update on FDA Immune Globulin, Intravenous
(IGIV)
Jennifer Scharpf, M.P.H OBRR/CBER/FDA
- 10:45 Break
- 11:00 Continuation of Committee Updates
Update on the Blood Product Advisory
Committee's discussion on Chagas Testing
Robert Duncan, Ph.D., DETTD, OBRR, FDA
Update on BPAC discussion on Transfusion
Related
Acute Lung Injury (TRALI) (15')
Leslie Holness, M.D. OBRR, DBA, CBER, FDA

Update on BPAC discussion on WNV Testing (15')
 Maria Rios, Ph.D., DETTD, OBRR, FDA

11:45 Open Public Comments
 Immune Deficiency Foundation
 Marcia Boyle, President and CEO
 Abbie Cornett, Alliance for Plasma Therapies
 Arthur W. Bracey, M.D.

12:00 Committee Discussion
 Arthur W. Bracey, M.D.

12:30 Lunch

01:30 Global Status of Transfusion and Transplantation
 Safety
 Luc P. J. Noel, M.D.

02:00 US Status of Transfusion and Transplantation
 Safety
 Arthur W. Bracey, M.D. AABB
 D. Michael Strong, Ph.D., BCLD (ABB)
 Americas Blood Centers
 Celso Bianco, M.D.
 American Red Cross Richard Benjamin, M.D.,
 Ph.D.

03:00 Committee Discussion
 Arthur W. Bracey, M.D.

03:30 Break

03:45 Status of Transfusion and Transplantation
 Safety continued
 Arthur W. Bracey, M.D.
 Joint Commission Klaus Nether, MT(ASCP)SV

04:15 Committee Discussion
 Arthur W. Bracey, M.D.

06:00 Adjournment for the evening

PARTICIPANTS :

DR. JERRY HOLMBERG

CHAIR: DR. ARTHUR BRACEY

MEMBERS

ANN MARIE BENZINGER
JULIE BIRKOFER
DR. GREGG BLOCHE.
DR. WILLIAM DUFFELL, Jr.,
ANN MARIE FINLEY
DR. CHARLES HALEY
DR. PETER KOUIDES
DR. ILEANA LOPEZ-PLAZA
DR. DAVID MATYAS
JOHN MCGUIRE
DR. GLENN PIERCE
DR. GLENN RAMSEY
DR. SUSAN ROSEFF
DR. GERALD SANDLER
LINDA THOMAS
DR. DARRELL TRIULZI

NON-VOTING EX OFFICIO MEMBERS

DR. MATTHEW KUEHNERT
DR. JAY EPSTEIN
DR. HARVEY KLEIN
CDR MICHAEL LIBBY
DR. JAMES BOWMAN
DR. JIM BURDICK

* * * * *

1 PROCEEDINGS

2 (09:00 a.m.)

3 DR. HOLMBERG: I just have to go through the
4 roll call and as we come to new members of the committee,
5 and the old members, I would like you to give a -- maybe
6 two to three sentence description of what you bring to the
7 committee; your position for Dr. Agwunobi so that he
8 becomes familiar with your background and also your role
9 on the committee here. Ms. Benzinger.

10 MS. BENZINGER: Hi, I am Anne Marie Benzinger,
11 President of the Alpha One Advocacy Alliance. I am a
12 patient with alpha-one-antitrypsin deficiency and also
13 have -- had a single lung transplant. And am on the
14 recipient list again at (inaudible).

15 DR. HOLMBERG: Thank you, Ms. Benzinger. We
16 will go alphabetically.

17 MS. BIRKHOFFER: Good morning, I'm Julie
18 Birkhofer. I'm the industry representative for the Plasma
19 Protein Therapeutics Association PPTA. I believe I am on
20 this committee for my expertise in reimbursement, and
21 coalition building, and advocacy. And I really appreciate
22 all of the work that Dr. Holmberg and the attention you've

1 given our issues Dr. Agwunobi. Thank you.

2 DR. HOLMBERG: Dr. Bloche, as you come in.
3 Would you -- Dr. Bloche, would you like to introduce
4 yourself and give us about two sentences so that we stay
5 on track here. If you could just go to a microphone.

6 DR. BLOCHE: All right. I'm Gregg Bloche, and
7 the parking gods don't like me. I tried to use my faculty
8 parking here at Georgetown and that is not making them
9 happy. But it's good to be on -- I am on the law faculty
10 at Georgetown and a visiting fellow at the The Brookings
11 Institution and I teach and write about health policy
12 issues.

13 DR. HOLMBERG: Right, Dr. Duffell, from Gambro
14 is a vendor representative and unfortunately he is in the
15 midst of two FDA inspections and he could not attend. So,
16 he sends his regrets. Ms. Finley.

17 MS. FINLEY: Anne Marie Finley, health care
18 consultant in the Washington D. C. area and a trustee of
19 the Hemophilia Association of New Jersey. In previous --
20 my previous lives I have been a Congressional investigator
21 for the House government (inaudible) reform committee
22 and the author of several (inaudible) reports on blood

1 and hepatitis issues. I've also served at the FDA.

2 DR. HOLMBERG: Dr. Haley could not be with us
3 today he's from Texas and represents Trailblazer. He's a
4 medical director for Trailblazer, which is a contractor or
5 for Medicare. Dr. -- I am going to mispronounce --

6 DR. KOUIDES: That is okay we will cut it. That
7 is Kouides.

8 DR. HOLMBERG: Kouides, okay.

9 DR. KOUIDES: I actually have a PowerPoint
10 slide with my name in like 15 different pronunciations.
11 So that is a new one, I guess. I'm Peter Kouides, I'm a
12 hematologist at Rochester, New York. I direct the medical
13 centre at Mary M. Gooley Hemophilia Center. I am a
14 medical director and I'm also on the National Hemophilia
15 Foundation Medical Advisory Scientific Committee for The
16 Hemophilia Treatment Centers.

17 DR. HOLMBERG: Dr. Lopez-Plaza.

18 DR. LOPEZ-PLAZA: My name is Ileana Lopez. I
19 am the medical director for (inaudible) Medical Center.
20 And I'm responsible for (inaudible) the transfusion
21 services and the stem cell processing lab.

22 DR. HOLMBERG: Thank you. Mr. Matyas.

1 MR. MATYAS: Hi, I'm David Matyas. I'm a
2 partner with the law firm Epstein Becker & Green,
3 specializing in health regulatory issues and in particular
4 medical reimbursement. I'm also a member of the board of
5 directors for the American Health Lawyers Association.
6 And in its public interest endeavors. I'm here kind of in
7 a non-partisan way here for reimbursement and advise and
8 the like.

9 DR. HOLMBERG: Very good, thank you. Dr.
10 Pierce.

11 DR. PIERCE: My name is Glenn Pierce. I'm a
12 past President of the National Hemophilia Foundation and
13 on the medical and scientific advisory Council for that
14 foundation. And I am -- currently run research at
15 hematology research at Bayer Healthcare in Berkley.

16 DR. HOLMBERG: Thank you Dr. Pierce. Dr. Ramsey
17 could not be with us today Dr. Ramsey is from Chicago at
18 Northwestern University. Dr. Roseff.

19 DR. ROSEFF: Good morning, I'm Sue Roseff and I
20 am the medical director of transfusion medicine of
21 Virginia Commonwealth University in Richmond, Virginia.
22 And prior to that I spent about seven years working for

1 the Red Cross.

2 DR. HOLMBERG: Thank you Dr. Roseff. Dr.
3 Sandler.

4 DR. SANDLER: I'm Gerry Sandler, I'm formerly
5 chief medical officer at the American Red Cross blood
6 services. Presently direct transfusion services here at
7 Georgetown University Hospital. I'm a representative
8 here, nominated by the American Hospitals Association.

9 DR. HOLMBERG: Thank you Dr. Sandler. Ms.
10 Thomas.

11 MS. THOMAS: Good morning. My name is Linda
12 Thomas, I'm the Director of the Marc Thomas Sickle Cell
13 Foundation. My late husband, after 28 years, passed away
14 with sickle cell disease. I am honored to be here. And
15 I'm for patient advocacy.

16 DR. HOLMBERG: Thank you, Ms. Thomas. Dr.
17 Triulzi.

18 DR. TRIULZI: Good morning, I am Darrell
19 Triulzi. I am Professor of Pathology and Medicine at the
20 University of Pittsburgh and director of the division of
21 transfusion medicine there. And I'm here as a nominee
22 representing the AABB to the committee.

1 DR. HOLMBERG: Thank you. Dr. Kuehnert.

2 DR. KUEHNERT: Hi, I'm from the Centers for
3 Disease Control and Prevention. I am the Assistant
4 Director for blood safety. I'm also the head of the
5 working group on blood organ and other tissue safety. And
6 so we're reorganizing to form the office of blood organ
7 and other tissue safety. So, we're very excited about
8 that. And I'm representing the CDC as a liaison to the
9 committee.

10 DR. HOLMBERG: Thank you and Dr. Epstein.

11 Dr. Epstein: Good morning, I'm the director of the Office
12 of Blood Research and Review at the Food and Drug
13 Administration. I'm your liaison from the FDA. I'm an
14 infectious diseases physician by training but I have been
15 a career scientist and bureaucrat since 1981. My mission
16 here is to give bureaucrats a good name.

17 (Laughter)

18 DR. HOLMBERG: Than you Jay. Dr. Klein.

19 Dr. Klein: Good morning I'm Harvey Klein, I am a
20 hematologist by training. I direct the Department of
21 Transfusion Medicine at the clinical centre at the
22 National Institutes of Health. I have been involved in

1 blood transfusion issues for almost 35 years.

2 DR. HOLMBERG: Thank you. Commander Libby.

3 CDR. LIBBY: I'm Mike Libby I am the Director of
4 the Armed Services Blood Program. I'm a Specialist in
5 blood banking. I'm here as the active duty liaison.

6 DR. HOLMBERG: Thank you Mike. Dr. Bowman.

7 DR. BOWMAN: Hi, Jim Bowman, I'm a physician
8 with CMS.

9 DR. HOLMBERG: You can give a little bit more of
10 your background.

11 DR. BOWMAN: I am a junior bureaucrat. I've had
12 prior experience as transplant surgeon and with Blue Cross
13 and other commercial healthcare payers.

14 DR. HOLMBERG: And I know that Dr. St. Martin
15 was not able to attend but Dr. Solomon is she here? I
16 don't see her. Okay, also representing FDA. And we also
17 have Dr. Burdick from HRSA.

18 DR. BURDICK: Hi, Jim Burdick, I have a
19 background as a transplant surgeon. Also I direct the
20 division of transplantation in a HRSA, which has oversight
21 of the National Systems in organ transplantation and blood
22 stem cell transplantation and I'm here representing HRSA.

1 DR. HOLMBERG: Okay, thank you.

2 DR. BRACEY: Good morning, I am Art Bracey the
3 medical director of transfusion services at St Luke's
4 Hospital in Houston. Social Professor of pathology at the
5 University of Texas. And in my lifetime in medical
6 practice I guess I have overseen transfusions of about a
7 million components to various patients. So, I'm very
8 interested in ensuring that what we do is safe for those
9 patients. Thank you.

10 Well, what I would like to do then is to start
11 off with just a brief comment from the Chair. And first,
12 welcome to the new members and the warmest greetings to
13 those returning committee members. The time that you
14 commit to this effort is deeply appreciated by me. And in
15 the long run it's clear to me that your efforts will
16 promote the public health. As a point for clarification I
17 thought I would briefly review the scope of the activity
18 of this committee for the new committee members.
19 The history of the committee dates back to a
20 recommendation from the Institute of Medicine in its
21 report to the Secretary on the decision-making process in
22 the early phase of the HIV epidemic. One recommendation

1 that stemmed from that report was to establish a position
2 in the Department with the lead responsibility for
3 promoting the safety of the blood supply. And it's been
4 deemed by some as the Blood Czar. That individual is
5 sitting next to me, Dr. Agwunobi, and we're certainly
6 pleased to have him here today and he's going to swear in
7 members of the committee and also field the questions.

8 But he's got a tremendous job in terms of
9 coordinating all the agencies within the committee --
10 within the Department to make sure that we have the safest
11 blood supply. Now, our task, as members of this
12 committee, is to advise the Assistant Secretary on a broad
13 range of policy matters, including parameters related to
14 public health, associated with blood use. But again our
15 point is not only from a perspective of scientific
16 considerations but broad policy concerns with a particular
17 focus on the ethics, legal issues, and economic
18 considerations.

19 It was interesting to look at the meetings of
20 past minutes and I looked at the minutes from the very
21 first meeting, some 10 years ago, and then Assistant
22 Secretary, in the minutes, stated the following. "The job

1 of this particular committee is to assess consumer and
2 societal factors as they compare the risks and benefits of
3 various actions." We are unique in terms of our
4 configuration, having a diverse composition of various
5 members with a background of those -- including those with
6 a need of blood therapies, those that have experts in --
7 the experts in medicine, people with experts in the legal
8 issues and also representatives of industry.

9 So, again our task is to consider the broad
10 range of what we do, and what we will be spending a great
11 amount of time on today, will be looking at issues, and
12 tomorrow, issues related new charges that we have in our
13 charter related to transplantation. So, without further
14 ado, I would like to introduce the Assistant Secretary Dr.
15 Agwunobi.

16 Dr. Agwunobi, was confirmed as the Assistant
17 Secretary in December of 2005. Dr. Agwunobi has a long
18 history of involvement with the health issues. He comes
19 to us from the State of Florida, where he had a tremendous
20 impact in terms of the public health there. And he's done
21 much to forward our efforts for this committee. And so
22 without further ado, Dr. Agwunobi, I will open the Chair

1 to you.

2 DR. AGWUNOBI: Thank you Mr. Chairman. I should
3 start by thanking you Dr. Bracey for your leadership, your
4 willingness to serve and to sacrifice, in the way that you
5 do in order to keep this moving forward, is deeply
6 appreciated.

7 To old members and new, I thank all of you for
8 your commitment to this issue. It's quite clear that many
9 of you live and breath these issues. You all do. And
10 that you recognize that the outcome of your work isn't a
11 part of that bureaucracy that Jay holds so fondly. You
12 are above that. Your work is, I hope, a part of the
13 process that truly makes a difference over time. My name
14 is John Agwunobi. I'm a pediatrician by background,
15 although I have spent a large chunk of my career working
16 in public health policy at the state and federal level
17 now. I'm struck over the years by a commonality that
18 exists in every level of bureaucracy and public health
19 policy. And that is that we tend to be reactive more than
20 we are proactive, it is the nature of the beast.

21 Indeed the Chair in his comments mentions that
22 this committee was formed in response to something. In

1 response to the HIV AIDS threat. All too often, in fact
2 usually, policy and major interventions in pursuit of
3 quality follow some event, some tragic event typically.
4 As I look out into the future I envision, and hope, and
5 pray for a day where we are more proactive in policy than
6 we are reactive. We've learned so much in the areas of
7 blood safety, particularly over the years, that risk
8 benefit equation that balance that the Chair alluded to
9 that was mentioned by my predecessor way at the beginning
10 of this committee's life. It's a very real premise that
11 today is used in making decisions in your world and in the
12 world of blood and blood safety, every single day. And
13 the equation changes, with time, as we learn. I'm very
14 proud of the scientists in HHS, CDC, NIH, FDA and other
15 places HRSA. I'm very proud of the work that they do
16 every day to try to get ahead of the of the question to be
17 proactive, to look out onto the horizon.

18 I would urge you as you do your work going
19 forward to not just focus on the issues of the day which
20 are critically important. What happened yesterday and
21 what are we going to do about it. But to spend a lot of
22 time focusing on, and so what did we learn from that, and

1 how can we get in front of the next event? How can be
2 prevent the next event? How can we build a system that is
3 constantly a head of the issues? I'm prompted by the
4 introduction from my colleagues from CDC to urge you to
5 start thinking about the commonalities that exists across
6 blood, organ, blood component, tissue at cellular therapy.
7 The safety issues that link all of those things are quite
8 tangible.

9 As you look at science and scientific
10 therapeutics, it's quite clear that we are marching, we
11 are accelerating, towards the use of ever sophisticated
12 biologics, cellular therapies, genomics, proteomics. And
13 it's quite clear to me that the issues of safety that we
14 deal with on a day-to-day basis today, regarding blood,
15 expand out into the future, across so many more aspects of
16 therapeutics related to all of these things I have just
17 described. It's a funnel, and we are somewhere in the
18 middle, and it gets bigger and bigger overtime. And yet
19 the solutions, I will wager, are very similar across all
20 of those fields. Whether it's whole-organ transplantation
21 or the use of biologically derived proteins in therapy,
22 and everything in between, including blood.

1 The work that you do will have application
2 across all of those fields. I would urge you Mr. Chairman
3 and members of the committee to consider building upon the
4 expertise that you possess in blood safety and in
5 protecting individuals from infectious disease and other
6 problems related to blood and blood products use. To see
7 whether or not there aren't commonalities that can be
8 applied across all of these fields. And to draw in
9 experts from those other areas into your discussions, to
10 try and figure out if we can invent wheels once rather
11 than multiple times.

12 In this proposed vision Mr. Chair I would hope
13 that at some point we would consider whether or not there
14 isn't a way that we could predict where cellular
15 therapeutics might encounter trouble in the future and try
16 to assist them in preventing that now; by helping them
17 build systems, helping them build a plan, helping them
18 build a strategy. The same thing with proteomics and
19 genomics and all of these other advances in therapeutics.
20 Having said that today we have real work, I'm told. One
21 of the things I hope to do today is to swear in the new
22 members. And I would urge you to -- as we swear you in,

1 it will seem a little bit staged, I always take the duty
2 of swearing in new members to the committees very
3 seriously.

4 It might even look like there is a little more
5 pomp and circumstance associated with it than necessary.
6 But I would urge you all to recognize that it's really not
7 to me that you advise, when you do your work. I'm the
8 vehicle through which your work is transmitted up through
9 the Secretary to the President, more importantly to the
10 people of our nation. And I would wager, without being
11 too rhetorical, the entire world. I mean there aren't --
12 there isn't a single group of people anywhere else in the
13 world that can claim to have more expertise or more right
14 to deliberate and to advise on this subject than those of
15 you sitting around this table. That is just the fact.

16 The diversity, the background, the commitment,
17 the passion that you bring to this issue can't be outdone
18 anywhere else. And so, I would urge you to recognize that
19 it's a very solemn responsibility. That your work is not
20 just about bureaucracy. It's about saving lives. Both in
21 advancing the use of blood, and blood components, and
22 cellular technology, and organ transplant, whatever you

1 discuss but in doing so safely. Availability is
2 important, critically important, that's another part of
3 that saving lives equation. And clearly, a big part of
4 your work as well.

5 I recommended to the Secretary that he expand
6 the scope of the committee and that's embedded in the
7 charter. I sense that as you talk about ethical, and
8 legal issues, and access, and the availability issues, and
9 safety, of course that you will recognize that although
10 the charter guides you we could never, in the charter,
11 identify all of the key issues that you need to touch on.
12 Science is moving so quickly, so fast. And I would urge
13 you to be guided, in addition to the charter, to be guided
14 by science and evidence. And to have that be the
15 foundation upon which you do your work. I recognize all
16 of you know that's critically important.

17 I'm actually going to end with this large -- it
18 went a little longer than I had hoped. But I will end by
19 just reminding the audience that the reason we do these
20 things this way, the reason we have these advisory bodies
21 come together and deliberate publicly, is because we set
22 these bodies up to deliberate on things that we think are

1 important to the public. Are important to the average
2 person on the street. And we do it this way, with all of
3 the laws of the FACA and the like, so that you can see
4 what goes in to the making of the sausage. And so, that
5 you can participate in the making of the sausage. I hate
6 that term, it doesn't sound right does it -- sausage.

7 (Laughter)

8 DR. AGWUNOBI: I'm hungry. I'm calling on the
9 audience and others to join in the debate. That
10 microphone isn't set up there as a prop. It's set up
11 there to urge you to voice what you think. To let the
12 panel have a sense of what people who aren't formally on
13 the panel think about the issues that they are discussing.
14 Mr. Chair, thank you.

15 DR. BRACEY: We can have the new members come to
16 the middle area and we will do the swearing in.

17 DR. AGWUNOBI: Raise your right hands. I would
18 like you all to follow after me -- repeat after me. I do
19 solemnly swear that I will support and defend the
20 constitution of the United States against all enemies;
21 foreign and domestic. That I will bear true faith and
22 allegiance to the same. That I take this obligation

1 freely, without any mental reservation, or purpose of
2 evasion. And that I will well and faithfully discharge
3 the duties of the office on which I am about to enter.
4 So, help me God. Congratulations you are all members of
5 the committee.

6 SPEAKERS: Thank you.

7 (Applause)

8 DR. BRACEY: If it's okay with the Assistant
9 Secretary, we are on schedule, and we do have a question
10 and answer session for the Secretary for the members of
11 the committee. So, I open up the flow to the committee
12 members for question to the Secretary. Ms. Birkhofer.
13 Ms. Birkhofer: I would just like to thank you Dr.
14 Agwunobi and thank Dr. Holmberg and the Chairman for all
15 of the attention you have given.

16 In your remarks you mentioned the importance of
17 access as a safety issue. Of late there has been an
18 access issue surrounding a plasma derived therapy
19 intravenous immunoglobulin. And I would like to
20 acknowledge and commend my colleague Dr. Bowman from the
21 Centre for Medicare and Medicaid Services for the recent
22 decision to provide brand specific reimbursement for IVIG.

1 And I know that there are a number of individuals in the
2 community, that I would not presume to speak for, that are
3 seeking a meeting at the highest level within the agency
4 to talk about some next steps that could be taken with
5 regard to assuring patient access to life-saving therapy
6 in the appropriate site of service. So, I would hope that
7 your attention to this would continue. And I thank you
8 sir.

9 DR. AGWUNOBI: Thank you so much I will just
10 state that I -- actually I'm not sure that there is any
11 other blood derived product or blood itself that has -- in
12 which I have met more often. I think I have met on that
13 subject within the department being briefed at different
14 levels, with colleagues across agencies, with individuals
15 from the outside, on that particular subject more than on
16 any other area that would be under your purview.

17 We recognize that it is of significant concern
18 to many. And I can assure you that it's taken very
19 seriously. I look forward to that higher level meeting.
20 I have no doubt I will be invited.

21 (laughter)

22 SPEAKER: I've got a question and that relates

1 to in impart our new considerations in terms of
2 transplantation. Transfusion is a -- in many a transplant
3 of sorts but a difference is that it is replaceable.
4 Whereas, for many of the organs or stem cell transplants
5 they are, in essence, irreplaceable. So, I'm interested
6 in your view in terms of comparing the two interactions,
7 one being an important element for life-saving but
8 seemingly fairly replaceable and another more specific.

9 DR. AGWUNOBI: I had a long conversation with
10 colleagues about the -- one, about availability and about
11 access. The fact that although there are clear issues of
12 commonality, clear opportunities to build the wheel once
13 in the area of the safety, that in the areas of
14 accessibility and availability --access and availability
15 there are, clearly because of the different -- the
16 history, the legacy, the way things have been built over
17 time. There are clearly a lot of differences in the
18 pathways, and in the processes, and the issues, and the
19 concerns, and obviously in the solutions.

20 I would urge the committee, Mr. Chair to
21 recognize that as we throw this on your table for thought
22 and deliberation, we don't have a proposed end point. I

1 really -- and I also had this conversation with colleagues
2 across the agencies. As I put this on the table for you
3 to chew on and consider, I don't presume that it will
4 result in a defined outcome.

5 I don't presume that it will result in a single
6 strategic plan for the safety across all biologics and all
7 organs, and transfusions, and transplants. That would be
8 going well beyond my purview, my area of expertise.

9 I just -- I sense that there is a need, every once in a
10 while, for us to, as a nation, to have conversation. To
11 recognize that things seem to pop up every once in a
12 while.

13 And my question would be are there patterns? Are
14 there common solutions? Where do they lie? What is the
15 best way to get there from here? This is the beginning of
16 a conversation. It could well be, and I put this on the
17 table, that a conversation ensues and after a while our
18 nation determines that it is better to continue doing
19 things the way we do them now, and that change is not
20 appropriate. I sense, at least on the science side, and
21 the ethics, and the legal, and the realities of the
22 marketplace, for want of a better word, may preclude

1 change.

2 But I sense on the science side that there is a
3 consensus that we need to have the discussion. So in
4 answer to your question sir, I'm going to throw it back at
5 you. After you have your discussion and after you think
6 things through and engage the public in this dialog about
7 whether or not there shouldn't be a common approach to
8 certain parts of these things; transplants, transfusion,
9 and everything in between.

10 Then I will ask you the questions sir, where are
11 the differences, and how we should approach them and
12 recognize them.

13 DR. BRACEY: Thank you other questions or
14 comments from the committee. Dr. Sandler.

15 DR. SANDLER: Thank you, Dr. Agwunobi. As you
16 suggested sometimes you can't get ahead of the
17 unanticipated emergency; 9/11, HIV required quick
18 responses in a coordinated way. I wouldn't know, as a
19 physician in a hospital, where to look for the urgent
20 coordinated direction that would be coming from, if I may
21 use the term Blood Czar, I didn't invent it --

22 DR. AGWUNOBI: That is a wrong term.

1 DR. SANDLER: Do we look to the FDA and CDC to
2 continue to issue their directives and take that as the
3 national leadership's position. Or is there a higher
4 authority a web page or something that we would look to
5 for the top coordinated leadership.

6 DR. AGWUNOBI: Thank you Dr. Sandler. Let me
7 just state before I provide my answer that I would urge
8 you to follow any direction that you receive from CDC and
9 FDA. They truly are some of the most wonderful people in
10 terms of their commitment, their professionalism and their
11 scientific background.

12 They don't issue directives without an awful lot
13 of work. You'd be surprised at how much time is spent
14 churning internally before relatively a small directive
15 spits out the backend here, or the front-end rather, from
16 FDA and CDC.

17 (Laughter)

18 SPEAKER: Sometimes it is the back end.

19 SPEAKER: Strike that.

20 DR. AGWUNOBI: Backend, front-end. Having said
21 that -- Jay just indicated that it doesn't pass the smell
22 test. I am not quite sure what he meant by that.

1 (Laughter)

2 DR. AGWUNOBI: Emergencies, that was the subject
3 of your question. The Congress, in its wisdom, and
4 indeed, supported and signed by the President, just
5 provided a new law called the Pandemic and All Hazards
6 Preparedness Act. It was signed into law by the President
7 recently, January.

8 In that Act it is quite clear that the
9 Department of Health and Human Services know the
10 administration of our federal government, during times of
11 emergency, on issues are that relate to health and public
12 health, has identified a single individual responsible for
13 all aspects of the response. It is the person -- whoever
14 fills this seat and is sworn in by the Senate -- confirmed
15 by the Senate as the Assistant Secretary for Preparedness
16 and Response, fondly referred to as the ASPR in our
17 circles.

18 Currently that individual is Dr. Rear Admiral
19 Craig Vanderwagen. And Craig is singularly responsible
20 for assuring readiness and response. So, preparedness
21 beforehand and the actual response itself and the learning
22 from each event. Now, as it relates to this community the

1 ASPR has charged Jerry -- wherever he is -- there he is
2 down there -- Jerry, and CDC, and FDA, and NIH, and all of
3 the branches of our administration to help develop a plan.
4 To help coordinate a plan beforehand.

5 But on that day, when it hits the fan, he'll be
6 the one that is directing, internally, within the HHS and
7 within the federal government, he'll be the one that is
8 directing the health and public health response to all
9 kinds of emergencies pandemics and all hazards. Now, what
10 that means is that he might direct FDA to issue some
11 regulation to all of you. FDA will get its scientists
12 together work on what the regulation should look like and
13 it will issue.

14 Or it could be that asks CDC to consider a
15 series of events, to make a recommendation, and to issue
16 that recommendation or that regulation -- now they don't
17 typically issue regulations although they do have some
18 regulatory authority related to quarantining and the like.
19 But they will issue their recommendation or their
20 guideline to the public. So, my point is regardless of
21 where it comes from to you, whether it comes from the
22 Surgeon General, the Centers for Disease Control, from FDA

1 from or from the Secretary himself, it will have been
2 coordinated across the department internally by the
3 Assistant Secretary for Preparedness and Response. I will
4 just add one note, there is a lot of work going on right
5 now, within the department, across all of these agencies,
6 related to finding ways to assure that we have adequate
7 and safe blood supplies in an emergency.

8 There are multiple different tracks being
9 pursued including the notion of a national blood reserve.
10 It's in early discussion and I would urge your input on
11 that. I recognize that there will be different points of
12 views. There are pros and cons to every strategy. And
13 before all is said and done, I think, we will end up with
14 a multilayered strategy. One that has multiple pieces to
15 it. There are clearly things going on -- I hate to use
16 the phrase but I will again -- in the marketplace.

17 The community itself is generating solutions and
18 those solutions, if they are robust and if we can rely on
19 them, I have no doubt they will be built into the federal
20 plan. They will be part of that national plan. There is
21 also talk, in certain regions, small communities are
22 working across -- locally across partner providers, and

1 blood banks and others to develop other solutions related
2 to donors; the availability of donors in an emergency and
3 how that might be coordinated.

4 So, there are different strategies that are
5 being pursued. None of them are simple. None of them are
6 the silver bullet that solves our problem once and for
7 all. And I have no doubt we will end up with a
8 multilayered strategy when -- and the truth of the matter
9 is the ASPR on almost all aspects of an emergency, whether
10 it's the use of antiviral, the use of vaccines, the
11 availability of epidemiology or surveillance, the use of
12 antibiotics or blood products. The ASPR almost always is
13 considering a menu of options when trying to coordinate
14 what the best position, in terms of health and public
15 health response, should be.

16 I will just end by saying the ASPR doesn't make
17 decisions in a vacuum. He, in fact, very rarely is the
18 ASPR making a decision on his or her own. Usually, what's
19 happening is a collaborative group of people are sitting
20 around a table coming up with a consensus position and the
21 ASPR's job is to implement that consensus decision. It's
22 kind of the way it works.

1 DR. BRACEY: Other questions for the Assistant
2 Secretary. If not, we thank you for attending this
3 morning.

4 (Applause)

5 DR. BRACEY: Okay, then moving on we have
6 several committee updates as I mentioned from the recent
7 BPAC meeting. And we also in our next session have an
8 update from Dr. Holmberg on our charter and our previous
9 recommendations. Dr. Holmberg.

10 DR. HOLMBERG: I don't know if this is on.
11 Okay. Well, thank you. About a year ago we went through
12 and did a very comprehensive review of the activities of
13 the Advisory Committee for Blood Safety and Availability.
14 We at that time recognized some of the things that have
15 been accomplished and some of the things that have not
16 been accomplished.

17 One of the problems that we have -- you have as
18 a Committee is that many times you have a recommendation
19 that comes forward and goes to the assistant secretary and
20 to the secretary, and you may back at the next meeting and
21 say, well, what's been done about that. And you have to
22 understand that we are working behind the scenes. Many

1 things that were even recommended maybe three or four
2 years ago, such as the readiness issues, are continually
3 being worked.

4 When Dr. Agwunobi mentioned, as far as working
5 with ASPR, there are several people, government officials
6 around the table here that we are actively involved with
7 the ASPR working Committees. And I made a comment the
8 other day that I do have another job other than working
9 for ASPR. So I have two bosses; I have the ASH, and then
10 I also have to provide input to ASPR for readiness and
11 preparedness.

12 And as I said, there are some government people
13 around the room here and even in the audience who are
14 actively involved with that participation. Saying that,
15 what I'm trying to impress is that even though you may not
16 see an immediate fix such as with IGIV, we are working
17 behind the scenes and we are trying to make a difference.
18 Some of the recommendations are not acted upon. Some of
19 the recommendations, the assistant secretary may take a
20 different view.

21 And so we take your recommendations and we move
22 those forward to see what the administration would like to

1 do with those and how do we move forward. I must say
2 though that to reiterate what the assistant secretary
3 said, Dr. Agwunobi said, that anything that is said within
4 this advisory Committee is very valuable, and that the
5 transcripts are preserved. Our websites does have all the
6 transcripts and all the slide presentations so that you
7 can go back and review the history to see what was said.

8 And as you will hear later on, you will also see
9 how sometimes even though we do not have direct action on
10 a recommendation, it is picked up by others. The
11 recommendation that came out last year, last August,
12 really hovered around the biovigilance and to try to
13 simplify the recommendation, which was a little bit
14 lengthy and the fact that there were some "whereases" and
15 also a definition of biovigilance.

16 What the Committee charged the department with
17 was that we should put together a PHS task group to look
18 at the GAP analysis of what we're doing for surveillance;
19 whether this should be mandatory versus non-mandatory,
20 voluntary versus non-voluntary, the scope of test of
21 reporting on database centralization versus sharing,
22 database governance, ownership and accessibility including

1 sharing that data with other countries, format and
2 standard for data, potential for coordination with non-
3 U.S. safety systems such as other countries, funding
4 design and feasibility of suitable pilot programs.

5 As I said, this is just a very succinct version
6 of the recommendation. You can read the entire version on
7 the website. But just to tell you the activity that
8 immediately took place on that recommendation was that we
9 did put together a PHS working group last September and
10 Dr. Kuehnert and also Dr. Goldsmith from FDA, Dr. Kuehnert
11 from CEC and Dr. Goldsmith from FDA, are co-chairs of that
12 Committee.

13 We are continuing to have discussions AABB Task
14 Force and I'm sure that Dr. Strong will mention a little
15 bit more about that maybe in his presentation. Also just
16 to go back and -- January 2006, because I think the last
17 time that I updated the group was January of 2006, that
18 was before the recommendation came out about pandemic
19 influenza, and one of the things that was really
20 emphasized was that blood and plasma needed to be
21 recognized as critical elements for the healthcare
22 infrastructure.

1 This is well established as Dr. Agwunobi
2 mentioned. And I've had meetings with both Dr. Agwunobi
3 and Dr. Vanderwagen in which it has been very clear, and
4 the policy is that blood is a critical element of the
5 healthcare infrastructure, and it has the recognition
6 within the department. Also for funding for research
7 studies being considered in the pandemic was -- is an
8 ongoing process.

9 Also with the global surveillance, a lot of that
10 is being done through CDC. I -- very quickly, I think,
11 that you can read these and I do want -- in the interest
12 of time I want to move forward, but you can see that we
13 also have agency activities participating with the AABB
14 Task Force. A draft document has come out from the AABB
15 Task Force.

16 And the reason why we use the AABB Task Force
17 for many of our activities, I shouldn't say activities,
18 but trying to move forward with some of the
19 recommendations, is that many of the need a government, a
20 public/private partnership. And we are governed by
21 different rules. For instance, you, on the Committee are
22 here because of the FACA rule. That is the Federal

1 Advisory Committee Act. And to be honest with you, this
2 FACA meeting has to be chartered.

3 So if we call a group of people together, then
4 that represents an advisory group. And so according to
5 the law, we cannot pull together an advisory group just
6 because we want to pull together an advisory group. It
7 has to be chartered and it has to have a specific scope of
8 activity saying that we do work with AABB Task Force as a
9 central location. And having liaison members from the
10 department interact with the task force so that we -- the
11 task force, the AABB Task Force, has a lot of the
12 interaction and the liaison with the government.

13 The communication -- the Blood Safety and
14 Availability is working with the communication group,
15 coordination of local and state messaging through the
16 regional health administrators and I have to say that
17 we've had some, I didn't list the specific activities
18 here, but we have some letters to each one of the State
19 health officers saying -- telling them where blood and the
20 priority for blood should be and that the recognition that
21 blood is a critical element of the healthcare
22 infrastructure.

1 This has gone to each one of the States. One by
2 one, we have 10 regions and I've just made my first
3 presentation to Region 4, which is the Georgia, Florida,
4 North Carolina, South Carolina, Tennessee, Alabama and
5 Kentucky area. And I have met with all the State health
6 officials from that region to express the concern for
7 raising blood, tissues, transplantation to a higher level
8 in their planning and also some of the things that they
9 need to be considering when they're doing their planning
10 cycles. So hopefully that will make a difference.

11 I'll skip over that because I really just want
12 to highlight. We're going to be hearing some reports, and
13 already you've heard a few activities that have come out
14 from the IGIV issue. The OIG report has been released in
15 April of 2007. The ASPE, that's the Assistant Secretary
16 for Planning and Evaluation Report, came out in May of
17 2007, and I have provided you the copy of the executive
18 summary of the ASPE Report. It's a one-page summary where
19 ASPE is making comments concerning their contractor's
20 report.

21 In addition, through the last couple of weeks
22 the CMS has assigned separate codes for the liquid

1 products, and there are some other areas that we still
2 need to be working on. I still -- in fact, yesterday I
3 had a senator's office call me to try to find access for a
4 particular patient in Alabama. So I continue to get calls
5 on the IGIV issue, but that's an ongoing, and we still
6 have areas that we need to work on there.

7 I don't want to spend a whole lot of more time
8 on the rest. A lot of it is pretty much a summary of
9 going back to 2001. And so I will just leave it there.
10 For the new Committee members I wanted to give you an
11 overview of what we've done in the last couple of years,
12 and in your handout you can see the activities that we've
13 done since at least 2001. There has been activities even
14 before that, but for purposes of trying to tell you of the
15 most recent, I presented only that time period in the
16 presentation.

17 Just to also comment because I know that we have
18 some people here in the room that have been long time
19 members of this Committee and also were around when the
20 Committee was founded, and definitely HIV and HCV was an
21 issue, we continue to work through those regulations, both
22 through FDA and through CMS for HCV look back, and that is

1 an ongoing process. Hopefully one of these days that will
2 be a codified regulation.

3 At this point that's all I want to say. Are
4 there are any particular questions? If you have any
5 specific questions, especially the new members, on what
6 we've done in the past, don't hesitate to talk to me, and
7 we'll get you the answers to that. Any questions?

8 DR. BRACEY: Okay, and if there are no
9 questions, we'll move ahead then. And the next
10 presentation will be an update on the FDA's risk
11 communication for plasma-derived Factor VIII and Factor IX
12 and that will be presented by Dr. Weinstein. He's the
13 associate deputy director of the Office of Blood Research
14 and Review and is currently working in areas of standards
15 development, Education, Training and Risk Communication.
16 Dr. Weinstein.

17 MR. WEINSTEIN: Thank you. Today I'd like to
18 again repeat -- give you an update on FDA's variant CJD
19 risk communication efforts with regard to U.S. plasma-
20 derived Factor VIII and UK plasma-derived Factor XI. I
21 will first give you brief background with regard to this
22 issue.

1 I'll then discuss how we developed our risk
2 communication materials. I'll give you a review of some
3 of the key points and questions and answer, documents that
4 we prepared, and then I'll tell you about our risk
5 communication strategy. And finally I'll discuss our
6 progress in the risk communication with regard to the
7 Factor XI UK investigational product.

8 By way of background we learned in 2003 that
9 there was a very high probability that a variant CJD was
10 transmitted by a red cell transfusion. We now know that -
11 - we have evidence of approximately four cases of such
12 transfusion, transmission all occurring in the UK.

13 This raised our concerns that variant CJD might
14 be transmitted through plasma-derived products including
15 clotting factors and we were very concerned that Factor
16 VIII in particular as used by large numbers of patients in
17 the U.S. might potentially have this as a hazard.

18 So we do not know what the degree, the
19 magnitude, of this risk might be, and therefore in the
20 Fall of 2004 we began to develop a risk assessment of the
21 potential of variant CJD to learn about the risk of
22 variant CJD transmission from plasma-derived Factor VIII

1 manufactured from plasma collected in the United States.

2 Now, we presented our risk assessment concept to
3 the TSE Advisory Committee in February of 2005 and we
4 received comments about this concept, and -- at that
5 meeting as well as the meeting in October of 2005. We
6 prepared a risk assessment and we had it reviewed by three
7 external experts in the Summer of 2006 and the risk
8 assessment itself was presented to the TSE Advisory
9 Committee in December of 2006.

10 And concurrently, we have prepared a number of
11 risk communication documents. As far as how did we
12 develop these documents, this involved the input from many
13 different sources. These documents -- we received
14 information from our sister agencies including the CDC and
15 the NIH. Of particular note we involved special
16 government employees including patient advocates to help
17 us prepare these risk communication messages.

18 We, of course, also received inputs from the TSE
19 Advisory Committee members and the communication experts.
20 We asked the GSEs a number of different questions that
21 would help us in the development of these communication
22 materials. We asked them whether or not they felt that

1 the risk communication materials adequately conveyed the
2 findings of the risk assessment, especially the
3 uncertainties that were involved in developing the risk
4 assessment.

5 We were concerned whether or not the documents
6 were easily understood. We wanted their comments about
7 the clarity of the documents and we also wanted their
8 input with regard to how information should be transmitted
9 to patients, family members and the public in general. We
10 felt that this input by these external groups,
11 particularly the SGEs, was extremely valuable in
12 clarifying and improving the public health messages.

13 With regard to the actual message points here,
14 we developed three key points here and I'm going to
15 highlight these. First is the reason why we developed
16 these documents in the first place. In recent years
17 questions have been raised concerning the risk of variant
18 CJD to hemophilia A and von Willebrand disease patients
19 who receive U.S. licensed plasma-derived Factor VIII
20 products.

21 The second key point is our assessment of this -
22 - of the risk assessment. Based on risk assessment, the

1 U.S. Public Health Service including FDA, CDC and NIH
2 believes at the risk of variant CJD to hemophilia A and
3 von Willebrand disease patients who receive U.S. licensed
4 plasma-derived products as most likely to be extremely
5 small although we do not know the risk or certainty.

6 Variant CJD risk from other plasma-derived
7 products including Factor XI or Factor IX is likely to be
8 as small or smaller. This last statement, of course, is
9 particularly important to patients with hemophilia B, who
10 are likely to be very interested in this issue, as well as
11 the hemophilia A patients.

12 We then -- the third key point is about -- we
13 are -- interested parties can get more information.
14 Contacting a specialist in hemophilia or von Willebrand
15 disease at a hemophilia treatment center is a good way to
16 learn about new information as it becomes available.
17 We've also cited a number of other information sources in
18 our documents and we'll get to those a bit later.

19 Now, in addition to the key points, we have
20 attached to the same document, additional information.
21 This includes how or why FDA has conducted a risk
22 assessment. We also include the FDA actions reduce the

1 potential of variant CJD risk from blood components and
2 plasma derivatives. We talk about the uncertainties that
3 went into the risk assessment model. We suggest to the
4 patients where they might, and other -- where they might
5 get more information. And we have a brief review of the
6 current status of variant CJD risk.

7 In a separate document we have prepared a number
8 of questions and answers; a very large and extensive list
9 of questions and answers. I'm not going to read all of
10 these, but this gives you a sense of the topics that were
11 covered here. We felt that these are questions that might
12 be of particular concern to patients. Again we receive
13 inputs from healthcare givers and SGEs about these
14 questions and they help to formulate them and to provide
15 suitable answers.

16 The communications strategy. How are we going
17 to get this information to interested parties? We put
18 this information on an FDA webpage. I'll refer to that in
19 the next slide. We also contacted the Hemophilia
20 Treatment Centers. We actually had a teleconference that
21 included 140 of the Hemophilia Treatment Centers around
22 the country. This was a teleconference that was hosted by

1 the CDC. We notified them about this risk communication
2 information. We received their input and they have agreed
3 to help disseminate this risk communication material.

4 At the same teleconference we had patient
5 advocacy organizations represented, and they have also
6 helped to publicize this material in their newsletters and
7 through other media. We have done some outreach to trade
8 and physician organizations, and the -- again, the key
9 points and questions and answers help direct the
10 interested parties to further sources of information.

11 This is the address of our webpage and it
12 contains the key point document summary information of the
13 actual risk assessment and it also includes links to
14 where interested parties can find guidance documents
15 related to the donor deferral of variant CJD and CJD FDA
16 documents. We have links to other sources of information
17 including the CDC webpage and we have a listing of patient
18 organizations.

19 So next, some updates on the Factor XI risk
20 communication situation. In this case we recognized that
21 there is a possible health risk to approximately 50
22 individuals, we actually think the number is probably

1 lower, who, between 1989 and 2000 received an
2 investigational non-U.S. licensed product, plasma-derived
3 Factor XI that was made from UK donor plasma. And this
4 product was used to treat patients with deficiency of
5 Factor XI.

6 This -- again, this product was made from plasma
7 from donors in the UK where the actual variant CJD disease
8 has occurred. It's important to note that the product was
9 not made from the plasma of anyone known to have developed
10 a disease and no one who has received this product is
11 known to have become infected by it.

12 However, even though the product was not made
13 from anyone known to have developed it, it's still
14 possible that a person could be exposed to the disease,
15 who takes this product, if someone who had felt well was
16 carrying the infection at the time that blood was donated.

17 So FDA used the computer model again to conduct
18 a risk assessment. This model was presented in
19 preliminary form to the Advisory Committee, the TSE
20 Advisory Committee. In February of 2005 we received
21 update information from them, advice from them in October
22 of 2005. And one of their advisory comments here was to

1 consult again with SGE's including patient advocates to
2 obtain input on the risk assessment and communication
3 materials.

4 So we have posted this preliminary risk
5 assessment in 2005. We have now revised it and have
6 finalized communication materials with input from the
7 patient advocates and communication experts. We are now
8 contacting each of the IND holders by telephone to answer
9 any questions they might have, to share information with
10 them, and we suggest that they contact patients on an
11 individual basis. We will update our webpage once this
12 one-to-one communication process has been completed, and
13 we will alert the Hemophilia Treatment Centers and patient
14 advocacy organizations about the new webpage posting.

15 So, in summary we have prepared risk
16 communication materials regarding variant CJD and U.S.
17 plasma-derived Factor VIII as well as investigational UK
18 plasma-derived Factor XI, with input from the TSE Advisory
19 Committee members, PHS, communication experts, and in
20 particular highlight the contribution of the SGEs. These
21 materials as well as links to other sources of information
22 are available on an FDA webpage and we will continue to

1 update risk communication material as new information
2 becomes available.

3 DR. BRACEY: Thank you. Questions on this
4 presentation? Yes, sir?

5 DR. WEINSTEIN: Yes?

6 DR. KOUIDES: Dr. Weinstein, is there any plans
7 to carry out a risk assessment for FFP for patients with
8 rare hemophilias who rely on that -- for example, Factor
9 XI deficiency?

10 DR. WEINSTEIN: We have considered that. I
11 should say that we don't have plans immediately at hand to
12 do that, but that is a concern and we are discussing it
13 within the agency.

14 DR. KOUIDES: I have a question, and may be this
15 really might be better addressed by some of the members of
16 the Committee, but at first I applaud you on your effort
17 to seek input in terms of drafting these information
18 pieces because it's very technically challenging.

19 But what is -- what exist right now in the field
20 for the patient who reads this material? What I'm
21 specifically getting at is, is there a network for
22 counseling that's available beyond the reading of the

1 materials?

2 MR. WEINSTEIN: Members of the Committee, our
3 notion is that the best source for information and for
4 discussion would be through hemophilia specialist or von
5 Willebrand specialist through the hemophilia treatments.

6 DR. KOUIDES: And I guess what I'm asking is,
7 perhaps you could give me a view about there in the field,
8 do the treating hemophilia docs feel comfortable with
9 their ability to engage and discuss on this matter with
10 their patients?

11 DR. BRACEY: I think there's been excellent lines
12 of communication with Dr. Weinstein and the hemophilia
13 treatment network and also through the CDC that oversees
14 the national network. So, there have been conference
15 calls to update treators about that. But your point is
16 valid because not all patients with these inherited
17 bleeding disorders are necessarily enrolled or always in
18 contact with the Hemophilia Treatment Center.

19 There is nationwide, some of these patients are
20 seen by a practitioner who may be licensed in hematology,
21 but is primarily practicing oncology, he may not be as
22 aware of these issues. So I have been privy to those

1 discussions about further outreach beyond the HDC, but for
2 as much as I'd like to think that all these patients are

3 DR. KOUIDES: Right.

4 DR. BRACEY: So, that is a good question. I'm
5 not sure what the solution is.

6 DR. WEINSTEIN: I also should say that, you
7 know, we had discussions with treators and with the NHF
8 Medical Advisory Committee and so forth ask what has been
9 the response, what has been the degree of concern of
10 patients; have they received many calls. And apparently
11 there have not been -- has not been a great outcry or
12 degree of concern. There have not been a sort of a panic
13 reaction, which is a good thing, I believe. But now, this
14 is the situation.

15 DR. BRACEY: Next, Pierce?

16 DR. PIERCE: Mark, I think the risk assessment
17 communication materials have been very good. What I'd
18 like to come back to, are the FDA actions that you
19 referred to in the slides that could prevent transmission
20 given the long incubation time for variant CJD? What is
21 the FDA recommending regarding that?

22 DR. WEINSTEIN: The present time we have, of

1 course, our guidance documents that are deferring patients
2 who have lived in Europe and UK for certain period of
3 time. Mostly those are preventive measures that deal
4 primarily with trying to prevent donors from contributing
5 to the pool who have potentially being exposed to the
6 disease. That's a major source of our efforts. Yes.

7 DR. PIERCE: Is the FDA advocating any sort of
8 other risk reduction methods such as elimination of the
9 agent or the potential agents from plasma?

10 DR. WEINSTEIN: Well, certainly we encourage --
11 right, of course, the -- we're encouraging industry to
12 further develop methods of -- explore methods of removal
13 of the agent from plasma derivatives. And, of course,
14 that was a large part of our recent BPAC meetings there
15 about trying to assemble what information is known about
16 removal of the agent looking at various potential assays
17 for the variant CJD, trying to encourage the development
18 of system that might be able to detect the infectious
19 agent. You know, those are all areas that very important
20 to remove the agent.

21 DR. PIERCE: And what --

22 DR. BRACEY: Oh, sorry.

1 DR. PIERCE: Can I continue, please?

2 DR. BRACEY: Go ahead, yes.

3 DR. PIERCE: And what's your assessment of the
4 progress that's been made in that area?

5 DR. WEINSTEIN: It's a very slow process. This
6 whole -- the whole issue of developing good assays and of
7 getting material, for example, to be used as standards is
8 extremely difficult. These are very time-consuming assays
9 for one thing, just the infectious assay material, they're
10 very intensive, as far as the use of animals, and they're
11 very expensive, the progress is slow. But, there is
12 progress and there is interest in pursuing this by
13 industry.

14 DR. KOUIDES: Just a comment and a question
15 really, and that is that we were charged with Dr. Agwunobi
16 to think to the future. And one of the question I've
17 always had is, are the recombinant products immune from
18 this consideration, and if so then what's the limitation
19 in terms of the broadest application of the recombinant
20 product?

21 DR. WEINSTEIN: Well, the recombinant products
22 or at least the Factor VIII products are used by about 80

1 percent or so of the hemophilia community. However, there
2 is a -- at the present time an obligate necessity to use
3 plasma-derived products for patients with von Willebrand
4 disease. We don't have a recombinant von Willebrand
5 Factor; it's a complex of Factor VIII and von Willebrand
6 Factor.

7 There is also other issues with regard to the
8 utility of plasma-derived Factor VIII products that --
9 there's a concern about inhibitor formation, there's some
10 discussion here about whether plasma-derived Factor VIII
11 might be better for establishing a new tolerance. There's
12 a whole -- there's a medical --

13 SPEAKER: So there's a medical issue.

14 DR. WEINSTEIN: Right. It's not so very clear-
15 cut.

16 DR. BRACEY: Okay. Dr. Epstein, did you have a
17 question?

18 DR. EPSTEIN: Well, I just wanted to add a
19 comment regarding Dr. Kouides' question about FFP. I
20 certainly agree with the statement that Dr. Weinstein
21 made, which is that we have considered a formal risk
22 assessment, not just for FFP, but for transfusable

1 components in general. But just to make a general
2 observation, which is that we focused first on the product
3 at highest risk and the recent to focus on plasma
4 derivatives is because of pooling.

5 That's if you had one contaminating donation,
6 you've contaminated a pool and that thing goes to many,
7 many patients, whereas in the setting of component
8 transfusion, it would be the risk of encountering a single
9 donor that harbor the infection. So it's -- order of
10 magnitude is less on the face of it.

11 The second point I would make is a follow-up to
12 Dr. Pierce, which is that we have discussed at our FDA
13 Advisory Committee meeting the criteria that should be
14 applied to the validation of filters to remove prions.
15 Filters could play a role in the fractionation scheme, but
16 there is the question whether it could be applied to
17 removing infectivity from transfusable components. So
18 there has been progress in that direction though I agree
19 with Dr. Weinstein that progress in general in strategies
20 to remove TSE infectivity has been fairly slow, but that's
21 because of scientific limitations.

22 DR. BRACEY: Ms. Finley, you had a question?

1 MS. FINLEY: Yes, actually I had two questions.
2 I want to follow up to Dr. Epstein's comment. Do you --
3 does FDA have a position on leukocyte depletion and its
4 relationship to removing infectivity of TSEs?

5 DR. WEINSTEIN: Well, this question was
6 discussed again with FDA's Scientific Advisory Committee
7 and the conclusion of the Committee with which we concur
8 is that leukocyte removal in and of itself is insufficient
9 to remove TSE infectivity. And the reason is that about
10 60 percent of the infectivity is in the plasma anyway.

11 And so we've not advocated leukocyte reduction
12 as a TSE precautionary measure. However there have been
13 scientific advancements in types of filters that show
14 promise for removing prions and the presumption is that
15 they may also therefore remove infectivity that is yet to
16 be formally shown through removal of infectivity.

17 MS. FINLEY: A number of other countries, in
18 fact many other countries, developed countries require
19 leuko reduction for purposes of components. Is FDA's
20 position on this the same for pooled products and for
21 components?

22 DR. WEINSTEIN: I'm not sure what you're saying,

1 "The same for pool products". We have worked extensively,
2 reviewing scientific information on clearance of prions
3 and TSE infectivity in the fractionation process.
4 Generally speaking that's not accomplished by filtration;
5 it's various partitioning procedures. And as far as the
6 implementation of leukocyte reduction by a number of
7 countries, it's been for a variety of reasons and not
8 strictly because of TSE.

9 DR. BRACEY: To stay on track we can take on
10 more question and comment.

11 MS. FINLEY: I just had one quick question for
12 Dr. Weinstein.

13 DR. BRACEY: Yes.

14 MS. FINLEY: Laura Manuelidis released a study a
15 couple of months ago implying that the risk for prion
16 diseases was in fact a virus. Is that the kind of
17 information you're including in your risk communication
18 material and what is the threshold for FDA to update that?

19 DR. WEINSTEIN: I think as -- as we find that
20 there is -- I mean that was at least one point of
21 information that is of interest -- it still I think needs
22 to be verified. Its in the literature, I don't know that

1 that in itself would be something that we would be putting
2 on the risk commutations website at the present time.

3 There are of course many articles that appear
4 and evolve here. So, I mean, that would be taken into
5 consideration as we learn more about the disease and how
6 it might affect our products and so forth that that
7 becomes more of the -- a regulatory issue. As far as
8 advancement of science I think this is you know,
9 interesting, potential possibility and so forth.

10 But it would take -- we have to consider each of
11 these issues as they affect our products.

12 SPEAKER: Thank you.

13 DR. BRACEY: Thank you. Let -- quick comment?

14 SPEAKER: Yeah. Just that FDA's policies have
15 not been based on the prion hypothesis, of course we
16 respect it. But we've always wanted validation studies to
17 include demonstrating removal of infectivity for the
18 reason that we're not sure that the etiology is fully
19 established.

20 DR. BRACEY: Dr. Sandler?

21 DR. SANDLER: This is very useful information
22 about the theoretical issues regarding variant CJD. But

1 there are much more specific problems related to CJD
2 classical. I have three letters from my blood supplier that
3 three specific units of blood were donated by a person now
4 known to have CJD. So, now I have specific units and
5 specific recipients. Where do I find the FDA guidance on
6 how to proceed with that counseling to those persons?

7 DR. WEINSTEIN: Well, there are programs of
8 follow-up for recipients of components from persons who've
9 later developed CJD. The Red Cross did an extensive study -
10 - as you know, there's a strong body of evidence, which
11 shows the absence of disease transmission in such patients.
12 Nevertheless, it's reasonable for them to be enrolled in
13 follow-up studies as has been ongoing.

14 DR. BRACEY: Dr. Kouides?

15 DR. KOUIDES: Just to follow-up on that I think
16 our prion group headed by Larry Schonberger would be able to
17 help with the surveillance aspect of that in terms of the
18 recipients -- the ongoing study with Red Cross and other
19 blood centers a, as Dr. Epstein mentioned.

20 I also, somewhat related to that I just wanted
21 make a comment about risk in cellular components that we
22 applied and have been, you know, involved in this effort

1 concerning plasma derived products. But now they were now
2 talking about U.S.-arrived products. We should consider the
3 risk in cellular components although there's not the
4 multiplication of risk that you see from pooling there are
5 individuals who are very frequently transfused with cellular
6 components and this is a known risk.

7 I mean, we know that variant CJD is transmitted by
8 cellular components. It's theoretical for plasma, although
9 obviously -- theoretically the risk could be much higher
10 because of the pooling. But this is a known risk and
11 something I think we really have to keep in mind both in
12 terms of developing models but also in terms of
13 strengthening surveillance.

14 DR. BRACEY: Thank you. In the interest of time
15 we'll then move on to our next presentation and that will be
16 an Update on FDA Immune Globulin, (IGIV); and that will be
17 presented by Jennifer Scharpf. She is the Associate
18 Director for Policy and Communication in the Office of Blood
19 Research and Review at the FDA. Thank you.

20 MS. SCHARPF: Thank you Mr. Chairman, and good
21 morning to the Committee. This morning I'd like to provide
22 the Committee an update on FDA's recent workshop on immune

1 globulins for primary immune deficiency diseases.

2 The workshop officially entitled, "Immune
3 Globulins for Primary Immune Deficiency Diseases: Antibody
4 Specificity, Potency and Testing," was held on April 25 and
5 26 at the National Institutes of Health.

6 And FDA is grateful to the Immune Deficiency
7 Foundation, the Plasma Protein Therapeutics Association and
8 Dr. Holmberg, and the HHH, Office of the Secretary, Office
9 of Public Health, and Science for their sponsorship of the
10 workshop. And we thank the sponsors not only for their
11 financial support, but also their scientific contributions
12 to the program. And I would also like to recognize my
13 colleague Dr. Dorothy Scott for her role as the organizer
14 and Chair of the workshop.

15 The goals of the workshop are four-fold. To
16 assess current potency testing of immune globulins, the
17 current potency test required are for antibodies to measles,
18 polio, and diphtheria. And at the workshop, we wish to
19 examine the potential for other potency tests for antibodies
20 against pathogen most commonly associated with infection in
21 primary immune deficiency patients.

22 Our goals was also to list antibodies needed to

1 protect primary immune deficient patients from infections,
2 to identify candidate antibody specificities for potency
3 testing of immune globulins for treatment of primary immune
4 deficiency diseases. And on the second day, our goal was to
5 address a purchase to diminishing measles antibody levels in
6 immune globulin products.

7 On the first day of the workshop our goal was to
8 identify the most clinically relevant antibody specificities
9 for primary immune deficient patients. Epidemiology and
10 surveillance data was reviewed and there was a description
11 of patient registries in Europe and the United States. The
12 registry, supported by the European Society for
13 Immunodeficiency and the United States Immunodeficiency
14 Network have to potential to gather and long-term
15 prospective clinical data on these patients.

16 We then reviewed data on antibody levels in
17 currently licensed products and both data sets were then
18 taken to address the question posed on this slide: Which
19 antibody specificities would be useful and relevant to
20 measure with respect importance and to ensure lot-to-lot
21 manufacturing consistency?

22 The first question addressed to Panel of Experts

1 in workshop audience was: Which pathogens are of greatest
2 concern in immune globulin treated and untreated patients?
3 And to address this question, data on infectious diseases
4 and patients with humoral and cellular immune deficiencies
5 was presented by clinicians.

6 The workshop participants, identified
7 streptococcus and haemophilus influenzae as the most
8 important bacterial infections for this patient population.
9 Several viral infections were also mentioned as pathogens of
10 concern including Epstein-Barr virus (EBV), CMV,
11 echoviruses, varicella-zoster (VZV), adenovirus, and
12 coxsackie.

13 Representatives from the FDA Paul Ehrlich
14 Institute and two IGIV manufacturers then presented data on
15 antibody levels in currently licensed products. The
16 presentations revealed that multiple antibody specificities
17 have been studied, and trends in antibody levels over time
18 across products and variations with the plasma source have
19 been observed. And regarding emerging diseases, it is
20 important to note that West Nile (WNV) have been measured in
21 U.S. products although as one would expect seasonal and
22 locational variations are observed.

1 So, at the end of the first day of workshop, it
2 was proposed that pilot testing of immune globulins for
3 Strep. Pneumoniae and Haemophilus Influenzae should be
4 conducted. And we believe these tests are feasible as
5 Opsonophagocytosis and ELISA assays have been validated
6 for these specificities in serum. And in addition, WHO
7 reference labs already exist, to which samples could be
8 sent for testing.

9 In the proposed study, manufactures would
10 voluntarily send blinded samples to the reference lab for
11 testing antibody levels to determine the feasibility of
12 testing and the antibody levels and functions and several
13 manufacturers have already expressed their willingness to
14 send samples.

15 Finally, we would like to measure trough titer
16 levels of antibody to these bacterial pathogens in
17 patients receiving the products, to determine the
18 relationship between in vitro potency and in vivo levels.
19 And we anticipate that by working with manufacturers
20 samples from clinical studies would be available for this
21 type of testing.

22 On the second day of the workshop, we discussed

1 the current lot released tests for measles antibodies.
2 Measles antibodies levels is a standard lot released
3 measure of potency in U.S. immune globulins. This is a
4 historically important specificity due to measles
5 epidemics in the United States. Additionally, validated
6 tester available and antibody levels are correlated with
7 protection in normal subjects.

8 The measles antibody level are currently
9 measured by bioassay, either hemagglutination inhibition
10 or neutralization. Declining antibody levels have been
11 observed in products over the past several years and this
12 is attributed to the decline of titers in the plasma donor
13 population. The regulatory impact of this, declining
14 measles titers is that the products could fail the lot
15 release specification and then a specific lot must be
16 rejected and rejection of lots could lead to an obvious
17 negative on the supply of immune globulin products. We
18 then posed the following questions to the Expert Panel and
19 Audience.

20 • Is measles infection of current clinical concern
21 for Primary Immune Deficiency patients? How much measles
22 antibody is needed to attenuate or prevent measles in this

1 population? What is the potential clinical impact of
2 diminishing anti-measles titers in immune globulin
3 products? And what are possible approaches to address the
4 decline of anti-measles antibodies in immune globulins:
5 With respect to clinical efficacy in prevention of measles
6 infection? And with respect to utility as a test for lot-
7 to-lot consistency?

8 To address these questions, a representative
9 from the CDC presented data on measles outbreaks in the
10 United States. It was discussed that measles outbreaks
11 still occur in the United States. However, they involve a
12 relatively small numbers of patients, and the outbreaks
13 are primarily due to exposures outside of the United
14 States.

15 - We also learned that travelers are at risk in
16 countries with low rates of measles vaccination and
17 patients with combined B and/or T-cell deficiencies are at
18 the highest risk for progressive measles.

19 Data at the workshop suggested that measles
20 titers are decreasing in donor plasma, both source and
21 recovered, immune globulin products although the
22 calculated trough levels would still be predicted

1 protective, and primary immune deficiency patients
2 receiving IGIV and that no neutralization data can be
3 generated from clinical studies to examine this.

4 And finally we learnt that precise protective
5 level of antibody is not certain in primary immune
6 deficient patients. But there are animal models available
7 to study this question.

8 This slide illustrates the trend in declining
9 measles antibodies over time and this data was presented
10 by CSL Behring at the workshop -- and shows a decline in
11 levels in one product since 1985 and this trend is
12 apparent regardless of the plasma source used in the
13 manufacture of the product.

14 So in summery, the possible approaches to
15 address the declining measles antibody levels identified
16 by the discussants at the workshop included one, gathering
17 relevant data, relating product titers to patient trough
18 levels and establishing protective levels; and two,
19 exploring the option that CBER can potentially change the
20 recommendation on antibody potency for measles. However,
21 this change must be scientifically and clinically
22 justifiable.

1 And in conclusion, the next steps to be taken
2 following the workshops include one, to design and
3 implement testing protocols to assess levels of antibodies
4 in immune globulin to H. Influenzae and Strep. Pneumoniae
5 pathogens -- which are pathogens commonly associated with
6 infection in primary immune deficiency diseases and this
7 study will evaluate the feasibility of using these
8 specificities as potency tests.

9 Two, implement a study to measure measles
10 antibody trough levels by neutralization assays in
11 patients to better ascertain the relationship between the
12 product dose and trough level and finally CBER will
13 deliberate on solutions to address the diminishing measles
14 antibody titers in immune globulins running of course,
15 scientific, clinical, and supply considerations.

16 And finally, additional information on the
17 workshop will be posted on the CBER website including the
18 transcript and all of the slide presentations. Thank you.

19 DR. BRACEY: Thank you. Questions on this
20 presentation? Dr. Pierce?

21 DR. PIERCE: Can you comment on how many lots
22 have been rejected because they don't fulfill

1 the criteria?

2 MS. SCHARPF: We are currently, gathering that
3 data from the manufacturers. We have an inquiry into them
4 at this time.

5 DR. PIERCE: Do you have any sense for what it
6 is?

7 MS. SCHARPF: At this time we do not -- although
8 they have obviously expressed concern.

9 DR. BRACEY: Dr. Kouides?

10 DR. KOUIDES: Will there be any efforts made to
11 correlate the falling level with surveillance for
12 documented Strep. Pneumonia infection, for example?

13 MS. SCHARPF: There certainly will be and that is
14 I think why we wanted to include the presentations from
15 the registries at the workshop to try and determine if we
16 can determine that 0-- that information.

17 DR. BRACEY: Okay, thank you very much.

18 MS. SCHARPF: Thank you.

19 DR. BRACEY: Then we will move on to our next
20 presentation, which is -- actually --

21 (Laughter)

22 DR. BRACEY: -- well, actually we can take a

1 break now.

2 (Laughter)

3 DR. BRACEY: Sorry, I thought we had one more
4 presentation. Now, its time for a biological break and
5 why don't we reconvene in 15 minutes at 10:02? Thank you.

6 (Recess)

7 DR. BRACEY: The next presentation will be a
8 continuation of Committee updates, and it will be an
9 update on the Blood Product Advisory Committee's
10 discussion on -- what's really a hot topic in transfusion
11 with the Chagas Testing and that will be done by Robert
12 Duncan. Dr. Duncan is lead scientist of the review
13 Committee that approved the first blood-screening test for
14 T. cruzi in 2006. Dr. Duncan?

15 DR. DUNCAN: Good morning. I'm going to just
16 summarize briefly, the discussion and our interpretation -
17 - especially if this goes with the answers here -- all
18 right doesn't look bad, let's try "Page Down" here.

19 So first, I'll give a little background on the
20 disease -- and this is not thorough or complete by any
21 sense. I'm just keying in on the points that are
22 significant from the point of view of blood transfusion

1 and other means of transmission.

2 Chaga's Disease is caused by a parasite
3 Trypanosoma cruzi. You can see some individuals there
4 swimming among red blood cells in a blood smear from a
5 patient. And what's important is that after the acute
6 infection, which is often mild and not even noticed, a
7 person will go on to a chronic asymptomatic infection,
8 which they probably keep for life.

9 In this chronic phase, it's difficult or
10 impossible to treat, but severe symptoms only occur late
11 in about 30 percent of the cases. The disease is endemic
12 to the portions of Mexico, Central, and South America with
13 estimated 16 million to 20 million people still infected.
14 There's progress in lowering the incident cases, but the
15 prevalent cases will have with us for sometime.

16 Transmission is either by natural bite of an
17 insect that's infected if you rub the feces into the bite
18 wound, you become infected or into the liquid around the
19 eye. It can also be transmitted congenitally by organ
20 transplant; in a blood transfusion or orally, through
21 breast milk, or as recent cases have occurred when people
22 have drunk freshly prepared sugarcane juice, they've

1 acquired the infection; also possible with lavatory
2 accidents.

3 The transfusion transmission is a recognized
4 problem in the endemic areas, and most countries where
5 it's endemic, test their blood. What you can't see on the
6 statistic there is that about 12 percent to 20 percent
7 probability of causing an infection if a Chagas positive
8 unit of blood is transmitted or transfused.

9 In North America, there've been in the last 20
10 years, 7 documented cases of transfusion transmission, 5
11 cases of organ, solid organ transplant. And I just call
12 your attention to this fact, because the last four -- the
13 last five transmissions were organ transmissions.

14 The last transfusion transmission was on 2002,
15 2003 around in there, so it's clear that there is a trend
16 towards organ transplants transmission. Rarely there is
17 natural transmission from infected insects in the United
18 States were mainly protected by not having contact or
19 housing quality is good keep this clear.

20 Passed estimate, this is your prevalence, I'm
21 going to give a more recent estimate later, but as the
22 background is shown, it's really the main concern comes

1 with immigrants who come to this country who're infected
2 early in life and are not aware of it, they become blood
3 donors or tissue or organ donors. So the past history
4 here, in just this past December, FDA approved the first
5 blood donor screening assay as the Ortho T. cruzi ELISA
6 Test System.

7 It's important to note that there was no
8 supplemental test licensed at that time or currently
9 there's non-available, which means any policy or deferral
10 decisions are made based on repeated reactivity to the
11 screening test. There is no confirmatory status,
12 officially. And just this few weeks ago, April 26th,
13 issues were presented to the Blood Products Advisory
14 Committee meeting related to blood donor and cell and
15 tissue donor screening.

16 And that presentation was in the area of donor
17 management, product management, and areas that needed
18 additional research, such as potential for selective
19 testing as well as testing for other cross-reacting
20 parasites, and also the potential for cell and tissue
21 transmission was discussed.

22 This is the agenda of that session just to let

1 you know -- I did a presentation that's very similar to
2 what I'm giving you right now. Dr. Melissa Greenwald also
3 gave a presentation on cell and tissue based products. We
4 had Dr. Susan Stramer of the American Red Cross presenting
5 the experience with screening of the blood supply.

6 Currently, she has presented results on about 2
7 million donations tested. We also had a presentation on
8 the public health impact of blood donor screening, Dr.
9 Susan Montgomery from the Centers for Disease Control and
10 Prevention talked about how -- becoming aware of the cases
11 through blood screening will give us a lot more
12 information about epidemiology, and also lead to a need
13 for response in terms of treatment and follow-up of those
14 people that we detect.

15 We also had a presentation by Mike Busch and
16 Brian Custer on strategies for targeted testing of T.
17 cruzi infection in repeat donors as a possible alternative
18 to universal screening. And there was open hearing and
19 discussion.

20 I'm going to give you a little bit of update on
21 what we've learned from screening to date beginning
22 January 29th. A fairly large number of centers of the

1 American Red Cross and Blood Systems Incorporated as well
2 as some other centers have followed. As of April 17th,
3 1.8 million donors have been screened resulting in the
4 detection of 265 repeatedly reactive donors. And that's
5 0.015 percent detection rate, which is sort of a
6 consistent with the reactive rate that was seen in the
7 clinical trial, which was a great relief, because one of
8 the concerns without confirmatory test, we would be in bad
9 shape if this was a much higher in number.

10 So there is a more specific test called the
11 radioimmune precipitation assay for the T. cruzi
12 antibodies, it's not a licensed test, but most of the
13 blood donors who're screened or retested -- their blood
14 retested with that assay, so although we cannot use it for
15 a policy making decision, we can use it to look at -- so
16 how things are going.

17 Based on that testing at that time 174 of these
18 265 were non-reactive, 50 were reactive, 41 were still
19 pending. So this 50 reactive is more or less considered
20 true positives, people who actually have the infection.
21 And, you know, they're recommended for follow-up for
22 disease treatment and whatever.

1 So, the ratio of true positives to false
2 positives is very much in a good range. About 22 percent
3 positive predictive value for the test, were comfortable
4 with that. We can calculate specificity from these
5 numbers and 99.99 percents specificity also falls very
6 nicely on what we saw on the clinical trial.

7 And this would be our current estimate of
8 prevalence among blood donors, which is considerably less
9 than the earlier estimates. As earlier estimates were
10 probably largely by us by sampling from high prevalence
11 areas, which means places like Los Angeles, South Florida,
12 with a high percentage of immigrants in their blood donor
13 pool have a higher prevalence.

14 The current screening has been guided by
15 voluntary industry recommendations. The American
16 Associations of Blood Banks issued recommendation for
17 implementation to its member, centers, and that bulletin
18 is available. So what are the issues? First, after
19 testing all donors should we continue your universal
20 screening or should there be selective screening? And
21 it's clear that any strategy for selective testing needs
22 to be validated.

1 The deferral for a reactive donor most likely
2 will be indefinite, until we have a licensed supplemental
3 test that we could develop a reentry algorithm. They will
4 need to be donor counseling. All repeated reactive donors
5 will be notified about their likely -- the likely medical
6 significances of the infection, and they maybe referred
7 for additional medical diagnostic testing.

8 The question of cross-reacting diseases, I'm
9 going to develop a little more further on an area of new
10 research. So, there is also issues of product management,
11 all donations from a reactive donor will be probably be
12 quarantined and labeled. Prior collections from the
13 positive donors or reactive donors will be retrieved and
14 quarantined.

15 And then some recipient tracing will be done,
16 the notification of the centers or hospitals that'd
17 received blood collected from a reactive donor will be
18 notified. So, they can notify recipients of prior
19 donations. The policy for Autologous donations will
20 probably follow, what's already in the regulations just
21 adding Chagas disease to one of the agents that's of
22 concern.

1 Meaning that an Autologous donation could be
2 transfused, it just has to be labeled appropriately as
3 long as transfused into the donor. So, the response from
4 the advisory Committee suggest that universal screening
5 for T. cruzi for one to two years is appropriate to
6 acquire more data on Epidemiology, test performance, and
7 understanding of the window period, follow-up testing, and
8 reentry of donors before any final guidance be issued.

9 And this will also allow additional time for
10 other test development. We would prefer to have at least
11 two manufactures on the market before making a binding
12 recommendation. And we would also like to have a licensed
13 supplemental test come forward, so that we'd have a donor
14 reentry algorithm as part of any final guidance.

15 So, where is additional research needed? I have
16 already mentioned the question of target screening of
17 repeat donors. This means, once everyone has been
18 screened -- a person who had a Chagas negative test comes
19 back to donate, under what conditions would they need to
20 be tested again?

21 So, we need to come up with the strategy that
22 would target that retesting of repeat donors and that's

1 the kind of data we hope to be collecting over the next
2 few years. With the strategy that Brian Custer outlined
3 is a certain set of questions that would be on a donor
4 history questionnaire, and they will look at -- and they
5 would ask the questions and then test, and that correlates
6 test results with answers to the questions, and see if any
7 of those questions will guide the strategy to identify the
8 actual infected people.

9 So, that the general consensus that researched
10 on selected testing should be pursued with the validation
11 of the donor questions and multiple years of selective
12 screening during which time that those evaluation of those
13 strategies can be done. We will continue to work with
14 AABB Chagas Task Force to facilitate effective research
15 strategies in this pursuing period.

16 So, the other question where we think there is
17 more research needed the possibility of cross-reactive
18 antibodies of -- between *T. cruzi* and *Leishmania*.
19 *Leishmania* is another protozoan parasite that's
20 genetically related to *Trypanosoma cruzi*. The two
21 parasites are -- have shared an endemic range throughout
22 Central and South American.

1 And the results from the clinical trail showed
2 that 74 out of a 100 samples of people who had
3 leishmaniasis that lived in a non-T. cruzi endemic area
4 reacted with the T. cruzi screening test. So there is no
5 possibility that they were co-infected with T. cruzi.
6 It's just that their antibodies react to this ELISA.

7 So that points a potential problem, and it's
8 really, mostly at this point a problem for donor
9 counseling, it would mean if you had a positive test for
10 this T. cruzi test you were negative on a follow-up test
11 like the RIPA, does that mean you're completely disease
12 free or does that mean you're infected with Leishmania?

13 That's a question for, basically a medical
14 diagnostic question. And this is to be investigated. We
15 have some potential ideas for how that might be done. One
16 of which would be to prospectively follow-up for
17 leishmaniasis all donors who're repeatedly reactive on a
18 licensed T. cruzi screening assays, and follow them for
19 Leishmania serology, risk factors, other medical
20 diagnoses.

21 The other kind of study that could be done would
22 be to go to repositories samples. The CDC has a

1 repository of very well characterized. Leishmania
2 infected individuals they were necessarily blood donors.
3 They could be retested with the T. cruzi ELISA and we get
4 a better idea about the real danger of cross-reactivity.

5 There were some minor cross-reactivity with
6 Plasmodium or Paracoccidioides. That's much lower
7 priority at this point. The response of BPAC was mixed.
8 There were some who express concern that the lack of
9 evidence from T. cruzi blood screening and follow up for
10 Leishmania testing of already over 300 repeatedly reactive
11 donors would indicates there's no pressing need for
12 research. In other words of the 300 repeatedly reactive
13 that have been tested from U.S. blood donors, none of them
14 have showed Leishmania positive.

15 But there is also concern that more research
16 needs to be done to understand how to counsel repeatedly
17 reactive donors that are non-reactive on a more specific
18 test or other medical follow-up. And really the -- one of
19 the points that the Committee made is that the
20 investigation of cross-reactivity to other agents should
21 be focused in medical diagnosis setting, and we're going
22 to continue to follow that.

1 And that's my presentation, thank you.

2 DR. BRACEY: Thank you, Dr. Duncan. Questions
3 from the Committee, perhaps I could ask one question.

4 The good news is that, it looks like there are a
5 lot of donors that will be positive for this test. The
6 challenge is then, so what does one do with donors who are
7 in fact positive. It seems to me, just speaking from the
8 field that our medical system is not really geared towards
9 the management of these individuals and I'd be interested
10 in your thoughts about that aspect.

11 And, then I guess, I asked the question, even
12 though you have not approved the RIPA for reentry, in a
13 transfusion service if one could eliminate three out of
14 four cause in worry on the part of donors, wouldn't that
15 be something that would be good to do?

16 DR. DUNCAN: Expand a little bit, what you mean
17 by eliminating three out of four fields?

18 DR. BRACEY: Oh, oh yeah. Actually what I --
19 what -- if we contact -- if we are contacted on the
20 basis of repeat reactive results and let say the
21 transfusion service, and we have to do look-back, you
22 know, that's a sort of a bothersome.

1 DR. DUNCAN: Right.

2 DR. BRACEY: And if in fact you can eliminate
3 three out of four individuals from consideration,
4 obviously you don't want to do that for reentry, but just
5 as far as donor counseling patient management, it just
6 seems that the RIPA assay has great potential and it's so
7 expensive that in the field at least -- my sense is that
8 many people are not doing it routinely because of the
9 expense -- maybe in the ARC system, but not others.

10 DR. DUNCAN: You mean they are not doing the
11 RIPA follow-up?

12 DR. BRACEY: Yes.

13 DR. DUNCAN: Or they not doing -- so they're
14 screening with the ELISA, but they are not retesting with
15 RIPA?

16 DR. BRACEY: In the absence of -- in the absence
17 of guidance to perform additional testing, some are
18 electing not to.

19 DR. DUNCAN: Right. Well the -- I mean, you are
20 asking a tricky question. For donor counseling, I don't
21 see a problem that the, you know, the recommendation will
22 be for further medical follow-up, after the repeat

1 reactive tests, and that could include, going to Quest
2 Diagnostics and having a RIPA done.

3 Where there is a problem is the question of
4 look-back. I can certainly understand the argument that
5 if you could do lookback out of -- for one out of four or
6 one out of five of your repeat reactive donors that would
7 be a lot easier. But I would like to differ to Jay
8 Epstein to speak on why we can't use RIPA for any
9 recommendations about lookback.

10 SPEAKER: Well, I'm not sure we can. The issue
11 of reentry, as Dr. Bracey said, is separate because that's
12 a blood safety issue allowing the donor back in the pool.
13 And there, you know, it's very difficult, the FDA cannot
14 recommend algorithms with tests that aren't under, you
15 know, the FDA regulatory controls. That said we recognize
16 that laboratory-based test can be available for counseling
17 purposes, and I think what we'll just do is consider your
18 comment whether we could extend that to a decision on the
19 lookback.

20 In any case when we publish guidance, it would
21 be published as draft, and it would be available for
22 comment. So, you know, I think you've made a valuable

1 point. The silver lining here though is that this
2 screening test appears to have extremely a good
3 specificity and so we're talking about small numbers.

4 DR. BRACEY: Right, exactly. Dr. Triulzi.

5 DR. TRIULZI: Yeah. This is a question toward
6 Jay in FDA. It's been problematic having just a single
7 test license for about half of the centers that use
8 alternative supplier lab testing. And would the FDA
9 consider, in order to facilitate broader testing, allowing
10 centers to do testing under an IND for an alternative
11 manufacturer without requiring consent of the donors and
12 I'll guess to how West Nile Virus testing was implemented.

13 SPEAKER: Well, in general, IND requires some
14 level of consent. I don't think we entirely waived
15 consent. You know, there were IRB reviews and there was
16 general, you know, blood transfusion consent. And I'm not
17 sure what consent forms were implemented center-by-center.
18 But there were issues of informed consent with West Nile,
19 as well as with, you know, HIV/HCV NAT.

20 But that said we have not yet issued
21 recommendations that would cause routine use of the
22 license test, and there is room for IND testing even after

1 we've approved license test. New tests do get developed,
2 and they do become evaluated under IND. So that
3 flexibility does exist today.

4 SPEAKER: The West Nile -- can I just followup
5 with that, Chairman.

6 DR. BRACEY: Sure.

7 SPEAKER: Thank you. The West Nile, most
8 centers handle consent by a donor information sheet as
9 opposed to requiring a specific signed consent. And it's
10 really almost necessary in order to get a throughput in a
11 busy donor drive in order to do that. So it facilitates
12 getting the data needed ultimately for licensure. And I
13 think that it would be interesting using that same
14 methodology for an alternative Chagas test.

15 SPEAKER: Right, but what I'm trying to clarify
16 is that the acceptability of the form of consent lies with
17 the IRB. IRBs get to decide whether that's an adequate
18 consent or not. And, you know, there were IRB reviews of
19 adequacy of consent for West Nile as well as other new
20 tests.

21 DR. BRACEY: As we prepare for the charge from
22 the assistant secretary, the information in terms of the

1 infections with the transplant recipients and transfusion
2 recipients resonates in terms of the issue of commonality
3 and we'll talk about that later. But, for one last
4 question, Dr. Sandler.

5 DR. SANDLER: I wonder if we could get some
6 information about the demographics of the two-thirds of
7 the people who reacted in the screen test but didn't have
8 a report that was positive. It seems that if those two-
9 thirds or three-quarters of those people were born in a
10 risk area, for example, the screening test is pretty good,
11 but the confirmatory test needs to be tuned-up.

12 On the other hand, if these people from Boston
13 and from Maine who happen to be in California or wherever,
14 where they donated with no risk factors then you've got a
15 different kind of a problem. What does that profile look
16 like on those?

17 DR. DUNCAN: I don't have those numbers in front
18 of me right now. But what I can tell you is, that is very
19 much like what the strategy for selective testing that's
20 being validated over the next few years will look like.
21 There will be a set of questions attempting to be as
22 objective as possible because when you talk about

1 questions or ethnicity, you know, you get into some very
2 dangerous territory. But questions about place of birth,
3 travel, congenital transmission is a possibility, so your
4 mother's place of birth. Questions like that will be
5 there. And the opportunity will be there to make that
6 correlation for all repeat reactives how do they break
7 out, and that would be the sort of thing that would guide
8 a question that would be helpful.

9 Nobody at this point is proposing that the
10 initial blood screening test be determined by a
11 questionnaire. It's been -- there has been research done
12 in the past that shows answers to the questions are often
13 incorrect and have not in the past been a very good guide
14 to separating the reactive donors from the non-reactive
15 donors. But once a person has been tested once and they
16 test negative then this sort of selective screening could
17 be appropriate.

18 DR. BRACEY: Thank you. One more question. One
19 last question, then we'll move on.

20 SPEAKER: Thank you very much Dr. Bracey. I'm
21 directing these questions to FDA. How long do you think
22 it's going to be until you have a supplemental test? Can

1 you tell us if you're currently reviewing a supplemental
2 test or if you think it's going to be a while before you
3 see one? And the second question is, is the whole concept
4 of donor reentry and whether or not we should require test
5 screening using the Ortho and any other approved assay
6 dependent on having a confirmatory test approved?

7 SPEAKER: Well, first of all, unless Bob you
8 prefer to answer.

9 DR. DUNCAN: No, go ahead.

10 SPEAKER: The -- no, we're not going to hold
11 screening hostage to the availability of supplemental
12 testing because it's safety first. And additional testing
13 is counseling and potential lookback and reentry issue,
14 those are secondary considerations. First, you want to
15 protect the transfusion recipient. So we will not hold
16 back from developing recommendations on the implementation
17 of screening.

18 As to the timeline for development of additional
19 test, as has been stated, medical diagnostic test already
20 exist, and we think that, you know, physicians, medical
21 directors can utilize them for counseling. So that's here
22 now. The really the only issue is FDA approved

1 supplemental tests, which we would then recognize in any
2 algorithms that we might recommend for donor management.
3 And we don't control that timeline. Why? Because we
4 don't have the authority to command that any manufacturer
5 make any product. So a sponsor has to be interested and
6 willing. Now, without, you know, disclosure let me just
7 say that, you know, there is a willing candidate and I
8 don't control the timeline.

9 DR. BRACEY: Thank you.

10 DR. DUNCAN: I wouldn't want to overstep by
11 balance, but the willing candidate made a presentation at
12 BPAC, so it's public information.

13 DR. BRACEY: All right. Thank you. With that
14 we will move on to the next topic, also a very hot topic
15 if you will and that is an update of the BPAC discussion
16 of transfusion related TRALI. This will be presented by
17 Dr. Leslie Holness. Dr. Holness is currently the medical
18 officer from the division of blood application at CBER.

19 DR. HOLNESS: Thank you Mr. Chairman. Good
20 morning. This is summary of the topic Transfusion Related
21 Acute Lung Injury or TRALI presented at FDA's Blood
22 Product Advisory Committee on April 27, 2007. FDA sought

1 at the time to be advised whether available scientific
2 data support the development of FDA policies on methods to
3 reduce the incidence of TRALI. As we'll see, the topic is
4 timely for a number of reasons. Our presenters were Dr.
5 Alan Williams, the director of the Division of Blood
6 Applications and Research, Center for Blood Diseases,
7 National Institutes of Health. The Clinical and Laboratory
8 Aspects of TRALI were given by Dr. David Stroncek of the
9 National Institutes of Health, and Current Use of
10 Transfusible Plasma was presented by Dr. Ravi Sarode of
11 the University of Texas Southwestern Medical Center.

12 A review of the REDS-II Leukocyte Antibody
13 Prevalence Study on HLA and Granulocyte Antibody
14 Prevalence in Blood Donors was given by Steven Kleinman,
15 the University of British Columbia. The American Red
16 Cross Experience with TRALI was presented by Richard
17 Benjamin. And Americas Blood Center's experience with
18 TRALI was presented by Dr. Celso Bianco of Americas Blood
19 Centers.

20 Sorry, this is cut off a little bit. But thus
21 slide represents the FDA recipient fatality reports for
22 the last three fiscal years attributed to TRALI. First
number is the number of reports received for the

1 particular fiscal year, and the second is percentage of
2 the total recipient reports represented by TRALI reports.
3 The number grew steadily as you can see in fiscal year
4 2004 it was 30.9 percent of the total fatality reports,
5 and that grew steadily in fiscal year 2005, until in
6 fiscal year 2006 there were 35 reports which represented
7 over 50 percent of the total recipient fatality reports
8 received for that year. So therefore TRALI related
9 fatalities were citing TRALI is the cause of death
10 reported more often than those citing any other cause.

11 These are components associated with reported
12 fatalities due to TRALI in fiscal year 2006. FFP was
13 implicated more than twice as often as any other component
14 and plasma is also indicated in two other categories.
15 Therefore, transfusable plasma is well represented. TRALI
16 is considered underdiagnosed and underreported. So the
17 incidents spans a broad range from 1 to 1,000, it says 1
18 to 10,000 units transfused.

19 Major projects implicated were fresh frozen
20 plasma, platelets and red cell concentrates. TRALI is
21 more likely to be associated with FFP and platelets.
22 Solvent/detergent plasma which is widely used throughout

1 the world has not been thought to cause TRALI and no
2 causative antibodies have been found in solvent/detergent
3 plasma. So what is TRALI? Basically, it's severe
4 shortness of breath within four to six hours of a
5 transfusion with no signs of fluid overload and with
6 pulmonary infiltrates on chest x-ray. 89 percent of the
7 cases, the first symptoms appear within the first two
8 hours of transfusion. Very few cases allow more than six
9 or less before the first symptoms.

10 Moving on, more clinical features Dyspnea and
11 hypoxemia, fever, hypotension or hypertension. In the
12 chest x-ray you'll see bilateral infiltrates and a typical
13 gwhite out pattern. All the treatment of TRALI is pretty
14 much supportive. Transfusion should be stopped
15 immediately if the offending component is still being
16 transfused. There is hypoxemia so therefore supplemental
17 oxygen should be given, intubation and mechanical
18 ventilation is necessary in about 70 percent of the cases.
19 For hypotension intravenous fluid should be given and
20 agents to increase blood pressure. Corticosteroid may be
21 used with mixed results.

22 The symptoms generally resolve within 24 to 48

1 hours if the -- if provided prompt and rigorous
2 respiratory support. Symptoms may resolve before the
3 diagnosis is made and mortality is 10 to 50 percent. For
4 those who recover rapidly, however, there is usually no
5 long-term effects.

6 Here are some of the mechanisms of TRALI. 45 to
7 60 percent of the cases are associated with neutrophil-
8 specific antibodies in the donor. Donor antibodies to HLA
9 Class I or Class II or both in some cases are also
10 implicated, and Allotypic leukocyte antibodies are known
11 to be stimulated by pregnancy and transfusion.

12 These are prior public discussions of FDA
13 regarding TRALI. TRALI was presented to the Blood
14 Product's Advisory Committee in June 15, 2001. The
15 committee was asked the question, should FDA consider
16 regulatory interventions at this time to identify donors
17 and/or donations with an increased risk of producing TRALI
18 in a recipient?

19 One panel member answered yes, 13 panel members
20 answered no, and the committee recommended more research.
21 In October of 2001, FDA issued a physician's letter to
22 transfusion -- to the transfusion committee to approve

1 TRALI recognition.

2 There has been an increase in fatality reports
3 to FDA since that time. The serious hazards of
4 transfusion or the SHOT program is the hemovigilance arm
5 of the national blood service of the United Kingdom which
6 provides 80 percent of the blood components transfused in
7 the U.K. In their analysis in the U.K. intervention study
8 they noticed that TRALI incidents were five to seven fold
9 higher following the administration of high volume plasma
10 units. The minimize the use of FFP and buffy coat-derived
11 platelets from female donors in October of 2003 and TRALI
12 incidents in the UK subsequently declined dramatically.

13 April 2004 in Toronto there was a Canadian TRALI
14 consensus conference. The conference introduced
15 standardization of TRALI definitions based on clinical and
16 radiology criteria and recommended that blood collection
17 agencies assess the value and the cost of TRALI
18 interventions.

19 This slides shows some data from the U.K. SHOT
20 data. The TRALI cases decreased dramatically as you can
21 see from 1999, 2002 to 2005, as well as the probable and
22 possible cases are shown in red and they also decreased

1 dramatically. In the year 2005, the three cases shown
2 there are buffy coat platelets. There are no cases of
3 TRALI from FFP. However, the UK discards most of its own
4 plasma and imports plasma from the U.S. for patients under
5 16 and this is for vCJD consideration. This is the
6 American Red Cross study. They objectively assess the 550
7 system wide suspected TRALI cases including 72 fatalities
8 from the year 2003 to 2005. The results show that plasma
9 transfusion was associated with 63 percent of the probable
10 TRALI fatalities and platelets, pheresis transfusion were
11 associated with 13 percent of the probable TRALI
12 fatalities.

13 The conclusions were that female donors and high
14 plasma volume components were disproportionately
15 implicated in TRALI, and Limiting plasma from female
16 donors might reduce as many as six recipient deaths
17 annually in the Red Cross System.

18 In 2006, the AABB convened and TRALI working
19 group and this bulletin 06-07 produced in November of 2006
20 is the first bulletin from the group. Basically, it
21 illustrates three precautionary strategies. Blood
22 collecting facilities should implement interventions to

1 minimize the preparation of high plasma volume components
2 from donors known to be leukocyte-alloimmunized or an
3 increased risk of leukocyte alloimmunization. Blood
4 transfusion facilities should work toward implementing
5 appropriate evidence-based hemotherapy practices in order
6 to minimize unnecessary transfusion. And blood collection
7 and transfusion facilities should monitor the incidence of
8 reported TRALI and TRALI-based mortality using the
9 Canadian Conference Definition.

10 The voluntary interventions discussed or
11 implemented by the blood collection community. Deferral
12 of donors implicated in previous TRALI cases, which is
13 pretty much what's happening at the moment. Preferential
14 use of male plasma for transfusion, selected donor
15 questioning or testing for neutrophil-specific antibodies
16 or HLA antibodies. Review of evidence supporting
17 appropriate use of plasma and research, research into the
18 mechanisms of TRALI pathogenesis, prevalence of associated
19 antibodies and other factors, and the role of previous
20 transfusion in white cell alloimmunization of donors.

21 This is a look at the Reds II Leukocyte Antibody
22 Prevalence which is a five-year a five year study funded

1 by NIH in 2004 in multiple project areas across the U.S.
2 LAPS-1 determines HLA class I and II prevalence, antibody
3 specificities and correlates them with pregnancy, history
4 of transfusion, and immune status. They compare it with
5 baseline group of individuals who were never pregnant or
6 never transfused. They will determine the prevalence of
7 neutrophil antibodies in those with HLA antibodies and
8 controls. And LAPS-2, which is projected, looks at the
9 Clinical Lookback study of TRALI. The incidence of TRALI
10 in recipients of high plasma volume components from donors
11 with leukocyte antibodies, and that's in the planning
12 stage.

13 Here are some take home messages for
14 precautionary measures in TRALI. Very significant use of
15 plasma -- significant misuse of plasma, excuse me. There
16 was a NIH plasma conference in 1984 about the risen
17 indication for plasma use. And they -- the conclusion of
18 the conference was there was an overuse of plasma by a
19 factor of 10. Current evidence does not support
20 prophylactic plasma transfusion to correct, mild, or
21 moderate abnormal coagulation tests in patients with no
22 history of excessive bleeding.

1 Blood banks should practice transfusion medicine
2 rather than function simply as dispensing units. There is
3 a greater need for clinicians and medical students
4 education in transfusion medicine and hemostasis and the
5 clinicians respond well to education. The practice of
6 plasma transfusion to 1.5 times normal clotting time
7 should be revised. Other products should be considered
8 such as Cryoprecipitate, Antifibrinolytic agents,
9 Protamine for heparin-induced bleeding, FFP may make
10 bleeding worse, and prothrombin complex concentrates for
11 reversal of coumadin effect.

12 This is a slide that shows the BPAC votes after
13 all the discussions from the session. BPAC voted
14 unanimous yes for the use of predominantly male plasma for
15 transfusion. Unanimously no for the non-use of plasma
16 from donors with a history of prior transfusion.
17 Unanimous yes that more data is needed for selective donor
18 screening, for anti-neutrophil or anti-HLA antibodies
19 which could be beneficial but the technology is lacking
20 especially for anti-neutrophil screening and the
21 technology exist for anti-HLA screening but it's less
22 beneficial because there are fewer cases.

1 The advisory committee found little or no effect
2 on U.S. plasma supply if predominantly male plasma is used
3 for transfusion. The advisory committee made these
4 additional comments. Females with no history of pregnancy
5 or transfusion should be allowed to donate plasma. Non-
6 specified female plasma could be used in critical
7 shortages, and guidance on appropriate clinical use of
8 plasma should be distributed. The AABB Clinical
9 Transfusion Medicine Committee is working on guidance.
10 And the FDA has two liaison members of that committee.
11 And that the end of my presentation.

12 DR. BRACEY: Thank you, Dr. Holness. If I may
13 ask one question, and that is influencing practice, I
14 found an interesting bullet with a green, that physicians
15 respond to education because that's been actually the
16 opposite of my experience. And I begin to wonder, you
17 know, one of the things that we're charged with is looking
18 at the development of policies that will promote the best
19 use and safest use of blood.

20 And, you know, nowadays hospitals pay a great
21 deal of attention to directors from CMS on quality
22 initiative et cetera. And it seems to me that within the

1 hospital, the transfusion committee although it's being --
2 there are some resurges, but it's been sort of atrophic,
3 and perhaps that would be an area where through some
4 policies within HHS that the appropriate use of blood
5 might be fostered, not only on simply an educational basis
6 but really a best -- incentives for best practice because
7 again that is a -- we're beginning to see incentives for
8 best practice in a number of areas within hospitals.

9 DR. HOLNESS: Right. The AABB CTMC is working
10 on that now. Best practice guidance and also the FDA is
11 working also on guidance, and we plan to have more dear
12 colleague letters.

13 DR. BRACEY: Dr. Holmberg, and then --

14 DR. HOLMBERG: Has there been a review of the
15 1984 consensus conference on the use of FFP?

16 DR. HOLNESS: A review --

17 DR. HOLMBERG: The reason I ask is that the last
18 time I looked at it, which was probably about a year ago,
19 I didn't see too many things that were different from 1984
20 to what we're doing now.

21 DR. HOLNESS: Well, that was the reason I
22 mentioned it, actually. They found that there was an

1 overuse of plasma at that time and they still have an
2 overuse of plasma, so --

3 DR. HOLMBERG: And --

4 Dr. Klein: Jerry, if I could comment on that
5 because I was part of that consensus conference. The
6 problem in 1984 was that there were precious few data, and
7 the overuse of plasma number was pulled out of the air.
8 The problem in 2007 is the absence of data. And any
9 number you're going to pull will come out of the air.

10 DR. BRACEY: Excellent point. Dr. Sandler.

11 DR. SANDLER: Dr. Holness, you dropped the hint
12 that if we use solvent/detergent plasma we'd lick the
13 problem, and you didn't have that on any slide as a
14 recommendation that we return to it. There was a licensed
15 product that we used. It was a great product that had
16 disappeared. I'm wondering if you can clarify whether the
17 licensed product was taken off the market voluntarily by
18 the manufacturer and distributor, or was there any body
19 language or other action by the FDA that encourage
20 removing it because of reports of prothrombic activity.

21 DR. HOLNESS: Well, I'm not prepared at the
22 moment to respond to that, but the product was actually

1 taken off the market -- I mean, I understood it was for
2 problem other than, you know, TRALI, and that there are
3 other problems with the products, you know, other than
4 TRALI or any of those problems. I'm not sure that answers
5 your questions, but, you know, I was involved in those
6 discussions.

7 DR. BRACEY: Yeah, I think that is an
8 interesting question because again a number of
9 individuals, well, actually a number of countries continue
10 to use the product without great deal of problems
11 reported. So I think it would be worthy taking a look at
12 it again. Dr. Holmberg.

13 DR. HOLMBERG: I just want to ask a question
14 concerning the solvent/detergent, and that is, do we know
15 the mechanism of removal of the antibodies whether they're
16 HLA antibodies or the NSA or the allotypic leukocyte
17 antibodies.

18 DR. HOLNESS: I'm not sure that known. It may
19 be just a dilution effect because it's approved product.
20 I just know that there has been no antibodies found in the
21 product.

22 DR. BRACEY: Dr. Epstein.

1 DR. EPSTEIN: I just wanted to answer Dr.
2 Sandler's question. FDA didn't act against the product,
3 but the market did. Now, we made known there was an MNWR
4 I believe and there were publications, and there was, you
5 know, investigation at the hospitals and so forth. We
6 thought the information should be disseminated. But the
7 decisions to discontinue use were market driven.

8 DR. BRACEY: Dr. Holmberg.

9 DR. DUNCAN: Yeah, I don't want to take too much
10 time more on this, but I'm very interested in the AABB
11 CTMC working group on the use of the plasma. And I would
12 like to hear, you know, Mr. Chairman maybe later on we
13 could have discussion on whether this is something that
14 you feel that the department needs to have more
15 interaction on and liaison work.

16 DR. BRACEY: Yeah, I mean, we'll talk about that
17 later. I think that again my perspective is that when we
18 speak from the laboratory side to the clinical side there
19 is a voice. But that voice does not always create action.
20 And I think it would be important for the -- for the
21 department to begin to interact with all folks in the
22 process of developing these guidelines so that we can make

1 then work. And become a part of practice.

2 Speaker: I had -- I didn't go to BPAC so maybe
3 some of this was discussed, but I still really get
4 concerned when I hear the data on how many people have
5 TRALI and die of TRALI. In our hospital, depending on
6 which service you're on, you have TRALI or you don't have
7 TRALI. And despite the fact that there is a true
8 definition that excludes other causes, you know, of
9 respiratory distress during transfusion, like, you know,
10 congestive heart failure we will still get reports from
11 clinicians who believe that the two are happening
12 simultaneously.

13 Is it TRALI, is it not TRALI. We have a death
14 from TRALI in our hospital, and I believe it's TRALI, we
15 could never prove it, you know, using serology. So, what
16 is getting reported as TRALI, as death from TRALI,
17 changes. I do believe it's underreported, but it may be
18 overreported. When you look at changes in the death rate
19 in the U.K. is there an increased awareness that we're
20 using a product in the UK that reduces the risk of TRALI?

21 So I'm very concerned there is no definitive
22 test, obviously, and whenever we discuss, you know,

1 implementing strategies for platelet transfusion we have
2 to think about, is it TRALI, is it not? What is the risk
3 of availability versus safety? So I just wanted to make
4 that point that I'm not convinced. Sometimes when I see
5 some of the data that it really does represent a
6 biological entity versus, again, what people's
7 understanding is of what that entity is?

8 DR. BRACEY: Well, again I think this is an
9 important point in terms of the need to have a system for
10 biovigilance so that, a, that we track these things, and
11 b, that we refine the definitions of these events. And I
12 think it's notable that the UK has been able to make an
13 intervention, measure and report an outcome. This is
14 something that in the states -- we'll hear more about this
15 later that, you know, we need to do. One last comment
16 from Dr. Sandler and then we'll move on. Question.

17 DR. SANDLER: The number of transplants of the
18 liver is going up, and up, and up, and fresh frozen plasma
19 is here to stay. And doctor's behavior hasn't changed as
20 Doctor Klein indicated in decades. I'd like the committee
21 to consider the possibility of a strong look at the
22 potential benefits of returning to solvent/detergent

1 plasma as the standard. I think that solvent/detergent
2 plasma was here. It was really terrific, and I don't
3 believe that there is a critical analysis of the factors
4 that cause the market to withdraw.

5 DR. BRACEY: Thank you. We will -- we'll
6 address that in our discussion later. If there are no
7 more comments let's move on to the -- oh, Dr. -- Commander
8 Libby.

9 MR. LIBBY: I have a question. I was not at
10 BPAC either but I know the -- you know, the BPAC votes and
11 comments section, here, you say that there was -- they
12 felt there was little or no effect on U.S. plasma supply,
13 predominantly male plasma is used for transfusion. What's
14 your -- what was the read on the availability of AB plasma
15 because currently we in the DoD pretty much have exceeded
16 our capacity to produce Ab plasma in which case we've had
17 to go to civilian industry to purchase AB plasma, and I
18 kind of -- I'm very much concerned what would happen if we
19 limited lot of our female donors from participating in our
20 donor pools --

21 DR. HOLNESS: Well, the idea to add back those
22 female donors who have never been pregnant or have no

1 antibodies, you know, would help that situation. In a
2 critical shortage you could just use non-specified female
3 plasma. I mean, it's not eliminated per se. It's just,
4 you know, predominantly male plasma.

5 DR. BRACEY: Yeah, I think the keyword is
6 predominant. And I think most people in the field are
7 operating, or planning to use Ab female plasma because of
8 issues of availability. Okay. So we'll move then on to
9 the next presentation which is an update on the BPAC
10 discussion on WNV by Dr. Maria Rios. Dr. Rios is the
11 principal investigator of the laboratory for molecular
12 biology in the Division of Emerging and Transfusion
13 Transmitted Disease of the Office of Blood Research and
14 Review.

15 DR. RIOS: Good morning, and thank you for the
16 opportunity to talk to you today. I will update you on
17 the issues related to the implementation of West Nile
18 viral testing presented to the Blood Product Advisory
19 Committee on April 27 and that the session began with an
20 update of -- on West Nile Virus epidemic in 2006 by Dr.
21 Farnon from the CDC.

22 And then we presented the testing issues which

1 included the approach to confirmatory testing, donor and
2 unit management, and approaches to ID-NAT Trigger. And
3 then the session was closed by Dr. Stramer's presentation
4 representing the West Nile taskforce of the AABB on West
5 Nile Virus the data in support to the current ID-NAT
6 Triggers. CDC presented the ArboNET report of West Nile
7 disease cases in human in the U.S. from 1999 to 2006. And
8 I want to call your attention to the close to 24,000 cases
9 of human disease has been reported to the CDC ArboNET.
10 And close to 10,000 neuroinvasive disease and there has
11 been close to a 1,000 death in these years.

12 So, since 2002, you can see here from the
13 report, there has been more than 1,000 cases of
14 neuroinvasive disease of West Nile Virus yearly, and a
15 100, or over a 100 death, all cases of fatality dues to
16 West Nile Virus infection. Since 2003, nucleic acid-
17 amplification test in the mini-pool platform format has
18 been used to screen blood donations to -- for West Nile
19 Virus.

20 The predictive viremic donors reported to the
21 ArboNET as of April 02 of 2007 we will be -- wasl show
22 also by Dr. Farnon in the blood establishments screen for

1 West Nile NAT and remove the infectious blood products
2 from circulation, and report the presumptively viremic
3 donors to the local public health department, and then the
4 local public health department is the ones in charge to
5 report to ArboNET CDC. So there is a lack of reporting
6 time. And what CDC has in the internet up to -- in the
7 ArboNET upto April 12 was about 361 cases in 2006 and you
8 can see yearly since the screening, how it increased and
9 then decreased, and the increased again. And we know that
10 these numbers underestimate by looking at other
11 surveillance system such as the biovigilance from the
12 AABB. We know that over 400 cases were confirmed positive
13 for West Nile based in the algorithm that I'm going to
14 show you. And it has been more than 2000 units reactive
15 for West Nile being removed from the blood supply and
16 potentially have prevented between 2 and 6000 transmission
17 of West Nile by blood transfusion.

18 The status of the current assay -- the current
19 status of assay in December 2005 FDA licensed the first
20 West Nile NAT for volunteer blood donors which was the
21 Procleix Assay West Nile Assay developed by Gen-Probe and
22 marketed by Chiron.

1 In March 2007, FDA licensed the first fully
2 automated NAT for volunteer blood donor ever, and this was
3 the Procleix West Nile Assay on the TIGRIS system, so we
4 have automation, and there are other West Nile NAT for
5 donor screening currently under IND. With regarding test
6 West Nile has become endemic in the U.S. and it's a
7 reportable disease to the CDC, and it's estimated in the
8 early studies that every 1 in 150, in more recent data
9 with more accurate testing, 1 in 350 infections there is -
10 - every 350 infection there is one neuroinvasive disease.
11 So taking into account the number of reported
12 neuroinvasive disease to the CDC we can estimate there has
13 been 1.5 to 3.5 million infections in the country from
14 1999 to 2006. There has been consistently, since 2002,
15 over 1,000 neuroinvasive diseases in the West with more
16 than a 100 fatalities a year.

17 And the human cases are reported to the CDC from
18 January to December showing that there is activity from at
19 least a report from January to December. So FDA is
20 considering whether blood establishment should screen for
21 West Nile by mini-pool NAT year round. In 2003, studies
22 show that after implementation that mini-pool NAT there

1 were six cases of West Nile by blood transfusion and the
2 studies performed at that time by the blood establishments
3 show that 75% of the reactive units or infected units were
4 identified by mini-pool.

5 However, 25 were missed by mini-pool because of
6 dilution of factors. And I have to clarify that the mini-
7 pool are in 6 or 16 units pulled as the mini-pool and
8 tested depends on -- depending on the test kit
9 manufacturer. And these led to the decision there should
10 be a conversion from mini-pool to ID-NAT, and this was a
11 voluntarily decision by the blood establishments that
12 should be a conversion from mini-pool test into ID-NAT
13 test during the epidemic period. Based on the fact that
14 the West Nile has low viral load compared to the other
15 viruses, and that 25 percent of the West Nile are not
16 positive specimens could not be detected by mini-pool, but
17 only by ID-NAT.

18 In 26 of the detected samples by ID-NAT it had
19 antibody which the majority of the antibody positive units
20 required ID-NAT for detection. In 2004, based on these
21 findings, ID-NAT was introduced in areas with high
22 activity in human. And since the selective ID-NAT

1 introduction there have been three confirmed cases of West
2 Nile transmission by transfusion. So FDA is considering
3 whether blood establishment should implement ID-NAT in
4 areas with high activity.

5 And I would like just to comment that the
6 implementation of ID-NAT is desirable that this
7 implementation should be a uniform criteria. However, we
8 are currently lacking or have falsity of data to define
9 our universal criteria to implement ID-NAT throughout the
10 establishments. And there is an automation of -- platform
11 automated available and these are in discussion. And
12 there have been some volunteering proposal for
13 introduction of ID-NAT. The current testing algorithm
14 consist of mini-pool of 6 or 16 specimen being tested by
15 either one of the two assays that have been used, and if
16 non-reactive in the unit suitable, then they are released
17 for transfusion.

18 If the mini-pool is reactive each unit composing
19 the mini-pool is tested individually. And the units that
20 are non-reactive go to the units -- if suitable by
21 transfusion it's released. If unit are identified as ID-
22 NAT reactive, the unit is discarded the donor deferred for

1 a 120 days and additional tests are performed for the
2 purpose of donor counseling. These additional tests have
3 been repeat the NAT in ID format, obviously, using either
4 the same assay used for screening or not a NAT assay of
5 equal or greater sensitivity, and also perform antibody in
6 the index donation specimen. I would like to call
7 attention that the Red Cross activity for Flavivirus
8 antibody among all the Flavivirus family of the Japanese
9 encephalitis. The data that the blood establishment
10 collected and analyzed from 2003 to 2005 show that these
11 additional testing has a positive predictive value of 98
12 percent in the sensitivity of 98 percent. So FDA is
13 considering whether blood establishment should retest ID
14 donations by -- initial reactive donation by ID-NAT using
15 screening assay or the alternate NAT with equal or greater
16 sensitivity and to test the initial donation there are ID-
17 NAT reactive for antibody to West Nile.

18 There are assays that are specific for
19 Flavivirus but do not discriminate from West Nile Virus,
20 and if that is the case FDA is considering whether blood
21 establishments should perform West Nile specific
22 discriminatory assay to determine West Nile Virus

1 infectivity.

2 So regarding additional testing algorithm mini-
3 pool NAT will lead to ID NAT and it's repeat the same NAT
4 or antibody of the ID-NAT is repeat reactive the unit is
5 considered, the donor is considered positive for West Nile
6 and this is the end of the story. If antibody is positive
7 or present then it's considered positive for West Nile
8 Virus regardless of not being repeat reactive in the ID-
9 NAT, but if it's anti-body negative or absent in non-
10 repeat reactive then these units are considered false
11 positive or true negative for West Nile Virus. In the
12 studies that I mentioned to you from 2005 -- 2003 to 2005
13 followup specimen show that 2 percent of the true negative
14 were in fact true positive because of sero conversion on
15 followup. And moreover about 10 percent of the initial
16 repeat reactive NAT are true positive on antibody in the
17 (inaudible) somewhat the JE set of group vitis. It is not
18 appropriate to consider the individuals through positive
19 for West Nile. And we know that St. Louis encephalitis
20 that belongs to the JE set of group is epidemic in the
21 U.S. So there are sporadic cases. And I would like also
22 to call attention to cross reactivity for these vitis, so

1 that posses a second level of caution.

2 Regarding donor counseling, due to the potential
3 false negative results, it is desirable to inform donors
4 with initial reactive result in ID-NAT about the possible
5 infection with West Nile regardless of repeat reactive in
6 ID-NAT or antibody positive because we know that about two
7 percent to now to be true positive in follow-up.

8 Donors with initial reactive ID-NAT may be
9 counseled and invited for follow-up. ID-NAT antibody
10 takes at least 30 days after the initial reactive donation
11 because there is a gap between affectivity and sero-
12 conversion. Initial reactive ID-NAT donations may not be
13 released for transfusion and donors should be deferred for
14 120 days. And the FDA is considering maintaining the
15 donor deferral and reentry product retrieval and recipient
16 notification as is stated in the guidance in June 2005 and
17 this is the link for the guidance.

18 With regard to the ID-NAT triggering, what we
19 call ID-NAT triggering is criteria to that will trigger
20 implementation or conversion from mini-pool NAT to ID-NAT
21 in areas with high activity. AABB has released a bulletin
22 saying that there has to be a minimal criteria that that

1 should be in the frequency of initial reactive donations
2 and that the action needs to be to implement ID-NAT and
3 that should happen within 24 hours of identification of --
4 or the minimum criteria is met.

5 And a criteria to revert back from ID-NAT to
6 mini-pool NAT again following seven days without repeat
7 reactive ID-NAT that it is a repeat reactive or antibody
8 positive. They identified that the missing link between
9 the centers is communication between the facilities, and
10 the communication plan is also listed in these bulletin.
11 And the plan, it is to use existing testing sites that
12 have data to the AABB website, these sites that collect
13 such that are adjacent or have overlap collection, should
14 communicate between themselves because the transmission in
15 2006 was actually a lack of communication.

16 There were two transmission of West Nile virus
17 by transfusion and the tools for tracking and planning
18 within and between agents, the site specific maps and
19 location of -- based on donor residential zip code, AABB
20 West Nile NAT-reactive donor website that the centers can
21 enter and update on real time, and use the CDC and USGS
22 maps with AABB virus or with avian in mosquitoes and human

1 activities to implement those -- this trigger.

2 It was also mentioned by the -- that the West
3 Nile task force that these criterion needs to be in real
4 time and feasible or otherwise it won't be done. What
5 they are proposing now is two West Nile NAT-reactive
6 donations in the rate of 1:1000 or higher and the no-blood
7 center, which collections are lower than 1000 a week, it
8 should use a weekly collection and combine the adjacent
9 and overlapping facilities to take into account to meet
10 their criteria and look at maps and reports from CDC.

11 It was also cautioned that the interval between
12 the first and the second reactive unit or test in the
13 mini-pool may be too long and maybe some of the units that
14 still are positive in low viral load, that will be missed
15 by mini-pool NAT. So, there will -- this system won't be
16 perfect. And that the bulletin also referred to a defined
17 area, which the criteria has to be applied and has to be
18 very well defined. In that there are feasible and
19 standard that has been -- method that has been listed and
20 that was in the presentation. It is in these slides
21 presented to the (inaudible), which should include the
22 number of collections.

1 The committee discussions and comments, this was
2 an information -- informational topics, so no specific
3 questions were asked to the committee. However, there
4 were no objections to the FDA considerations raised by the
5 committee. The committee suggested that the ID-NAT should
6 be used year-round instead of trigger by incidence of
7 frequency of ID-NAT positive in a specific region. But
8 the blood establishment representatives argued that the
9 year-round use of ID-NAT would result in: increase false
10 positive rates and donor deferral, because they are
11 deferred for 120 days; incremental cost and exhaust the
12 laboratory resources.

13 The importance of early and effective
14 communication to facilitate quick and effective
15 implementation of ID-NAT were exhaustively discussed. The
16 committee stressed that the earlier triggers of ID-NAT is
17 extremely important for the reasons I already mentioned.
18 The committee also alerted that compliance will be
19 challenging without FDA Guidance. And there were also
20 public discussions regarding rate of transmission, donor
21 follow-up and the need for data to evaluate the current
22 criteria for implementation of ID-NAT. And I thank you

1 for your attention.

2 DR. BRACEY: Thank you Dr. Rios. This brings to
3 light one area of responsibility of the committee and that
4 is to make sure that if there are safety initiatives that
5 they are appropriately funded in terms of the cost of
6 blood. And we, in the future, will take a look at that
7 because clearly I think that is an issue if we had Chagas
8 testing and others, some sort of an adjustment will need
9 to happen. Questions for Dr. Rios from the committee?
10 Seeing none -- there is a question. Dr. Triulzi. Oh, Dr.
11 Holmberg, I thought it was --

12 DR. HOLMBERG: I was. I thought he had a
13 question there. Is there a West Nile test that is
14 approved for cadaveric samples?

15 DR. RIOS: The screening, I'll say, for blood
16 donors is approved for cadaveric specimens. It has been
17 included in the clinical trials and had been approved,
18 both.

19 DR. BRACEY: I'm sorry. Thank you. Then I will
20 turn the microphone over to Dr. Holmberg who will have a
21 discussion of Conflict of Interest.

22 DR. HOLMBERG: With our swearing in this

1 morning, I didn't have an opportunity to state about the
2 conflict of interest. However, I want to make it very
3 clear that each committee member and definitely each
4 government employee has been reviewed for conflict of
5 interest. If there appears in any of the discussion that
6 there is a potential conflict of interest, we ask the
7 committee members to make that known in a transparent
8 manner to their colleagues and also to the audience.

9 I ask the same thing for those that may come to
10 the microphone for an open public comment. If there is a
11 potential conflict of interest, I would appreciate you
12 letting the committee know about that potential conflict.
13 Thank you.

14 DR. BRACEY: Thank you. The -- we now move into
15 the open public comment section. The first comment will
16 be from the Immune Deficiency Foundation and will be made
17 by Marcia Boyle. Marcia Boyle is the president and CEO of
18 the Immune Deficiency Foundation.

19 MS. BOYLE: Well, Dr. Bracey, thank you very
20 much for giving me this opportunity. I thank the
21 committee for your concern over the last couple of years
22 on IVIG access. I wish I were not here to continue

1 speaking about it, but I am and I particularly want to
2 recognize Dr. Jerry Holmberg for he has helped our
3 community in trying to get access to this life-saving
4 product.

5 And we are the Immune Deficiency Foundation for
6 26 years, has been the voice of the primary immune
7 deficiency patient community. And our diseases, I won't
8 take the time to go over each bullet, but IVIG is the only
9 clinically proven treatment for our disorders and
10 essential to the lives of our patients and to many other
11 patients.

12 The Immune Deficiency Foundation through the
13 years for the last decade has undertaken credible
14 independent surveys of the experiences of our patients and
15 physicians. And I show this slide just to show the
16 significance of IVIG therapy on our patients with the
17 health status before diagnosis and then the health status
18 after diagnosis in being on IVIG.

19 Last year, we undertook three independent
20 surveys of -- to assess the impact of Medicare
21 reimbursement on access for our patients. And the first
22 survey was a patient survey taken from our database and,

1 by the way, these surveys, if you read the ASBY (phonetic)
2 study, many of our slides have been quoted, but I'm just
3 going to run through a few of them that probably some of
4 them have been quoted, some of them haven't.

5 But we took a sample of patients and then we did
6 a Medicare overlay in order to compare both Medicare
7 patient and private pay patient. And so the first slide
8 shows that treatment -- different treatment locations
9 since December 2004. And you can see that Medicare
10 patients certainly have had to change side of treatment
11 much more than the private insurance. Reasons for changed
12 location, again, with Medicare patients, reimbursement is
13 by far the largest reason. It is more convenience for the
14 private insurance.

15 The site of infusion, where did the patients go,
16 you can see in the Medicare -- the physician's office, a
17 significant drop from 22 percent to 9 percent. They
18 predominantly went to the hospital outpatient and the
19 hospital clinic. Trouble getting IVIG since January 2005,
20 well, our Medicare patients certainly are having more
21 trouble accessing IVIG. And number of times treatments
22 postponed, obviously more times for our Medicare patients.

1 The reasons for postponement of treatment: IVIG
2 unavailable, certainly more than for our non-Medicare
3 patients, reimbursement obviously almost twice as much for
4 our private pay patient.

5 Patients experienced increased intervals between
6 treatments, 18 percent for our Medicare patients, 12
7 percent for the non-Medicare patients. Reduction in
8 dosage 13 percent as opposed to 5 percent. Another
9 question of this as far as treatment of problems, since
10 January 2005, you can see switching brands was almost
11 equal, but treatments postponed was much more for our
12 Medicare patients.

13 Negative health affects, 26 percent of our
14 Medicare patients have experienced negative health effects
15 as a result of getting or paying for IVIG since January
16 2005. Types of medical health effects, hospitalizations,
17 more infection, increased antibiotics, new side effects,
18 pneumonia, bronchitis, all impacting our Medicare patients
19 to a much greater extent. We also undertook a survey of
20 hospital pharmacists that was conducted last September
21 through October. You can see current hospital use of
22 IVIG, 71 percent primary immune deficiency. They are on

1 label in all other conditions.

2 Change in amount of IVIG dispensed by type of a
3 condition, it is not a surprise that it has increased for
4 the hospitals' primary immune deficiency, other conditions
5 as well. Recent needs met by GPO allocation, you can see
6 that only 28 percent say all, but you can look at this as
7 it is certainly not an overwhelming problem with --
8 between most and all, but it certainly is a problem.

9 Turned away patients needing IVIG, at some point
10 32 percent of the physicians or the hospital pharmacists
11 indicated that, yes, patients were turned away. We don't
12 know how many, but we know 32 percent say they were.

13 Reasons for turning away patients in the hospitals, it was
14 more product availability, staffing capacity, inadequate
15 insurance. The survey mechanism really doesn't dig deep
16 into whether product availability at any cost or just at a
17 cost that the hospitals could afford.

18 Hospitals with patient and treatment committees,
19 priority protocols, only 37 percent had a P&T committee or
20 a "priority protocol." Of the ones that had a priority
21 protocol, 56 percent included primary immune deficiency
22 and you can see some of the other priorities that were

1 listed. Average price paid for liquid IVIG, as you can
2 see, the main price is below the -- the pay is below the
3 ASP plus 6. It is a greater gap with the average price
4 paid for the lyophilized product.

5 So the summing up, the average price is 4
6 percent higher than reimbursement for the liquid, and
7 approximately 30 percent of hospitals pay more for the
8 product, than they are reimbursed, and the average price
9 is 15 percent higher than reimbursement and 57 percent pay
10 more than their reimbursed for the lyophilized products.
11 As far as the adequacy of reimbursement for IVIG by type
12 of coverage, you can see that 62 percent say that Medicare
13 is not adequate. Lower amount, if it is Medicare, was
14 supplement and 71 percent Medicaid.

15 This was asked of those who said it was
16 adequate, and of those who said it was adequate, still 5
17 percent or less, 29 percent say they still need 5 percent
18 or less and 6 to 10 percent, 24 percent, and you can read
19 the rest of the chart. Expectation about treating
20 patients in the future who require IVIG, only 24 percent
21 said they definitely will. We certainly would like to see
22 that number higher.

1 We also conducted a survey with the American
2 Academy of Asthma, Allergy, and Immunology, of their
3 physicians on access and reimbursement, at about the same
4 time in 2006, able to obtain amounts of IVIG to adequately
5 treat PID patients. And, you know, the good news is in
6 most cases they can get the product they want, not in all
7 cases, but it is not a huge difference. Price of IVIG
8 showing the medium, the lyophilized and the liquid that
9 calculated the loss of ASP plus 6 for the average
10 physician is about \$1,000 a month. Summing up, the
11 average price is 11 percent higher than reimbursement with
12 44 percent of physicians paying more for the liquid
13 product when they are reimbursed and the average price is
14 19 percent higher than reimbursement, but 81 percent of
15 physicians pay more than they are reimbursed. We use the
16 fourth quarter ASP plus 6 numbers in this evaluation.

17 Effective Medicare reimbursement since 2005, 51
18 percent of physicians reported having patients changing
19 site of care, 36 percent of physicians have had to reduce
20 frequency of infusion, 26 percent of physicians had to
21 reduce dosage and 36 have had patients who have
22 experienced health problems because of reimbursement. The

1 effect of Medicare reimbursement on PID patients, as you
2 can see, may several, you know, and one as far as
3 hospitalization, emergency care, outpatient medical
4 visits, increased telephone contact with the staff.

5 How serious is a risk to the patient's health
6 are current reimbursement standards? 47 -- 56 percent,
7 you know, feel that it certainly is a serious or extreme
8 risk with about 90 percent with moderate included as well.
9 This following question was asked of an earlier survey,
10 survey done early in the year of the (inaudible)
11 membership with the IDF really on treatment practices.
12 This is not about reimbursement, but this question was put
13 in. The same survey was done on the European Society for
14 Immunodeficiency, and you can see as far as extreme risk,
15 serious risk, moderate risk, slight risk that we have a
16 uniquely American problem that Europeans do not see this
17 as a risk and any way that our American U.S. physicians
18 see a risk to patients.

19 And I also want to say that based on the results
20 of the OIG and the ASPE reports, and the IDF survey data,
21 I'd like to ask the advisory committee to go on record the
22 Medicare reimbursement for IVIG is adversely affecting

1 patient access and health. I like to suggest that you
2 suggest that CMS make a recommendation to Congress for
3 adjusting the payment of IVIG and CMS keep the pre-
4 administration fee at least until an acceptable solution
5 has been reached. An acceptable solution is not as quoted
6 in the OIG report, 41 percent of physicians unable to
7 purchase IVIG below the Medicare reimbursement rates, and
8 44 percent of hospitals unable to purchase IVIG below the
9 Medicare reimbursement rates.

10 That data was taken from the third quarter,
11 after reimbursement had somewhat caught up with price
12 increase earlier in the year. It is also unrealistic to
13 think that prices won't go up again. If 41 percent -- 44
14 percent are the rosy scenarios, we should recognize that
15 patients have even more serious access problems than the
16 reports demonstrate. Thank you very much.

17 DR. BRACEY: Thank you. Questions or comments
18 from the committee? Dr. Bloche?

19 DR. BLOCHE: The -- I felt the European
20 comparison was interesting. Do you know what the pricing
21 is in Europe where perhaps in, maybe Germany would be the
22 most comparable country to ours in terms of its healthcare

1 financing system?

2 MS. BOYLE: I really don't -- I think you'd
3 really have to ask the -- probably the PPTA or the
4 individual manufacturers. I mean, what I hear is that it
5 is kind of across the board in some countries. You know,
6 each country, kind of, has their own rates --

7 DR. BLOCHE: Does it tend to be lower than
8 pricing here?

9 MS. BOYLE: Germany, I understand, could be
10 higher. I believe that places like Spain and Great
11 Britain are considerably lower and a number of countries
12 are lower, but I don't have the data.

13 DR. BRACEY: There's no comments or questions?
14 If not, thank you for your presentation.

15 MS. BOYLE: Well, thank you very much for giving
16 me the opportunity.

17 DR. BRACEY: The next presenter will be, let me
18 get my -- Senator Abbie Cornett. She has represented the
19 people of Nebraska's 45th district since 2005 after
20 previously serving as a police officer in Omaha, and she
21 will give us an update from the Alliance for Plasma
22 Therapies. Thank you.

1 MS. CORNETT: Good morning, committee members,
2 ladies and gentlemen. I'm grateful for the opportunity to
3 address the advisory committee on blood safety and
4 availability. My name is Abbie Cornett and in my life,
5 I've had the opportunity to serve many roles. I served as
6 an Omaha police officer for ten years, I'm a wife and a
7 mother of three, and I'm the state senator for the 45th
8 legislative district of the state of Nebraska, now in my
9 third year.

10 I'm also the face of a patient with a common
11 variable immune deficiency. My illness is something that
12 I have kept very private in my life, until today. Today,
13 I'm announcing publicly for the first time, because I want
14 people to see what an IVIG patient looks like, what a
15 success story looks like. I am one of the lucky patients.
16 I receive my IVIG infusions as my doctor prescribes. I'm
17 not as the reimbursement guidelines dictate.
18 Consequently, I am a relatively healthy, hardworking,
19 productive member of society.

20 Other patients are not so lucky. Their access
21 to IVIG is being hindered. They are not equipped to
22 advocate for themselves and thereby being damaged by the

1 continuing IVIG crisis, which is the reason for my being
2 here today. I'm pleased to announce the formation of
3 Alliance for Plasma Therapies. Members of the IVIG
4 community have united to form the alliance because patient
5 care has been compromised by lack of access to IVIG,
6 because many providers are no longer treating with IVIG
7 due to reimbursement restrictions and because no other
8 organization exists to provide unified voice for all
9 patients and providers of plasma therapies.

10 The need for this is critical. Reports recently
11 released by the Department of Health and Human Services
12 along with information from independent surveys confirm a
13 serious decline in patient care. The studies have found,
14 since the implementation of new Medicare reimbursement
15 rules for physicians instituted in January of 2005, 42
16 percent of Medicare patients receiving IVIG therapy in
17 physician's offices in 2004 had been shifted to other
18 locations by the end of 2005.

19 In 2006, only about half of hospitals and
20 physicians could purchase IVIG below the Medicare
21 reimbursement rate. An independent survey of hospital
22 pharmacy directors show that 32 percent of hospitals

1 reported turning away patients for IVIG treatments at some
2 point during 2006. Another independent survey of hospital
3 outpatient clinics and physician providers found that 14.4
4 percent had already discontinued their IVIG infusion
5 services, and 45.4 percent plan to discontinue those
6 services if reimbursements were not adequate.

7 Finally, home infusion services generally are
8 not accepting new primary immune deficiency patients with
9 only Medicare coverage because healthcare providers are
10 not able to acquire IVIG at prices at or below Medicare
11 part B reimbursement levels and because they are not
12 reimbursed for infusion services. This data and more
13 reveal the situation in which IVIG access crisis is
14 placing patients and providers. Consequently, the
15 Alliance's initial objectives include: 1) to insure fair
16 and adequate reimbursement for all brands of IVIG in all
17 sites of care, to update IVIG coverage and dosing
18 guidelines for all diseases, to be an IVIG access
19 information resource for patient and provider,
20 communities, Congress, federal and state agencies and
21 others, and to advocate to Congress and the U.S.
22 Department of Health and Human Services for fair access to

1 IVIG.

2 The Alliance board of directors include
3 representatives from our board community: Roger
4 Kobayashi, MD, a clinical professor at UCLA who practices
5 immunology in the state of Nebraska and is a national
6 consultant to the Immune Deficiency Foundation; Jonathan
7 Katz, MD, a neurologist who practices at Forbes Norris
8 Research Center at the California Pacific Medical Center
9 and serves on the boards of the Guillain-Barre Syndrome,
10 CIDP International Foundation, the Neuropathy Action
11 Foundation, and the California Myasthenia Gravis
12 Foundation; Flemming Nielsen, general manager of
13 Octapharma USA, a global manufacturer of products derived
14 from human plasma; Patrick M. Schmidt, president and CEO
15 of both FFF Enterprises, an IVIG distributor, and
16 NuFACTOR, a homecare company providing IVIG services, and
17 publisher of IG Living; and me, a patient whose health
18 depends on IVIG therapy.

19 But I do not want to be one of the few lucky
20 patients. I do not want to be special. I want to be one
21 of the thousands of IVIG patients who are able to live
22 their lives fully because we who are responsible for

1 serving them have made sure patients can have access to
2 their treatment. They need to stay alive, to stay healthy
3 and to live the life they deserve. This is our vision and
4 as chairwoman of the board of directors for the new
5 Alliance of Plasma Therapies, I will help bring a unified
6 voice to the complex debate on solving IVIG access crisis.

7 We are looking forward to working with the
8 advisory committee on blood safety and availability with
9 Congress and with the Department of Health and Human
10 Services, with industry, and most important with the
11 patient and providers, who are waiting for a collective
12 leadership to resolve our IVIG crisis. I encourage you to
13 contact our board members for more information about the
14 Alliance for Plasma Therapies and I thank you again for
15 the opportunity to address the committee and for the work
16 you do. Thank you.

17 DR. BRACEY: Thank you. Questions or comments
18 on this presentation? Ms. Birkhofer?

19 MS. BIRKHOFFER: Thank you Dr. Bracey. Thank you
20 ma'am for coming and for testifying. I also, again, would
21 like to acknowledge the Center for Medicare and Medicaid
22 Services, Dr. Bowman, for, I think, taking an excellent

1 step in the right direction towards assuring access, the
2 brand specific reimbursement. This is, I think, a little
3 bit of a complex issue that has been before the advisory
4 committee for, as Dr. Holmberg stated in his update
5 several years now.

6 If I could ask would it be appropriate to have
7 Ms. Boyle restate her recommendation to the committee and
8 to check with this Alliance if Ms. Cornett or if she has
9 any experts that could clarify if this Alliance has come
10 to any recommendations with regard to IVIG access. And
11 let's see if there is some synergies between these
12 consumer groups so that the advisory committee could
13 consider the input from the community.

14 DR. BRACEY: Well, yeah, I mean, that's an
15 appropriate thing to do. I wouldn't think that we could
16 do it right now. Perhaps if the two could get together
17 and then we could look to see if there is some synthesis
18 that we could look at later in our meeting.

19 MS. BIRKHOFFER: Because I believe I heard Marcia
20 Boyle state that to continue the pre-administration code,
21 which was --

22 DR. BRACEY: Right.

1 MS. BIRKHOFER: -- extended in '07 and to also
2 provide for a payment adjustment, and I guess I would
3 really like to have the input from the Alliance on what
4 their recommendations would be so that we could identify
5 some synergies and have some alignment there.

6 DR. BRACEY: That's -- could you work with those
7 two groups and then come back?

8 MS. BIRKHOFER: I'd be delighted to.

9 DR. BRACEY: Thank you. Yes, Mr. Matyas?

10 MR. MATYAS: Senator Cornett, what do you
11 attribute the ability you have to have access to product
12 versus the majority of people who haven't?

13 MS. CORNETT: Insurance.

14 MR. MATYAS: Private insurance that pays?

15 MS. CORNETT: Yes.

16 MR. MATYAS: Did you have to change insurance in
17 order to find someone who would pay?

18 MS. CORNETT: No. I am retired from the Omaha
19 police department and have coverage until Medicaid and
20 Medicare and my insurance company provides. But when we
21 switched from one provider for the city to the other, I
22 had to go through an extensive approval process and was on

1 a month-to-month approval basis.

2 MR. MATYAS: Thanks for that perspective.

3 DR. BRACEY: Dr. Bloche?

4 DR. BLOCHE: I'd be -- and this may be something
5 that longer standing members of the committee know much
6 more about. But I'd be interested in understanding more
7 about the pricing strategies of the firms that offer the
8 product, to what extent these prices are locked in by cost
9 versus to what extent it may represent the opportunity to
10 do so much better in the private insurance market that the
11 companies will do better with a lower sales volume even
12 that is lower sales volume because of diminished sales to
13 Medicare. Anybody is familiar with the background, the
14 business strategies behind pricing in the industry, I
15 think that would be really helpful to know about.

16 DR. BRACEY: That's an excellent comment and
17 from a previous meeting, actually I was coming back to
18 some of the minutes, I had that same question and I don't
19 have an answer. But I think that is an important
20 question. Yes.

21 MS. BOYLE: One of the other issues that came up
22 when I first started looking at this problem in 1996 or so

1 is not just the manufacturers, but also the third party
2 mark -- people who are providing it, which are not the
3 same things. So I would just encourage us to look at both
4 of those issues for the answers.

5 DR. BRACEY: Okay. If there are no more
6 comments, we have one other presenter. Thank you.

7 MS. CORNETT: Thank you.

8 DR. BRACEY: And that is Mr. Corey Dubin. Mr.
9 Corey Dubin represents the Committee of Ten Thousand.

10 MR. DUBIN: Thank you Mr. Chairman. Members of
11 the committee, Dr. Holmberg, it is always a pleasure to
12 address this committee. Committee of Ten Thousand has a
13 special relationship with this committee. It was our
14 original reach to Senators Graham and Kennedy asking for a
15 Congressional investigation of the AIDS blood epidemic,
16 which led to the IOM report, with recommendation too from
17 the summary led to the establishment of this committee.
18 We've been associated with the committee at every meeting
19 and had people populated on the committee that we
20 nominated for many years.

21 And it is very important and I think that Dr.
22 Agwunobi set a tone this morning that we are very pleased

1 to hear. We have said that at times we think the
2 committee has lost its client, the secretary. This
3 morning, we are excited that we think maybe the committee
4 has got its client back and can do some very good work. I
5 would go back to a quote from you, Dr. Bracey that we have
6 up in our office that you made when you sat on this
7 committee before you were the chair. You once said,
8 "Blood is to medicine as oil is to armies."

9 The committee would agree with you whole
10 heartedly and we would say that everything we've heard
11 today screams out for a national blood policy and this is
12 the committee to establish that policy to inform the
13 secretary who can then inform Congress and something
14 meaningful can occur. We are the only so-called western
15 democracy that does not have a national blood policy. And
16 I think if we are talking about Chagas for instance, we
17 cannot talk about Chagas in a vacuum from immigration
18 patterns.

19 So we can look at the incidents in Southern
20 California and say, "That's a hot spot." But if we look
21 at some of the UCLA studies on immigration in a larger
22 general sense, we understand that immigrants from Latin

1 America, Mexico and other countries have spread out all
2 over the United States. So a focus in Southern California
3 won't get us the answers we want. If we talk about immune
4 globulins, and of course, we've always supported IDF's
5 calls for more access, better reimbursement, better care.
6 We were with IDF on the plasma users coalition on the mid-
7 '90s, which was started by a group of us, but again, there
8 is an issue that calls out for a national blood policy, a
9 country setting the record and setting the parameters of
10 what's important.

11 And I apologize for working from rough notes.
12 We had a car accident on the way in and things got kind of
13 shook up and I'm sorry about that. CJD, for instance, and
14 Dr. Weinstein talking about the risk assessment, obviously
15 we believe that there has been an apparent lack of
16 interest in the hemophilia treatment centers about CJD or
17 variant CJD counseling and education, again areas that
18 could be part of a national blood policy. We could
19 envision a reality where Congress passes a national blood
20 policy. It is reviewed every, say, three to five years,
21 right here in this committee which then makes
22 recommendations for updating it and sending it back up to

1 be changed.

2 We strongly want to stand on this. We've been
3 standing on it for many years, and maybe based on what Dr.
4 Agwunobi said today, now it the time to really look at
5 establishing a national blood policy and using this
6 coordinating committee, which obviously the Committee of
7 Ten Thousand feels is a critical committee and one that
8 has so much potential, issues that have not been
9 addressed, hepatitis, hepatitis C, the epidemic out there,
10 the look-back that never happened. The issues are long
11 and I don't want to go through a totally large list.

12 It is our hope though that hepatitis C gets
13 addressed in this committee now. We've never really done
14 what we have to do and as with HIV, the hemophilia
15 community is really a shoulder to -- a good piece of the -
16 - the intensity of the HCV epidemic for many years. And
17 it is time for the committee to look at it. But most of
18 all, we were very pleased at Dr. Agwunobi's comments, but
19 we would urge the committee to think in terms of national
20 policy. The people sitting in this table are most
21 equipped to do that. The organizations represented here
22 are most equipped. The stakeholders, we have all the

1 stakeholders at the take and have a golden opportunity.

2 So we would urge you to look at that and we
3 would remind you that the Committee of Ten Thousand is
4 here, will be here, and are very pleased to see Ms. Finley
5 seated and at the table as we think she can add a lot of
6 good to this committee. And we thank you, as always, for
7 the opportunity to address you and again apologize for not
8 having our notes in a more formal way.

9 DR. BRACEY: Thank you. No apologies needed.
10 Questions or comments for Mr. Duvan from the committee?
11 If not, thank you again.

12 MR. DUBIN: Thank you, Mr. Chairman.

13 DR. BRACEY: We are ready to adjourn for lunch
14 and we have notes from Dr. Sandler in terms of the
15 possibilities. There are three in the building, I believe
16 three. One is the faculty club, which is a buffet. The
17 second is center grill, which is a cafeteria. The third
18 is the food court, which is fast food. The fourth is the
19 student's grocery store. Is that in the building or
20 outside of the building?

21 DR. SANDLER: I very much recommend the faculty
22 club, which is an executive dining room. It has got a hot

1 and cold buffet, Marriott managed. If you go out that
2 door, take the card and come up. There is a cart that
3 goes around. Everything is on the cart that's in the
4 perimeter. At about this point is the faculty club. It
5 is open to the public, hot and cold buffet. If everyone
6 all of a sudden showed up, they are going to be
7 overwhelmed and those of you who are at the end of the
8 line may want to continue to the food court, fast food,
9 pizzas and stuff, or continue to the cafeteria.

10 The fourth choice, students, you can get a
11 ready-made boogie-mongus, you know, hoggie kind of
12 sandwich. The very, very top floor of this place is one
13 great big plaza. You can sit on the chair in the sun. It
14 is informal, but the faculty club is way to go if you can
15 get yourself in.

16 DR. BRACEY: Okay. Well, thank you Dr. Sandler.
17 We will reconvene in an hour at quarter to two.

18 (Recess)

19 DR. HOLMBERG: As we all try to settle down for
20 this afternoon's session there are several comments that I
21 want to make. First of all, some of you may have lacked
22 the --or seen the -- or I should say realized that there

1 is a person that is not here today. And that is Captain
2 McMurtry. Captain McMurtry, went on retirement on April
3 6, and he is currently in Georgia. So, he is no longer
4 with us. Lieutenant Commander Henry is taking a lot on, a
5 lot of his responsibilities on. And Lieutenant Commander
6 Henry had to leave this afternoon but Lieutenant Commander
7 Henry's new title is Deputy Director of Policy and
8 Programs. Also I want to mention that Dr. Laura St.
9 Martin could not be here today, and Dr. Ruth Solomon is
10 here in her place. And she came in after we made the
11 introductions. So, I wanted to make sure that everybody
12 was aware that she was sitting at the table here. Okay,
13 thank you.

14 DR. BRACEY: With that, we will move into the
15 afternoon session. And this afternoon we are going to
16 have a major focus on systems for accessing transfusion
17 and transplantation safety. First presentation, we are
18 very pleased to have an international representative Dr.
19 Luc Noel, who is a public hospital -- a medical
20 practitioner specialized in hematology and blood
21 transfusion. And he has worked extensively with the World
22 Health Organization. And he will present his view and

1 their view of the global status of transfusion and
2 transplantation safety. Thank you.

3 DR. LUC NOEL: Thank you Mr. Chairman. Ladies
4 and gentlemen, I'd like to thank Dr. Holmberg for the
5 invitation to WHO to contribute to this committee. I
6 would like also to express my own personal thanks for
7 benefiting from the experience of this form of searching
8 for progress. I was asked to discuss about the current
9 state of safety in transfusion and transplantation. And
10 that first slide is provided to me by my colleague Dr.
11 Neelam Dingra, who is the coordinator of the Blood
12 Transfusion Safety Unit at WHO. I am myself in the same
13 department of Essential Health Technologies in charge of a
14 unit called Clinical Procedures, which, inter alia, is
15 responsible for cell, tissue and organ transplantation.
16 And we work together.

17 What is safe blood? Well, you see here the
18 component, donor selection, screening and testing,
19 process, and proper clinical applications. In other words
20 safety, quality, efficacy of the health product of human
21 origin that is what we're -- what is about today, best
22 clinical practices, standards, safeguards against

1 unexpected adverse events and reaction. And this calls
2 for an involvement of health authorities. This is the
3 first operating paragraph of the resolution adopted by the
4 World Health Assembly in 2004, about human organ and
5 tissue transplantation. Member states are urged to
6 implement effective national oversight of procurement,
7 processing and transplantation of human cells, tissues,
8 and organs, including ensuring accountability for human
9 material for transplantation, and its traceability. This
10 is arch-clear, it is not transplantation, it is not only
11 the matter of the physician, surgeon and the patient. It
12 involves the community through health authorities. Safety
13 involves regulatory authorities and oversight.

14 What WHO has as tool to assess the global
15 situation? There is the global database on blood safety, a
16 well-established tool. There is the global knowledge base
17 on transplantation that we put up in the last years with
18 four components. The four (inaudible) transplantation is
19 but the first is activity in practices, the second legal
20 and organizational framework, and third threats and
21 responses both from the area of safety and ethics. These
22 tools have limitations. Describing the global situation

1 is a slow process but a necessary one. Yet, we have
2 shortfall because of incomplete national consolidation.
3 Because of poor hospital records for transfusion in
4 particular. Because of cross boundary exchanges and we
5 know the situation in tissues where this country, I
6 understand, is exporting tissues to more than 30 countries
7 the world over. But a country like Sri Lanka has exported
8 36,000 corneas over the last decades, in almost -- more
9 than 60 countries.

10 This is improving because of consistent work.
11 The global database of blood safety of 2004 - 2005 exhibit
12 is not yet analyzed and finalized but will include better
13 precision. And the global knowledge base on
14 transplantation is now being expanded with the help of the
15 government of Spain. And we have set up with the national
16 transplant organization there. A global observatory of
17 donation and transplantation. It is important to provide
18 access to this and the global -- to the public. And the
19 global knowledge base of transplantation at this moment is
20 available on WHO website with its components.

21 This slide is provided by the world transfusion
22 safety unit and its epitomizes the main issues at global

1 level. Lack of access to vital transfusion. 81 million
2 donations and you can see in the free categories of
3 countries according to the human development index, how
4 large is the gap between available donation and the
5 population. There is a time (inaudible) factor between
6 organ transplantation and blood donation. These are 2005
7 estimates of kidney transplant, liver transplant, heart
8 transplant around the world. And one can see related to
9 population the very same distortion, inequity between
10 regions. With obviously, well predictability considering
11 the difficulty to -- of deceased donor donation, Asia but
12 also Africa for economic reasons.

13 A better look at WHO regions, the six regions
14 you can see separated by color. With histograms where the
15 number of kidney transplanted either from deceased donor
16 in dark blue or living donor in lighter shade, shows the
17 discrepancies between region. The Western Pacific region
18 on the extreme right has the bias of including organs from
19 executed convicts in China. The activity is not only one
20 -- is not the only thing to monitor long-term outcome.
21 And we made a point to put this on the website very early.
22 Long-term outcome reflects the success for the patient,

1 which is the goal of our activities. And this is -- and
2 since this is from the literature aiming at showing the
3 one-year kidney graft survival, whether the kidney comes
4 from a deceased donor or a live donor. I just wanted to
5 stress the importance of outcome.

6 When it comes to safety, the basis is testing.
7 And in the area blood with the large number of donation
8 that have to be used, testing is incomplete. And it's
9 distressing to see that 21 percent of -- no, it is not
10 percent. Twenty-one countries still do not succeed in
11 testing 100 percent of the donations for HIV. And this is
12 explained by inconsistent procurement of screening tests
13 and lack of resources whether human, organization, or at
14 country level. In the area of transplantation, we focused
15 on transplant tourism, defined as patient, surgeon, donor,
16 vendor traveling for the sole purpose of exploiting a
17 vulnerable individual to obtain an organ. And this is a
18 source of danger for the recipient. And this is one of
19 the latest paper outlining how the source of organ is
20 associated with risk for the recipient and obviously, as
21 we are all aware of, irrespective of the country where it
22 happens, loss of health and income and stigmatization for

1 the exploited individual.

2 This is a very fast survey and I want you to
3 turn this, this is ongoing and essential. We believe this
4 is a key tool for prioritizing, it's a key tool for
5 involving the public, it's a key tool for involving health
6 professionals with whom we cannot progress. And when it
7 comes to vigilance, the involvement of health
8 professionals is vital. The other topic Dr. Holmberg
9 suggested is areas of commonality with blood product or
10 progenitor bone marrow tissue and organ. And we have --
11 and we have had for a long time slides like this one.
12 Obviously, the order, the area, the surface of overlap can
13 be discussed and justified differently. But the root is
14 the same, the human person and the concern created by this
15 human origin are shared more or less by all these
16 products.

17 The main issue, the overarching issue, yet
18 remains the ethical one. And there is a need for
19 consistency between products health products of human
20 origin and consistency around the world. If I come back
21 to my slides the gametes and donation of sperms and
22 oversights -- the fact that there is payment for these is

1 used as an indicator that organs could be paid for in the
2 same manner. There is place for discussion and thinking
3 there. Among these products the -- obviously, the
4 closeness to the human being is very different with some
5 of them being industrialized in their process. And is in
6 a situation to be called plasma-derived drugs to some
7 extent. But whatever the process the origin and the
8 necessary traceability for -- A known and suspected and
9 expected issue remains to be kept.

10 Ethics, sale and purchase I will quickly touch.
11 The question of availability of the human body. Simply,
12 the person as a means rather than an end, are questions
13 that have to be discussed at a time where the globe is --
14 well, the circulation for transplant tourism turns around
15 the globe and everyone, whatever the country, the level of
16 development, and the culture, the background; is involved.
17 This implies that safety of the live donor is also to be
18 put on the forefront. Payment is often a way to buy out
19 any responsibility for the follow-up of the live donor.
20 Invasive procedures vending, donating a right liver lobe
21 obviously, implies more risk and generates questions. And
22 we would like to see data from these individual in

1 countries where this is a practice. But it also goes for
2 much simpler collection and regular blood donation from
3 anemic donors as seen in low and middle income countries
4 is in itself a concern like the need to follow up
5 carefully GCSF stimulated donors of progenitors or stem
6 cells.

7 Consent and protection of the vulnerable,
8 equitable allocation. All this properly dealt leads to
9 public trust and public preparedness to give as much as to
10 receive. These products have in common risk and one can
11 map them against categories. This is not pretending to be
12 exhaustive but just to remind that beside transmission of
13 donor's pathogen and disease diseases, besides microbial
14 contamination; a process well known with tissues, besides
15 immunological incompatibility, there may be physiological
16 interaction that are responsible for unsolved -- in
17 unexpected adverse reaction. There may be toxicity risks
18 of process and even those aiming at getting rid of
19 infectious risks. And there maybe, and this is an
20 important question to be settled, altered functional
21 properties of these products. And the question is do we
22 carry out enough preclinical trials to feel confident in

1 this area, leads to one reply. We need to improve our
2 vigilance of this material.

3 Obviously, and the past has taught us, how
4 important recurrent serial disaster can occur and the risk
5 of recurrence can be in a gravity indexed as an extra
6 dimension. Therefore, one needs obviously, guidance and
7 safety requirements and this is an (inaudible) memoir for
8 for very basic essential minimally processed human cells
9 and tissues for transplantation that is available on WHO
10 website. But as is described in our guidance to set up
11 select tissue transplantation service it is essential
12 owing to the associated risk to monitor adverse reaction
13 in-patient to allow corrective and preventive action.

14 This is the latest I found -- the latest
15 information about the risk associated to transplantation
16 that I came by chance to be aware of, and by chance I had
17 the opportunity to inform transplant specialists of the
18 this possibility. It is a LCMV-like virus identified in
19 Australia with the input of US teams. You never know.
20 Hemovigilance is a need, biovigilance is a need.
21 Hemovigilance, which has been recognized as a good
22 practice for somewhat longer time is developing in

1 countries. This is one of the data of the latest version
2 of the global database on blood safety, the 2004 - 2005
3 preliminary data that Neelam Dingra, kindly gave to me.
4 And it shows how the hemovigilance is increasingly seen as
5 a necessary component of a transfusion service at national
6 level.

7 If there are at national level vigilance system,
8 there must be international collaboration, to improve
9 sensitivity denominators, to improve relevancy. Donors
10 are circulating, products are circulating there is no way
11 to stick within the boundaries of one given state. And to
12 improve dissemination, alert, and information that could
13 bear on the safety of services. This has to be a two-way
14 exchange between all types of countries. The outcome of
15 research in wealthy countries will be based on the
16 experience in tropical areas, where of resources are not
17 at all at the same level. It needs to engage all
18 stakeholders, health authorities, regulators, public
19 health agencies. But also, operators, health care staff,
20 scientific and professional societies. It is important to
21 associate everyone each in his role but never leave one of
22 the players beside.

1 This is important for the circulation of
2 information, for its generation as well. And this need
3 for collaboration extends to other vigilance. Vigilance
4 for devices that are needed to blood banks for example.
5 Devices or ancillary products needed to preserve organs
6 for example. And there is an obvious link with those in
7 charge of infectious disease vigilance starting with my
8 colleague at the alert and response for outbreak in the
9 Infectious Disease Department of WHO. This has been
10 picked up by our consultation process and the -- at WHO
11 for cell and tissue for transplantation. The first we had
12 in Ottawa and vigilance and surveillance were seen as
13 necessary to incorporate at an early stage because of the
14 reason we quoted. And not only adverse events reporting
15 but should include active and comprehensive surveillance.
16 That's the reason we use the term vigilance and
17 surveillance. Vigilance is the attitude, surveillance the
18 method.

19 It should be the opportunity for valuable
20 collaboration. Point already made. And the need for
21 international exchanges. The second, global consultation
22 on obligatory requirements for human cell and tissue for

1 transplantation that was few months -- last summer in
2 Geneva. Noted that many countries are in the process of
3 developing systems for vigilance and surveillance. There
4 needs to be a global aspect for vigilance. To ensure a
5 proper and timely reaction. The question went into more
6 concretely what are the tools necessary an inter-
7 communicability between national and regional programs is
8 a requirement. WHO GKG can play a role in this and it was
9 recalled the importance of the EUSTITE project was
10 recognized. I will spend a few minutes about the EUSTITE
11 project. This stems from the European directive the 27
12 members of the EU have to implement a directive of the
13 European Parliament and of the Council on setting
14 standards of quality and safety for the donation,
15 procurement, testing, processing, preservation, storage,
16 and distribution of human tissue and cells.

17 By the way, the preamble it is stated that as
18 tissue cell therapy is a field in which an intensive
19 worldwide exchange is taking place, it is desirable to
20 have worldwide standards. This has translated in
21 technical directives from the Commission providing, in one
22 of them the second one, providing guidance on adverse

1 events and reaction reporting, traceability and coding
2 systems. And the European Commission has funded a project
3 off called EUSTITE dealing with inspection, and vigilance,
4 and surveillance involving 12 partners in Europe under the
5 leadership of the National Transplantation Agency of Italy
6 that will run between December 2006, and November 2009.
7 You can see more on its website, which is simply
8 EUSTITE.org. It associates 12 national agency or
9 regulatory authority. In any case, they are competent
10 authorities for inspection either for gametes and embryos.
11 The directive -- addresses includes gametes and embryos
12 with cell and tissues. Or tissues and cells. And this is
13 Italy, Ireland, Austria, Spain, France, Slovakia, Poland,
14 UK, Bulgaria, Denmark, and WHO.

15 What was the place of WHO there? WHO is the
16 main partner in the development of a model for the
17 reporting and investigating of adverse events and
18 reactions. We started this with forming a vigilance and
19 surveillance medical advisory committee with the European
20 partners. And we made a point from the very beginning one
21 to have observers both from the US with CDC and FDA, and
22 Canada with the Public Health Agency of Canada and Health

1 Canada. The next meeting in Rome in July 2007, will be
2 enlarged to have a global representation of low and
3 middle-income countries from all regions in order to
4 progress towards guidance simple basic tools that would
5 allow to have a common language, globally, for vigilance
6 and surveillance.

7 Besides this, before I conclude, there is the
8 issue of coding. Coding in the Geneva Regulatory
9 requirements for human cell and tissue for transplantation
10 was recognized as an indisputable need for globally
11 standardized labeling and coding, coding and description
12 for tissues. The opportunity to work in harmonized way
13 before individual countries or region to develop disparate
14 systems. And WHO could play a role in this.

15 What was acknowledged as a very positive
16 milestone was the commitment to one global coding system
17 for cellular therapy products, that is ISBT 128 by
18 relevant scientific and professional societies at global
19 level. And when we had at the beginning of -- at the end
20 of March this year in Geneva, the second global
21 consultation on critical issues in human transplantation
22 at large. The first one was in Madrid in 2003, November

1 2003. The title -- the subtitle of this one was, Towards
2 a Common Global Attitude to Transplantation. Two key
3 points came up. First, the quality and safety are key
4 issues of human cell tissue and organ transplantation
5 since risks are real. Surveillance will be put in place
6 based on traceability, maintaining confidentiality, and
7 codification to critical prior steps.

8 There was a strong recommendation to WHO to lead
9 global traceability by producing an international shared
10 coding system for organ tissue and cell. This meeting,
11 last March, was the culmination of our consultation
12 process on updating WHO guiding principle on
13 transplantation. The date back to '91 they considered the
14 ethics of transplantation; we added a few technical one. I
15 can't help reading the draft from the 10th, which
16 addresses, on the one hand the procedures, on the other
17 hand the product. The quality of care, safety and
18 efficacy of procedures are mandatory for a donor and
19 recipient alike. The long-term outcomes of cell, tissue,
20 and organ donation and transplantation should be assessed
21 for both the donor and the recipient in order to document
22 the benefit and harm for recipients and any harm to living

1 donor.

2 The level of safety, efficacy, and quality of
3 human cells, tissue and organ for transplantation has have
4 products of an exceptional nature, has to be maintained
5 and optimized on an ongoing basis. This requires
6 implementation of quality systems including traceability
7 and vigilance with adverse events and reaction reporting.
8 This we shall present, propose to the meeting of the WHO
9 executives board in January 2008, as this is the three
10 points engaging our governing bodies in the world health
11 assembly.

12 With those guiding principles we hope to be --
13 to come up with a draft resolution in two of these points
14 will be to the executive board to encourage the creation
15 of a global network of collaborating centers on vigilance
16 and surveillance for cell tissue and organ
17 transplantation. And for WHO to facilitate the adoption
18 of a common global basis for coding system for cell tissue
19 and organ for transplantation. Thank you very much for
20 your attention.

21 DR. BRACEY: Thank you doctor. We will open up
22 the floor for questions and comments from the committee

1 members. Dr. Kline.

2 DR. KLINE: Thank you very much both for coming
3 and presenting this. Clearly, the time is right. It seems
4 as if Europe is organizing very well in terms of
5 surveillance even in terms of coding and some slower
6 countries like the U.S. are thinking about it. But it
7 seems to me that it is extraordinarily important for the
8 developing countries to play a role, not only for their
9 own safety, but also as markers for what we may be seeing
10 in the developed world, part of a whole network. Does WHO
11 have any incentives either financial or otherwise to help
12 assist countries that simply can't do this, nationally,
13 because of resource issues?

14 DR. LUC NOEL: Well, a number of points. The
15 first one, as you saw, we will involve developing emerging
16 countries in the Rome meeting and will be very careful to
17 disseminate our progress and involve the global community
18 at large. We convinced from the beginning that this being
19 identified by developed countries could in fact lead
20 because the threat is at global level. Because also, we
21 want every (inaudible) we want every country to reach the
22 same level of service. When it comes to financial

1 support, you know, WHO is not a financial agency but a
2 technical one. But then there may be -- take the coding
3 system, coding system is of course an advantage when you
4 use code bar not to mention radio-frequency and
5 sophisticated non-transcription mechanism, but yet with
6 simple IT and handwritten numbers and check digit it can
7 already be an improvement in traceability. In fact, it's
8 putting -- it's making sure vigilance, surveillance,
9 traceability are part and parcel of the basis package for
10 any country.

11 DR. KLEIN: It sounds like you made a strong
12 argument for the vigilance system in terms of identifying
13 new risks that are posed by transplants, and as well as
14 transfusions. In terms of, for example, that case that
15 you presented with the arenavirus virus. How accepting
16 are the transplanters, you know, you have the issue of
17 putting together not only countries but within countries
18 other constituencies, and it seems that at least that's
19 been fairly well received based on the gains to be had.
20 So, could you comment on, you know, how much difficulties
21 you've had in terms of trying to explain or to impress
22 people of the need for this sort of a system.

1 DR. LUC NOEL: I don't know if there is specific
2 difficulty. My feeling would be that there is an enormous
3 goodwill because this benefits the patient and the whole
4 system is oriented to the patient. Not to mention, the
5 live donor of course. And the time is ripe to better
6 organize things, to improve communications. I could
7 translate my response and there is a communication gap.
8 We do not communicate enough, whether to involve the
9 public or to involve healthcare professionals in what is a
10 resource depending on it.

11 DR. KLEIN: Okay that is good point.

12

13 DR. BRACEY: Yes, Dr. Kuehnert.

14 DR. KUEHNERT: Thanks for the excellent
15 presentation. I just wondered, thinking back to the slide
16 you had on hemovigilance, countries with hemovigilance,
17 and had it divided by the development of the countries.
18 So under highly developed, most countries had a
19 hemovigilance system. There were some that said, no. I
20 am assuming the United States is in that category.

21 But I'm wondering for those that said, yes, have
22 you looked at those countries to see what sort of systems

1 they had in terms of them being government-run systems
2 versus systems, which are done -- we've talked a lot about
3 public-private collaboration. Is there any model for that
4 in other countries, or are they all just purely
5 government-run?

6 DR. NOEL: No, I don't have the data to respond
7 to this.

8 DR. KUEHNERT: And the other question I had was,
9 you know, as we talk about those sorts of collaborations,
10 what sort of collaborations does WHO have in trying to
11 create global collaborations with non-government
12 organizations that may have global reach?

13 DR. NOEL: Well, the scientific and professional
14 societies are playing a key role there. The -- because of
15 their global involvement, because of their expertise,
16 because of their ability to communicate differently, let's
17 say, and in a complementary manner to health authorities,
18 this is one of the reason there is an added value in
19 making sure everybody is onboard.

20 DR. KUEHNERT: I agree, thanks.

21 DR. BRACEY: Additional questions for Dr. Noel
22 or comments? If not, thank you very much, I enjoyed it.

1 The next presentation will be by Dr. Michael Strong, and
2 it will take a look at the U.S. status of transfusion and
3 transplantations safety. Dr. Strong is well-known in the
4 world of blood banking. He is the president of the AABB.
5 Currently, he is Chief Operating Officer of the Puget
6 Sound Blood Center, and was instrumental in founding the
7 Northwest Tissue Center out of the Puget Sound Blood
8 Center.

9 DR. STRONG: Thanks Art. Just from a personal
10 note, excuse me, it's kind of fun to be in this position
11 right now having spent my whole career in transplantation,
12 tissue banking, bone marrow transplantation, cord blood,
13 et cetera, et cetera. So it's kind of all coming
14 together. I think Mat has described it as the perfect
15 storm, we'll see it. And also will say that I do have
16 some strong recommendations to make.

17 (Laughter)

18 DR. STRONG: Sorry about that, but everybody
19 does that for me. So I have -- once in a while can use it
20 myself. I do have a conflict of interest, of course,
21 because I come from a blood center that does all of these
22 things. So we're, the Puget Sound Blood Center is not

1 only a blood center and distributor, but we are a
2 transfusion service, we provide crossmatching services for
3 all the hospitals in Seattle.

4 We are cord blood bank, we are tissue bank, we
5 do histocompatibility testing for all our organ transplant
6 programs in the region. So we have a bit of action in all
7 of these things. But today I'm speaking on behalf of the
8 AABB.

9 And just to warn you, I think you're going to
10 find some common themes in the speakers that you hear in
11 the -- today, and this afternoon, and tomorrow, because
12 basically we were all given the same question. And so,
13 we're probably going to be answering it in much the same
14 way. And even Luc, who comes from the other side of the
15 ocean, has already started some of the themes that will be
16 developed here.

17 AABB's mission includes practice of -- and
18 standards of transfusion medicine, and we've expanded that
19 in recent years to include cellular and related biological
20 therapies. And within this cellular and related
21 biological therapies, we've included tissue. In fact, if
22 you go back to the mission statements of AABB, 10 or 12

1 years ago, tissue was actually in the mission statement
2 there, it has only more recently gained prominence. And I
3 think we have heard this morning, that often this comes
4 about in response to problems, and we obviously have had a
5 few problems in the last couple of years that needed to be
6 addressed.

7 (Slide.)

8 DR. STRONG: This has already been put up as a
9 slide, these are the areas that we're looking at, blood
10 components and derivatives. The cellular therapy products
11 including marrow, cord blood, and peripheral blood
12 progenitor cells, along with tissues and organs. We all
13 do have a common goal, and my theme here just to cut to
14 the chase, is that we agree that there is commonality and
15 a need for a national strategy to deal with this.

16 Ultimately, we're all dealing with a common
17 goal, a donor and patient safety, and of course
18 availability, which of course, in itself is a safety issue
19 if it's not there. And efficacy is another issue that I
20 think we all have in common. Now, the common risks to
21 address are essentially two in nature. They are
22 infectious risks, which we actually know quite a lot

1 about, and the noninfectious risk, which at least in the
2 U.S., we don't know a lot about, except from the
3 experience that we've gotten from our colleagues
4 elsewhere.

5 (Slide.)

6 DR. STRONG: This is a slide from a paper that
7 we published a couple of years ago, in *The New England*
8 *Journal* that compared tissue donors and blood donors. And
9 in this case, I have put up the first-time blood donor,
10 because that's probably a fairer comparison, which
11 demonstrates that the risk is quite considerably higher
12 for the tissue donor only in the sense of when we look at
13 the donor coming into the process. Because remember that
14 tissue is highly processed, and risks are reduced on the
15 basis of the processing steps as well.

16 But in terms of the prevalence of infectious
17 markers, HIV, HBV, HCV, et cetera -- clearly tissue
18 donors, although safer than the general public, when you
19 compare those, do have a higher risk status than first-
20 time blood donors. The same can be said of the -- in
21 terms of risk calculations, we know actually quite a bit
22 because it's been published extensively that the risks for

1 blood donors are in this range, the highest at this stage
2 being HBV because we have not instituted universal
3 minipool or nucleic acid testing for HBV.

4 For this, tissue donors, just recently, they
5 have begun testing for HIV and HCV. I would argue that we
6 should be also doing NAT testing for HBV. But here again,
7 the risk assessments are based on prevalence of marker
8 rates in these populations. The same comparison has been
9 done for stem cells. This was data compiled by Dr.
10 Stramer (phonetic) and several of us, including the
11 National Marrow Donor Program, putting together the
12 prevalence rates among different donors in the HPC
13 category, so cord blood, progenitor cells, autologous
14 cells are used for transplantation.

15 And in this situation, it was a comparison to
16 look at risk assessments using minipool NAT testing. And
17 in fact, the comparisons made between whole blood donors
18 and combined HPCs, there were no significant difference in
19 prevalence rates for the markers with the exception of
20 surface antigen. And I can tell you that the primary
21 contributor to this high rate in the HPCs are the cord
22 blood donors, which had a higher rate statistically.

1 Rates for autologous blood donors are and have
2 been from the beginning, much higher than the volunteer
3 blood donor. Now in terms of reporting of the
4 noninfectious risks, we've already heard this morning
5 about TRALI and the risk there. These are reports to the
6 FDA that really are recipient fatality reports. And you
7 begin to see here some of the noninfectious risks, such as
8 non-ABO hemolytic reactions, and ABO hemolytic reactions
9 that occur, and the mortality rates. This is likely to be
10 underreported, and it doesn't -- it certainly doesn't
11 cover all of the noninfectious risks that we know about
12 from other reporting systems.

13 And that gets me to another strong
14 recommendation, which is that we need to have something
15 like this. We don't have it in the U.S. The
16 hemovigilance network has been going on for quite some
17 time. We're about 10 years behind many other countries
18 including France in terms of a global reporting system for
19 risks, both infectious and non-infectious. Now, to be
20 fair, most of these countries are -- have government-
21 sponsored health care systems. These reports are done
22 through government funding, and that's not something that

1 we've had in this country.

2 So we have a somewhat broken-up system for
3 reporting in the United States. But it is one of our
4 intentions in AABB to try to establish a hemovigilance,
5 which actually we're calling biovigilance because of the
6 very topic that we're talking about today. We think there
7 is a lot of commonalities between tissues, organs, stem
8 cells, and blood.

9 Well, in order for us to sort out, what are the
10 noninfectious risks, and what can we learn? We learned
11 this is data from Denmark, and I think it lays out in
12 general what the remaining risks are in one country. They
13 vary somewhat from country to country. The big one here
14 is the incorrect blood component transfused. In other
15 words, that's generally a clerical errors,
16 misidentification of patients, misdrawing -- getting the
17 wrong blood in the tube, et cetera.

18 I started out in blood banking in 1964, and our
19 biggest error in 1964, was a clerical error, and that
20 hasn't changed, much like transfusion of plasma practices,
21 that hasn't changed either. Now from the SHOT data in UK,
22 they've calculated a risk assessment based on the

1 reporting system in the UK. And you can see here our
2 mortality at one in 250,000, which is about 10-fold what
3 we know about the risks of transfusion in infectious
4 disease, such as HIV or HCV. Major morbidity and serious
5 hazards are much higher. Unfortunately, we don't have
6 that data in the U.S. because we don't have a system for
7 monitoring, we do not have a vigilance system.

8 I wanted to point out, however, in terms of
9 mortality that Transfusion Associated Circulatory Overload
10 (TACO) is number one in the UK, that doesn't even show up
11 on the FDA list. And I think it's certainly
12 underreported, and we don't have good ways of monitoring
13 that. Now, I've -- this is information that I've put
14 together actually from personal experience in terms of
15 noninfectious risks for tissues and cellular therapy
16 products. There is a common theme amongst all of these as
17 well, which would be for organs and tissue graft failure,
18 of course, is of concern.

19 It's different for different kinds of tissue,
20 ranging from mechanical failures, non-unions, or
21 loosening, and with -- particularly with large bone
22 grafts, non-unions is an issue, incorporation of the bone

1 product and failure of graft. We don't have good
2 surveillance systems for dealing with that. Immune --
3 rejection is primarily a soft tissue issue, and even more
4 specific than that with heart valves, particularly in the
5 pediatric population where we know rejection takes place.

6 There are also technical issues that banks have
7 to deal with. For example, mis-measurement, giving the
8 wrong-size graft to the surgeon, mislabeling, for example,
9 a surgeon has ordered the left femur and opens up the
10 package with a patient on the table and it's a right
11 femur, that's a problem. So those issues also occur. In
12 the cellular therapies arena, the same -- some of the same
13 issues are there. Graft failure certainly is important,
14 immune rejection. Here graft-versus-host is something
15 somewhat unique to cellular therapies. But, of course, in
16 blood transfusion, graft-versus-host also can occur.

17 Technical issues are also an issue for all of
18 these various products in the cellular therapies arena
19 that includes counting errors, processing errors. A big
20 one is a bag breakage because these units are frozen, and
21 if they show up in the transplant ward and they thaw them
22 out, and the bag breaks, you have a problem. Mislabeleding

1 is a common problem. I mentioned tissue, it's also true
2 for cellular therapies and of course there are side
3 effects relative to administration. Luc mentioned the
4 issues of toxicity for tissues. We don't really know much
5 about the side effects of some other treatment measures
6 that are taken for tissue, such as high dose of radiation
7 or solvent/detergent treatment and residuals.

8 Well, we talk a lot about -- in the blood world,
9 we talk a lot about layers of safety, and these actually
10 are the same areas that Luc has already mentioned. We all
11 have these issues in common. I'm going to talk in
12 comparative terms between these categories. So we start
13 with donor screening and eligibility. That's true for all
14 of us, collection or recovery, infectious disease testing.
15 We generally use the same batteries, there is processing
16 steps, there is labeling steps, traceability has been
17 mentioned, and I'll talk a little bit about that.

18 We also have to deal with transportation, with
19 storage, temperature monitoring, surveillance of quality.
20 And the area that we're needing to shore up quite
21 considerably is this area of outcomes analysis and adverse
22 event surveillance, which we don't do a very good job of.

1 Well, let's start with donor screening. Of course, we
2 have FDA regulations, and certain private standards apply
3 with associations such as the AATB and the AABB.

4 We actually use similar donor history
5 questionnaires, pretty much adopted from the blood
6 industry by all of the agencies that are involved with
7 this. Donor screening and testing for infectious agents
8 is actually quite similar. And of course, in some cases
9 donor counseling doesn't always apply for the tissue
10 donors. We're not talking about counseling the donor in
11 that situation. But in some cases, families are actually
12 informed about infectious risks.

13 In terms of collection or recovery, there is a
14 informed consent process, there is a certain amount of
15 sterility testing, either microbiological or in terms of
16 bacteria or viruses. For blood and HPCs, we have the
17 common apheresis collection process that's essentially
18 identical. And we -- I'll deal with both allogeneic and
19 autologous collections for organs that would be living,
20 related donors but for bone and tissue and blood,
21 autologous products are also involved.

22 Now that's not entirely intuitive, but if you

1 work in a hospital and you're dealing with a bone flap
2 from a head trauma case, and trying to figure out how to
3 deal with that, autologous tissue is a big issue and has
4 resulted in serious problems because of it.

5 (Slide.)

6 DR. STRONG: Here is a little chart basically,
7 to compare, and I'm not going to spend much time on this,
8 but again, it's the same battery of tests essentially.
9 There are differences. Some have not been required, for
10 example, HBV NAT is not required on the tissue side.

11 West Nile is not required, although the blood
12 donors are all being screened now. Most of the tissue
13 donors are being screened. Organ donors is variable
14 across the country, primarily because of access to the
15 laboratories and also timing, getting test results.
16 Chagas' is relatively new and is developing, so we're not
17 sure whether that's going to be required at some stage.
18 In terms of in-process testing, ABO and Rh Types are
19 involved on the tissue side, less so, but certainly with
20 heart valves, ABO and Rh testing is done.

21 We screen for antibodies in both CT products,
22 and blood products requiring cell counting, amount of QC

1 is done for red cells and platelets or CE34 analysis for
2 cell products. There is some HLA typing and I can tell
3 you even for tissue that we have done HLA typing for once
4 again, heart valve recipients when there has been a
5 rejection. There is in-process testing, for example, for
6 freeze-dried tissue using residual moisture detection.

7 And all of us worry about microbial
8 contamination. The one addition for CT products would be
9 cell viability, it would be of course, platelets on the
10 blood side require cell viability as well. In terms of
11 processing, cell separation is a common process for the
12 cellular and blood products, not so with tissue. So there
13 are certainly differences. Cryopreservation is employed
14 in all of these fields. We have to deal with storage,
15 transportation, quarantine of untested, unreleased
16 products, and of course, labeling is a key, and we'll talk
17 about that, because that really relates to traceability.

18 Now the labeling issue, and Luc has already
19 introduced the concept of ISBT, the blood industry is
20 moving in that direction. AABB has set forth a
21 requirement that implementation take place by 2008.
22 Internationally and nationally, amongst the tissue and

1 cellular therapies organizations, the discussions are
2 ongoing. I would certainly support a standardized
3 procedure, because at this stage, labeling is not
4 standardized certainly for tissue, and it's needed if
5 we're going to get to traceability.

6 There is a North American Tissue Technical
7 Advisory Group that's working on investigating ISBT for
8 finished allografts. It's complicated by the huge variety
9 of allografts that are present in the tissue arena.
10 Traceability is probably one of the big issues
11 particularly for blood, and I have put a little data here
12 that was generated by AATB actually. And for tissue, the
13 key is the return of the transplant record. Now, we
14 really only trace to the hospital and in some cases, with
15 the transplant record we can identify who the surgeon was
16 that received tissue.

17 But you can see the great variability in terms
18 of the return of the transplant records to the tissue
19 banks themselves. I put this one on there of course,
20 because that's us and we like to brag. But you can see
21 that as low as 14 percent of return records, which makes,
22 of course, traceability quite difficult. So

1 identification traceability is related to how these
2 records are managed.

3 (Slide.)

4 DR. STRONG: Transportation, we have the common
5 issue to limit deterioration to maintain proper
6 temperature because these require certain temperature
7 monitoring. We have to have controlled and validated
8 packages, and temperature control and monitoring is a
9 similar issue for all of us. Labeling to ensure
10 appropriate handling has just been discussed. And as Luc
11 mentioned, international shipping is more of an issue for
12 cell products and tissue.

13 But the concept of going to ISBT for blood
14 relates to the same issue if being able to transport
15 globally.

16 (Slide.)

17 DR. STRONG: Storage, I've already mentioned
18 and, you know, one of the points to be made here is that
19 the joint commission now has published standards on
20 management of tissue in hospitals because that's where the
21 deficiency really has been in traceability for tissue.
22 The tissue banks can trace it to the hospital, but once it

1 gets in the hospital, sometimes it gets lost, because it
2 goes to multiple surgical specialties.

3 The new joint commission standards require the
4 hospitals begin to get control over this, and because
5 they're asking for control of storage monitoring and
6 record keeping, often within the hospital, it's the blood
7 bank that gets tapped. Now, they are not always totally
8 accepted -- accepting of that. Dr. Klein has already
9 pointed out that he doesn't need that extra work. But in
10 fact, the skill set resides in the blood bank, and AABB
11 has now been working on developing guidelines and tools to
12 assist blood banks in managing tissue within the hospital.

13 (Slide.)

14 DR. STRONG: Now the surveillance part really
15 has to do with not only adverse reactions, but product
16 quality. And I can tell you from the tissue perspective
17 that one of the great advantages of having a high return
18 on graft records is it gives us a much better way of
19 monitoring quality and determining when there are problems
20 that occur with the grafts that are distributed. So for
21 the CT products, tissue engraftment is important. And
22 they have begun to set up better systems for monitoring

1 when engraftment becomes a problem. For tissue, of
2 course, graft function is an issue.

3 The adverse event reporting has to deal with
4 both donor and patient on the -- in the system. And of
5 course, there are reports that go to the FDA through
6 different mechanisms. What we've been missing in the U.S.
7 has been a hemovigilance system which we, at AABB in
8 concert with several of our government partners in terms
9 of a taskforce it's been established this year, and built
10 within the AABB strategic plan for establishment of a
11 biovigilance system, which actually will be a public-
12 private initiative. And that discussion is ongoing as of
13 this year.

14 So in terms of adverse events surveillance,
15 again we have some very common issues across these product
16 lines, infectious diseases, mis-transplantation, bacterial
17 contamination, a lot of the noninfectious risks of febrile
18 reactions, graft-versus-host disease, immune reactions,
19 TRALI and TACO has been mentioned already, which may be
20 unique to blood and particularly plasma products. But
21 there are lots of things in common that need to be
22 managed.

1 Now we can't talk about adverse reactions
2 without also saying that we also need to make sure that we
3 have an adequate supply, that that's a -- really a safety
4 issue in and of itself. And it's already been mentioned
5 that fair reimbursement is also critical to have access to
6 these materials. So in common, I am making a strong
7 recommendation that there ought to be a strategic plan.
8 And it really ought to be a public and private initiative.
9 We all have the patient's safety in mind.

10 And really focusing on the similarities was the
11 key here taking note of the -- that of course there are
12 significant differences as well. But with the
13 commonalities that exist, we do believe that a common
14 strategic plan is needed.

15 DR. BRACEY: Thank you Dr. Strong. It seems to
16 me that from your presentation and Dr. Noel's presentation
17 that the absence of such systems really represents an
18 oversight, because one cannot assure quality in the
19 absence of having such systems, and so there's much work
20 that needs to be done. Questions from the committee for
21 Dr. Strong. Dr. Bloche?

22 DR. BLOCHE: Racing through Congress now, is a

1 bill that will give the FDA a much bigger role in
2 vigilance with respect to drugs over the long haul, will
3 make a variety of counter surveillance that were voluntary
4 and not much done, obligatory. To what extent does that
5 legislation apply to these products? And to what extent
6 have you been involved, if at all, and you are shaking
7 your head, in trying to shape that legislation to expand
8 it to these products?

9 MR. STRONG: Well, we haven't been involved on
10 the pharmaceutical side. I think that there is a role to
11 be played here. In terms of associations, we don't have
12 the deep pockets to be up on the Hill doing the lobbying.
13 I think that this committee and other advisory committees
14 can play a role in addressing some of those policy
15 deficiencies, you know, certainly hemo -- biovigilance is
16 a key one for us. We think it's important, we think it's
17 the only way we're going to be able to address
18 particularly these other risks that other countries are
19 addressing well that we haven't been able to address here
20 in this country. So we've talked about legislative
21 initiatives and work on the Hill. I think that's another
22 public-private opportunity.

1 DR. BRACEY: Thank you. Additional questions or
2 comments? Dr. Kuehnert?

3 DR. KUEHNERT: Well, I just wanted to maybe
4 modify my quote from perfect storm to perfect opportunity.
5 So maybe if we can do that and not miss it, it will be a
6 good thing down the road. I guess, I wanted to just,
7 maybe suggest some things about the last question. We at
8 CDC have been, you know, looking at not only the so-called
9 biologic products issues, blood, organ, tissue, but also
10 at drugs, vaccines, devices, all are sort of medical
11 interventions that as WHO puts it, that -- they need to be
12 looked at. But there are commonalities, but there are
13 also big differences that need to be kept in mind.

14 Also, the legislation, it sounds like just looks
15 at what FDA regulates. And of course, when we're just
16 even talking about biologics, you know, that there is some
17 important categories we wouldn't want to leave out. So I
18 don't think we can completely look at that paradigm as a
19 solution. But I wanted to ask Dr. Strong about this
20 public-private partnership and put him on a spot a little
21 bit. I mean, how do you see this actually working? You
22 know, just maybe if you can help the committee understand

1 with some examples, they might get a better idea of how
2 feasible it is, because no other country that I know of
3 really has that. And we're unique, and there is a lot of
4 reasons why we are unique in the way health care is
5 administered. So how would it work?

6 DR. STRONG: All right, so how much time do we
7 have? Well, as you know, we have a steering committee
8 that was established by AABB that has all of the
9 stakeholders, we think, at the table to discuss how that
10 might work. We've been working our way through those
11 issues, identifying what it is that we want to capture,
12 and how we would capture it, and how that data could be
13 accessible to all of the agencies who want it, because I
14 think most of the government agencies, who have been at
15 the table, would like to have access to that data.

16 We've had difficulties in figuring out how to
17 get reporting system. So should it be voluntary or
18 mandatory, you know, of course, as AABB, we think, a
19 voluntary system ought to be the one to go for. We've not
20 had good luck with mandatory system. In fact, at least
21 with AABB what it ends up happening is that we lose
22 membership, because people don't want to be held to

1 certain standards.

2 So I do think that there is an opportunity,
3 there is an opportunity for public funds, and private
4 funds. The government has problems dealing with funding
5 from year to year, and changes of priorities by different
6 administrations, and access to resources. But they do
7 have some, the private industry also has access to
8 resources.

9 Obviously, the big question as to why we haven't
10 been doing this before has been all about how we're going
11 to pay for it, and who is going to pay for it because
12 there is no mandate to -- for it. And nobody wants to put
13 out additional resources when we're all strapped.

14 So I think that by coming together, sitting at
15 the table and saying, first of all what do we need from
16 this system, what are the goals of this system, and coming
17 up with common and agreed upon goals. Then we move
18 forward for how we develop it, and where it should be.
19 Should it reside inside government, outside government,
20 with government access and input.

21 Those are all parts of the discussion that we've
22 been going through this year, as you know, because you're

1 on that steering committee. And we have FDA
2 representation, and we have HHS representation. Everybody
3 is at the table, and I think that's important to start the
4 process.

5 DR. BRACEY: Dr. Epstein?

6 DR. EPSTEIN: Yeah, Mike, thank you also for
7 this very comprehensive overview. Can you comment -- you
8 mentioned the key role of record reporting. And it's been
9 heard from time to time that we have a successful model in
10 hospital-based infectious disease reporting, because we
11 have infectious disease epidemiologists in hospitals. And
12 I just wondered if you could share any thinking from
13 within the task force about the concept of an
14 epidemiologist to report on events related to transfusion
15 and transplantation and whether that it is a kind of
16 simple unifying solution?

17 DR. STRONG: Well, I think that's the -- that
18 would be maybe the long-range goal. We've talked about
19 transfusion safety officers in hospitals for many years.
20 The question has been how do we pay for it. So I think
21 what we've -- the way we've approached this is that we've
22 got to start at somewhere, and we want to keep it simple

1 to begin with, to show a feasibility. So we are talking
2 about a pilot study with a limited number of hospitals,
3 with reporting by those who are committed to this kind of
4 thing, usually in the transfusion service, where these
5 reports come.

6 Now, ultimately, the -- probably the Quebec
7 system is a good model, because they've decided to put the
8 money into putting essentially transfusion service --
9 transfusion safety officers in every hospital who monitor
10 these kinds of things. And clearly, transfusion and
11 transplantation could be a part of somebody's role.
12 That's how the infectious disease networks work. They've
13 got ID people who monitor these things and the reports
14 come through that system.

15 That's a much -- I think, bigger job, longer-
16 term job, much more expensive job, when we haven't really
17 even figured out how to set up a database to collect this
18 information, I see that is one that we would like to
19 strive for, but it's going to be much more difficult.
20 Because we -- first of all I have to figure how we're
21 going to pay for the system to begin with.

22 DR. BRACEY: Dr. Klein?

1 DR. KLEIN: It does occur to me that although
2 the AABB really has taken the leadership role here, and I
3 think appropriately has taken the leadership role and in
4 many ways government has not. We have a very nimble
5 national system of epidemiologists that has done really
6 world-class work, whether it is West Nile virus, or HIV,
7 or Yersinia contamination of blood components. Perhaps a
8 bit under-funded, but it seems to me that this might be an
9 area where this committee could help to determine how that
10 very nimble organization might assist in biovigilance over
11 the long term.

12 DR. STRONG: Well, I can tell you that Mat and I
13 have become good friends over the last few years, because
14 -- I think we talk about once a week. Usually it started
15 out with tissue, but it has expanded in that view. And I
16 think it's been a good partnership, because we've -- the
17 blood community has worked with CDC, the tissue community
18 has worked with CDC and that's been an important
19 partnership. But I also know that Mat is a one-man band
20 down at the CDC. And the budgets as you know Harvey have
21 not grown, and in fact they have gone down in the last few
22 years. So it's a challenge for -- for the CDC to manage

1 this.

2 Now we've had actually very good comparisons now
3 that AABB has set up a bio -- our first attempt at this
4 was setting up a bio -- biovigilance website for reporting
5 West Nile and now Chagas. And by the way I didn't mention
6 that, but for those of you interested in Chagas you can go
7 to the website at AABB and see where the Chagas reports
8 are coming, and which ones are being confirmed and where
9 they are on the map.

10 That has been a partnership which I think has
11 been very important and the task force that was generated
12 from the West Nile epidemic included FDA and there has
13 been very valuable discourse between us in the development
14 of that. The reporting system is very interesting,
15 because with the web-based reporting system we get almost
16 instantaneous reporting. With the public health service
17 network, it takes months to get those reports through.

18 So it has actually been the -- the private part
19 of this that has helped CDC focus on, where epidemics are
20 occurring and where to focus attention through their
21 public health service network. Because that is also a
22 network of 50 states with separate systems reporting, and

1 they don't report all the same way. And that's going to
2 be our challenge, is that we have this disperse and not
3 standardized reporting system.

4 DR. BRACEY: Dr. Solomon, you had a question?

5 DR. STRONG: I am going to take up your whole
6 afternoon.

7 DR. BRACEY: That's all right.

8 DR. SOLOMON: I actually have a comment and a
9 question. I would like to mention that the Center for
10 Devices at FDA has a program called MeDSuN, Medical Device
11 Surveillance Network. And it's a voluntary program where
12 they have individuals in hospitals monitoring and
13 reporting to them adverse reactions and there is a tissue
14 component that has tagged onto that now with about 35
15 hospitals participating in that. And there -- we have
16 gotten adverse reaction reporting, which is a requirement
17 now for tissues through that mechanism.

18 And my question is could you expand a little bit
19 on your last bullet of about significant differences as
20 you see them?

21 DR. STRONG: Well, I think the significant
22 differences deal with the adverse reactions, so for

1 example, a transfusion associated circulatory overload is
2 really not a problem with a tendon graft, so that's a
3 difference. So there are specific tissues that have
4 different kinds of adverse reactions of -- a femur may
5 have a problem; there may be a problem with non-union or
6 fracture with femurs, loosening of screws, that sort of
7 thing, that's not a problem for blood transfusion.

8 So there are significant differences, we can't
9 ignore those and there has to be a reporting system to
10 identify those kinds of adverse events as well. But there
11 are also lots of commonalities and what I wanted to focus
12 on today were the commonalities.

13 DR. SOLOMON: I would just like to mention that
14 when I think of significant differences, some of them that
15 come to mind are the risk, benefit ratios, whereby -- and
16 the availability of organs and tissues. Those are
17 important considerations, particularly for instance,
18 certain cell products require HLA matching. So I think
19 those aspects of it need to be kept in mind.

20 DR. STRONG: Yeah, and that was my point really,
21 is it there are lots of commonalities and that was the
22 focus, but there are also differences and we do need to

1 pay attention to those as well.

2 DR. BRACEY: Okay, I think we'll go ahead and
3 then give Dr. Strong a break. Thank you for your
4 presentation. Our next presenter will be from America's
5 Blood Centers and he is actually a former long-standing
6 member of this committee, Dr. Celso Bianco. Dr. Celso
7 Bianco is the Executive Vice President of the America's
8 Blood Centers, and he will present their perspective on
9 the U.S. approach to safety.

10 DR. BIANCO: Well, thank you, very much. It's a
11 pleasure to be here. I tried to prepare a presentation
12 that is a touch challenging in a slightly different
13 perspective from the other ones, but I hope you understand
14 it as constructive, even if at times it may sound a bit
15 critical. First, many of you in the community may not
16 know, but America's Blood Centers is an old organization,
17 it is an association, a network of 77 individually
18 licensed blood centers, both in the United States and the
19 two centers in Canada.

20 Together they collect about 9 million donations
21 a year. And they collect about half of the blood supply,
22 the other half of the blood supply in the U.S. being

1 collected by the American Red Cross, and all the blood
2 supply in the -- in Canada. And the members are involved
3 in every one of the areas that we are talking about today,
4 expect -- for directly for organ transplants.

5 The questions that we received from Dr. Holmberg
6 and everybody is trying to look at, are very clear and
7 they focus mostly on what we have been talking about, they
8 are the commonalities among the several products that we -
9 - biological products that we either transfuse or
10 transplant. But one of the questions that I tried to
11 address a little bit is the third bullet -- is it
12 sustainable? How to improve it in the future and is there
13 a need for a master strategy.

14 When we think about the current state of safety
15 we could say that blood is an -- and these -- many of
16 these, other procedures are safer than they have ever
17 been, this seems to be the common way that we say it. But
18 I -- the concern that I want to express is, can we sustain
19 it? And in order to sustain it, we'll have to address
20 what is happening in terms of financial resources, people,
21 investment in research and development, innovation,
22 standards accreditation, regulation, and ultimately

1 availability that is without blood, without tissue,
2 without organs, we wouldn't be here. And I don't want to
3 look a little bit in that perspective. For instance about
4 -- with financial resources.

5 Blood collection and transfusion -- and I'm
6 going to focus on blood, that is our major activity, is a
7 mature industry. It's not like pharmaceuticals that we
8 are having a new product introduced in the market. Every
9 year we have 50 million whole blood collections, and if we
10 look at the AABB and the HHS survey, it's been flat
11 between 2001 and 2004 and my feeling is that it is still
12 about the same.

13 We have a couple of million aphaeresis
14 platelets, it increased between 2001 and 2004 about five
15 percent. But again, there is little prospect for further
16 growth. Then who are we for the big manufacturers of the
17 products that we use to do our job? When we look at J&J,
18 Abbott, Kyorin, Roche, less than one percent of their
19 revenue comes from the things that we do. The profit
20 markets for projects that we produce is way below those of
21 pharmaceuticals.

22 And so the interest that those industries have

1 on what we do is quite limited. Hospitals -- in the same
2 way, less than one percent of the hospital expense is
3 blood. It's five to fifteen percent of the patients on
4 the other hand are transfused. But if we look at blood,
5 the hospitals will try to address with the blood centers
6 the question, because blood being the biggest budget item
7 in the laboratory budget, that in trying to avoid
8 increases in prices they are difficult.

9 And the blood centers themselves are not-for-
10 profit organizations, low margins, and low reserves. When
11 we look at what happened to the price of blood products,
12 what I have is from 1995, I don't think that is easy for
13 all of you to see it, but the red line is the price of --
14 the average price of a unit of red blood cell since 1995.
15 And -- okay, that's good, thank you.

16 The red line is a price of unit of red blood
17 cell since 1995, adjusted for inflation, and the green
18 line is the leukoreduced red cell. And each one of those
19 errors is some event that left to an addition of safety
20 that led to a corresponding increase in the price of the
21 unit of red cells. And so if we add a new HCV Assay, a
22 new West Nile Assay, the prices will correspondingly

1 increase.

2 But if we look at this over twenty years, it's a
3 very stable, very -- there is nothing attractive from the
4 point of view of markets to that picture. The same thing
5 in term of margins, we had a very difficult time in the
6 '90s in terms of the way blood centers interacted with
7 hospitals and they priced their products, and so the
8 margins of the blood centers suffered tremendously.

9 While the hospitals also have very limited
10 margins, that is that you cannot compare with the margins
11 of other industries between four-and-a-half, five percent.
12 Blood centers had a very difficult '90s, started improving
13 in 2000, and reached -- still lower than hospitals where
14 we are now a margin of about between four and five
15 percent.

16 The fact that these financial resources are not
17 there, affects other areas. There is no new money, there
18 is limited ability to pass on costs, there is limited
19 ability to invest, the current generation of leaders is
20 ageing, if you look at Dr. Strong and myself --

21 (Laughter)

22 DR. BIANCO: Small number of training programs

1 for physicians and PhDs, shortage of technologies,
2 technicians, few training programs, no funding available
3 for biovigilance, as Dr. Strong just mentioned, and
4 limited internal resources to support R&D. The prospects
5 for innovation also are not great. Manufacturers of test
6 equipment and software are few and shrinking. There are
7 two manufacturers of screening assays only. And they are
8 both in trouble, either financially or from the regulatory
9 point of view. Limited competition, higher prices, less
10 innovation.

11 Companies are -- I'm talking about the ELISA
12 test. They are also two manufactures for the NAT -- for
13 the molecular test, and that's it. When we look at the
14 Untied States and we look at what happens in Europe, we
15 have -- it's incredible, the difference, the number of
16 companies that are entering and working on this field.
17 The number of assays that are available in every aspect of
18 what they do.

19 Companies are focused here in the Untied States
20 on a short term. They have very limited R&D. Gone is the
21 time when a company would have a hepatitis program, where
22 they would be searching for hepatitis viruses that had not

1 been discovered as yet. Today a company will develop a
2 test only if they had indirect guarantees from the FDA
3 that may be this test will be mandated one day and Chagas
4 is one of those examples.

5 And venture capital has disappeared from our
6 field. Look at what happened; they poured a lot of money
7 in oxygen carriers and pathogen inactivation. These went
8 on for many, many years, they didn't get anywhere. So
9 they are very concerned, they are very leery about
10 approaching us in terms of investing into new
11 technologies, into innovations. A lot of it is related to
12 what we deal with risks and our perception of risk and how
13 we set our priorities, and I think that one of the major
14 issues that this committee has to address is how we set
15 priorities in our field.

16 I just want to remind you over a note -- paper
17 that was published in *Science*, twenty years ago exactly,
18 by Slovik (phonetic). And I think it's one of the most
19 interesting approaches to understanding risk by putting
20 this graph in plotting things in terms of a risk that is
21 known or unknown risk, and in that you put a voluntary or
22 involuntary risk, a risk that is associated with dread or

1 no dread.

2 In that paper he puts that certainly, the
3 biggest unknown and most dread risk that you could
4 identify at that time, was three-mile island time, was
5 nuclear reactor accident. It's put auto racing as a
6 voluntary risk, with a little bit less dread. But
7 certainly saccharine was not something that the population
8 would be willing to consider a risk. And a home swimming
9 pool that is one that was at least at that time one of the
10 biggest -- I don't know if it is still today. Biggest
11 causes of accidental death of children were simply ignored
12 in that list of risks.

13 If we look at what we do I arbitrarily added the
14 things in transfusion that I felt that would fall into
15 each one of the quadrants. Obviously, we talk about Ebola
16 and vCJD, and they are up there. HHV8 since we don't know
17 it, but there was a paper published in *New England Journal*
18 *of Medicine* few months ago that everybody ignored. It
19 simply didn't fly as a risk. We have a number of risks
20 that are known that like B19, HBV, HCV that we -- but they
21 have no dread, that the population is not scared of them.
22 On the other hand we have HIV that we know a lot about

1 today, but is still a risk associated with a lot of dread.

2 Unfortunately, there is a lot of expect -- or an
3 unfortunate affect -- there is an expectation of zero risk
4 by the public and by the recipient advocacy organizations.
5 The accreditation organizations, the regulatory agencies
6 respond to those expectations and this is obviously, and
7 the policy decisions are justified by the precautionary
8 principle, essentially that if we can do something about
9 what is happening, let's do it, and we will talk -- and
10 touch, but what this perception does with this graph, I
11 think, is very interesting.

12 Everything is dread, everything is terrible and
13 we become unable to setup priorities, what is the thing
14 that we should address first or last. The environment --
15 the regulatory environment is another one that certainly
16 affects the development and the future. Certainly, the
17 environment is risk adverse and in over the years from HIV
18 to Vioxx, or peanut butter, or pet food, certainly, there
19 is a focus, particularly in organizations like the FDA on
20 keeping things safe.

21 And that's what is expected from the population,
22 from the politicians, from the government and from all of

1 us in a certain way. In my opinion regulators are, and I
2 don't know if this is true, I'm just giving you my
3 opinion, are terrified of making mistakes. And they are,
4 in many situations, are forced to apply the precautionary
5 principle, that is, take the action before data are
6 available without the balances of risk benefit or cost
7 benefit. And they tend them to take very strict
8 regulatory measures, attempting to prevent all risk is not
9 just most risk, there is no balance.

10 And so if we talk malaria, or if we talk West
11 Nile virus and we heard today the report, we screen 15
12 million donations a year. We had three transmissions in
13 three years, 2004, 2005, 2006. And we are focusing all
14 the efforts that we have in preventing a repeat of these
15 three transmissions. Does that make sense from the public
16 health point of view?

17 Compliance issues also affect safety. We still
18 --- because of the way the law exists in the United States
19 for licensure, the fact that Dr. Epstein actually reminded
20 us today that depend -- that the changes depend on the
21 sponsor, the company that manufactures the test.

22 The regulatory authorities have no power to tell

1 the company to do this and that, but still major part of
2 the blood supply in the United States is screened with a
3 second generation assay for HCV. Europeans look at us
4 astonished. They say that we are 10 years behind in this
5 technology, and in a certain way, they are right.

6 What is also interesting observation is that the
7 regulatory agencies have become more active, and if we
8 look at all the guidances and everything that comes, they
9 have gradually taken over territory. They used to be
10 privileged of the accrediting organizations, the standard
11 setting organizations and today we have many practices set
12 in as guidance for regulations that before were just part
13 of standards.

14 Obviously, the accrediting organizations, in
15 order to preserve their mission, attempt to preempt
16 regulatory action. Each one has to be more strict than
17 the other one, and then we have a lot of inconsistencies,
18 both between standards, between regulations, or even
19 within different regulations by different portions or
20 parts of the system. For instance, we cannot screen
21 cadaveric donors for some agents, because --
22 theoretically, because there are no assays available that

1 have been licensed as for that purpose.

2 We have discrepancy between requirements for
3 individual donor NATs for blood donors versus that blood
4 donors can be screened on pool NAT, but donors of -- the
5 same donor that donated platelets today donates a stem
6 cell tomorrow for a transplant, that donor has to be
7 screened in a different way. And there are guidances for
8 screening today that are being issued, essentially the
9 accrediting organization in blood, so that is, the AABB is
10 issuing guidance in bacterial detection, West Nile virus,
11 screening for T.cruzi that were before just then by the
12 FDA.

13 In terms of regulations, and now I come for
14 priorities, not -- I do not want to discuss necessarily
15 the merit of each one of those. But an FDA officer gave a
16 presentation to ABC a couple of months ago, and gave us a
17 list of what they consider priorities, regulatory
18 priorities that they were -- that was our request
19 actually, that they were trying to attend. And I put the
20 list, that is in blue, but I put in red the ones that we
21 really agree that are serious priorities.

22 Testing for syphilis antibody, in my mind, is

1 not a priority. Reentry for NAT, for HIV-1 and HCV are
2 guidance, that is, in the works for the past five years.
3 Yes, that's an important priority. Use of NAT for West
4 Nile virus, we are all part of this system. We have been
5 doing it in collaboration with the industry and the
6 manufacturers and the FDA, and certainly guidance was the
7 least important of all those things.

8 We have as priorities updating the donor
9 assessment for malaria risk. That's where we lose between
10 one and two percent of our donors. We are waiting for
11 abbreviated uniform donor history questionnaire, so not to
12 torture the donors that donate frequently to our system,
13 they have to go to this forty-some odd questions with sub
14 questions every time that they come and donate.

15 We are worried about our computer systems, which
16 are not being updated at the speed that the field of
17 computing is going. While today, we buy our computer and
18 it comes already with this stuff, most or none of the
19 computer systems that are currently available for blood
20 banking even use windows. And the manufacturers are not
21 interested in coming to review, because they consider the
22 regulatory process burdensome and the market small, the

1 number of customers too small to spread the costs of
2 managing that.

3 Let's go back now that I'm coming to the end of
4 what are the areas of commonality. Yes, there are many
5 areas of commonality, this has been extensively discussed.
6 Maybe, one difference is that one we collect with needles,
7 the other one we need some little bit of surgery to get
8 the material. But even in that sense when we look at the
9 regulatory system in the United States, I -- it's -- I
10 know that these -- there are historical reasons that led
11 up to that.

12 But it's hard for somebody outside to understand
13 that we have an office of blood research and review
14 looking at blood, an office of cellular tissue and gene
15 therapy independently looking at tissue, and stem cells
16 and cord blood, and another HRSA looking at organ
17 transplantation. And it would be hard to find
18 commonalities when we have three different interests.

19 Is there a need for a master strategy?
20 Definitely, yes. We need a forum for development of
21 common priorities involving regulators, regulated parties,
22 manufacturers, clinicians, product recipients, and we need

1 also to do it on evidence-based decision-making. I think
2 there is a substantial tremendous role that this committee
3 could play in helping us set these priorities.

4 All of us have to agree on what these priorities
5 are to be. We have to focus on quality processes, not
6 just products, and we have to fund both operations
7 research, discovery research. We have to be willing to
8 manage risks. We all have to share some risks in search
9 of new technologies, and new levels of safety. Otherwise,
10 we will never try something new. We'll just stick to the
11 old stuff, because we are afraid of trying the new stuff,
12 and this has to apply to blood tissue and organs.

13 How do we involve the stakeholders is all of us,
14 we need transparency, workshops, open meetings like this
15 one, documents, dockets, increasing participation of
16 experts at BPAC meeting, despite the regulatory issues
17 that these may represent. We need to increase the
18 appropriations for FDA with focus on the evidence-based
19 regulation. They -- all these agencies FDA, CDC are
20 under-funded particularly in our area.

21 And this is for instance, there is no fee-based
22 system, there is no PDUFA, or MDUFMA for blood and blood

1 products or for tissue. And increased appropriations for
2 NIH-funded research in transfusion medicine and
3 transplantation. And ultimately, something that I hope we
4 all have in this room is the willingness to change those
5 things and a little bit of sweat. Thank you.

6 DR. BRACEY: Thank you, Dr. Bianco. Questions
7 from the committee for Dr. Bianco? Dr. Holmberg.

8 DR. HOLMBERG: Well, thank you, Celso. As
9 always, very thought provoking. I was going to ask you
10 early on, about the prioritizations, especially when you
11 did your perception of risk and then when you got to your
12 presentation, your comment about a forum for establishing
13 the development of common priorities. I really would like
14 to ask you, what would -- what do you think that looks
15 like? How would we do that, what would be your
16 suggestion?

17 DR. BIANCO: Well, I -- what my suggestion would
18 be on a practical -- I don't know if it is possible, but I
19 can talk about a fantasy I have.

20 DR. HOLMBERG: Okay.

21 DR. BIANCO: The fantasy is that Dr. Epstein
22 would bring to the committee his list of priorities, and

1 the committee would help him set which one goes first,
2 which one goes next, and which one goes next, so that we
3 could get -- they could get input about not only what the
4 regulators perceive as a priority, but what the users, the
5 community, the patients and the community of blood centers
6 and tissue banks perceives as a priority. I know that
7 from the regulatory, from all -- it's just a fantasy, but
8 that's what I'd like to see.

9 DR. BRACEY: Comment or question from Dr.
10 Pierce?

11 DR. PIERCE: Celso, thank you for that
12 presentation. You know they say that those forget about
13 history are doomed to repeat the failures of the past and
14 I think in looking at evidence-based medicine which is
15 extremely important in today's society, one also has to
16 look at the humanistic side of evidence-based medicine.

17 Where does the evidence come from and who is one
18 the frontlines of supplying that evidence and that's where
19 the, maybe the rubber meets the road, and I think we can't
20 forget about that either. If you try to assess risk,
21 using evidence-based medicine, the evidence comes from
22 those whether they are donors or recipients who are at the

1 highest risk of having whatever it is you're looking to
2 provide evidence for, and that's the dilemma.

3 DR. BIANCO: I couldn't agree with you more,
4 Glenn, but that's why I think that we need a mechanism to
5 do that. As you have 20,000 hemophiliacs in this country,
6 being exposed to a poor product with something that could
7 be there, that could simply affect all of them in a matter
8 of days, and you have three cases of West Nile
9 transmission in two years.

10 You need a mechanism to be able to say, which
11 one of them is going to be my focus today, and which one
12 will be my focus tomorrow, and that's what we don't know
13 how to do today. We haven't learned in face of -- because
14 exactly of this human side, we have a tremendous
15 difficulty, because for us one is too many, and -- but the
16 resources are unlimited, the people are limited and how do
17 we decide which one comes next.

18 DR. BRACEY: We have two questions and comments,
19 one from Dr. Epstein and then Ms. Finley. Dr. Epstein.

20 DR. EPSTEIN: Well, you know, obviously, Celso,
21 you've been both thoughtful and provocative, but you've
22 also, sort of put FDA at the sort of, center of the storm,

1 and I'm not sure that's really the correct focus. I think
2 that, you know, FDA responds to the demands of many, many
3 stakeholders. And the regulated industry is certainly an
4 important stakeholder and they are -- you know, lives on
5 the receiving end of regulation per se, but it's not the
6 only stakeholder. And you know, we're listening to the
7 voice of the patient community, and various other parts of
8 our society.

9 I think that with regard to the fundamental
10 problem has to do with acceptance of cost and acceptance
11 of risk, and I think that the fundamental problem there is
12 that we don't have a well-articulated or well-established
13 framework in which to resolve the issues of that nature.
14 It's not to say that we can't get at them, you know,
15 Congress can legislate, FDA can regulate, but I think we
16 have difficulty doing so because they are inherently
17 political, but they are not the kinds of issues that the
18 political officials wish to embrace, generally speaking.

19 There is too much risk to those officials to own
20 those kinds of issues, so it's hard, but I think that FDA
21 is then left attempting, under the mandate of science-
22 based regulation, to solve many, many problems that are

1 inherently social and I think that that's a core issue
2 which we've not sorted out.

3 I also would draw attention to the issue of time
4 lags. There is perhaps a misunderstanding when we
5 presented at the ABC SMT meeting, the annual scientific
6 meeting, our list of priorities. We were in essence
7 telling you the work products that are in the pipeline.
8 Now, some of those work products were yesterday's called
9 Celebra. It's just that they're not today's hot issue,
10 but we've made progress on those issues and so they are in
11 the pipeline.

12 I mean, just to pick syphilis as an example. I
13 know and you know that there has been at least a two
14 decades long debate, do we still need to screen for
15 syphilis, but that's really not what that document is
16 about. What that document is about is dealing with the
17 donor that has a reactive screen when it's a specific
18 treponemal antigen-based screen. It's a very specific
19 technical problem that needs a regulatory solution and the
20 users care.

21 Well, it's not today's hot issue, but when we
22 first approved treponemal-based assays, it was an outcry,

1 where is the regulatory posture on the use of these
2 assays. So what you're hearing is that the regulators
3 simply don't forget there issues. You're looking at the
4 accumulation of effort over long periods of time to
5 address issues, each of which, you know, had their day in
6 the sun.

7 And I think that it -- what's lost here is sort
8 of the time lag effect, and you know, I tell, you know, my
9 colleagues that it's because of a rolling snowball effect
10 in regulation. Now, once we are involved in an issue, the
11 issue grows on us, and it grows on us because it acquires
12 dimensions and you know, you have a draft and you have to
13 finalize and then you have maintenance, you have to
14 update, and so on and so forth. So when we say these are
15 our priorities, we're telling you that we are trying to
16 complete the work on the things that have been put in
17 front of us.

18 We didn't invent them, they were at many, many
19 times the hue and cry of a stakeholder, including
20 yourselves as stakeholders. And I would particularly draw
21 attention to the hue and cry of approximately a decade ago
22 that we not be casual about recommendations that you know,

1 and so now we have, you know, regulations for good
2 guidance practice, which create certain time demands and
3 resource demands. And also we are under, you know, a GAO
4 recommendation from 1997, to move all relevant guidance
5 into regulation.

6 So I think that I'm simply saying all this to
7 illustrate that the situation in which we live is complex.
8 I'm not really disputing your fundamental point, which is
9 that there is a need for a global priority setting. I
10 would specifically dispute one thing though. It's not an
11 FDA policy to adhere to the precautionary principle,
12 that's a misunderstanding of what we're -- what we think
13 we are doing.

14 We think that we take prudent measures based on
15 available data, balancing, you know, risks and benefits,
16 and we don't feel as if the precautionary principle is
17 ever prescriptive to us. So we don't actually refer to it
18 or cite it, as a basis for policymaking. That's not to
19 say that we are never attempting to be precautionary, and
20 it's not to say that we aren't attempting to be proactive
21 which incidentally were recommendations to us from the
22 1995 IOM report. But we don't think that we apply the

1 principle per se.

2 We think that what we tried to do is take
3 advantage of the available science to do the best we can
4 in whatever issue is emerging. And it's fully cognizant
5 of the fact that we may have to revisit it and change
6 track. So again, whereas I accept your basic premise that
7 there is a need to examine priority setting and that it
8 should be done in a broader context of, you know, costs
9 and risks and benefits. I do take issue with the
10 suggestion of how we go about this and why it's so wrong,
11 because I think that the situation is simply more complex
12 than you portrayed it to be.

13 DR. BRACEY: In the interests of time --

14 DR. BIANCO: May I just --

15 DR. BRACEY: -- rebuttal.

16 DR. BIANCO: It's not necessarily a rebuttal
17 because I agree with 99.9 percent of what Jay said.
18 Actually, I don't blame FDA Jay. I blame the law that
19 created the FDA.

20 (Laughter)

21 DR. BIANCO: You know, you have a law that is
22 focused on products and licensing products, and each

1 product becomes an item, and these items cannot be
2 compared to the next item, and you cannot say that this
3 one is more important or less important than the other
4 one. You have to follow your deadlines for licensure with
5 all products in the same way regardless.

6 Yes, you can speed up a few of them, but I think
7 that that's what in part is the cause or the reason why
8 you have to follow that process, all over with everyone of
9 the items, be it critical today or not as critical today.
10 The only thing last that I like to raise, we want to help
11 and I think that this committee can help and if we could
12 help set those priorities in a different way, I think we
13 would and we would all get closer to the world that we
14 want to see.

15 DR. BRACEY: We have a comment and a question
16 from Ms. Finley, and then we'll take a break. One thing
17 that I think is clear though is a major area that we will
18 have to consider is really looking at benefits. The data
19 for the mere activity of collecting data is really not
20 going to get you anywhere and I always go back to what was
21 the origin of the infection control officer, and it was
22 really sort of a reactive process to bad processes and

1 hospitals that caused deaths and increase in cost.

2 And clearly, I think that there must be
3 something within this question that we're grappling that
4 would yield benefits for both patients and economic gain,
5 but we will talk about that later. Question and comment
6 from Ms. Finley.

7 MS. FINLEY: Thank you Celso for an exciting
8 mid-afternoon antidote to the unusual slump. I had a
9 couple of comments and then a question. I think that you
10 really hit the nail on the head with your perception of
11 transfusion risk. That's your assessment of it, but I
12 think many people in the room would put, particularly HBV
13 and HCV, in other categories, so I think that that's a
14 good illustration of some of the issues that we have here
15 not only historical, but some of the contemporary matters
16 that we're dealing with.

17 In addition, there was a formula put forth in
18 the IOM report adopted by the department in sworn
19 testimony before the Congress, regarding the
20 appropriateness of decision-making in the absence of
21 definitive scientific information. I think Dr. Epstein
22 did an excellent job of summarizing it, but the department

1 is behind that, and every member of the committee should
2 understand what that formula is, as you did when you were
3 kind enough to contribute as a member of the committee.

4 But in the absence of absolute definitive data,
5 we are to make decisions using precaution when it's
6 appropriate, and I think that the failure to do so is the
7 reason we are all sitting here today. I have one other
8 question, that got to the heart of the economic stress
9 being placed on blood centers, and having done some work
10 in that area, and knowing that the AABB did an extensive
11 amount of work in instructing blood centers in how to
12 utilize all the codes and appropriately bill.

13 Because they were leaving a lot of money on the
14 table unfortunately and there were some revenues owed to
15 them and that they could access. Can you tell us how the
16 ABC and/or the industry as a whole have dealt with that
17 issue and continued to deal with that as we're moving
18 forward.

19 MR. BIANCO: Well, the big part of that work was
20 made by AABB and we have to recognize that. We all
21 participated in the effort. But certainly -- now, the
22 issue there, Ann Marie, is that there is a delay, there is

1 the -- the coding is there, the reports are submitted to
2 CMS by the hospitals. But until this is reflected in a
3 change in reimbursement and particularly in the in-
4 hospital DRG, where you have a blend of 300 different
5 things that fall into the same DRG and blood is this
6 little thing, this -- for the hospital, when it negotiates
7 with the blood center, it's hard to translate that into
8 something that really brings more revenue.

9 The hospitals are as strapped as we are and I
10 think that that's a little bit of the difficulty in the
11 whole system. The only way by which prices have been
12 raised is not because we decided that we have to invest in
13 the future but is because we had to add another test or
14 another procedure. And it would be nice if we could
15 change that paradigm, but I think it will be very hard to
16 do it with the structure that we have today, unless Dr.
17 Bowman has some other answer that would --

18 (Laughter)

19 Dr. BRACEY: Okay. With that, we'll take a 30-
20 minute break and we'll --

21 Dr. HOLMBERG: 15.

22 Dr. BRACEY: Well, we'll take -- we'll reconvene

1 at 4:00 o'clock.

2 SPEAKER: Okay.

3 (Recess)

4 - DR. BENJAMIN: -- represent our views on
5 transfusion and transplantation safety; it's a particular
6 pleasure to follow my two senior colleagues who have given
7 you the industry viewpoint. I'm afraid I only view -- I
8 only represent the single blood supplier, and that is the
9 American Red Cross that does collect and distribute over
10 six million units of blood each year in this country to
11 over 2,000 hospitals.

12 The Red Cross is actively involved in
13 transfusion safety research through internally funded
14 programs at the Holland Lab, and our regions as well as
15 through collaborative programs with the NIH-funded REDS
16 Program, and through analysis of our Internal Donor and
17 Recipient Complications program, that I should remind the
18 committee is the only nationwide but center-based
19 hemovigilance program in the U.S. at this point in time.

20 Okay. Page down, how do I get to the next page,
21 page down -- seems to be frozen here, frozen in time, I'm
22 afraid, these aren't working --

1 SPEAKER: Get rid of the volume.

2 SPEAKER: That's why you're having feedback
3 though. The volume on there was the --

4 SPEAKER: Okay.

5 DR. BENJAMIN: My focus today is going to be on
6 transfusion safety, the Red Cross is no longer involved to
7 any great degree in transplantation. I will try and draw
8 on the commonalities of the other cold blood and tissues
9 and organs.

10 The second -- third question here is a
11 scientific or clinical evidence to support a master
12 strategy for transfusion and transplantation safety. I
13 think that the question is reframed as, "Is there evidence
14 of any unmet need in patient safety?" because that's it,
15 that is I believe going to be the only evidence for need
16 for a master strategy. Just to sum up we do believe in
17 the Red Cross, that this is the case, there is evidence to
18 support a need.

19 Here we go. Okay. So the blood supply is safer
20 than it has ever been. I think we all agree with that?
21 It was true in 1996, and it's true today. It is
22 interesting that in the last 10 years we have implemented

1 a large number of safety initiatives, and we continue to
2 do this with the introduction of male plasma and leukocyte
3 antibody testing over the next 18 months.

4 What this really highlights to us is that the
5 statement is a relative statement, and patients are not
6 really interested in relatively safe blood. They are
7 interested in an absolutely safe transfusion service.

8 So as we think of a master strategy, clearly we
9 need a vision and a mission for that strategy, and I would
10 posit that we need to jettison this statement. We need to
11 actually have as a vision and a mission, a concept of
12 patient safety that surrounds appropriate use of blood,
13 the right product for the right patient at the right time,
14 ready availability, and finally product safety in a more
15 absolute sense than a relative sense.

16 So what are the threats to blood now, and how
17 safe is blood now, and that's -- the rest of my talk is
18 going to focus on that part of the discussion. I
19 mentioned that the Red Cross has an active hemovigilance
20 program that we started in early 2003. This program,
21 looking at the data for a year of 2006, we had close on
22 700 adverse reports -- adverse event reports made. It's

1 interesting. If you look at the gross reports, and the
2 high probability reports you get a reflection on what were
3 the physicians' concerns and what are the actual threats?

4 The concerns through the gross data, shows you
5 that TRALI is clearly the most frequently reported adverse
6 event to the Red Cross. Second comes infectious risks
7 such as hepatitis C, hepatitis B, HIV; third is sepsis,
8 bacterial sepsis, and to a low degree hemolysis allergic
9 reactions.

10 This is kind of the level of what are the
11 physicians' concerns about blood. If we actually look at
12 the high probability cases, the cases where we think that
13 the blood product actually was involved, by far the most
14 common problems are the suspected TRALI, the pulmonary
15 complications of transfusion by a long way.

16 The infectious risks tend to disappear, because
17 in fact most of the hepatitis and HIV concerns predated
18 testing, and these are old transfusions that we're
19 investigating. The only infectious risk that really
20 actually comes up, and this is interesting, is babesia.
21 We had eight reports, high probability reports of
22 babesiosis transmission last year in the Red Cross system.

1 That's a lot.

2 The second-most common problem remains sepsis,
3 and hemolysis, and allergic reactions, in that order. And
4 these as adverse events, mirror the FDA data on fatalities
5 where in fact the FDA reports would suggest there's been a
6 three-and-a-half fold increase over six years in the
7 number of fatalities around TRALI.

8 Interestingly, the other two most common issues
9 were bacterial sepsis and ABO incompatibility. The
10 bacterial sepsis, in three years up to 2003, and the three
11 years post-implementation of the AABB requirement for
12 methods to reduce contamination or limit contamination,
13 it's interesting, they've seen exactly a 50 percent drop
14 in septic fatalities. They haven't gone away. They have
15 dropped, by about 50 percent.

16 The other fact that I liked, this is from Adam
17 Wagner's presentation of BPAC, is how in fact the ABO
18 incompatibility issues seem to be going on a very nice
19 downward trend. It's very heartening.

20 But it does highlight in our mind what are the
21 major current risks as we know them, and they are around
22 TRALI and fluid overload, pulmonary complications. They

1 remain around bacterial contamination, they are around ABO
2 incompatibility, and patient ID issues, bedside safety,
3 and of course emerging infectious diseases are always on
4 that list.

5 So I'm just going to spend a little bit of time
6 talking briefly about these four factors. TRALI, the Red
7 Cross has had 105 reported fatalities over the last four
8 years of suspected TRALI fatalities, and we believe about
9 half of them are real. And we worked with the AABB, and
10 with the ABC blood centers over the last year to formulate
11 the recommendations that the AABB went out -- sent out
12 around reducing exposure to other reactive antibodies.

13 In this paper that just got published all the
14 Red Cross data, analysis of the three years we have
15 identified plasma products as being the principal cause of
16 TRALI fatality in the Red Cross system, and I'm happy to
17 say that according to the AABB recommendation, by November
18 of this year we should have addressed a large proportion
19 of this problem. And hopefully by next year we'll address
20 some portion of the apheresis problem.

21 So TRALI and TACO really are -- remain the
22 biggest current transfusion risk. The thing to note that

1 if we are completely successful with implementing male-
2 only plasma and testing for antibodies over the next 18
3 months, we still would only have addressed maybe 60
4 percent of the TRALI problem, i.e. the antibody-positive
5 TRALI problem in plasma and platelets.

6 We haven't addressed antibody negative TRALI, we
7 haven't addressed fluid overload, and we haven't addressed
8 red cells or any other components, and so TRALI and TACO
9 will remain the most important threat to our patients that
10 we are currently aware of.

11 There is still an urgent need for a better
12 understanding of TRALI and also of TACO, an awareness of
13 TACO, fluid overload, and evidence-based guidelines for
14 transfusion to prevent especially the fluid overload side
15 of this. So this remains a major threat to our patients.

16 Moving onto bacteria, following the AABB
17 standard, the Red Cross implemented bacterial testing in
18 all of its apheresis platelets, and after testing a
19 million products, we are very proud to have picked up a
20 186 true positive, true contaminated products and
21 prevented about 292 transfusions of split products to
22 patients. This has been and remains a very successful

1 program.

2 What is concerning to us is that there remains
3 reports of septic transfusion reactions, and in fact there
4 are now 26 reports of -- published reports of septic
5 transfusion reactions from apheresis or pooled products
6 that had been tested by bacterial culture within 24 hours
7 or 36 hours of manufacture.

8 So even with cultural systems there remains a
9 risk, which increases with the age of the product, and in
10 this case of a five -- there have been five reported
11 fatalities, with five-day-old products. So there remains
12 a bacterial risk to platelet products. So from the
13 bacterial side, we remain unhappy with the situation, with
14 whole-blood-derived platelets, where many hospitals
15 continue to screen with insensitive, unlicensed
16 methodologies.

17 We note that culture systems at the time of
18 manufacturing cannot guarantee patient sterility, and in
19 fact we have estimated now that we in fact may fail to
20 detect 30 to 50 percent of contaminated products, not that
21 most of those would cause sepsis, most of them won't, but
22 they are contaminated at least at low levels.

1 And so we do think that there's an urgent need
2 to improve our skin decontamination techniques. It is
3 time to mandate sample first or diversion strategies for
4 whole blood and platelets. I think there is growing
5 consensus in the community that it's time.

6 We do require -- if we're going to stick with
7 culture systems and manufacture, especially for older
8 products, we do require point of issue retesting of the
9 product, because there's clearly a residual risk. And I
10 will make a plea that we in the U.S. need to look to our
11 northern neighbors, and the recent consensus conference
12 around pathogen inactivation, and seriously consider
13 whether or not we as a country should invest in research
14 necessary to make this a reality in this country, because
15 continuing, adding new tests, we cannot continue to do
16 that.

17 Briefly ABO incompatibility came up as an issue
18 around hemolysis, and the serious adverse reactions, and
19 this is where the organs and blood do co-mingle in that I
20 find, with many residents and fellows they forget that ABO
21 is a histocompatibility antigen, it's not a red cell
22 antigen.

1 It's on every blood vessel in the body, and
2 therefore a very important transplantation antigen for
3 organs, and so it's not surprising that while we know that
4 there's a quite a high frequency of error of transfusion
5 and this is data from New York, quite old data now, that
6 suggested a rate of erroneous administration of red cells
7 of one in 14,000 or so in that state of reported error,
8 should I say.

9 But we're also very aware that errors occur in
10 transplantation as well. In this particular case there
11 was an ABO error around a liver transplant that resulted
12 in a death. Clearly reliable methods to identify and
13 track patients, samples and products are needed to reduce
14 errors in the hospital setting. Bedside safety is a
15 major, major issue that needs to be part of the global
16 view on patient safety.

17 Finally, let's talk about emerging pathogens,
18 and I'll talk a little bit more about West Nile, because
19 it really has become the paradigm for emerging threats.
20 Remember we have a new generation of physicians coming up
21 that weren't here during the HIV period of time, and know
22 -- really know the West Nile virus as being the emerging

1 threat of the day. To tell you, the list is endless, for
2 emerging pathogens.

3 But I want to make point two out, and that's
4 Babesic and Dengue, and in fact Chagas falls in this
5 category as well. What are we doing about infectious
6 diseases that are localized in this country? Okay. We've
7 just implemented Chagas in the Red Cross, but we know that
8 there are certain parts of the country that have much
9 higher incidence and prevalence than other parts.

10 I mentioned to you that babesia, we have cases
11 of babesia every year, it's localized to the Northeast,
12 and no manufacturer is going to create a test for that
13 infection. We have areas of the country where one in a
14 1,000 collected units of blood may be infected with
15 babesia.

16 That's a major issue that I think the national
17 policy can address. We've recently become aware that
18 there are certain territory -- U.S. territories where
19 dengue may be, there may be dengue viremia in something
20 like 1 in 1,300 donors, and again, will the manufacturer
21 step up and invest the money if there are only a 100,000
22 units of blood being collected there each year.

1 The list of other infections is endless and
2 HHV8, it's been mentioned, Chikungunya is a wonderful one,
3 I just like saying Chikungunya. But we are very aware
4 that in the southern Indian Ocean, which is close to where
5 I come from, there's a major epidemic going on and there
6 are visitors and travelers from that area of the world,
7 Reunion, Mauritius, Madagascar, coming into this country,
8 who may be carrying this disease.

9 And the end of our list, I've put -- it is very
10 interesting that many of these emerging pathogens, which
11 might either be emerging as new to the U.S., or emerging
12 to our consciousness as we become aware of them, are first
13 picked up in the transplant community where immuno-
14 compromised patients are highly susceptible to these
15 infections.

16 And a good case in point here in fact was West
17 Nile, CDC slide -- I remember distinctly the Labor Day
18 weekend, as many of us in the transfusion community do the
19 Labor Day weekend in 2002, and the newspapers reported
20 this, you know, these cases of transplant, West Nile, and
21 we all said, what about transfusion. And for the next two
22 or three months we didn't know what to say, when asked,

1 shall my grandmother go for her knee operation because we
2 just didn't know what the risk was.

3 And as we became aware that in fact this virus
4 was sweeping through the country, we're very proud of the
5 way in which NAAT testing for West Nile was implemented,
6 because by the next year when this epidemic did sweep
7 through the country, the test was in place.

8 And although the prior year, let's see, about
9 400,000 Americans had been infected with West Nile, and in
10 2000 -- the prior year, 23 confirmed cases of transfusion,
11 transmitted cases were documented, although 200 cases
12 probably occurred, the next year we picked up 700 -- and
13 prevented transfusion of 700 viremic donations, a major
14 success.

15 We really implemented a test very quickly, but
16 consider the paradigm. We were reactive to this threat.
17 We weren't proactive. Many patients became infective
18 before this solution became available. The solution is
19 incomplete.

20 We are aware of breakthrough cases. We are
21 being very nimble about implementing new ways of dealing
22 with those breakthrough cases, but it's still an

1 incomplete solution.

2 So then in conclusion, rather than saying that
3 blood is safer than it has ever been, I think we need to
4 level with our patients. And patient safety is why we
5 need a global strategy. We need to basically say blood is
6 low risk, but under constant threat, the risk of coming
7 here today in a taxi was probably higher than the risk of
8 a transfusion. But it's not zero.

9 We can measure today's risk, sorry, yesterday's
10 risk. We can't actually tell you what today's risk is,
11 we'll tell you tomorrow what today's risk is, because we
12 don't know what arrived at JFK airport yesterday. We
13 believe it to be very small, but it's not zero.

14 We do need a global view on safety from the
15 patient's perspective. We have a focus on products of the
16 FDA, we have a focus on process, by industry
17 organizations. We have a focus on bedside safety at
18 JCAHO. How do we put it all together from a recipient's
19 point of view?

20 Just to end up, we do need a mandated and funded
21 hemovigilance program to alert us to the issues, to the
22 problems. We do need evidence-based guidelines. There's

1 a debate going on right now about FFP, and FP24, and it's
2 embarrassing to say to folks that there are no clinical,
3 there's no randomized clinical trials to prove the
4 efficacy of FFP, and there certainly are none comparing
5 FFP and FP24. It's not a good situation.

6 We need better IT solutions for tracking
7 patients' samples and products in hospitals. We certainly
8 in my view need better pathogen avoidance and pathogen
9 inactivation strategies to get us out of this loop of what
10 test are we going to implement this year.

11 And finally reiterate the view that as we
12 develop a master strategy, we need a vision and a mission,
13 and the mission I believe, and the vision should be around
14 patient safety, and it should emphasize that they are
15 three components that's appropriate use, the right blood,
16 the right place, the right patient, the right time, ready
17 availability, and product safety, in an absolute sense.

18 We may not be able to get there, but certainly
19 it should be what we are aiming for, and we should be seen
20 to be aiming for it. Thank you.

21 Dr. BRACEY: Thank you, Dr. Benjamin. I really
22 enjoyed that. Question from Ms. Finley.

1 MS. FINLEY: Thank you for taking the time to
2 address us today. I did find many of your comments
3 certainly supportable and interesting in terms of our
4 long-term strategy to deal with these, the obvious
5 stresses on the system.

6 I did however want to point out that the comment
7 you had about the government reformer board, the blood
8 supply is safer than it has ever been. I wrote that, and
9 I assure you that what we meant is that in the context of
10 the overall report, was that the blood supply in 1995 was
11 safer than it had ever been relative to known risks, and I
12 think that's important because it was safer than it had
13 ever been in the early '90s, because we were doing
14 testing, we unfortunately did not do in the '80s and the
15 early '90s.

16 And so one of the questions that I have for you
17 is this list of emerging pathogens is just something that
18 unfortunately we're confronted with, and you as a blood
19 banker are confronted with everyday.

20 We can't really control the external
21 environment, but we can control what we're transfusing to
22 some extent, unfortunately through testing. Do you have

1 any thoughts on which of these tests you would not adopt,
2 or stop utilizing, or --

3 DR. BENJAMIN: I think the point I was trying to
4 make, not too blatantly was that our European colleagues
5 and our Canadian colleagues have recognized that testing
6 is a shortsighted way of going forward.

7 We need to be investing in proactive pathogen
8 inactivation strategies that will give you some assurance,
9 a certain level of assurance, it may be 90 percent, it may
10 be 99.9 percent, that when the next big virus comes down
11 the road, that you will have proactive protection against
12 it.

13 MS. FINLEY: Can I ask you where you think we
14 are relative to development of those?

15 DR. BENJAMIN: I can tell you that it's been
16 actively adopted for platelets and plasma in Europe as we
17 speak. There are systems for plasma that are available,
18 and have previously been licensed in this country --

19 MS. FINLEY: Are you talking about the SD
20 Plasma?

21 DR. BENJAMIN: SD Plasma, and the Europeans
22 certainly are using SD Plasma, and they're using certain

1 other commercial systems. There are at least two systems
2 in development, around platelets, and one marketed in
3 Europe, and the big question is red cells, and there are
4 no systems for red cells, but there's a big area that --
5 where we should be putting research dollars in to solve
6 the PI problem for red cells.

7 MS. FINLEY: Are you -- is the Red Cross
8 conducting research in that area?

9 DR. BENJAMIN: We have a small program in the
10 Holland Lab, just looking at a specific technology.

11 DR. BRACEY: Dr. Sandler.

12 DR. SANDLER: Dr. Benjamin, when you weren't
13 here this morning, I pointed out that the Red Cross was
14 distributing the Solvent Detergent Plasma.

15 It was highly effective for TTP, we loved it for
16 liver transplants, it's probably a very effective solution
17 for the TRALI problem by diluting out the toxic factor
18 whatever it is. Why doesn't the Red Cross, you're calling
19 for pathogen inactivation, you had this stuff and took it
20 off the market. Why don't you put it back on?

21 DR. BENJAMIN: Thank you for that question, Dr.
22 Sandler. The Red Cross was not the manufacturer of that

1 product, and the manufacturer took it off the market, and
2 so I can't answer that question. It's not ours to
3 manufacture.

4 DR. BRACEY: Dr. Bloche, question or comment?

5 DR. BLOCHE: I'd be interested in your thoughts
6 about the challenge Dr. Bianco posed earlier about
7 rationality and the management of risk. A number of the
8 risks that you put on this meeting are risks that are
9 pretty low compared to what Public Health Authority is
10 thinking, not just about blood, but about the universe of
11 health risks.

12 A path to ponder, and in terms of that kind of
13 cost-benefit way of thinking, there's at least the
14 suggestion that perhaps these are sad things that occur,
15 that perhaps should be -- I'm not saying I'm endorsing
16 this, but I'm basing this, that it should probably go out
17 to continue to occur at a low level while we make
18 investments in avoiding bad things that are (inaudible) of
19 magnitude or immense. I don't know whether West Nile was
20 an example of that, or -- the question of course --

21 (Off mic)

22 Dr. BLOCHE: The slow (inaudible) the kind of

1 influenced the cognitive irrationality of our collective
2 funding --

3 DR. BENJAMIN: I'm going to give you a personal
4 view because I don't think the Red Cross has a formal
5 stance on this. The personal view is that blood
6 transfusion is a basic building block of our healthcare
7 system that affects every patient going into a hospital
8 who has a possibility of transfusion, every patient going
9 to surgery, certainly.

10 To my mind it's as fundamental as hand washing.
11 If your patients don't have confidence that you wash your
12 hands, or that the blood supply in your hospital is safe,
13 they don't have confidence in your hospital, they don't
14 have confidence in the system. It's across the board.

15 So I do not put it in the same, it's clear, in
16 fact history has taught us that the cost-benefit analysis
17 that is applied to the next widget that comes along is
18 very different to blood. Blood is a fundamental part of
19 our healthcare system; if our patients don't have
20 confidence at a very high level, in our blood safety, then
21 our healthcare system will -- they won't have confidence
22 in our healthcare system. So I view it at a different

1 level, and I don't agree and I don't agree with a rigid
2 cost-benefit analysis.

3 DR. BLOCHE: And how is it that -- I didn't
4 think Dr. Bianco said rigid -- but how is that different,
5 how is the role of blood different, say, from the role of
6 pre-natal care, or the role of cleaning up environmental
7 toxins in underprivileged areas or other potential
8 sources, other potential places where investment can be
9 made for people's health.

10 DR. BRACEY: I think you will find that the
11 cost-benefit analysis of some of the environmental, for
12 cleanups are in the same level of low risk as blood
13 currently is, so I'm not sure that I accept your analogy.

14 DR. BLOCHE: But that's a different argument,
15 that's a different argument. The earlier argument you
16 made is an argument to --

17 (OFF MIC)

18 DR. BLOCHE: -- even when our spending, total
19 spending elsewhere by saving a lot. It should be -- the
20 money should be spent -- somehow.

21 DR. BRACEY: I think I'm stating the current
22 facts. We are currently spending and investing in things

1 like HIV, NAT, way -- just proportionate to what we could
2 be doing with the money. So our question to you is, why
3 are we doing that. And I've given you my understanding of
4 why we're doing it, but it's clearly a decision that the
5 community has made, that they're going to invest more
6 money in HIV and NAT, and prevent eight infections or six
7 infections a year, rather than investing in a vaccine that
8 could possibly save thousands.

9 So I'll turn the question back to you. Why are
10 we doing it? My understanding is that blood is a
11 fundamental part of our healthcare system, that if we
12 don't have confidence in blood, we can't have confidence
13 in the system.

14 DR. BLOCHE: (OFF MIKE) Let me ask you
15 (inaudible) that assuming that we are not going to
16 continue the current approach, so then why are we doing
17 it, that assumes they're returning for this, the --
18 rationale for the current approach should therefore apply
19 in the future. I thought what this conversation is about
20 is in part a challenge to current ways of thinking about
21 resource allocation.

22 DR. BENJAMIN: I would say that the cost-benefit

1 argument is challenging the status quo, because what we've
2 already done hasn't been done on a strict cost-benefit
3 analysis basis.

4 DR. BRACEY: Well, I think that's an area that
5 the committee will need to address, moving forward, and
6 that is an analysis of the decisions that we make in the
7 basis for those decisions contrasted to the decision-
8 making process in the rest of medicine.

9 And this is again something that among our
10 charges in terms of looking at economic as well as
11 societal issues that we need to grapple within, rather
12 than to have the ARC address that. I think that's
13 something that we as a committee will need to address in
14 the future.

15 SPEAKER: I just wanted to make a quick point
16 and then ask a question. One was about pathogen-
17 inactivation, just to make the point that even if we had
18 that in place there would still be risks that would
19 require a surveillance system to look at.

20 SPEAKER: Uh-huh.

21 SPEAKER: And the other -- the question I had
22 for you is that concerning -- we've seen a lot of calls

1 for a hemovigilance system, but not a lot of details on
2 what it should look like, and what is your feeling on as
3 far as making outcomes, the outcomes be the centerpiece of
4 what you're looking at, because outcomes don't have any
5 (OFF MIC) of what they are.

6 DR. BRACEY: What are these outcomes, which
7 outcomes are you referring to?

8 SPEAKER: The outcomes of transfusion. So is
9 that something that you are proposing when you say a
10 "hemovigilance program concerning recipient adverse events
11 to look at outcomes and therefore that looks at, you know,
12 it doesn't buy us towards looking at it, infraction or
13 TRALI or TACO, or under transfusion or over transfusion
14 for that matter.

15 It just looks at outcomes or are you thinking
16 more on looking at specific causes?

17 DR. BRACEY: I think we're at a very sort of
18 early days with hemovigilance, and we're looking at very
19 crude parameters at this point. The data we showed you
20 with TRALI and sepsis, I think are the tips of the
21 icebergs; we're not collecting febrile reactions, we're
22 not collecting minor allergic reactions.

1 Should we get there eventually, it would be
2 nice, but I don't think that's where we can start.
3 Clearly we need to put a system in place that works.
4 We'll actually get someone to report data, and we will
5 need to narrow the focus initially, and take on the
6 biggest problems first.

7 DR. BRACEY: Dr. Kouides.

8 DR. KOUIDES: Dr. Benjamin, regarding TRALI, one
9 time in the discussion we had in the morning session about
10 the relative risks compared to pathogenic transmissions.
11 This is in many ways it should be a greater concern, or
12 there should be further focus, and part of that ties into
13 what you'd mentioned about the need for guidelines and how
14 they should be done.

15 What would you propose in terms of trying to tie
16 in perhaps this committee, and BPAC and various committees
17 about transfusion guidelines, specifically the TRALI in
18 terms of FFP, for as much as Dr. Klein said early in the
19 problem that there isn't much data to make from
20 recommendations?

21 There is however emerging data in terms of this
22 management that, oral vitamin K for that matter, very

1 simple low-tech measure can be correcting elevated INRs
2 before someone needs to give FFP, and too often we see the
3 reverse that patients with Coumadin coagulopathy are given
4 units of FFP, and perhaps it's a day later before they're
5 given, you know, oral vitamin K.

6 We also know that this is a real issue, just in
7 our community we have two percent of adults on Coumadin.
8 We have their data. So this is a big issue in terms of
9 the need of how would you propose that a guideline be set
10 forth in terms of, you know, risk behavior of physicians
11 of reducing that. Of -- time again, too many units of FFP
12 given, inappropriately. For INRs of 1.6 this reflex, you
13 know, medicine, and for getting to do others measures
14 first.

15 SPEAKER: It's interesting about that particular
16 example. I'll show you a slide showing plasma as being
17 the principal problem with TRALI, and in that slide there
18 were 24 cases of fatal TRALI due to plasma; 12 of those
19 were given for Coumadin reversal, and at least six of
20 those, it was though documented bleeding at the time of
21 Coumadin reversal.

22 (Slide)

1 SPEAKER: So it was the only risk factor for
2 TRALI that was statistically significant. Personally, I
3 would be very happy if a national body stood up right now
4 and said whether it is the AABB or some other forum said
5 that this is inappropriate to give plasma to reverse
6 Coumadin in a non-bleeding patient, because I think it's
7 the biggest risk for TRALI out there.

8 SPEAKER: Yeah, that's actually -- that is
9 indeed a challenging area, and in fact in the Chest
10 guidelines, there are guidelines that are published, but
11 again it is a matter of getting that information out and
12 putting some teeth into it and developing. Perhaps it can
13 do what's done in other areas of medical practice.

14 In essence, if CMS were to reimburse based on
15 best practice, you know, maybe there is -- so the issue is
16 finding the teeth. Because the guidelines are existent,
17 and it is a matter of making people adhere to those
18 guidelines.

19 DR. BRACEY: Dr. Triulzi?

20 DR. TRIULZI: Yeah. You saw the storm, a little
21 bit of a thunder, I was going to mention, but I'll just
22 reiterate that being the director of 15 hospital

1 transfusion services, I know the guidelines are current.
2 The problem is compliance, and I think guidelines are
3 necessary, but insufficient, and I would say actually a
4 small minority of the problem is having not up-to-date
5 guidelines. It's -- getting physicians to comply is the
6 major issue.

7 And CMS has done this with things like ACE
8 inhibitor used in congestive heart failure, and compliance
9 rates have run, and most hospitals will begin that process
10 40 percent, 30 percent compliance rate, and with CMS that
11 puts teeth behind it, and they are up at 60 percent, maybe
12 now, so --

13 But what I do see which is something to be
14 positive about is the IT controls that are becoming
15 available in the hospital with physician order entry, and
16 the ability to combine laboratory data with the ordering
17 process.

18 I think it will be feasible in the not too
19 distant future to begin to have some real prospective or
20 concurrent opportunities that I think we in the
21 transfusion service have to press that those systems, that
22 are ordering process be linked and it become a smart

1 process, and not as was mentioned earlier today that the
2 blood bank is just a pharmacy. And I think the technology
3 is there, and it is incumbent upon us to actually use that
4 to begin to address the compliance part of that equation.

5 SPEAKER: Can I add to that?

6 DR. TRIULZI: Sure.

7 SPEAKER: In my slides, I spoke about evidence
8 based guidelines, and I think the evidence base is the
9 problem. We base our current plasma guidelines on
10 observational studies for the most part. And they don't
11 have teeth when you go to a surgeon and say stop doing
12 this.

13 However, if we can work up with a randomized
14 control trial and say, "Look, you're doing harm." It's
15 very hard to argue against that guideline. So I do
16 believe that in fact there may be -- appear to be obvious
17 studies, but we should be doing the studies to get
18 randomized control data around plasma especially.

19 DR. BRACEY: Thank you. One comment from Dr.
20 Holmberg.

21 DR. HOLMBERG: Actually, I have two questions.
22 First of all, you mentioned that there was a need for a

1 sensitive point of issue testing in the hospital for
2 platelets. Are you saying in lieu or in addition to the
3 cultures at the blood center?

4 DR. BENJAMIN: As things stand today, I would --
5 with the current bacterial testing system the Red Cross is
6 using. If those products were to be used on day 5, 6, and
7 7, I think it would be a good idea to retest them with a
8 point issue -- point of issue test.

9 We don't -- I showed you data that the major
10 risk is on point 5. I don't know what the risk is on
11 point 6 -- day 6 and day 7. But we believe we don't have
12 good data on what proportion of platelets are transfused
13 on day 5. So we're unable to work out the actual risk on
14 day 5, but we believe it is much higher than in the
15 earlier day. It may be wise if we had a point of issue
16 test.

17 DR. HOLMBERG: And one other quick question. Is
18 this the ARC point of view, or your personal point of
19 view, you mentioned about there needed to be a mandated
20 funded hemovigilance program?

21 DR. BENJAMIN: I see Jack's chair is empty
22 today. I would have to ask. We have seen significant

1 benefits through having an internal hemovigilance program.
2 We have made interventions around a bacterial testing, but
3 a-year-and-half ago based on the data that I have spoken
4 about, the sepsis data that appears to have reduced the
5 number of true positives and false positives that we are
6 picking up by more than 50 percent. And so that was based
7 on hemovigilance data.

8 The TRALI data that I showed you, I think, was
9 quite instrumental in helping move the U.S. community
10 towards doing something about TRALI, and that was based on
11 hemovigilance.

12 We in a blood center can only look at a certain
13 type of data that gets reported to blood centers. I -- it
14 is a strong personal view. I don't think it has been put
15 to the Red Cross as a whole, whether or not this is a
16 policy of the Red Cross. But it's certainly a strong
17 point of view that the data is very useful, and can be
18 used to improve patient safety dramatically.

19 DR. BRACEY: And in the interest of time, I
20 think we better move on to the next speaker, and in terms
21 of -- it's a nice segue because in terms of organizations
22 that have influence within hospitals, JCAHO is certainly

1 one of those organizations.

2 Klaus Nether is the associate project director
3 of the division of standards and survey methods for the
4 Joint Commission, and he will present his perspective and
5 review of activities within JCAHO. Thank you.

6 MR. NETHER: Thank you, Mr. Chairman. First
7 thing I would like to say is we've changed our name.
8 We're not JCAHO anymore, we're now the Joint Commission.

9 DR. BRACEY: Okay. I'm sorry.

10 (Laughter)

11 MR. NETHER: That's all right. But it's an
12 honor really to be here today to talk to you about the
13 Joint Commission's current thinking on transfusion and
14 transplantation safety.

15 And the presentation is really going to focus on
16 the end user, the healthcare organizations, and how we the
17 Joint Commission is looking at safety with regards to
18 transfusion and transplantation. So I wanted to give you
19 a little bit of background about the Joint Commission for
20 those of you who are not familiar with the joint
21 commission.

22 We are the nation's oldest and largest

1 accrediting body. Ninety-five percent of all hospitals
2 are accredited by the Joint Commission. We also accredit
3 and evaluate over 15,000 healthcare organizations and
4 programs in the United States, which include long-term
5 care settings, ambulatory settings, behavioral health, and
6 laboratory.

7 And the Joint Commission assesses compliance
8 with the standards during the onsite accreditation
9 process, which I'm going to talk a little bit about later.
10 We all have a common goal, and the common goal is really
11 to continuously improve patient safety and quality of
12 care.

13 In the past several years, events have occurred
14 that have received national attention and have also raised
15 the questions on a national level with regards to the
16 safety of transplantation. And these were just two
17 examples in terms of transmission of rabies, and also in
18 regards to the BTS what occurred in late 2005 early 2006.

19 And what I wanted to say is that it just further
20 validates what the joint commission use in terms of
21 transplantation and transfusion safety. And really as the
22 current state is that the potential for infections and

1 other adverse outcomes in recipients is a significant
2 quality and safety concern. Instances of infections and
3 other adverse outcomes in recipients are well documented.

4 And one of the things that we have learned in
5 the past with some of the events that have occurred is --
6 and that there seems to be in terms of adverse event
7 investigation that there is problems within our
8 organizations in terms of coordination and communication.

9 And this was further validated as we have now
10 looked at our data in terms of noncompliance. And what we
11 have seen with our organizations is that this is a
12 significant problem. Although the percentages are low,
13 with regards to our other standards and other elements of
14 performance, the standards and elements of performance
15 with regards to adverse event investigation is scored
16 frequently.

17 And finally the number of transfusion and
18 transplantations are increasing. And I like to just take
19 my Joint Commission hat, here off and just talk in terms
20 of data itself. Although the percentages are low in terms
21 of adverse events, if you just multiply that factor by the
22 increased numbers, the number of actual patients having

1 adverse events could increase. There is a potential
2 (inaudible). So what have we done lately?

3 We are really starting to look at in terms of
4 both transfusion and transplantation commonalities.
5 Because there are common issues with regards to both those
6 fields, and we feel that with regards to transfusion that
7 we're very comprehensive with our standards, but with
8 regards to transplantation, we felt that we had some gaps.

9 So in July 2005, the standards became applicable
10 for hospital, including critical access hospital and
11 ambulatory settings, included office-based surgery. And
12 what we had heard from the field and saw from the field
13 was that we started to see a lot of decentralization in
14 terms of who was actually getting tissues within the
15 organization.

16 So there could be multiple entities. So one of
17 the things that we did in 2005, because prior to that year
18 we had these standards only in the laboratory
19 accreditation program. We felt that it was a huge gap for
20 the joint commission, because now with this
21 decentralization, it was being taken out of laboratories,
22 and we saw a focus that many entities were actually

1 responsible for receiving tissues.

2 Also we have seen -- we've got a lot of frequently asked
3 questions from our organization with regards to in terms
4 of adverse event investigation bi-directional
5 traceability, and really not a sense that they have a true
6 understanding of what they are supposed to do.

7 So in the past couple of years, especially this
8 year, we have put out several frequently asked questions
9 on our website to actually help guide organizations to
10 giving better understanding on how to meet our standards
11 for compliance.

12 And as I mentioned earlier, I wanted to talk a
13 little bit about the accreditation process. Because this
14 is really how we look at safety in terms of compliance for
15 our healthcare organization, and it really starts with the
16 standards and elements of performance and these are our
17 requirements.

18 We have general requirements that expand over
19 all services like our national patient safety goals which
20 talk about identification, and we have leadership
21 standards they have talked about planning and resource
22 allocation.

1 We do also have specific requirements that
2 address blood transfusion services, and donor center, and
3 tissue storage and issuance. And this really leads up to
4 in terms of what all actually should be looked at in terms
5 of survey, and we actually have a tracer methodology where
6 a patient will be followed through the whole course of
7 treatment care and service from the time you enter the
8 organization. And what that really leads then in terms to
9 is whether or not an organization will be accredited.

10 Outside of that we also have a separate entity,
11 which is our office of quality monitoring, which will
12 actually review complaints that we get from patients and
13 employees with regards to safety. And also they review
14 central events.

15 We have a central event policy, but it is
16 actually just voluntary in terms of self reporting, but
17 any central event that we become aware of we actually
18 would contact the organization to follow up what actions
19 and steps they have taken to actually handle the central
20 event they have had.

21 Again, the standards and elements of performance
22 are really the drivers. They are the lot, and they'll

1 lead up to accreditation decision. And we actually survey
2 our standards with regards to compliance versus
3 noncompliance.

4 One of the things I did want to highlight here
5 is any element or performance that is noncompliant,
6 actually the organization has to fill out evidence of
7 standards compliance, which is basically an action plan
8 that has taken the organization back into compliance.

9 MR. NETHER: And we actually review all the
10 evidence of standards compliance for acceptability and
11 that actually will drive up to a final accreditation
12 decision. So when it comes to transfusion and
13 transplantation standards, as I mentioned before, we do
14 have specific requirements. The standards and elements of
15 performance are intended to help ensure that organizations
16 have a well coordinated system for managing blood they
17 transfuse, and tissues that they transplant and implant.

18 One thing I do want to mention currently, solid
19 organs are not addressed in our standards of requirements.
20 One other things, there are areas of commonality among
21 both. And as I have mentioned earlier, as we're starting
22 to look at transfusion and transplantation, did you see

1 similar issues, similar problems and similar concerns.
2 But we also see the way to address these are similar
3 processes in similar areas.

4 The differences though, however, are the
5 specifics in the details that are underneath these areas.
6 Some of this has to do in terms of -- for instance with
7 transfusion services, there are federal regulations with
8 regards to the FDA and CMS, in particular CLEA, that
9 actually have requirements for healthcare organizations.
10 That's not the same case though for tissues. The buck
11 really stops at the door with regards to regulations.

12 So what are the -- some of the common areas that
13 we see with regards to transplantation and transfusion.
14 Well, one of them is the responsibility for oversight.
15 Especially now with tissues, where there has been this
16 decentralization, we're really looking for the
17 organization to assign this responsibility for oversight.

18 Second, standardized procedures. We require
19 organizations both for transfusion and for
20 transplantation, to have procedures in place to address
21 both the acquiring and ordering of a tissue or blood, the
22 receiving of the tissue or blood, storing and issuing.

1 Third is the inspection of blood or tissue.
2 Again, very similar in terms of the process. We look for
3 the -- organizations actually verify that there is no
4 contamination with the blood product or the tissue. The
5 only difference here that we have is that for blood we say
6 there's before storage and before issuance, and with
7 tissue we just say on arrival that they do this
8 inspection.

9 Four, traceability. From supplier all the way
10 to the recipient and final disposition including
11 documentation. And again this is a big thing for our
12 organizations in terms of -- especially for the adverse
13 investigation that you have this traceability. So it's a
14 very common process for both transfusions and
15 transplantation.

16 Documentation retention, very common for both.
17 We require for both the transfusion services and for the
18 transplant of tissues for documentation to be retained for
19 at least 10 years. Storage including backup alarms, we
20 require continuous monitoring both the blood products and
21 tissues, including that the organization has functional
22 backup alarms, in case anything goes down. One of the

1 differences here is, again, with blood we require that
2 there are daily recordings every four hours. With
3 tissues, we actually only say daily recordings once a day.

4 Acting as a supplier as little -- we require for
5 both transfusions and transplantations. The difference is
6 that we are very general when it comes to the tissue
7 supplying in terms of just following regulations, federal
8 or state. With regards to blood, we go a little bit more
9 into detail in terms of the donor centers, what kind of
10 testing that they have to do, what they have to look for
11 in the selection of donors. We have compatibility testing
12 very similar for both areas that we require, except for
13 tissues we look at histocompatibility as compared to with
14 the blood we look for antibodies screening and cross
15 matching, and finally adverse event reporting.

16 And we require here that there are procedures in
17 place to investigate adverse events. We expect this to be
18 a bi-directional investigation. Not just knowing from the
19 supplier when they call you that they have identified
20 something as an infection, but also in terms of when the
21 physician or the transplant surgeon or the tissue bank --
22 excuse me, the blood bank becomes aware that there has

1 been a blood transfusion reaction, that there is also the
2 bi-directional tracing the other way to go backwards to
3 find out what exactly happened.

4 We have reporting requirements with regards to
5 reporting for blood where they do direct reporting to the
6 FDA, but this is only fatal transfusions with regards to
7 tissue transplants. We expect any post transplant
8 infection or adverse event to be reported to the tissue
9 supplier, which then in turn reports it to the FDA,
10 because the FDA mandates that.

11 Identification of and notification to the
12 recipient when an infection is identified, very similar
13 for both transfusion and transplantation, and finally
14 quarantine and sequestering when either a blood product or
15 tissue has been identified as being contaminated.

16 So in conclusion, the requirements although we
17 have them separate they really address really common areas
18 and common processes. And that's really because there are
19 similar issues and similar problems, and similar concerns.
20 As we go forward with looking at our transplant and our
21 transfusion standards and elements of performance, we are
22 kind of looking at a master strategy because of these

1 issues because they are very similar. And also because to
2 resolve these issues there are really common processes and
3 common areas in place.

4 What are the future plans? Well, currently we
5 have the standards improvement initiative underway. And
6 that's basically we're looking at our current standards
7 and elements of performance really to address clarity in
8 the language, logic, and also in terms of applicability.
9 Early 2007, there was actually stakeholders meeting to
10 explore identification of performance measures for blood
11 management.

12 And the conclusion out of there where the
13 stakeholders were very supportive of actually moving
14 forward with this initiative. Right now, there is current
15 funding being sought to get this initiative underway. And
16 in late summer and early fall of this year, well, I should
17 be assembling a task force really to review current tissue
18 standards, but also to start to look at inclusion of solid
19 organs.

20 So with that I would really like to thank the
21 committee for giving me the opportunity to talk today, and
22 if anybody has any questions.

1 DR. BRACEY: Thank you. Maybe I could lead off
2 with one question. We talked about with the assistant
3 secretary the need for in the U.S. -- in many of the
4 European countries surveillances run by the government.
5 Well, here we need a public-private partnership. How
6 would you envision or -- the role of JCAHO in alignment
7 with government and working towards this sort of a
8 partnership to achieve the end result?

9 MR. NETHER: Well, that's something in terms of
10 -- as we're getting -- convening now the group together,
11 the expert panel in late summer, early fall. We're going
12 to try to get all experts at the table in terms of
13 government, from the organ side and from the tissue side,
14 really to start to look at in terms of both, like I said,
15 the inclusion of organs, but then also to start to look at
16 our tissues. I know surveillance is a big thing.
17 Currently we have standards that address the collection
18 for -- on the transfusion side of things.

19 When organization has to actually collect the
20 transfusion reaction they have had to look at blood and
21 blood products, and really go through the whole PI process
22 in terms of analyzing their data, seeing what improvements

1 they can make. That's an approach I'd like to also take
2 in terms of looking for post transplant infections and
3 adverse events with regards to both tissues and organs,
4 that that process also gets followed.

5 DR. BRACEY: Other questions from the committee.
6 Dr. Kinder (phonetic).

7 DR. KINDER: Well, I just wanted to echo the
8 sentiment that really -- that we're trying out where the
9 rubber meets the road, and really that is with the
10 clinician, it's the clinician really that recognizes the
11 adverse event, and makes the decision on whether to report
12 it or not. And that's where we really, I think, have a
13 challenge. And I'm hoping that joint commission can help
14 with that.

15 Standards always only go so far, I mean, those
16 standards need to be turned into education. I have
17 wondered what processes there are in place to encourage
18 hospitals to educate clinicians about the standards that
19 are in place, and, you know, what they need to do.

20 MR. NETHER: Yeah, just to address that, and
21 like I said through our FAQs we're starting to really try
22 to guide the organization. Other than that it is really

1 the accreditation process. There are the -- in compliance
2 or not in compliance, but that has been an issue and a
3 concern in terms of is that bi-directional investigation,
4 because of that self reporting. When the clinician
5 becomes aware that an infection or event has occurred to
6 actually to report that and really do than the
7 investigation the other way back.

8 A lot what we have heard in terms of -- when
9 there is a post transplant infection is while the doctor
10 treated, the patients are all right. You know, everything
11 is fine and good. So yes that is loss data in terms of I
12 think there is a lot of under reporting.

13 So yes, our requirements do require in terms of
14 that when there is a post transplant infection or an
15 adverse event that is identified either by the physician
16 or by the organization that that gets reported back to the
17 source facility, and they can at least work with in terms
18 of trying to find out, you know, other recipients that
19 might be affected. At least get the word out, the
20 communication piece out of it.

21 DR. KUEHNERT: Right, right. So, if it doesn't
22 get to the blood bank in the case of a -- if doesn't get

1 to the transfusion service even, I mean, it is not going
2 to get to the blood center and same for transplant. If
3 the tissue bank never hears about it, and it is likely
4 said loss data. So, I guess, we have a challenge there on
5 how to bridge that gap.

6 MR. NETHER: Right, and we actually have that
7 same challenge because right now currently for our
8 transfusion services, the only requirement that we have of
9 reporting are really just the fatal transfusions. And
10 that's really just back to the FDA. As compared with the
11 tissues right now it could be a post transplant infection,
12 it could actually be an adverse event. But either one of
13 those has to be reported back to the source facility.

14 DR. KUEHNERT: Well, again just to make a final
15 comment. I think one can look at the infection control
16 model and see that how we have improved over the last few
17 years and decades in reporting that matter we got, and
18 perhaps that's a path we can take.

19 MR. NETHER: And we're hoping that you bring
20 that to the table.

21 DR. BRACEY: Question -- a comment from Dr.
22 Holmberg.

1 DR. HOLMBERG: Well, I just -- I think you
2 answered my question when you were answering Dr.
3 Kuehnert's, but I would just really encourage the Joint
4 Commission to really move forward with requiring adverse
5 events being reported back to the blood facilities as far
6 as a transfusion adverse event for transfusion. I think
7 that that would really give a lot more impetus.

8 The other thing is that, I think that there is a
9 lot of turf here, and I would just be, and I would hope
10 that the standard setting organizations and the
11 accrediting organizations sort of have synergy together,
12 and work together on trying to make sure that there is
13 commonality in the standards that they set.

14 So that that we can have more momentum in this
15 area. And that's what we are really looking for in terms
16 of when we start to bring the expert panels together it's
17 really to get insight from the various groups, and how we
18 can get this accomplished.

19 Like I said, we don't really call it a master
20 strategy, but in regards to transfusion transplantation
21 since the issues are very similar, as the concerns are
22 very similar, the problems are similar, really, to address

1 those the processes are also very similar. So we really
2 need to look at that and see can we really kind of join
3 those together.

4 DR. BRACEY: Additional questions or comments?
5 If not, thank you very much. We're at the phase of
6 immediate discussion. We've heard a great deal today. It
7 seems to me that in terms of one of the large questions,
8 and specifically the questions that were opposed by the
9 executive secretary that begin to have some discussion of
10 those questions, and so the first question is posted and
11 that is, is there an opportunity to lay out a process for
12 transfusion and transplantation safety in the future?
13 What is the committee's thought on that, yea, nay? We're
14 talking about the questions to committee. And so the
15 first question is, is there an opportunity now to lay out
16 a process. Dr. Klein.

17 DR. KLEIN: Well, I think there's an enormous
18 opportunity at least in one area that we've heard
19 virtually all speakers talk about and that is one of the
20 commonalities with tissues, organs, and blood transfusion
21 is the transmission of disease and, in some instances,
22 very similar adverse events. And yet as a nation, we

1 don't (off mic). So even a collecting system like the Red
2 Cross might do so, but as a nation, we don't. This is the
3 only committee that I know of --

4 SPEAKER: What? Sorry, didn't hear you.

5 SPEAKER: I can't hear anything. I don't
6 understand what he just said.

7 SPEAKER: Use the other mic.

8 SPEAKER: Use the other mic.

9 SPEAKER: Try this one.

10 SPEAKER: Yeah, try a different microphone.

11 DR. KLEIN: I'd like to use this mic. It seems
12 we're having a little bit of a problem with the mics.
13 This is the only committee that I know of that's
14 constituted with the appropriate people to do this. We
15 have representatives of the blood community. We have
16 organ and tissue representatives. We have -- thank you.
17 We have people who are representing the communities. We
18 have people who've been transfused. Thank you. And so I
19 think this is a wonderful opportunity to do so.

20 I'll give you my bias and that is that this is a
21 public health issue that while it should be a public-
22 private partnership, it's a governmental public health

1 responsibility. And I think that we need to have a little
2 bit of discussion about how that might go forward or what
3 this committee might be able to do to improve the safety
4 of blood, organs, and tissues with this kind of a
5 strategy.

6 DR. BRACEY: Okay. It strikes me that in
7 addition to, as you've mentioned, there being an
8 opportunity, it really seems that there is -- it's a gap.
9 I mean it's something that should be in existence, that
10 others have in existence and we simply haven't addressed
11 it. Comments from other members. So the answer then in
12 terms of the opportunity is that we view this, as a
13 committee, as something that is important in terms of the
14 public health that should be addressed by government.

15 SPEAKER: Yes.

16 DR. BRACEY: Ms. Finley.

17 MS. FINLEY: No, I was just going to say, are
18 these questions really in the most useful format for the
19 secretary? I mean just looking at the first bullet there,
20 would it be easier to say, should the advisory committee
21 attempt to lay out a process for transfusion and
22 transplantation safety for the future as Question 1. And

1 then Question 2 could be what are the elements that are
2 necessary for that.

3 DR. BRACEY: Right, the questions are really
4 intended to start the discussion process.

5 MS. FINLEY: Okay. But I guess I'm clear,
6 Jerry, as to where we're going with this process. Do you
7 want us to vote yes or no? Is this more a discussion and
8 points to consider for the secretary? Or --

9 DR. HOLMBERG: I think that where we're trying
10 to go with this is that Dr. Bracey is trying to get us to
11 a point where we may be able, before we leave tonight, to
12 start thinking about any recommendations that the
13 committee would like to put forward with some of these
14 things, like the elements of what a master plan would look
15 like.

16 DR. BRACEY: Okay.

17 SPEAKER: I'm not trying to give too -- as
18 though not giving credence. But what ABC put forth, I
19 mean they -- there are some answers and I think we can
20 even debate some of those as to, you know, some of the
21 subparts as to -- and then supplement it because we've --
22 somebody has already started to address those questions

1 for us. And I think there is consensus that we want to go
2 down this road which is how we got here even today.

3 DR. BRACEY: Right. Okay, then moving forward,
4 should we move on then to discuss the second question or -
5 - which is the question that addresses the scientific
6 evidence to support the need for a master strategy?

7 SPEAKER: (Off mic.)

8 DR. BRACEY: Right. Would you want to -- do you
9 want to repeat your --

10 MS. FINLEY: What my first two questions were?

11 DR. BRACEY: You're right, yes.

12 MS. FINLEY: Okay, just repeat them? Then what
13 would -- should be the elements for a master strategy.
14 And I -- if I may add one thing to that question, is there
15 scientific and historical evidence to support a need for a
16 master strategy. And I think the historical evidence is
17 important because this country did have a national blood
18 policy in the 70s. Some of the elements of it led to
19 problems in the 80s. And it was something that was never
20 fully embraced by all parties probably because there
21 wasn't a mechanism like the advisory committee and the
22 (inaudible) to implement those systems. But I think it's

1 important to at least acknowledge that the concept of a
2 national strategy did at one time exist in healthcare
3 policymaking in the United States.

4 DR. BRACEY: Okay, then Dr. Epstein.

5 DR. EPSTEIN: Well, I just want to comment.
6 Whereas I agree with Ms. Finley that we potentially could
7 be discussing a national blood program, there's -- we have
8 to be careful what the scope of this discussion really is.
9 Are we talking about a master plan for surveillance or are
10 we talking about something larger than surveillance? And
11 that's not clear to me.

12 DR. BRACEY: Right.

13 MS. FINLEY: Okay, it's not clear to me either -
14 -

15 DR. BRACEY: Well --

16 MS. FINLEY: -- and I would agree with your
17 concerns.

18 DR. BRACEY: Yeah, and as I see it, the -- what
19 we're currently discussing is whether or not we feel that
20 there should be a program of biovigilance, surveillance,
21 whether that program should include both transfusion as
22 well as tissue as well as transplantation. And knowing

1 that and assessing that, then the question would be, you
2 know, what are the areas that exist and that are common
3 between these therapies, and where will we gain the most
4 traction in terms of having a surveillance system.

5 SPEAKER: I just wonder, Jerry, whether you have
6 the prior recommendations of the advisory committee,
7 because the committee already spoke about the need to
8 establish biovigilance, already spoke about the elements
9 of a biovigilance system, and called for the creation of a
10 task group to try to, you know, work out the material
11 details.

12 So I guess I am puzzled where we are in this
13 question compared to where we were on this question. And
14 I think that the missing element here is that when this
15 draft committee recommendation was brought to Dr.
16 Agwunobi, he was basically asking for the underpinnings.
17 He was saying, "Well, you know, is there a need? Is there
18 a driver? Is it practical and feasible?"

19 And that's why we have this set of questions.
20 And I think what he wants to know from the committee is,
21 is there a vision of a thing that he could embrace and try
22 to champion through the resources of HHS. So I think he

1 is looking for some clarity, but I'm not sure we're really
2 back to question 1. I think this committee answered
3 question 1 at a prior meeting. Maybe Jerry can help
4 clarify that.

5 DR. HOLMBERG: Yes, this committee did address
6 the issue of biovigilance, and specifically hemovigilance,
7 but we did define the biovigilance, what biovigilance was.
8 The committee also made a recommendation that there be a
9 PHS task group to look at gap analysis, mandatory versus
10 non-mandatory. And you can read right down the line
11 there.

12 Where we are going with this now is that with
13 the increase in responsibility or the scope of the
14 charter, do we expand this? -- although our definition of
15 biovigilance was for all biological products in the
16 transfusion and the transplantation. And I think that
17 that is sort of a difficult comment to make. I think that
18 we should look at tissues and blood as being a transplant
19 also. I think that that might simplify things.

20 But what I'm trying to say is that with the
21 expansion of the charter for transfusion and
22 transplantation safety, where do we go with this? How do

1 we formulate a biovigilance program? And this is a little
2 bit more meat to it than what the initial recommendation
3 came, unless you want to reiterate some of these things
4 that we already talked about for, you know, the PHS task
5 group looking at.

6 Has there been more commonalities coming out?
7 And there may be more commonalities coming out tomorrow
8 that we need to address. I think at this point in time,
9 we were sort of maybe tunnel vision, and did not have all
10 the input from the tissue and organ groups.

11 SPEAKER: Well, you know, one of the big
12 differences in our previous discussion is that the charter
13 hadn't been changed as of yet. And you're right. It
14 seems to me that the fact that the charter was changed and
15 broadened, says that we've been given the green light to
16 proceed. And so then the issue becomes what is it then
17 that we feel is the important undertaking?

18 In other words, what is -- what can we grasp --
19 what can we effectively achieve given the resources within
20 the department?

21 DR. HOLMBERG: Well, I think it goes back to
22 what Ms. Finley mentioned about the elements of a master

1 plan, and I think that biovigilance may be only one aspect
2 of that, that plan. Maybe there is other aspects such as
3 the commonalities that were brought out this -- today as
4 far as traceability, storage, donor eligibility, different
5 areas there. Those are -- that's more than just
6 biovigilance.

7 MS. FINLEY: Okay, but the question didn't --
8 I'm sorry. It wasn't recognized. It didn't say --

9 DR. BRACEY: Okay, Ms. Finley, go ahead.

10 MS. FINLEY: -- biovigilance. So again, without
11 -- I think we should be clear about what exactly we're
12 voting on here, or what we're discussing. And I have to
13 add --

14 DR. BRACEY: Right.

15 MS. FINLEY: -- I am a little fuzzy, and I bet
16 I'm not the only person.

17 DR. BRACEY: But -- yeah, right now we're not
18 voting. This is a point of discussion.

19 MS. FINLEY: Are we discussing just
20 biovigilance? Because if all you want us to do is address
21 biovigilance, I'd rather address one thing, you know,
22 thoroughly, rather than --

1 DR. BRACEY: Right. We are at the point of
2 addressing biovigilance, and the idea is that is there a
3 basis for having a biovigilance system.

4 MS. FINLEY: Okay. But that's not a master
5 strategy for all of these issues across biologics. You
6 want a master biovigilance strategy.

7 DR. BRACEY: That's what we're talking about.

8 MS. FINLEY: Okay. All right, great. Can I ask
9 one additional question? The PHS working group -- we've
10 heard from a lot of the outside players, but I haven't
11 heard the response from the Feds about what it is they
12 think should be in a master strategy. So at some point
13 when we get to considering the elements, could we hear
14 what Dr. Epstein, Dr. Kuehnert, Dr. Klein, you know,
15 everyone has to say about that?

16 DR. BRACEY: Sure.

17 MS. FINLEY: Thank you.

18 DR. BRACEY: Dr. Bloche.

19 DR. BLOCHE: A clarifying question about what
20 might get done with this. What are the possibilities and
21 limits for HHS' role with respect to finding a vigilance
22 strategy? Your question -- as we've heard, as we've been

1 reminded, a huge difference between us and other
2 industrialized countries is that, we don't have a
3 centralized system with the possibility of HHS simply
4 implementing the strategy and then everybody else follows
5 it.

6 There is leverage in the form of conditions for
7 participation in the Medicare and Medicaid programs.
8 There is leverage that takes the form of an example being
9 set, which then others might or might not follow, but it's
10 very soft, it's kind of, you know, soft power to borrow a
11 phrase from another contact. Given that, what's the
12 official understanding of the possibilities and limits of
13 the HHS role into finding a strategy?

14 DR. BRACEY: Any inside information on that, Dr.
15 Holmberg?

16 DR. HOLMBERG: When this question was raised in
17 discussions by Dr. Agwunobi, I think that he was looking
18 for -- trying to establish a roadmap for where we go when
19 this administration is done, okay, that he has X amount of
20 time before a new administration comes in, whatever
21 administration that is, and how do we shape the future for
22 increasing or improving the safety of transfusion and

1 transplantation safety.

2 I think what we're looking for is -- first of
3 all, what are the commonalities? And we've identified one
4 big issue is the commonality of adverse transfusion
5 diseases, transplantation diseases which would -- could
6 also go into adverse events and biovigilance. But what
7 are the common areas that we can be a little bit more
8 consistent across the department in, and where are the
9 areas that we need to tackle for the future? Is that --
10 does that clarify?

11 DR. BLOCHE: But what's the leverage in theory
12 that --

13 DR. HOLMBERG: The leverage?

14 DR. BLOCHE: -- all this to be implemented, or
15 is it the idea of just setting forth additions so that
16 when President Obama comes aboard he can implement it?

17 (Laughter.)

18 DR. HOLMBERG: I think that with anything that
19 recommendation that goes forward from here, I think that
20 we also have to bear in mind that there may be need for
21 legislative support on this. So, you know, there are --
22 that's always a possibility. There is also some other

1 avenues within the agency that we could have, whether it
2 be a regulatory requirement through one of the agencies,
3 whether it's HRSA, FDA, or even CMS.

4 I think that there is a lot of capability there
5 to improve the safety. And there are some mechanisms --
6 I'm not saying that there is one specific mechanism, and I
7 don't think that I have the answer for one specific
8 mechanism. But I think that what we're asking you all to
9 do is to put -- to think about and discuss what are some
10 of the mechanisms that could be in place to help.

11 DR. BLOCHE: That is really -- that's very
12 helpful, because it makes it clear this is not limited by
13 existing authority, this is a big picture of endeavor.

14 SPEAKER: Big picture? Well, but that's the
15 last question which is why you, Jerry, just jumped at the
16 last question which is what resources are needed, and it
17 may be legislation in order to get appropriations on the
18 lot. But that's why I thought you laid it out very well
19 in going through it, which is do we agree there should be
20 a master strategy?

21 If so, what generally -- again and we can talk
22 through what should be within that strategy. I think your

1 notion of what are the commonalities I think you should --
2 we should also develop not only a list on the last one to
3 put what would be on, but not only what are the
4 commonalities, but what's different --

5 SPEAKER: Exactly.

6 SPEAKER: Right.

7 SPEAKER: -- between them so that we see that,
8 and then -- I mean I think you laid out what the steps are
9 to be able to then at the end come up with a
10 recommendation that can be voted on. These I think are
11 the -- these are the whereas clauses so to speak as we've
12 done in resolutions before supporting it to then come up
13 with a resolution saying we would support the notion of --

14 DR. BRACEY: Dr. Roseff for the comment.

15 DR. ROSEFF: What are the specifics? Would it
16 be helpful for us to look at what we've done in the past?
17 I mean there are going to be some similarities in that
18 bio- hemovigilance discussion we had, and then from that
19 we can see what's different to frame the other part of the
20 discussion.

21 DR. HOLMBERG: You should have all received a
22 handout of my complete presentation, although I didn't go

1 through that. It may be difficult to read because when I
2 was copying it, I wasn't able to get the letters reversed
3 for the black and white. But I think that if you'll go to
4 this handout, it will lay out some of the recommendations
5 that were made specifically for biovigilance in 2006.

6 But there is other elements such as when we
7 started talking about an overall strategic plan in
8 September of 2005, I think we very clearly laid out some
9 of those elements that Ms. Finley may be wanting to
10 address. And I think that what we need to do is to see
11 whether there are commonalities with the tissues and the
12 organs and the cellular products.

13 I think one of the things that I thought was
14 missing in Dr. Strong's presentation was that with the
15 identification of the testing and the requirements, we
16 just went from blood and tissue, and we missed the organ
17 part of it, which I realize that in many places we just
18 found out from the Joint Commission, they don't touch the
19 organs either.

20 And so I think that that's one definite gap that
21 we see especially with the organs. But we also have to
22 recognize that there is a difference with organs too. And

1 so we have to understand, okay, if we're going this far
2 with tissues and organs, how far can we go with organs,
3 okay? But I think maybe for tonight's reading might be
4 great. Good to look at this.

5 DR. BRACEY: And I guess that one of the
6 questions that was raised is in terms of the discussions
7 that have been within the department. Dr. Kuehnert, you
8 are on that taskforce that's been addressing biovigilance
9 and its look. From your perspective, how much do we need
10 to revisit the master plan if you will, or do we need
11 simply to flush out the details of the commonalities that
12 we are seeking?

13 DR. KUEHNERT: Well, my opinion is I think the
14 ladder -- I mean, I think looking at the gaps is the most
15 important thing. I think the discussions previously have
16 been quite good. And I think it really flushed out a lot
17 although, you know, we don't have answers on how we're
18 going to capture emerging infectious diseases necessarily.

19 And we agree that it's going to take basically
20 an informatics challenge to be able to capture those
21 rather than some direct method. And then, you know,
22 divining, you know, donor issues and recipient issues. I

1 mean I think, you know, all that was sort of done in
2 looking at the surveillance issues, and then similarly
3 with some of the other issues related to preparedness and
4 coordination research, et cetera.

5 DR. BRACEY: Right.

6 DR. KUEHNERT: So I think the issue is, for
7 instance, if we want to look at differences and
8 commonalities if we're looking at risk realizing that what
9 we've heard today is that there is a relative risk of
10 transmission in organs and tissues is probably above that
11 of blood. On the other hand, there are reduced -- there
12 are less -- there is less intervention as far as, you
13 know, the donor screening that's done for various reasons.

14 And so there are -- there is a risk gap, that's
15 the essential thing. And then there are some issues to
16 consider, which we'll hear more about tomorrow, concerning
17 how tissues are processed, and that there is a
18 differential risk there. So -- I mean there is a bunch of
19 different things to consider, that frankly I think after
20 the presentations tomorrow, we'll maybe make things more
21 clear in trying to determine where the specific gaps are
22 in considering solid organs and tissues compared with

1 blood.

2 DR. BRACEY: Okay. Dr. Holmberg, do you --

3 DR. HOLMBERG: Yeah, let me just quickly direct
4 you to page 5, September 2005. And what I tried to do is
5 to simplify the overall recommendation. But the
6 recommendation was for a strategic plan and a structured
7 process for policy and decision making. I think that
8 we've heard that several times today.

9 Integration of blood systems into the public
10 health infrastructure, surveillance of adverse events
11 related to blood donations and transfusions, risk
12 communication, air prevention in blood collection centers,
13 transfusion services, and clinical transfusion settings,
14 donor recruitment and retention, donor recruitment -- I'm
15 sorry, clinical practice standards for transfusion,
16 strategic research agendas, disaster planning, stable and
17 sustainable reimbursement, and funding for promising new
18 technologies.

19 DR. BRACEY: So again, getting back to what Dr.
20 Epstein's point was, is that, you know, much of the high-
21 level discussion has already taken place. And as I see
22 it, the idea is to try to come away from this meeting with

1 something that's embraceable by the assistant secretary
2 that addresses gaps that we've identified. And we haven't
3 really heard all of the presentations.

4 But perhaps -- so perhaps rather than get bogged
5 down into issues of redeveloping blood policy right now,
6 we'll deal with the strategic plan recommendations and the
7 recommendations that we submitted for biovigilance before,
8 look at details that are -- in essence, try to figure out
9 something that's doable for the department over the course
10 of the next day or so.

11 Does -- I mean, does that sound reasonable, or
12 do you think that we really do need to -- and I'd be
13 interested in the perspective of the committee that we
14 actually do need to revisit the higher level discussion.
15 Dr. Lopez.

16 DR. LOPEZ-PLAZA: I'm just a newcomer in here,
17 but as -- well, I hear all the presentations. Yes, we
18 need some kind of surveillance; we need to know more, you
19 know, look at everything that is happening. I think that
20 there is already things in place in the community that we
21 can at least look at them and see what we can use for
22 them, like the tracing methodology, how the drug industry

1 do their surveillance.

2 The successful programs that the European and
3 Canadian health system has -- was established as you know
4 as a role model, and see how we can adapt it to our
5 system. The only thing that is very important I think
6 that at least to see how things work maybe basing on
7 commonalities.

8 As you know what is in common of all those
9 different tissues transplant/transfusion, why things are
10 in common, why don't we trace that? See what's happening
11 with that, and then go from there. The other point I
12 wanted to make is that I've seen that the blood industry
13 in here, the blood centers, just because of regulations
14 they are a lot better equipped to see things from
15 beginning to end.

16 I think that the factor in here that would be
17 more problematic would be the clinical aspect of it,
18 because we have forgotten that there is the user, there is
19 the physician there, that it's going to be slightly
20 multiple things, and it's not only going to be the organ
21 that get transplanted or the blood that got transfused.

22 You're talking about maybe tissues, you're

1 talking about transplant, you're talking about blood in
2 that -- in the same patient, you're talking about
3 medications in the same patient. So looking at that
4 outcome, adverse events, they might not be only related to
5 the one problem that we're looking. It's going to be a
6 combination of things. So I think that we have some
7 things that we can look at.

8 DR. BRACEY: Okay.

9 DR. LOPEZ-PLAZA: And then from there, try to
10 develop something.

11 DR. BRACEY: Yeah, again, I think that -- again,
12 from my perspective, the need is there. It's pretty
13 clear. And I think it's already been discussed, perhaps
14 by Dr. Bloche that we are the teeth. How are you actually
15 going to make this happen? And then tying into Mr.
16 Matyas' position, what are the resources. So how can you
17 enforce it, how are you going to pay for it? To me again,
18 and perhaps I'm thinking at a lower level, but I think the
19 need is clear and it's not that -- what am I trying to
20 say, it's not at that highest level. We've already
21 addressed the highest level, and so I see this as fleshing
22 out the details.

1 DR. BRACEY: Dr. Epstein?

2 DR. EPSTEIN: Well, I guess at this point my
3 thoughts are a little scattered on this because I'm not
4 exactly sure what we have embraced. But just a few
5 comments. I think that some of the drivers focus on
6 infectious disease events, that there is a strong sense
7 that we'd like to have more coherence in the reporting of
8 infectious disease transmissions across these various
9 types of transfusion/transplantation. The second general
10 theme that I think I've been hearing is about databases.
11 It's the idea that we need better reporting data, better
12 acquisition and better analytic tools.

13 And I think that the commonality, as it were, is
14 the informatics that Matt just mentioned, that the
15 advancement that's needed is informatics. It's how to
16 take advantage of existing data sets, how to do build, you
17 know, new data sets, how to build, you know, new data
18 sets, how to mine them for triggers and how to extract
19 significance, or mine them for central observations and
20 extract significance.

21 We haven't talked too much about the issue of
22 who owns it, you know, who has access to that information

1 and what does anybody expect to be done with it. And I
2 think the ownership issue is delicate and lies at the core
3 of the debate about the government role and the private
4 sector role because I think that that debate has to do
5 with two things. It has to do with responsibility and
6 accountability. And I think we heard Dr. Klein say, well,
7 this should be a public health function.

8 But on the other hand, I think that the tension
9 that underlies that issue is that the private sector may
10 not be so happy with dissemination of specific
11 information, possibly because of competitiveness issues or
12 other things, you know, confidentiality issues et cetera.
13 I think we haven't even begun to touch that area. And
14 then one last point is the ultimate point of all this
15 ought to be risk communication. In other words, you find
16 things, you analyze them, you discover causes and then you
17 have to do something about it, but that's never going to
18 happen if you don't communicate.

19 And so we haven't talked about, you know, the
20 mechanisms, the procedures, you know, and what are the
21 resources and the incentives. And I just want to -- so
22 that's my sort of set of tasks. I think that's what we

1 have to sort out.

2 DR. BRACEY: And I agree. I think there is one
3 other task or area to focus upon. And that is -- and it's
4 -- but how (off mic) -- we heard about failures of, you
5 know, tissue in various ways. The infectious piece is
6 clear. That's something that we've got experience with,
7 but reporting outcomes of the intervention, I think, would
8 be important. JCAHO and its standards for example
9 requires that -- in the transfusion world the reality is
10 that most hospitals really don't do it. But if there is
11 some way that we could sort engender or foster greater
12 outcome reporting, though outcomes are somewhat nebulous.
13 Dr. Triulzi.

14 DR. EPSTEIN: I had two more points and --

15 DR. BRACEY: Okay, Dr. Epstein, want to finish
16 your points?

17 DR. EPSTEIN: No, I can remember them.

18 DR. TRIULZI: If you look at Mike Strong's
19 presentation, which is under the second yellow tab on page
20 8, he has the eight common layers of safety. And that's
21 the logical progression that we're familiar with with
22 blood but they do apply to -- as you go up, from blood

1 cell, tissue, organ, and you see that Adverse Event
2 Surveillance is the bullet of 12 of them. And what I'm
3 afraid is as important as that is that we don't get overly
4 bogged down because the resources and complexity for that
5 piece are probably the greatest.

6 And I think they call can be addressed for all
7 the tissues and we probably could be missing some low-
8 lying fruit that would have immediate and short-term
9 impact. Like traceability to me immediately stands out
10 that there is no excuse and there is really no major
11 expenses to know that tissues have traceability. And we
12 just found that out the hard way. And so what I would say
13 is the overwhelming answer to the Secretary is yes, there
14 is common layers, and there is, I think, opportunities to
15 address these in relatively efficient manners and quickly
16 across everything from blood to organs. At the time while
17 we are trying to work out the Adverse Event Surveillance
18 because the resources and complexity -- if we wait to do
19 that, we will have missed opportunities for the others,
20 enabling -- another one to me that stands out immediately
21 is a low-lying fruit issue that can be done across all
22 those tissues and organs.

1 And there's others in here, you know, we could
2 go down to do that. But I don't want adverse -- the
3 adverse event, and I am the first proponent for
4 biovigilance though, to make us lose sight of
5 opportunities in the entire process that starts with a
6 donor qualification.

7 DR. BRACEY: Dr. Epstein.

8 DR. EPSTEIN: Yeah, thank you, I apologize. The
9 two additional points I wanted to make are these. I think
10 our research agenda needs to be looked at in a common
11 framework across, you know, blood cells, tissues and
12 organs. And the reason is because we have a shared
13 problem about a lack of evidence base for the standards
14 that we have. And I think that it would be an opportunity
15 for, you know, efficiency leverage if we began to look at
16 it in that light. That's one point.

17 And then I wanted to remark about commonalities.
18 I think what's been said many, many times here is that the
19 framework for approaching safety in these different areas
20 of products is quite in common and I think that that is
21 very coherent with Dr. Triulzi's prior remarks. But I
22 think that we need to be careful here that harmonization

1 is not necessarily the goal. And this, you know, harks
2 back to what Mike Strong was saying is that yes, there are
3 commonalities in the framework, but the details can be
4 quite, quite different.

5 So for example, you know, labeling -- well,
6 maybe the commonalities are so strong that it's just a
7 question of some additional ISBT codification and we just
8 continue to use, you know, our ISBT framework. But on the
9 other hand if you talk about process standards, you very
10 quickly get into incredible divergence. So for example a
11 simple thing like bacterial culture, we know how to do it
12 on a platelet and we haven't begun to know how to do it on
13 a vast array of tissues. So I think we just have to be
14 careful not to confuse a framework of commonalities with a
15 sort of sub rosa agenda of pushing harmonization where it
16 may not work.

17 DR. BRACEY: Dr. Kouides and then Dr. --

18 DR. KOUIDES: You know, I think that, you know,
19 one of the problems here is that we are in the middle of
20 the presentations right now. And one of those issues
21 that's just been touched on, which is that surveillance in
22 a way is the top of the pyramid. You really need a whole

1 bunch of things under it in order to do it. So we're
2 trying to figure out how to make a typical pyramid, you
3 know, sort of stay in midair. And as we'll hear tomorrow,
4 I mean, with the discussion that --
5 transplantation/transmission -- what you need first is
6 traceability. And then you build from there.

7 So I agree with the low-hanging fruit comment.
8 I think that we have to start with some very basic things
9 that are non-present in all the products that we're
10 talking about. And I think only at the end of the
11 presentations are we going to be able to realize, okay, we
12 need to fill those in first and then have a uniform --
13 only then do we have a uniform approach where appropriate
14 to these array of products.

15 DR. BRACEY: Other comments? Dr. Burdick, you
16 are in the line of tissue and transplantation. Your
17 thoughts on this discussion and where we are headed.

18 DR. BURDICK: In -- sort of in general I agree
19 with all that's been said. I think that there has been
20 more done -- more discussed and actually more thought
21 given to this than has been represented thus far. And I
22 think particularly when -- from the argument tissue point

1 of view, when you hear from Dr. Fishman tomorrow that will
2 help to provide some background. I think there are
3 commonalities and there are differences and there are a
4 lot of different activities underway. The differences
5 with organs and with blood stem cells are obvious and need
6 to drive appropriate activities that are different for
7 let's say, blood and blood products. But those are
8 details that I think can be worked out and many of the
9 surveillance and testing and so forth problems are pretty
10 much in common and therefore we all benefit from having
11 those things together. So it's a mixture. And I --
12 that's about all I think I have to say right now.

13 DR. BRACEY: Dr. Pierce, you had a comment.

14 DR. PIERCE: Yeah, I think I would echo what our
15 last two speakers said. We are in the middle of the
16 presentations and one of the things that I'm struggling
17 with is I don't really understand the flow from donor to
18 recipient for each of these individual organs. I do know
19 it's different, very different. Whether it's a tissue or
20 an organ and I don't really know what the regulations are
21 at this point for governing each of those individual
22 tissues. We are going to learn something more about that

1 tomorrow.

2 But I also tend to be -- I think I'm hearing a
3 lot more about biovigilance and there is a lot more to
4 this than that. These are all limiting resources. There
5 aren't enough organs or tissues to go around and it's not
6 just a matter of biovigilance, making sure that they have
7 a certain bacterial load or have that level of safety, but
8 it's how they are implemented and used. What's the
9 quality that goes into transplanting a given organ or a
10 tissue and what's the outcome. And really, we haven't
11 heard anything about that. I don't think that we can
12 really have a discussion just on biovigilance without
13 knowing what the outcomes are for the majority of patients
14 who receive these tissues. We hear anecdotally a lot
15 about the need for a repeat transplant and that sort of
16 thing. Well, to me that seems to be where the money is.
17 That's the problem.

18 DR. BRACEY: Comments from the other side? Ms.
19 Thomas?

20 MS. THOMAS: Thank you. I would like to say
21 that I do feel that we have made great strides under Dr.
22 Kuehnert, everyone else that talked about the

1 biovigilance. However, I think for me personally, it will
2 be more beneficial to hear what will transpire on
3 tomorrow. I also appreciate Dr. Holmberg and I do agree
4 that everything was laid out what I thought was clear and
5 then after the debate -- but that will be my only
6 suggestion, is I would really like to hear what will
7 transpire tomorrow.

8 DR. BRACEY: Well, yeah, part of the idea is not
9 just to have discussion because there is a line there that
10 says "Discussion."

11 (Laughter)

12 DR. BRACEY: But when you begin to have your
13 discussion, you can work through some of the issues. In
14 other words, by beginning the discussion, you get over the
15 humps. And I think one of the things that's clear is if
16 we as a committee all go back and focus on our previous
17 set of recommendations, look at them, think about them,
18 see if there are any particular gaps -- and then when we
19 start to have -- when we hear the presentations tomorrow
20 we can again begin to look at some substantive issues that
21 hopefully we'll be able to make as recommendations. Dr.
22 Klein.

1 DR. KLEIN: I just wanted to make a comment that
2 this committee is walking what I consider a fairly fine
3 line. Many of the people here today weren't here in May
4 and when we did have a very high-level discussion, laid
5 out a strategic plan. And if you try to embrace all of
6 that -- I suppose it depends on whether you are a lump
7 or a splitter, you'll get nothing but a very high-level
8 plan. And I would just point to the FDA's blood action
9 plan, which I think is terrific but took 10 years to do.
10 And I don't have that much time, frankly.

11 (Laughter)

12 DR. KLEIN: On the other hand, if we look very,
13 very low and pick out a few lumps I think we could
14 probably get them done. We're going to miss I think
15 probably -- potentially a historic opportunity, if we can
16 believe the Assistant Secretary, and I do. There is an
17 opportunity here in the next 18 months or so to do
18 something very big. So I would encourage the committee as
19 they listen to the presentations and deliberations
20 tomorrow to pick out something relatively big, concrete,
21 and doable. And regardless of what the resource is, I
22 think there are ways of getting those resources. But I

1 think not flying too near the sun or to -- immedial
2 tutismo ibis (phonetic) I think is the way Virgil put it.

3 DR. BRACEY: Thank you for those comments. Oh,
4 yes, Dr. Solomon (phonetic).

5 DR. SOLOMON: Okay. What you think are low-
6 lying fruit may not be low-lying fruit when it comes to
7 the Feds. For instance, we are restricted by our legal
8 authority. For instance for tissues the legal authority
9 just focuses on infectious disease transmission. So if
10 there would be an adverse reaction in another venue, we --
11 that wouldn't come under FDA. And therefore we have --
12 the regs are what they are. We have tracking to the
13 consignee, but not the recipient, and we have very few
14 regs on labeling, we can't mandate ISBT for instance.

15 So what -- any recommendations that you make may
16 require rulemaking and rulemaking takes years and -- with
17 an election coming up, believe me, there is not going to
18 be a lot of rulemaking. So I think that the -- you can
19 make recommendations, but whether they are going to be
20 enforceable recommendations is -- you know, may not
21 happen.

22 DR. BRACEY: Dr. Triulzi.

1 DR. TRIULZI: Yeah, I'll respond very quickly
2 which is that's where we need to take advantage of. For
3 instance, JCAHO may pick up where FDA has to drop off at
4 the door. JCAHO picks up from the door on. As to
5 accrediting agencies, whether it's ABB for blood banks or
6 ATB for tissue banks. And so if the strategic plan is
7 there of what's expected, then those organizations know
8 where they need to pick up where FDA leaves off. So I
9 wouldn't say that that should excuse us from recognizing
10 the need to have recommendations for the whole process.

11 DR. BRACEY: Okay.

12 DR. SOLOMON: True, but those -- the
13 professional organizations have standards that are
14 voluntary. So you would be dependent on the goodwill of
15 people to do --

16 DR. BRACEY: Trust me, that goodwill is -- that
17 goodwill has a great influence within the world of the
18 hospitals. Yeah, it goes a long way. I think we have
19 sort of laid out pretty much what we need to do and that
20 is we're really thinking about being concrete and trying
21 to take advantage of an opportunity that exists. And so
22 with that I would -- one more comment from the Secretary.

1 DR. HOLMBERG: For the new committee members I
2 laid at your desk an appointment affidavit; if you would
3 sign that, so that I can get Dr. Agwunobi to sign the
4 statement also. And also remember that we will meet
5 tomorrow at 8:30 in the morning for our annual ethics
6 meeting. The Feds are not required to be here, so when we
7 -- we go through our own annual ethics training on an
8 annual basis.

9 DR. BRACEY: With that, I'd entertain a motion
10 for adjourned. Okay, and seconded?

11 SPEAKER: Second.

12 DR. BRACEY: We stand adjourned. All right.
13 (Whereupon, at 6:00 p.m., the meeting was
14 recessed, to be reconvened on Friday, May 11,
15 2007, at 8:30 a.m.)

16 * * * * *