

DEPARTMENT OF HEALTH AND HUMAN SERVICES

ADVISORY COMMITTEE
ON
BLOOD SAFETY AND AVAILABILITY

THIRTY-FIRST MEETING

DAY 2
FRIDAY, MAY 11, 2007

Georgetown University Conference Hotel
3800 Reservoir Road, Northwest
Washington, D.C. 20057

AGENDA:

First session of the morning is closed to the public.

08:30	Annual Ethics Training for Committee	John Condray, J.D.
09:30	Doors Open - Open to the Public	
09:35	Call to Order and Roll Call	Arthur W. Bracey, M.D. Jerry A. Holmberg, Ph.D.
09:40	Status of Transfusion and Transplantation Safety Continued	Arthur W. Bracey, M.D.
	American Association of Tissue Banks (AATB)	Scott A. Brubaker, CTBS, Chief Policy Officer
	American Society for Reproductive Medicine (ASRM)	G. David Ball, Ph.D.
	American Academy of Orthopaedic Surgeons	Michael Joyce, M.D.
	Committee Discussion	Arthur W. Bracey, M.D.
10:45	Break	
11:00	United Network for Organ Sharing (UNOS)	Jay A. Fishman, M.D., Professor of Medicine, Harvard Medical School

11:30	Open Public Hearing	Arthur W. Bracey, M.D.
	- Ms. Ellen Heck, MT (ASCP), MA, CEBT Eye Bank Association of America	
12:00	Lunch	
1:00	Status of Transfusion and Transplantation Safety Continued	Arthur W. Bracey, M.D.
	Managing Tissues in Hospitals	D. Ted Eastlund, M.D.
	Canadian Experience	Marc Germain, M.D., FRCP(C), Ph.D.
2:00	Committee Discussion	Arthur W. Bracey, M.D.
4:00	Meeting Adjournment	Arthur W. Bracey, M.D.

PARTICIPANTS :

CHAIR: DR. ARTHUR BRACEY
DR. JERRY HOLMBERG

MEMBERS :

ANN MARIE BENZINGER
JULIE BIRKOFER
GREGG BLOCHE, M.D., J.D.
WILLIAM DUFFELL Jr., Ph.D. (Absent)
ANN MARIE FINLEY
CHARLES HALEY, M.D., M.S. (Absent)
PETER KOUIDES, M.D.
ILEANA LOPEZ-PLAZA, M.D.
DAVID MATYAS, J.D.
JOHN McGUIRE (Absent)
GLENN PIERCE, M.D., Ph.D.
GLENN RAMSEY, M.D. (Absent)
SUSAN ROSEFF, M.D.
GERALD SANDLER, M.D.
LINDA THOMAS
DARRELL TRIULZI, M.D. (Absent)

NON-VOTING EX-OFFICIO MEMBERS

MATTHEW KUEHNERT, M.D.
JAY EPSTEIN, M.D.
HARVEY KLEIN, M.D. (Absent)
MICHAEL LIBBY, Commander
JAMES BOWMAN III, M.D.
ROBIN ASHTON
RUTH SOLOMON, M.D.

* * * * *

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P R O C E E D I N G S

(08:30 a.m.)

DR. HOLMBERG: I'd like to invite all the committee members to come to the table please. I'd like to open the meeting and call the meeting to order and also to have a roll call. Dr. Bracey?

DR. BRACEY: Present.

DR. HOLMBERG: Ms. Benzinger?

MS. BENZINGER: Present.

DR. HOLMBERG: Ms. Birkofer?

MS. BIRKOFER: Present.

DR. HOLMBERG: Dr. Bloche?

SPEAKER: -- make comments on it.

SPEAKER: Ah.

SPEAKER: Where were you? You were supposed to be ethics training.

DR. HOLMBERG: Dr. Duffell is absent. Ms. Finley?

MS. FINLEY: Here.

DR. HOLMBERG: Dr. Haley is absent. Dr. Kouides?

DR. KOUIDES: Present.

1 DR. HOLMBERG: Dr. Lopez-Plaza?
2 DR. LOPEZ-PLAZA: Present.
3 DR. HOLMBERG: Mr. Matyas?
4 MR. MATYAS: Present.
5 DR. HOLMBERG: Mr. McGuire is absent. Dr.
6 Pierce?
7 DR. PIERCE: Present.
8 DR. HOLMBERG: Dr. Ramsey is absent. Dr.
9 Roseff?
10 DR. ROSEFF: Here.
11 DR. HOLMBERG: Dr. Sandler?
12 DR. SANDLER: Present.
13 DR. HOLMBERG: Ms. Thomas?
14 MS. THOMAS: Present.
15 DR. HOLMBERG: Dr. Triulzi is absent at another
16 commitment. Dr. Epstein?
17 DR. EPSTEIN: Here.
18 DR. HOLMBERG: Dr. Kuehnert?
19 DR. KUEHNERT: Here.
20 DR. HOLMBERG: Commander Libby?
21 CDR. LIBBY: Present.
22 DR. HOLMBERG: Mr. -- Dr. Bowman?

1 DR. BOWMAN: I'm here.

2 DR. HOLMBERG: And we have Dr. Solomon
3 (phonetic).

4 DR. SOLOMON: Here.

5 DR. HOLMBERG: And sitting in for Dr. Burdick
6 today is Ms. Robin Ashton (phonetic). So -- okay. Mr.
7 Chairman we do have a forum.

8 DR. BRACEY: Oh, thank you.

9 SPEAKER: Excuse me, could you explain the
10 policy on substitutions?

11 DR. HOLMBERG: On the substitutions, especially
12 for the government, it does not specifically specify the
13 person or the position. And with the Federal Government
14 we have had numerous people that have other commitments
15 later today. So for instance at this current time, Dr.
16 Solomon is sitting in the position for the gene therapy --
17 gene cellular therapy and tissues, and normally Dr. Laura
18 St. Martin will be here. She has been assigned to the
19 committee. Also, Dr. Burdick represented HRSA yesterday,
20 but he has also designed that Ms. Robin Ashton -- his
21 surrogate today and in future meetings he will probably
22 have Dr. Ortiz Rios (phonetic), the representative from

1 HRSA. The government employees are non-voting members.

2 Ms. FINLEY: Dr. Holmberg, could you ask the
3 individuals who may be sitting in front of their name
4 cards with someone else's name maybe to write their names
5 in? Thanks.

6 DR. HOLMBERG: I think Robyn Ashton is the only
7 one, so --

8 Ms BIRKOFER: Dr. Holmberg, for the SGEs, if
9 there is a conflict where you can't attend, can they
10 appoint someone if --

11 DR. HOLMBERG: No. Even with the
12 representatives, each person, both the SGE and the
13 representatives, have been designated by the Secretary.
14 This situation has come up especially in the blood
15 community trying to substitute for another person and it
16 is not possible under the charter.

17 SPEAKER: Thanks.

18 SPEAKER: Thanks.

19 DR. BRACEY: Good morning. Today we continue
20 the presentations. Yesterday we heard from the major
21 blood organizations and today we will hear from tissue
22 organizations as well as physician groups and other

1 entities dealing with tissues and transplants. Yesterday
2 evening we did have discussions in terms of what the focus
3 of the committee would be and in terms of its product from
4 the specific meeting. And as such we actually have in
5 past put together, A, a strategic plan, and B, a
6 recommendation with the definition of biovigilance for the
7 Secretary.

8 So as I see it, we have questions from the
9 Secretary and then our response -- our responsibility to
10 advise the Secretary. We need to hear what these
11 presenters are saying today and then to be able to address
12 the questions of the Secretary toward the end of that
13 meeting. Now, to bring the newer members up to date in
14 terms of our previous recommendations, we do have handouts
15 that include the specific recommendations from our August
16 '06 meeting on biovigilance. That being said, let's
17 continue with the presentations and we'll have to keep it
18 on a tight timeline in order to allow for a good
19 discussion at the end of the meeting.

20 The first presenter today is Dr. Scott Brubaker.
21 He is with the -- he is the chief policy officer of the
22 American Association of Tissue Banks in McLean, Virginia.

1 And he will be speaking to us on the status of safety with
2 regard to tissue banks.

3 MR. BRUBAKER: Thank you very much, and thank
4 you, Jerry -- Dr. Holmberg for inviting us to speak today.
5 And it's an honor and a privilege. Thanks. I do have too
6 many slides for my 15 minutes, so I'm going to kind of
7 rush through some of them.

8 (Slide.)

9 MR. BRUBAKER: And the first one is just here to
10 show you that the title of your committee actually matches
11 our mission. It's all about safety and making sure there
12 is a uniform high quality and quantity sufficient to meet
13 patient needs. We are all about accomplishing our mission
14 and our standards, which you each have now a current copy
15 of our 11th edition. That's our primary focus, to promote
16 safety. But we also inspect and accredit tissue banks.
17 It's a voluntary basis. We inspect and accredit those --
18 we accredit those every 3 years. We train and certify
19 tissue bank personnel with our CTBS program and we foster
20 education with many meetings throughout the year that are
21 highly attended by professionals in our field and as well
22 as regulators.

1 (Slide.)

2 MR. BRUBAKER: And we are a professional liaison
3 for our accredited banks. And to give you an idea of who
4 we harmonize with and work with throughout the nation and
5 the world, you can see all of those acronyms up there. We
6 do have active communication with them on a constant basis
7 and I have hospitals listed there. I personally probably
8 communicate with a hospital four times a week on different
9 tissue issues.

10 (Slide.)

11 MR. BRUBAKER: We were founded in 1976. Members
12 of the U.S. Navy Tissue Bank were part of that foundation.
13 And I won't go through all of those. We have about 99
14 accredited tissue banks right now throughout the U.S. and
15 Canada. They recover approximately 25,000 tissue donors;
16 distribute about 2 million grafts every year.

17 (Slide.)

18 MR. BRUBAKER: Just -- I just want to show you
19 one example of a standard that goes beyond federal
20 regulations and is a requirement. And it has everything
21 to do with algor mortis, which is the body cooling after
22 death, and time limitations for recovery. And the

1 clostridium death that occurred in 2001, that recovery
2 agency and the processor were not accredited by the AATB
3 and went outside of that standard and that led to this
4 incident.

5 (Slide.)

6 MR. BRUBAKER: Our standards have been used
7 throughout the world by different regulators, as you can
8 see, that are listed there. Dr. Noel, I know him very
9 well, a good friend, and he -- the WHO Aide Memoirs that
10 he mentioned yesterday, we did have a part in creating
11 those documents as well.

12 (Slide.)

13 MR. BRUBAKER: We have our guidance documents.
14 Of course we have standards, and to try to help banks
15 understand how to meet those standards, we've created
16 guidance documents. You can see the three there. Now,
17 this last one was released recently and it doesn't look to
18 you probably that this might be related to a safety issue
19 but it absolutely is. Providing service to tissue donor
20 families, I can tell you that the BTS and DRS situations,
21 those organizations as recovery agencies did not have
22 these programs in place.

1 (Slide.)

2 MR. BRUBAKER: We're working on other guidance
3 documents and they're all related to safety in some way,
4 shape, or form and the four that are highlighted there are
5 related to our investigation of the BTS incidents.

6 (Slide.)

7 MR. BRUBAKER: We're also working with other
8 organizations on a uniform donor history questionnaire, so
9 we can have one that's uniform throughout organ, tissue,
10 and eye donation, much like the cell therapy and the blood
11 donation industries have done recently.

12 (Slide.)

13 MR. BRUBAKER: Now, I realized yesterday, and I
14 changed my slides and you have a new handout because we
15 have -- I want to make sure you understand what types of
16 tissues we cover. The FDA listing for HCTPs that are
17 related to our tissue types are listed on the left and
18 they are in decreasing order of actually distribution in
19 the United States. Our designations are on the right.
20 And again musculoskeletal includes soft tissue grafts as
21 well -- osteoarticular. Then you have cardiac, vascular,
22 skin and so forth. We also accredit reproductive banks,

1 but we only have less than a dozen who are accredited, and
2 we have autologous and living donor surgical bone and
3 other living donor standards as well, not just deceased
4 donors.

5 (Slide.)

6 MR. BRUBAKER: To get an idea of distribution,
7 we have done surveys over the years and we are performing
8 a 3-year retrospective survey this year, so we'll have
9 updated information for you in the future, but you can see
10 how many grafts are distributed. And these are
11 musculoskeletal allografts only, bone and soft tissue.
12 And you can see the soft tissue is the very small bar
13 there, at about 81,000 in 2003 and about 1.3 million for
14 bone grafts. So a large majority of the tissue being
15 distributed are bone grafts and they are highly processed,
16 which I'll show you here in a minute.

17 (Slide.)

18 MR. BRUBAKER: One of the other things to be
19 aware of is that many of our banks also follow GMPs
20 because the product that they are actually distributing or
21 manufacturing is a medical device. It's highly processed,
22 it's demineralized bone matrix added to a carrier, some

1 kind of carrier and it's designated as a device.

2 (Slide.)

3 MR. BRUBAKER: I won't go over this and -- spend
4 maybe just 10 seconds on it, but Klaus Nether presented
5 this history yesterday and I do want to highlight though
6 that recipient notification is something they have control
7 of that probably no one else does in their standards,
8 possibly ABB in their standards for their blood bank if
9 they handle tissue.

10 (Slide.)

11 MR. BRUBAKER: We are also regulated by states,
12 especially New York State, Florida, and California. They
13 have their own tissue regulations. So you need to be
14 aware of that. And they can inspect tissue banks every 3
15 years. The New York State and Florida State do inspect
16 states out -- I'm sorry, tissue banks, tissue
17 establishments outside of their state, California only
18 inspects within the state, but they do require licensure.
19 So there is another level of safety through these
20 inspections and licensing agencies that are out there.

21 (Slide.)

22 MR. BRUBAKER: There -- usually when we present

1 at a meeting like this, FDA has presented the regs. That
2 can take a long time because there are a lot of them. I
3 forgot to bring my notebook up here to show you how thick
4 the regulations are, but they are all related to safety
5 and to controlling contamination and cross-contamination
6 for communicable diseases. It began in 1990, and you can
7 see all of those there and then I know the committee has a
8 handout so I won't go into those in detail.

9 (Slide.)

10 MR. BRUBAKER: The most current ones are these
11 that are currently effective, depending on when the tissue
12 was recovered, before or after May 25th of 2005. But you
13 can see that they are very extensive, and actually the
14 structure of it does match that within standards, our
15 standards.

16 (Slide.)

17 MR. BRUBAKER: And another thing I realized was
18 you may be very familiar with blood donor screening and
19 collection and so forth and testing, but for a tissue
20 donor it's a highly involved process. Those donors don't
21 come to us, we don't talk to them normally as -- of course
22 if it's a disease donation, we rely on a historian, and

1 just getting a blood sample, finding one that's qualified,
2 it's very labor-intensive. And that's what this picture
3 here is supposed to depict. And it's the same for ocular
4 donation as well. We have a lot of investigation to go
5 through and a lot of seeking of information to gather the
6 correct and adequate information to determine eligibility
7 of the donor.

8 (Slide.)

9 MR. BRUBAKER: Now, 11 percent, in our last
10 survey we performed, of all organ donors are also tissue
11 donors. And there are reasons that the number is actually
12 that low. I've got some of them listed there, but it is
13 based -- you heard -- I believe Ruth mentioned yesterday
14 the risk benefit ratio. And for organ donation it is
15 different. You know, their donor acceptance criteria
16 policy through the OPTN, UNOS, and AOPO standards, they
17 are not as strict. They are working off of CDC
18 recommendations from 1994. They don't assess the sample
19 for plasma delusion; it's not a requirement for testing
20 laboratories to be clear registered as CMS equivalent.
21 And the test kits themselves need to be licensed --
22 screening-test approved by FDA, but we found that

1 compliance actually may be a little low there. And there
2 are reasons for that too, as well though.

3 (Slide.)

4 MR. BRUBAKER: Just to briefly go over some
5 handling of tissue -- and when I talk about highly
6 processed, please be aware that there are disinfection
7 processes, irradiation processes, chemical washes and
8 soaks. There can be acid treatment if it's a
9 demineralized product that's distributed. There are
10 mechanical centrifugation, sonication, agitation that it
11 can go through; lyophilization itself can reduce
12 contamination or eliminate it. And then there can be a
13 terminal gamma irradiation at the end, in this final
14 package.

15 (Slide.)

16 MR. BRUBAKER: I think one of the best ways for
17 you to understand how tissues are processed -- or I'll
18 show you pictures, and this is demineralized bone matrix.
19 You can see the powders in different granule sizes there
20 that can be created and administered as pastes, putties,
21 injectable forms and pliable pieces.

22 Traditional, conventional HCTPs for us would be

1 these chips and blocks as you can see here. And you can
2 see all the marrow elements -- and I know one tissue bank
3 studied showed that 99.7 percent of all the marrow
4 elements were eliminated through their process.

5 (Slide.)

6 >R. BRUBAKER: Tissue forms today, they put
7 pieces of bone together, almost like carpentry and
8 woodwork, to make them stronger pieces, and that does help
9 the patients as well, and you can see some examples here.

10 (Slide.)

11 MR. BRUBAKER: But there are grafts that are
12 frozen, fresh, cryopreserved. Some of them can
13 lyophilized. These may not be supplied as sterile.

14 (Slide.)

15 MR. BRUBAKER: Fresh osteochondrals of total
16 joints for total joint replacements due to trauma and
17 disease, those also would not be highly processed because
18 the utility for those grafts would be compromised if you
19 did irradiate them or if you chemically treated them.

20 (Slide.)

21 MR. BRUBAKER: Skin can be fresh, cryopreserved,
22 lyophilized. They can be acellular products that are

1 freeze-dried. And you can actually even take that and
2 cryofracture it and put it into an injectable form. And
3 there is very much success with these products for the
4 recipients. But some of them that are minimally processed
5 might cause more risk than those that are highly
6 processed.

7 (Slide.)

8 DR. BRUBAKER: Cardiac grafts are listed there.
9 You can see the aortic heart valves, pulmonary and some
10 patches and conduit grafts or vessels. Again, these are
11 only disinfected and cryopreserved, so there might be some
12 risk for those grafts compared to others.

13 (Slide.)

14 DR. BRUBAKER: Now, we talked about some
15 information about tracking yesterday, and we performed a
16 survey. A 100 percent of the time our banks who
17 distribute tissue do send out an implant card. So they
18 hope there is compliance in filling that out by the end
19 user and sending it back to them to complete that tracing
20 loop. No one requires that, except for Joint Commission
21 standards. So those entities, I think, we are seeing an
22 increase due to that.

1 We do -- you saw Dr. Strong's nice graphics that
2 showed his bank was the highest at 95 percent, which was -
3 - that is true, he is correct.

4 (Slide.)

5 MR. BRUBAKER: Information missing on the
6 implant cards, the patient's identifier. We don't really
7 need the name, but we'd like an identifier so that
8 tracking can be completed at the end, but that's actually
9 the most common piece of information that's left out. And
10 you can see the end user types who are most noncompliant,
11 dental offices, oral surgeons -- and these are non-Joint
12 Commission entities, if you can think of it that day, day
13 surgery centers and large hospitals, in that order. So
14 there is a gap.

15 (Slide.)

16 MR. BRUBAKER: Now, I'd like to show you some
17 recall experiences. In 1991, the HIV or the HW3 case, as
18 we know of it, there are only five tissue grafts that were
19 unaccounted for by hospitals during that recall situation.
20 There were seven recipients who ended up testing positive
21 from the 53 tissue and 4 organs that were made available.
22 All organ recipients tested positive and three fresh

1 frozen minimally-processed tissues did transmit disease.

2 (Slide.)

3 MR. BRUBAKER: Now, if we jump 10 years ahead to
4 2001, this is the clostridium case, and at that time this
5 bank was not accredited by AATB, but they offered this
6 information to us now. And you can see that no tissue
7 grafts were unaccounted for but there were only 29 that
8 were made available. And again, these were fresh and/or
9 frozen grafts that were -- two infections reported, one
10 was confirmed by culture, resulted in the death, and the
11 other one was not confirmed by culture. But he was
12 treated and did fine.

13 (Slide.)

14 MR. BRUBAKER: Now, this recall experience is
15 the -- is a recent one and of course the largest one ever
16 and it's BTS-related; 28,000 tissue grafts made available
17 from six tissue banks. And now you see almost 2,000
18 tissue grafts and devices that were unaccounted for by the
19 end users and also by distributors. What was found out as
20 a gap here was that when our banks did audit the
21 distributors, they had SOPs in place for recall but when
22 they were actually put to the test, they didn't work. And

1 there were tracking problems. So again, this involves not
2 just tissue grafts but tissue grafts that are kicked up
3 into that higher level as a medical device.

4 There were some international -- some countries
5 internationally who would not cooperate with the
6 information about recipients, and you can see the other
7 numbers there.

8 Again, the -- with all of this in place, 95
9 percent of these tissue types were highly processed that
10 were distributed using methods that have been validated to
11 eradicate bacteria and diminish viruses, and there have
12 been no proven infections to date.

13 (Slide.)

14 MR. BRUBAKER: Going to the most recent recall
15 experience I'd like to share with you is the 2006
16 *Chryseobacterium meningosepticum*. This was 4,805 soft
17 tissue grafts. These were all tendons and ligaments that
18 were distributed and recalled. And within 30 days, this
19 bank was able to determine the disposition of 99 percent
20 of the grafts, which is excellent when you think about the
21 timeframe and the results. There are still only six
22 grafts that are unaccounted for four facilities. They are

1 all presumed to be implanted, but those facilities could
2 not track them to that final disposition.

3 There were 750 hospitals involved in this recall
4 throughout U.S., Canada, and Mexico. And the implant card
5 return rate was actually 74 percent for these 4,805
6 grafts, which is excellent.

7 There were two infections reported. The
8 patients have been treated. They resolved their
9 infections, and the grafts remain in place.

10 (Slide.)

11 MR. BRUBAKER: Now, the TTSN, you've heard a
12 little about that, and I was hoping there would be someone
13 who would be presenting, you know, 20 minutes just on the
14 TTSN. We do have members from this group here who are
15 working on this. We are in our second year of a 3-year
16 agreement, and there is only monies allotted for 1 more
17 year. This is something that really needs to continue.
18 You can see the collaborative effort there is between all
19 of those associations and federal authorities and also end
20 user associations. That's all, again, part of the
21 tracking issue. So this will be a database that will link
22 all donors and tissues processed from one donor and

1 distributed widely by maybe a couple of banks and to link
2 all that together to the recipients.

3 We are in part C right now. I am happy to say
4 I'm a co-chair of this part of it. We are creating
5 definitions right now for clinicians to use to hopefully
6 if they can recognize a possible allograft-caused
7 infection. And as you can see, we've got over the parts
8 to go through and hopefully we will be finished by next
9 year.

10 (Slide.)

11 MR. BRUBAKER: Now to end this, this is just an
12 overview of the safety of tissue transplants. In the past
13 20 years, about 10 million tissue transplants have
14 occurred. We don't have a true number because of the
15 implant card return compliance rate is so low. The viral
16 transmissions though that have occurred, the last one was
17 in 2002, and testing today would have picked up that case;
18 HCV NAT has now been in place by AATB for the past 2
19 years.

20 And we preceded federal regulation. That will
21 be a requirement by fall of this year, but we've had the
22 HIV and HCV Nucleic Acid Testing as a requirement for

1 accredited banks for 2 years now.

2 (Slide.)

3 MR. BRUBAKER: And you can see, early in 1990s,
4 was the lookback testing. Again, that was a testing issue
5 where HCV was realized. TB and HPV was over 50 years ago
6 when that occurred, and processing was nothing like it is
7 today, and testing nothing like today. HIV was 20 years
8 ago and that was again testing issue, and you can see that
9 the other diseases that we've heard about are transmitted
10 by organs only today.

11 (Slide.)

12 MR. BRUBAKER: Bacterial transmissions, there
13 have been a few, and you can see that one tissue bank, who
14 was unaccredited, was involved in a lot of those. They've
15 now reached another higher level and they're accredited by
16 the AATB, and I think they've been inspected by the FDA
17 more than -- more detailed and under more scrutiny than
18 any other bank in the country, and there has been no
19 malignancy in cancer or no transmissions that way.

20 (Slide.)

21 MR. BRUBAKER: Someone talked about coding, and
22 we are involved with the Zen (phonetic) Group in Europe,

1 talking -- we're part of their workshop and committee
2 workgroup who is looking at ISBT 128 and applying it to
3 tissues throughout Europe. So we want to maintain that
4 communication. We have actually promoted that within the
5 -- our banks that are accredited by forming the NATAG
6 (phonetic) as you see there, and we've actually had 6
7 meetings that have occurred over the past 2 years or so
8 and we're trying to get banks to fall into accepting that
9 kind of coding system.

10 It's difficult. We have a lot of -- a variety
11 of grafts that our banks do offer for distribution and
12 implant. It's a little different. To code them is tough.

13 (Slide.)

14 MR. BRUBAKER: And I'm wearing black and blue
15 today because we feel a little bit beat up and I just want
16 to show you this slide. These are the advisory committees
17 that we've presented at probably in the past year I think,
18 and the CTGTAC is our assigned committee, but we only have
19 one representative of 15 that are familiar with tissue on
20 that group. And tissue has not actually been part of
21 their agenda to date. The BPAC, no tissue representation.
22 When they talked about Chagas, the -- one representative

1 from the CTGTAC attended BPAC for -- on our behalf I
2 guess.

3 ACOT (phonetic) has had two meetings where
4 tissue was on the agenda, and again no tissue
5 representation. The OPOs are involved with that one. And
6 this advisory committee now has in its charter tissue, or
7 actually transplantation, and tissue is part of that. And
8 we have no formal tissue representation on this committee
9 either, so we're kind of lost I guess.

10 (Slide.)

11 MR. BRUBAKER: So my final slide here is to
12 answer these questions that were posed. The current state
13 of safety for conventional tissue transplantation is safe
14 and high. Further enhancing that safety is continuing to
15 evolve with methodologies for validating our processes and
16 also the culture techniques that are being used to assure
17 sterility. Areas of commonality for conventional tissues
18 is aligned with reproductive, ocular, and organ for
19 donation, cell therapy and blood donation for screening.
20 However, tissue processing is not really considered in the
21 regulatory process in the tiered risk-based approach, at
22 least not to date. And I think that's happened in the

1 plasma industry. So that's something that could be looked
2 at in the future.

3 And a master strategy plan may be indicated but
4 focus group efforts are logical, like the TTSN where you
5 have the organ tissue and ocular folks working together to
6 try to alleviate what's happened in the past. And what's
7 happened in the past -- someone mentioned this yesterday,
8 almost this exact quote. We need to learn from history
9 and apply that to what we do today and improve our
10 processes. Thank you.

11 DR. BRACEY: Thank you. Questions or comments
12 for Dr. Brubaker. I have a brief question and that is in
13 terms of the accreditation process. What percent of
14 tissue banks are accredited?

15 MR. BRUBAKER: Well, I put in a Freedom of
16 Information request in to FDA to get a list of all of the
17 establishments who are registered with them, so I can
18 review that to see how many are -- we have accredited and
19 how many are not, and what functions they perform. And we
20 believe in -- well, I haven't had a reply back yet, so I
21 haven't been able to do that, but I've done a manual
22 search of just processors. And I have discovered that

1 there are -- you know, there's those who list processing.
2 There are, I think, 865, but processing what type of HCTP
3 is the question.

4 And when I narrow that down just by going
5 through each one manually and looking them up, I come up
6 with about 15 who actually processed or lyophilized bone
7 which is our highest distribution throughout the country
8 of any type of graft, and 13 of those are accredited by
9 us. So we believe -- when we talk about distribution
10 numbers, we really truly believe that 95 percent of the
11 tissue that's distributed in the U.S. today is from
12 accredited -- AATB-accredited banks.

13 DR. BRACEY: Thank you. Question, Ms. Finley?

14 MS. FINLEY: I had a question on I think your
15 fourth slide. You had a line on the top that said that
16 there was 85 percent compliance with FDA, and I didn't
17 want to interrupt your train of thought here.

18 MR. BRUBAKER: Yeah, I forgot to mention that
19 thing. I forgot to mention that, thank you.

20 MS. FINLEY: Could you flip back to where it was
21 at the beginning of your presentation, please, so everyone
22 can see it? Thank you.

1 MR. BRUBAKER: Yeah. I'm trying to remember
2 which one that was. It wasn't -- that's 4.

3 MS. FINLEY: No.

4 SPEAKER: Eleventh slide.

5 MR. BRUBAKER: Eleventh?

6 MS. FINLEY: Thank you. There it is.

7 MR. BRUBAKER: Yes.

8 MS. FINLEY: What does that first line mean?

9 MR. BRUBAKER: That first line means --
10 actually, I took that right from the GTP final rule there.
11 I mean, I'm not sure if it was in the text or the --
12 probably the preamble I believe, where it was stated by
13 FDA, if you follow AATB standards already -- it didn't say
14 if you were accredited, but if you follow our standards
15 already, you will be 85 percent compliant with these, this
16 new rule, which was the Good Tissue Practices final rule.

17 MS. FINLEY: When did that go into effect?

18 MR. BRUBAKER: March 25th of 2005.

19 MS. FINLEY: Okay. So then in effect, FDA is
20 asking for a 15 percent greater compliance than what is
21 already standard for AATB?

22 MR. BRUBAKER: I'm not sure it could be stated

1 that way, Ruth.

2 DR. BRACEY: Dr. Solomon.

3 DR. SOLOMON: This section is the economic
4 analysis section of the preamble where the estimated
5 burden on industry is estimated, and that's why the figure
6 85 percent of tissue banks out there, if they follow
7 standards would already be following these CGTP rules.
8 And the point being made is, you know, the burden is not
9 terribly excessive.

10 MS. FINLEY: Okay. Thank you, may I ask you a
11 follow-up question? I was a bit unclear as to what
12 percentage of tissue banks are -- are the rules for tissue
13 banks the same as for blood banks that have -- in the
14 interstate commerce -- you -- I guess it wouldn't if
15 you're -- if you have to meet these AATB standards. Do
16 all tissue banks have to meet AATB standards? Do they
17 elect to do so upon membership in AATB?

18 DR. SOLOMON: I'm not sure what you're asking.

19 MS. FINLEY: I'm asking about the -- basically
20 the general level of compliance among tissue banks because
21 I think that's one of the issues that was expressed
22 privately earlier, and maybe the basis for the

1 biovigilance part of the tissue question we're going to
2 answer today.

3 DR. SOLOMON: Well, that would be a question
4 that our Office of Compliance could answer or Office of
5 Regulatory Affairs, where on inspection, they determine
6 compliance with the rules.

7 MS. FINLEY: Okay, but generally what percent --
8 I mean, do you have a rough idea of how many or -- and
9 maybe this is a question better directed at our speaker,
10 what percentage of tissue banks exist in the country, just
11 general numbers, how many of them are members of AATB and
12 follow their standards?

13 DR. SOLOMON: Okay, our --

14 MR. BRUBAKER: Well, we can only count those who
15 are accredited, so those who are non-accredited, we don't
16 really focus on and keep an eye on I guess. One of the
17 things I think that comes into play with your question is
18 that FDA doesn't license tissue banks, they license blood
19 establishments, but tissue establishments are -- you just
20 -- you register and you list.

21 MS. FINLEY: Register with the FDA, but it's
22 optional whether you want to belong to AATB?

1 MR. BRUBAKER: Right, but FDA is a requirement,
2 so you will actually have distributors who have to
3 register if they store and distribute tissue. So that
4 will list as performing those functions and then they will
5 list bone, tendons, they'll list -- they'll tick the --
6 tick the -- on the form which ones they handle.

7 MS. FINLEY: What percentage do you think you
8 represent the tissue industry? Just ballpark.

9 MR. BRUBAKER: With the distribution, 95 percent
10 of all grafts distributed.

11 MS. FINLEY: Okay.

12 DR. BRACEY: I mean, I think this is a figure
13 that's a ballpark figure, it's not an absolute figure.

14 MS. FINLEY: Right, I understand.

15 MR. BRUBAKER: But the largest banks are well
16 known and they are accredited now by AATB.

17 MS. FINLEY: What percentage --

18 MR. BRUBAKER: So it can take years to get to
19 that level, but --

20 MS. FINLEY: What percentage of the overall
21 members of AATB are accredited?

22 MR. BRUBAKER: Well, the -- see, it's different.

1 It's terminology I think here, because we have individual
2 members, about 1,100 individuals who sign up every year,
3 pay dues and get breaks at our meetings for registration.
4 So that's -- there is about 1,100 of those consistently.

5 MS. FINLEY: Okay.

6 DR. BRACEY: In the interest of time, we will
7 take one more question from Dr. Kuehnert, or a comment,
8 and then we will move on to the next speaker.

9 DR. KUEHNERT: Yeah. Well, I had a number of
10 comments actually, I'll sort of keep them short, but just
11 an observation about what you said about not having a home
12 in a Committee, I mean, it looks like there is a lot of
13 Committees that address tissue safety issues, but no one
14 that's focused on it, and so perhaps when responsibility is
15 diffused there is no responsibility, so that's certainly a
16 concern.

17 The other thing we're just some clarifications on
18 the slides one was with the BTS investigation. I tried to
19 add it all up, and it look like 2000 missing somewhere, and
20 --

21 SPEAKER: An inventory they were never
22 distributed.

1 DR. KUEHNERT: There were an inventory never
2 distributed, okay, thank you. The other is just a
3 clarification on Rabies (phonetic), you had put you know,
4 only organs, and as you all know there was an iliac vessel
5 graft that was implanted that led to a lot of issues. And
6 I know that that's classified as part of an organ, this
7 piece of tissue, but from the CDC perspective we are not
8 looking at the regulatory aspects to us, you know, it was a
9 tissue.

10 So, you know, I guess I take a little bit of
11 exception to that, but I understand what you are saying
12 regulatory-wise that it was all involving organs, but I
13 think, you know, I think the Committee should read the
14 article, and the discussion section about some of the
15 issues there because I think it's very important, and again
16 we need to think beyond the regulatory aspects.

17 The question I have -- one question I have for
18 you is about tracking. You said, for the last
19 investigation it was a -- there was a 74 percent a tracking
20 rate, and then you got it up to 98 percent, and I wondered
21 if you have any idea how much time it took for each tissue
22 bank in a hospital to get from 74 percent to 98 percent,

1 and if with that time they could have hired a
2 transplantation safety officer for six months.

3 MR. BRUBAKER: It took 30 days, and we are hoping
4 that that bank they've agreed to present at annual meeting
5 their whole experience, you know, how they identified root
6 cause for what happened, what they did in their
7 investigation, how the recall actually didn't work so well.

8 DR. KUEHNERT: Yeah.

9 MR. BRUBAKER: We would really want to get that
10 word out and there are agreeing to do that now which is
11 great. They want to go into the detail and educate
12 everyone.

13 DR. KUEHNERT: And because that would be very
14 helpful because, I mean, 30 days around the clock may have
15 been necessary because we certainly had some difficulties
16 in reaching your users of tissues when we had to, and it's
17 just so labor intensive as you know.

18 So, I guess, I should have said this yesterday,
19 but I think there is a lot in here that Scott presented,
20 and I, you know, I just encourage the Committee if they can
21 during the break or something to look at the some of the
22 articles in the discussion section that talks about some of

1 the tracking issues.

2 DR. BRACEY: Thank you. Thank you we'll move on
3 to the next speaker. Next speaker is Dr. David Ball. Dr.
4 Ball represents the American Society for Reproductive
5 Medicine, and he will speak to us on their processes.

6 DR. BALL: Thank you, and I appreciate the
7 invitation to be here, and depending on how this goes the
8 next few minutes it maybe my only one, but it's certainly
9 been interesting visiting with folks that have obviously a
10 lot different emphasis than what we do. And maybe a quick
11 comment on that.

12 It does strike me listening to these
13 presentations last day or so, the focus of what we do, it
14 appears to me is quite different or in some ways at least,
15 different in what we've heard spoken about before, and that
16 is the huge majority tissues, as I think you'll in a few
17 minutes that we're going to talking about here are
18 initially produced by couples were Autologous use, okay?

19 Now there are some exceptions of that of course
20 which we will discuss, at least initially, I think the long
21 effects of disease transmission is well as a potentially
22 ethical issue comes from those embryos that were created

1 initially for Autologous use by a specific couple.

2 I'd like to point out very quickly also Sean
3 Tipton, one of the ASRM colleagues; the Public Affairs
4 Director is here also. So, if you have any difficult
5 questions, I am sure he'll be happy answer.

6 (Laughter)

7 DR. BALL: Okay. Just very quickly for those of
8 who that may not be aware, American Society for
9 Reproductive Medicine is a professional group. It's
10 obviously voluntary; the huge majority of programs
11 performing ART in the country are members, as well as many
12 outside the country. Within ASRM there are many subgroups
13 of reproductive medicine including surgery and so forth,
14 but our specific field is assisted reproductive
15 technologies, and therefore the nomenclature of Society of
16 Assisted Reproductive Technologies or SART, okay?

17 And within that group then we really focus on the
18 ARTs primarily in-vitro fertilization, which includes
19 sexually intimate couples, which actually -- of all the IVF
20 I've done in this country, about 93 percent or higher are
21 actually represented IVF clinics that are SART members, so
22 I think that's an important observation.

1 Then of course, donation cycles, which are a big
2 focus of the next few minutes discussion, which would
3 include sperm, eggs, and embryos are included in this as
4 well. For about the past three years or so the number of
5 cycles performed in the country has -- it seems to leveled
6 off at around 120,000 to 130,000, and that's total cycles.
7 The actual number of donation cycles is about 10 percent of
8 that. So, it's somewhere around 10,000 to 12,000 cycles
9 per year.

10 Okay, in terms of reproductive tissues
11 specifically of course we are talking about sperm. I see
12 this as a bit different than the eggs and embryos, which
13 we'll talk about in a moment because anymore in this
14 country semen donation, sperm donation is primarily a
15 commercial venture, and I think the reason for the semen
16 banks really started in this country in early '70s, and as
17 a result we are way out ahead of the development of the
18 ARTs, which came about a decade later.

19 So, they've had 10 years basically that kind of
20 organize their groups in accordance they'd been subject to
21 a number of inspection agencies during that time, including
22 my colleague that just spoke, Scott Brubaker in the

1 American Association of Tissue Banks.

2 Okay, but then we move onto eggs or Oocytes, and
3 these the donors for eggs are primarily recruited,
4 screened, and tested via ART clinics. There are some
5 commercial agencies out there, typically they are
6 recruiting agencies solely they'll find donors and so
7 forth. Some will do screening questionnaires, medical
8 histories and so forth, none as far as I know, do the
9 actual testing, deacease testing.

10 And then we move onto embryos, of course these
11 are produced solely by ART programs. There are some non
12 profit so called adoption agencies, which typically in my
13 view are either religiously based or for some ethical
14 reasons commonly tried to find "homes," if you will, for
15 embryos that have been put up for donation by couples that
16 originally produce them.

17 Then of course there are few storage facilities,
18 long-term storage facilities that will take these embryos
19 in. Typically the couple that produce them will send them
20 to these agency or these facilities for long-term storage.
21 And then it would not be unusual for that couple later to
22 decide they've completed their family building perhaps

1 they've one or two children at home, they have those frozen
2 embryos remaining at the long-term storage facility, and
3 now they wish to put them up for adoption, and some of
4 these storage facilities will do the basically donor
5 eligibility determination on those embryos.

6 Okay, current oversight, this is perhaps a little
7 bit of a defensive slide because I know in general people
8 see our field as unregulated, I would argue that's not
9 true. Certainly, from a medical standpoint there is a
10 typical physician licensing that goes on in any field. But
11 then there is another aspect here that folks typically are
12 not aware of in that there is a federally mandated cycle
13 reporting to Centers for Disease Control and Prevention,
14 pardon me, this came about in the early '90s, and I think
15 commonly is referred to as the Wyden Bill.

16 The bottom-line here is that every ART in the
17 cycle -- every ART cycle in the country is required to be
18 reported for the Centers for Disease Control for outcome
19 monitoring. I think the primary emphasis of this was to
20 make sure clinics are being straight forward with their
21 claims of pregnancy rights.

22 Okay, this effort is actually a joint-validation

1 effort between Centers for Disease Control and SART. I
2 actually happened to be the SART-Chair for that Committee
3 that interacts with the CDC folks. On the laboratory
4 standpoint or from the laboratory standpoint of course we
5 have CLIA, various inspection agencies including various
6 state agencies, which again Scott Brubaker referred to,
7 College of American Pathologists are a common vehicle for
8 inspection in our programs. And then some programs do use
9 JACO (phonetic) as an inspection agency also.

10 In terms of both that is, laboratory and medical
11 clinics, of course FDA since May 25 of '05 has been
12 involved with inspecting our programs. This whole focus
13 here at this point at least is donor eligibility
14 determination as opposed to GTPs that is expected to be
15 faced in sometime in the future. And then of course
16 professional organizations oversee these clinics, and
17 primarily that would be ASRM and SART.

18 Okay, so in terms specific disease prevention
19 issues SART guidelines, there are SART guidelines for donor
20 testing, and not to be outdone by federal agencies are
21 particular guidelines amount to 50 pages for both sperm,
22 eggs, and embryos.

1 Okay, there and in some cases they are actually
2 quite a bit more stringent than FDA requirements, and in
3 particular one I would cite is our recommendations at least
4 suggest testing of recipients, I'm sorry, the recipients of
5 donor tissues as well as the donor tissue themselves. This
6 equates somewhat to liability issues, but also in a larger
7 from a larger viewpoint looking towards the well-being of
8 any children born from these donations.

9 FDA of course is specific for sperm, eggs, and
10 embryos, donor eligibility determination again is the focus
11 here. And screening, testing, and then the timeframes for
12 these screening, testing our course is defined there.

13 SART has in fact about three weeks ago now
14 requested an FDA liaison to be assigned to help us develop
15 uniform donor questionnaires for screening of all donors.
16 And the point here is that about a year-and-a-half ago now,
17 our two years, when these FDA Regs. came along people were
18 scrabbling a little bit trying to develop these screening
19 questionnaires and so forth, and I would say primarily wind
20 up using blood screening questionnaires as a template to go
21 forward. But we'd like to formulize that a bit more, and
22 have uniform screening questionnaire that's had at least

1 some FDA oversight available to all our SART and ART
2 members, so that's the reason for the request for liaison.

3 Okay, in terms of FDA inspections themselves that
4 this information I've picked up from a meeting towards the
5 end of January this year, but at that point at least the
6 information given was that ART programs across our subject
7 to FDA Regs. as of 5/25/'05, and as of the end of January
8 towards the end of January about 30 percent of all ART
9 programs had in fact been inspected, at least the
10 information I saw presented on slides it indicated that
11 actions rates whether it's voluntary or official were
12 similar to those in other field, bone donation, tissue
13 donations, and so on.

14 Okay, areas of concerns for us in the field. And
15 I will qualify all my statements here today by saying I am
16 an embryologist, so I work in the lab, and on a day-to-day
17 basis work with these patients as well as the materials
18 themselves. So, perhaps my viewpoint on this is a little
19 bit different, but the point here is that we do have some
20 concerns with the false positive test results and re-entry
21 criteria of all of the donors.

22 And the point here is that of course even if

1 there is a known positive false positive test result, these
2 donors are excluded from donation, and at least at this
3 point for reproductive tissue donors there are no re-entry
4 criteria. So, even on the false positive these donors,
5 once they're out there, they're out for good. Answer this
6 is especially critical for egg donors and the point here is
7 that egg donors are actually quite rare individuals quite
8 honestly, there is not an endless supply of these folks as
9 it could be argued there as for semen donors.

10 These typically are young females, not uncommonly
11 sisters or people with infertile -- infertility issues and
12 so forth, and of course the screening, testing, and so
13 forth is some what labor intensive and not cheap. The
14 point being is that it go through all the initial
15 screening, testing, and so forth before we can use these
16 donors to have them excluded based on a false positive test
17 result makes it difficult for these people.

18 In -- on top of that besides there being limited
19 number of donors there is also within ASRM guidelines a
20 limited number of cycles any particular donor can donate.
21 So, that even shrinks that potential egg donor pool more.
22 Okay, and of course from a consumer standpoint one of the

1 big concerns with all of these is the extra cost that
2 that's incurred by patients requiring donation.

3 I think it's quite clear most patients do pay
4 out-of- pocket for this kind of care, and an even small
5 increase are important to them. Okay, from an advisory --
6 I am sorry, from the risk estimate, I would argue in this
7 field it's low, Gametes and embryo status as a disease
8 vector are not known, I can tell you I've checked around
9 the country with my colleagues, and none can cite a known
10 example of disease transmission through an egg, or an
11 embryo to this point in time.

12 And also there is some literature although on
13 semen -- a lot of these comes from the Italian literature,
14 but some in this country as well that is, even in couples
15 with known to be discordant for HIV, and this would
16 positive males, negative females, the risk of transmission
17 with appropriate oversight, that is treatment, preventive
18 treatment of the recipient, as well as processing of the
19 semen sample seems to be very low. These of courser are
20 not donation cycles, these are sexually intimate couples,
21 but I think it serves at least as a reference of some kind
22 to do a risk estimate from.

1 Okay, maybe one twist here that that some of
2 these other fields represented at this meeting doesn't
3 have. Some of the ethical implications I think maybe
4 important to consider here. For example, once these
5 embryos that are produced from these ART cycles are frozen,
6 the best we can tell they are stable for at least decades
7 if not centuries, okay? So, they don't really seem to have
8 much of a shelf life here, so is not the sort of thing
9 where, you know, after a year, or well the concerns with
10 the donation really go away because the tissues are not
11 viable anyway, that's not the case.

12 Most embryos for donations are from couples that
13 have completed their family building. One of the twists
14 of this if you will hear is that typically they would --
15 having finished their family building, they do seem to
16 commonly have a closer tie to these frozen embryos than
17 they would have if they had not been successful. In some
18 ways if you will, these are kind of siblings to the
19 children they are now taking care of is live biological
20 specimens, if you will. So some of the emotions and
21 psychology gets a bit interesting here I think.

1 Now, if the requirements for embryo donation are
2 too restrictive the concern, of course, is that it will
3 result in many embryos being discarded as a opposed to
4 being donated to couples in need.

5 Okay, so again, this is, I think a unique twist
6 perhaps compared to some of the other fields represented
7 here and for what it's worth there was a RAN Study done, I
8 think, about eight years ago now that polled ART programs
9 across the country and the estimate at that point was
10 there is about 500,000 frozen embryos in liquid nitrogen
11 tanks around the country at that point.

12 My guess is its higher now, okay? The majority
13 of those in that same survey, a huge majority of them, I
14 think, it was 90 percent, 89 or 90 percent of those were
15 for future use by the same couple that produced them, but
16 the others obviously or at least in theory potential for
17 donation.

18 Okay, well, that's all I have. I tried to keep
19 it short.

20 DR. BRACEY: Thank you. Questions for Dr. Ball
21 from the Committee? Seeing none, we thank you.

22 DR. BALL: Great, thank you.

1 DR. BRACEY: Our next speaker is Dr. Michael
2 Joyce. Dr. Joyce is an orthopaedic surgeon with expertise
3 in reconstruction, out of the Cleveland Clinic. He is a
4 very active member of the American Association of Tissue
5 Banks, and he will present on the American Academy of
6 Orthopaedics' position regarding tissue safety.

7 DR. JOYCE: First of all, I would like to say,
8 very much that the American Academy of Orthopaedic
9 Surgeons appreciate the opportunity to interact with the
10 advisory Committee as a user and as I have listed here,
11 I'm one of, probably, about 10 members of the academy that
12 have dual roles that have become very interested in tissue
13 banking and today I'd like to just put on my orthopaedic
14 surgeon's cap, but every now and then I'll slip into my
15 tissue banking hat so-- make you aware of some of these
16 conflicts.

17 The message that we'd like to give the Committee
18 is that these allografts are safe and the use of these
19 grafts provide the opportunity to improve function,
20 reconstruct limbs, and it enhances a quality of life. The
21 transmission of disease is a rare event. You have a

1 handout that actually outlines all the muscal (phonetic)
2 transplant episodes.

3 Strides have been made by the federal government
4 with the regulations and guidelines that have come out --
5 AATB standards and joint commission standards about what
6 happens to these tissues in the hospital. There had been
7 blemishes as Scott Brubaker pointed out to you that most
8 of these, not all of them, have been with not accredited
9 AATB banks.

10 The academy has come out with an advisory
11 statement that I've actually, provided in the slides since
12 I didn't make it as a handout, but I -- this is from
13 December 2006, we've had an advisory statement that has
14 been revised since the 1980's by bringing to your
15 attention that we recommend tissue use from those
16 accredited tissue banks only.

17 Also in the small print here, we implore the
18 orthopaedic surgeons to participate in trace back and when
19 requested to participate what has happened to their
20 patients and so forth in the way of reporting and so
21 forth. We have provided over the past four years a yearly
22 scientific exhibit on patient safety and you have in your

1 handout this yearly updated scientific exhibit that is a
2 foldout and again, we didn't go over in very great detail
3 about those muscular skeletal infection instances, but
4 they're recorded on the last page.

5 I point out to you that this is a combined
6 project between the academy and myself and Scott Brubaker,
7 AATB. We've come out with a pamphlet about what that can
8 be passed out to patients about bone and tissue
9 transplantation as a combined project between the academy
10 and AATB. AATB is voluntary standards, things can be part
11 of AATB, and we were just delighted in 1993 that the
12 government in their regulations made the interim rule;
13 eventually the final rule and then we've had current good
14 tissue practices that has evolved over the past four
15 years.

16 A lot of investment at the time and we thank Dr.
17 Solomon from a user's perspective for these regulations
18 which is essentially the role of the land and they're not
19 voluntary as AATB is, but they are the rule, and they've
20 been implemented, since May of 2005. We know which banks
21 do, do tissues so forth through registration and donor
22 eligibility.

1 Recently, in February the guidelines came out
2 concerning donor eligibility outlining things, and then a
3 couple of key points that I like to bring to your
4 attention, a lot of work in a document that if you pull it
5 up on the web each and every page has the term
6 "nonbinding" for all the years that have gone into this
7 and so forth, it's quite interesting, if an orthopaedic
8 surgeon brings this up, is this really a rule, is this a
9 guideline, is this a mandate, what are the regulations and
10 on each and every page, it says "nonbinding" and I
11 understand why, but my orthopaedic colleagues probably do
12 not.

13 In this we have essentially guideline
14 recommendations for NAT testing HIV and Hepatitis C for
15 donors recovered after August 28, 2007, six month
16 implementation of this guideline and it's not really
17 technically it's guideline recommendation, but in essence
18 it's a mandated NAT, HIV, Hepatitis C for these particular
19 donors that are recovered and we, the academy is just
20 happy with that, it makes the tissues safer. There had
21 been window periods of time mentioned here.

1 This requirement for NAT has been in vogue since
2 March 9, 2005 for AATB accredited tissue banks, and then
3 we look about, what about inventory. These tissues are
4 stored for usually two years, some of them were stored up
5 to five years, and inventory has not been addressed
6 neither by AATB nor by these more current guidelines. For
7 AATB issues, usually the most tissues that I use for tumor
8 and adult reconstruction would pass that time, but I just
9 bring to your attention that it doesn't address inventory.

10 So if I as an orthopaedic surgeon want to make
11 sure that it's not tested, I have to look at the label,
12 okay, and I ask my colleagues, how many of them look at
13 the label. So they trust their tissue banker, but when
14 issues come up then at times they don't trust their tissue
15 banker. We try to teach in our pamphlet that you need to
16 know your tissue banker. If you're going to order tissue,
17 know your tissue banker.

18 The problem is most of the time the hospitals
19 order the tissue and the orthopaedic surgeon may or may
20 not have significant involvement. The joint commission is
21 essentially the only organization that has come out to
22 actually make rules that are concrete. They are not part

1 of the federal government, but obviously each and every
2 major institution wants to be joint commission accredited.

3 I bring to your attention that there is a
4 mandate. This has been in vogue since July of 2005 that
5 those little cards that come with the tissue we're
6 supposed to send them back as a mandate, but the best we
7 can do is 70-75 percent and I would ask (inaudible) that
8 which major hospital system has been nailed for a
9 violation about not sending those cards back. It's
10 miniscule for what they're looking at, but at least it is
11 a start in trying to do tissue tracing.

12 The member of the Committee AABB of tissue and
13 then we have written and my colleagues mostly I've
14 reviewed this and supplied suggestions and there is an
15 emphasis on centralization in a hospital about how tissue
16 is handled. It comes into the hospital, people have to
17 look at the package, is the package intact, has it -- or
18 has been frozen in transportation and so forth logged in
19 and so forth.

20 Dr. Eastlund will go over that. Dr. Eastlund is
21 a little bit aberrant just like I'm an aberrant as an
22 orthopaedic surgeon knowing about tissues. He's a blood

1 banker who knows about tissues and tissue regulation and
2 about tissue use because he used to be a tissue banker and
3 he probably still is a tissue banker and is actively
4 involved in this, but not all blood bankers at a small
5 community hospital or even a mid level hospital has much
6 knowledge about musculoskeletal tissue. Even at my place,
7 we are decentralized, okay.

8 Heaven forbid if you tell the CEO of the
9 hospital if he wants a human heart valve that he has to go
10 through the blood bank. The cardiovascular division
11 handles their cardiovascular allografts, same way with the
12 plastic surgery people, same way with me with
13 musculoskeletal, and we have a medical director for each
14 and every type of area, but truly even though we're under
15 the division transplantation, we are decentralized not
16 centralized and our blood bank doesn't want to have
17 anything to do with it.

18 Full time equivalent dollars give us something -
19 - give us education and so forth, so there is two
20 different ways to handle it. When it's handled, there is
21 a centralized fashion I think that's a very good thing to
22 change major systems that maybe a challenge. The master

1 strategy I shared with you that for tissues now, we're
2 doing that testing. Interestingly, this was a blood iron
3 D project back in 1999.

4 The licensed test came out in 2002. We had
5 problems using a living donor test. We went to the test
6 companies and asked for cadaveric testing. They
7 eventually came out with that. Most of the banks started
8 to do NAT testing; AATB mandated it in March 2005. Now,
9 for organs, if you're in a system in the Cleveland Clinic
10 does NAT testing for OPO, a five-hour turnaround time.

11 That's great, they have ready access and an
12 essentially most of the organ donors are NAT tested at
13 least locally in North Eastern Ohio, but that's not the
14 rule for a lot of other OPOs that don't quite have that
15 access, so there is going to be a certain times where we
16 have problems. We have a lot of tests out there, we have
17 screening tests, we have diagnostic tests, we have tests
18 for living donors, we got tests that have been validated
19 for cadaveric serum and there maybe discordant results and
20 late discovery, the organs are implanted, tissue goes off
21 the tissue bank, a NAT test is done and lo and behold is
22 NAT positive, what are the organ people going to do?

1 You can imagine the pressure involved. We don't
2 really want NAT testing if we can't get it upfront and
3 sometimes there is conflicts between organs and tissues
4 and with that particular topic. If you are as a Committee
5 trying to paint a broad stroke, you're going to have to be
6 cautious and you're going to have to be cautious because
7 if you look at blood, blood is out there, we have millions
8 of units, okay.

9 Now, if you look at tissues, tissues are seldom
10 in short supply. Maybe, the bone-tendon-bones, but organs
11 we all know are in short supply, so if we restrict there
12 will be and declined donors and so forth on a possible
13 false negative test we run into problems. Concerning
14 guidelines, another point that neither AATB or the
15 guidelines address is recreational drug use crack and
16 crack cocaine. Dr. Gocke published a study that of a
17 couple of thousand donors in this particular situation
18 these were donors that were recovered, they were squeaky
19 clean at least on forums and everything else than they did
20 the serology and he found that there were 10 percent
21 positive serology that they had to decline the donor.

1 Just because they checked off that yes, they did
2 use crack cocaine, or crack or cocaine, but not IV drug
3 abuse, so in this particular situation, lot of the banks
4 have gotten smart, they asked that question, they declined
5 the donor, but there is no rule out there that says that
6 we can't use a recreational drug user. He certainly has
7 much more risk. It's a social history issue with regards
8 to things.

9 The other thing that the donor eligibility
10 guidelines don't address is who makes these gray zone
11 decisions. The -- I'll put my medical director hat on,
12 when I was at Case Western Reserve University, we had a
13 gentleman in ladies clothes, painted toe nails and so
14 forth, okay. Now, he went through on donor screening and
15 so forth fine and dandy. Serology tests at that time,
16 fine and dandy, but the organ people, you know, we needed
17 him were as recovered.

18 I declined him because of a social index factor;
19 we sometimes call that lowlife index. Maybe, I'm not
20 being politically correct, but he has potential high-risk
21 behavior, but is not outlined in public health service
22 guidelines. I declined him another tissue bank when I

1 hadn't procured him -- recovered him. So who makes those
2 decisions, well, it would be nice if an MD made those
3 decisions.

4 Right now, the guidelines say that the patient -
5 - the person who makes those decisions are someone who is
6 trained and has appropriate training to make those
7 decisions, but not needing to be an MD or DO, if you are
8 AATB accredited you have the requirement to have a medical
9 director and he makes those decisions, and then we look at
10 who participates in AATB. We have a physicians' counsel,
11 we have 97, I believe, accredited tissue banks.

12 Some of the accredited tissue banks have two or
13 three medical directors, MTF, CryoLife and so forth have
14 multiple medical directors. At an AATB meeting at the
15 physicians' council we see about 30 of them. We have two-
16 thirds of medical directors that are not interacting,
17 continuing in educations and so forth with us. There are
18 no guidelines about who makes these particular decisions
19 concerning training.

20 I as an orthopaedic surgeon am board certified,
21 reaccredited, I do trauma every four years, I have to get
22 my ATLS reaccredited, I'm certified to the hilt about what

1 I do in clinical practice. People who were making
2 judgments about release of tissue are well trained.
3 However, where are they with continuing education, where
4 are they with requirements and so forth with that. So we
5 come up with how safe is the tissue that I'm using. We
6 have -- Mike Strong presented the data and I quote that I
7 won't go into detail, but the tissue donor is at least 10
8 times more at risk to having positive serology.

9 This is a tissue donor that tissue screening was
10 fine. We went ahead and did the blood test. We already
11 recovered the tissue; we already spent money 10 times more
12 than a blood donor that we're going to discover that
13 particular donor. Point out to you that the blood pool is
14 a repeat donor pool, and obviously cadaveric donors are a
15 one-time issue.

16 How safe is the tissue, it depends on the
17 processing the tissue bank. We can have aseptic procured
18 tissue that's washed, they can get rid off some of the
19 Staph epi, some of the other bacteria that we have
20 preprocessing cultures, that is, aseptic tissue, it's not
21 sterile tissue. We can have aseptic tissue that's
22 processed, has some positive cultures on it and go ahead

1 and irradiate it, but it's never terminally sterilized
2 that's still aseptic tissue.

3 We can have processed tissue washed and the
4 matter washed out and so forth and irradiate it, irradiate
5 it usually to 12 kilogray to 18 kilogray and people have
6 validation studies that indeed that is sterilized tissue
7 one times ten to the minus six, one in a million chance
8 that it may harbor bacteria. Then we have chemically
9 treated grafts, RTI BioCleanse is an example of this and
10 again they put sterile tissue, but we have another
11 technique that actually states that they can kill viruses.

12 The BioCleanse people, number 4 will also say
13 that they are viracidal, but high-dose 50 kilogray, it's a
14 question whether we can deal with the prions at that
15 level. It's interesting when you take a piece of tissue
16 out of the bag, this is a bone-tendon-bone, one of my
17 colleagues said, "Hey, it smelled like hydrogen sulfide"
18 and actually, they -- you know, the nurses raised so much
19 problems in the OR, he elected not to use that one, take
20 another one out of the bank, just because of the smell.

21 Now, it's a residual and even if it smells, it's
22 actually very sterilized graft. He wasn't prepared

1 knowledge-wise or whatever that that's the type of graft
2 that it gets with that. It's a good graft, so we have
3 claims of sterility. Claims can be one in a thousand or
4 one in a million. The federal government regulations
5 allow us to make those statements.

6 The package labeling is confusing to the
7 orthopaedic surgeon. If I implanted a soft tissue graft
8 in you or you had a bone tumor and I replaced your distal
9 femur, wouldn't you like to have a graft that had a risk
10 of one in a million chance of having a bacterial or fungal
11 infection than one in a thousand? It's up to you.

12 But, as we look at these labels, I bring to your
13 attention that this is bone-tendon-bone and it says "R" on
14 it, it means that it's been irradiated and that's sterile
15 and so they will have a claim that this is sterile tissue
16 on to ten to the minus six, one in a million.

17 This says this is aseptically processed, but
18 passes USP 71 for sterility. Is this a sterile graft or
19 not? I won't challenge you; this is aseptic processing
20 that per validation meets the requirement of USP 71. It
21 is not one in a million; this is not sterilized tissue

1 that I'm just bringing to your attention on how confusing
2 that it can be.

3 This is mineralized bone matrix; this is another
4 one that is aseptic, not truly sterilized, and terminal
5 sterilization. It's a cortical stripe as you look at
6 this, you know, again, it meets the definitions of the
7 federal government USP for sterility, but it's not one in
8 a million, not the typical graft that you are looking at
9 fascia lata. This one had preprocessing cultures that was
10 treated with preprocessing treatment of radiation, but not
11 terminal radiation that you could ever call it sterile,
12 and then this is a fresh graft.

13 I'm sorry, a fresh graft meaning that it has
14 viable cartilage and the cartilage has been preserved with
15 DMSO. It hasn't been irradiated, but again it carries a
16 label of sterility, but this is an aseptic graft, this is
17 aseptic process graft. It is not what we would label in
18 our mind, if I look at a thermal rod and they tell me that
19 it's sterile; I can't say that -- that this piece of graft
20 has the same sterility as that metal rod that I'm going to
21 put in a patient. So, it's little bit confusing for the
22 orthopaedic surgeon.

1 This one sort of tells me that it has been
2 processed a septic technique and it's not really truly
3 sterilized. But I would tell you that label is valid
4 because they've validated their sterility to one to the
5 ten to the minus third, where you can see the confusion
6 here. And then we have manufactured tissue that is so
7 sterile and true that's the type of graft that is the same
8 as the metal road that I was describing.

9 So, label-wise if what we've irradiated that
10 should say so and it does, bacteria just means fungus and
11 for sterility bacteria and fungus it doesn't include the
12 viruses in that definition, I have alluded to the fact
13 that BioCleanse will claim virucidal activity and the
14 current technique to 50 kilogray will claim to virucidal
15 capacity as well. Some companies use terminal radiation
16 and if they do that then it's truly a sterile graft.

17 The demineralized bone product are processed in
18 hydrochloric acid and peroxide and there has been no
19 transmission of disease ever reported with those
20 particular grafts, but just to meet requirements of
21 sterility we are now irradiating demineralized bone from
22 some companies. The question is just how well this

1 demineralized bone perform in contrast to how it performed
2 before it was irradiated. We, as orthopaedic surgeons,
3 are not provided feedbacks about the difference on those
4 two types of demineralized bone piece.

5 So, one could say, hey as an orthopaedic surgeon
6 I'm confused. Could we possibly have a White Paper on
7 this particular topic about what -- you know, how safe are
8 these grafts? We're bombarded by the companies left and
9 right about, "My graft is better," we as an orthopaedic
10 community are confused. I'm not confused, but to educate
11 people in a good proper technique makes it quite difficult
12 and just how sterile are these grafts? We don't really
13 know.

14 The BTS was mentioned, I bring to your attention
15 that AATB has upgraded their scrutiny over their tissue
16 banks and over the recovery folk, but if recovery folks
17 lie and they go-ahead and sent in someone else's blood and
18 they don't get informed constantly, lie about and so
19 forth, those things are very hard to check-up on unless
20 you're there minute by minute with those folks. But
21 increased scrutiny has happened through AATB concerning
22 those credit tissue banks that have recovery folk's that

1 are separate from their bank proper.

2 FDA was prompt in their response to this, but
3 there may easily be other BTS entities out there. It
4 certainly created some loss of faith in tissue banking for
5 the user. So, the user, the hospital systems have said,
6 well, this was a funeral home situation we're not going to
7 take any tissue from funeral homes, because they are
8 uncontrolled settings. Now, most tissue banks have
9 excellent parameters for recovery in funeral homes there
10 is excellent guidelines and requirements from AATB and I
11 as a tissue banker involved with tissue banks and so forth
12 I don't have problems using tissue from funeral homes as
13 long as it comes from AATB accredited tissue bank.

14 But oftentimes hospital systems will say, we're
15 not taking tissues from funeral homes. And that creates
16 little bit of grief because most tissue banks do procure
17 and recover and funeral homes, but it's very controlled
18 setting, not like the BTS type situation. But lo and
19 behold, there were credit tissue banks that were involved
20 with recovery with BTS. The scrutiny has -- went up
21 significantly through increased requirements AATB, my
22 compliments.

1 The orthopaedic surgeon is fearful about
2 positive cultures and so forth. I remind people that on
3 the back table after two hours I can take a swab and have
4 a positive culture just from the back table the
5 instruments and so forth. Cultures in the hospital are
6 kept only to three to five days. Tissue bank cultures are
7 validated for seven to 14 days.

8 So, we've got orthopaedic surgeons swapping
9 tissues, sending-off cultures, getting false contaminants
10 and then what do you do with those results? You go to a
11 couple of colonies, do I treat the patient, this is tissue
12 that has had multiple negative cultures, what are we doing
13 in the operating room? There are named orthopaedic
14 surgeons in sports medicine -- much greyer hair than mine
15 that will get up in public in their meetings and say that
16 is malpractice if you don't culture the tissue, okay?
17 This is the message of some of my colleagues are giving
18 people.

19 So, when you get this one colony the tissue bank
20 goes back looks at it for the scrutiny and the tissue
21 banks do a good job, the report back and say hey there
22 were none of positive cultures for that even on the

1 preprocessing cultures. So, we don't need to culture
2 tissue in the operating room. But to make a science out
3 of it and so forth it would be wise to have an advisory
4 statement that tissue banks with -- refer us not to do
5 that, we used to culture when we did a total joint
6 replacement, we used to culture the joint. Virgin Total
7 Hip, total joint culture positive swap whatever I do with
8 that.

9 Orthopaedic surgeons have learned that that's a
10 waste of money, waste of time we don't do that any more.
11 We used to culture all open fractures in the emergency
12 room. People got sued when they didn't do that. Now, two
13 decades later that's not a requirement. We don't cultures
14 open fractures in the emergency room, times have changed.
15 This is another issue that we need to have a change in
16 concept in paradigm for the orthopaedic surgeon.

17 But who is going to step up to the plate and say
18 you don't need to culture that particular graft, because
19 of the information that's out there. I'm part of the TTSN
20 project, I hope Dr. Fishman goes into a little bit more
21 detail, I'm on the tissue side, I have that privilege and
22 as a three-year project you've heard a little bit about

1 it, you have a member on your Committee Dr. Cooner to that
2 has done yeoman's job in arranging speakers this and that
3 and it's interesting he has given some of us -- clinicians
4 some responsibility. But it's a well-organized project
5 through, you know, was rewarded this contract.

6 We have made it through part A, we are trying to
7 get a uniform donor number for both tissues and organs
8 that you can -- that all donors would be recorded in a
9 database on a website protected and secured. So we know
10 who the donors are and we know where the organs and
11 tissues came from and so forth.

12 Part B, is that if you'll implant this the ideal
13 situation would be, I need to go ahead and do an
14 implantation. Before the case I go on the website and I
15 bring up a data and the graft that I have here in front of
16 me, I login this universal donor number or a number that
17 will link me with the universal donor number and I will
18 get immediate print information on that particular graft
19 that is not involved in the quarantine or recall or
20 whatever.

21 And then -- then I can go ahead and use it and
22 then I -- instead of the donor cards that have to be

1 mailed in that are not being mailed in, for me to use that
2 graft I have to log in to Part B, security systems telling
3 who the recipient is and so forth that we have the
4 absolute tracking, tracing ability with this.

5 Part C is also doing adverse events and one of
6 my personal goals is to login the lower-level of concerns,
7 the concerns that we never get to MedWatch. But if we
8 have enough of them than they would add up and people
9 would scrutinize over those. The other thing is that we
10 need to know if you have a combined organ and tissue donor
11 we already know the organs had been implanted. It'll be
12 wise to know what happened to those organ recipients.

13 So we have examples in 1991 with HIV and where
14 we have three -- the donor was in 1985 not recognized
15 until 1981. We knew the organs -- all four organs were
16 HIV deaths related and so forth, but the tissue world
17 never caught up to that information. So we had three
18 people with soft tissue graft and -- I'm sorry, two soft
19 tissue grafts, one bone transmission of HIV.

20 We should have been smarter to pick that up
21 earlier. If we knew the organs sort of converted to HIV
22 we shouldn't have released the tissue.

1 So do we learn anything? So 2002, the Portland
2 donor this is a 2000 type situation where the donor was
3 procured in 2000, so it is hepatitis C, this is before NAT
4 testing. But again we have organ recipients sure of
5 converting with still tissue released and issues of
6 communication. The TTSN project is trying to bridge the
7 gap in communication with this.

8 And then we have other situations that had no
9 tissue associated with them, rabies, lymphocytic
10 choriomeningitis case. I just asked the question; hey,
11 would the tissue people be notified that organ recipients
12 died of rabies and choriomeningitis in that particular
13 situation. So we need to know what happens to the organ
14 donors. There was no reporting back up until about one
15 year to UNOS. There is no mandatory testing of organ
16 recipient that costs money. However, oftentimes if we
17 only know at three or four months before tissue was
18 released, it would be most helpful as a safety.

19 It's essentially another biological marker,
20 serological test. Problem is cost, permission, data
21 collection and communication, I'm trying to do that. We
22 need, as a tissue community, know what happened to the

1 organ recipient. This advisory committee has a huge task.
2 I bring to you their attention; I'm talking about the
3 grafts that are considered tissue, 361 items, okay? What
4 about devices, are we any -- demineralized bone paste with
5 a carrier is a device. There's tissue engineering, I
6 would predict that instead of talking about some grafts in
7 a bottle, we're going to talk about tissue engineered
8 grafts. Those are going to be devices.

9 I bring to your attention that for meniscal
10 transplantation we have a collagen matrix because it's
11 dead tissue. It's not counted as a xenograft even though
12 orthopedically, science-wise, they'd count it as a
13 xenograft. But this is tissue transplantation; this is
14 something that the advisory committee has to appreciate
15 that may fall into your lap. Not only the "blood, tissues
16 and organs," but we're talking about devices that have
17 tissue associated with them.

18 We have no real information about whether these
19 DBMs really work, when you radiate, do they have problem
20 with osteoinduction. Can the surgeon compare DBMs, no.
21 In the ASTM, we have yet to be able to get even a
22 document, a guideline, about how to test for

1 osteoinduction. Do these grafts stretch out? Mike Strong
2 had mentioned about nonunion and fractures of large
3 grafts, late rejection. There's very little database out
4 there about information and the outcomes.

5 We have a good model, we have a human model. We
6 need to know what happens to these grafts. There needs to
7 be a national database about outcomes. I'm not going to
8 go into blood safety; you have the slides on that. But
9 I'd just bring to your attention a couple of points. In a
10 major hospital system, there should be a medical anemia
11 pre-operative workup program that if patient could benefit
12 from epogen, erythropoietin, recombinant erythropoietin.
13 I, as an orthopedic surgeon, shouldn't write them a
14 prescription for an injection of Epogen once every three
15 weeks and their hematocrit goes sky high, and then they
16 have deep vein thrombosis or a stroke. It should be
17 medically managed. These patients should be able to go to
18 medical clinic.

19 And if you have less blood use, then there's
20 going to be much more safety as I outlined. I am a tumor
21 doc, I have cases that sometimes like liver transplant
22 people, it embarrasses me at times where I'm told that

1 there's antibodies. When I'm told once the patient has
2 already started to be operated on, where is the check and
3 balance. In orthopedic surgery, now we have this thing
4 that is called a timeout. So we are not likely to operate
5 on the wrong limb, wrong patient, because we have a
6 timeout.

7 Here's another issue that I think needs to be
8 protected in a hospital scenario. Not safety intrinsic to
9 that unit of blood, but safety to how it's used, and
10 attention needs to be directed to that. The other point
11 that I was going to make very briefly is that I have a
12 patient who really needs blood, an old timer and so forth.
13 How long does it take to get him a unit of blood on the
14 floor, is there a type in cross or type in screen in the
15 bank? And I can tell you there was times that it may take
16 hours.

17 Okay, and for scrutiny and wise, I -- in
18 participating with the joint commission, I have asked
19 them, why don't you scrutinize or have some data
20 information in the hospital? How long does it take when
21 someone writes an order that they finally get transfused
22 another safety issue. Thank you for the privilege.

1 SPEAKER: Thank you, we will take one or two
2 questions from the committee. Dr. Holmberg.

3 DR. HOLMBERG: You know, you commented about the
4 problem with getting the cards returned, and with the
5 TTSN, that problem won't go away. But part of the
6 timeout, could the question of has the card been filled
7 out be part of that check time or when we get to the TTSN,
8 has that information been entered?

9 DR. JOYCE: Your point is well taken. But --
10 and why not, it's a matter of practice and habit, and
11 number of your physicians; you know how hard it is to
12 change behavior.

13 SPEAKER: Just one question, and that is do you
14 see a role for -- in the hospital for a tissue committee
15 and well there's transfusion committee in some hospitals.
16 What about some medical staff function that gains control?

17 DR. JOYCE: The guidelines that are -- have been
18 written by AABB, my compliments to them about this
19 guideline book. And Ted Eastlund will tell you about a,
20 actually handbook that is going to come out, advocates
21 that. And if you're ahead of the curve, and I was going
22 to show pictures of our tissue bank that we've already

1 fulfilled over the past 14 years, a lot of these
2 requirements, if you headed the curve, you already have a
3 committee, but most hospitals do not.

4 SPEAKER: Thanks, Dr. Solomon has a question.

5 DR. SOLOMON: Dr. Joyce, thank you for your
6 interesting and provocative talk. And you raised a number
7 of important questions which could take a lot of time to
8 answer right now. So we might discuss them offline. But
9 I just like to mention about our guidance documents versus
10 rules. There's nothing specific about this. For tissue,
11 this applies across the board for all FDA guidances,
12 basically their recommendations to help you comply with
13 the regulations.

14 And basically, the rule would -- our tissue rule
15 says to adequately and appropriately reduce the risk of a
16 particular, let's say HIV infection. You have to screen
17 and test the donor. And then, the guidance tells you what
18 is -- what we believe is adequate and appropriate testing
19 to do. And all guidances, of course, say at the beginning
20 that if you have an alternate method that will meet the
21 rule, you're welcome to use that, but discuss with FDA.

22 And I don't believe at this point there is a

1 more sensitive test than the NAT test. So I think you'd
2 be hard pressed to come up with something that could also
3 -- could meet the rules as well as what the guidance
4 recommends. Also, I'd like to mention that FDA is
5 considering having a public discussion of some of the
6 issues you've raised in terms of what is sterile, and what
7 are different processing methods and their claims et
8 cetera. I can't really say more but beyond the lookout
9 for an FR notice on that.

10 SPEAKER: Thank you, in the interest of time,
11 can we just have -- just -- are your questions --

12 SPEAKER: It's not a question, it's a comment.

13 SPEAKER: Comment, okay, all right.

14 SPEAKER: I'll make it brief.

15 SPEAKER: Just keep it brief, thank you.

16 SPEAKER: I think what we've heard this morning
17 is really again lack of evidence based decision making.

18 SPEAKER: Yes.

19 SPEAKER: I think, you know, as a person who
20 knows blood, I don't know about transmission and oocytes
21 that I'm hearing about today, or transmission in bone, how
22 it's different. So as we go forward, and we have to make

1 decisions about what has commonality with blood and how
2 should we apply regulations and standards of safety. It's
3 very, very different. You don't want to exclude an organ
4 donor --

5 SPEAKER: Right.

6 SPEAKER: -- because of something that we know
7 in blood -- we don't know in organs, what is transmissible
8 or in tissue. So I think it's very complex. As the more
9 I hear, the more complex this becomes, and the more
10 careful I think we have to be about not excluding people
11 on the basis of information we don't have.

12 Dr. BRACEY: All right, then last comment from
13 Ms. Thomas.

14 MS. THOMAS: Just a question. I wanted to thank
15 you Dr. Joyce, but I did have just a quick question. You
16 had mentioned earlier in your presentation that
17 transmission of disease is rare. And I would just like to
18 know how rare. Thank you.

19 DR. JOYCE: There are over a million
20 musculoskeletal allografts a year, and I'm addressing only
21 musculoskeletal allografts. And at the Boot's workshop,
22 if I recall right, that were in 100th percent range of the

1 chance of transmission of disease that has been documented
2 and proven over the years. Dr. Coonert has helped put
3 together some of that data that was stated at the Boot's
4 workshop. Thank you.

5 SPEAKER: Thank you.

6 DR. JOYCE: I was just going to say that what
7 was done at the -- this 2005 organ and tissue safety
8 workshop as we took the number of investigations that we
9 did, and then divided by the number of allografts that
10 were, you know, distributed during those years and came up
11 with a number. And I think it's not a number that I would
12 want to repeat because I don't think it's accurate.

13 SPEAKER: We need more data. So with that, why
14 don't we take a break and return in 10 minutes -- after.

15 (Recess)

16 SPEAKER: Good.

17 DR. BRACEY: The proceedings --

18 SPEAKER: -- didn't do it, so --

19 DR. BRACEY: -- our next speaker is Dr. Fishman,
20 one second here, Dr. Fishman is the director of
21 Transplant, Infectious Disease and Compromised Host
22 Program at the Massachusetts general hospital. Dr.

1 Fishman will present today the United Network of Organ
2 Sharing, UNOS' perspective on transplantation safety,
3 thank you Dr. Fishman.

4 DR. FISHMAN: Well, thank you very much for
5 inviting me. First, a couple of disclaimers. Although I
6 work closely with UNOS I am an infectious disease
7 specialist and in that regard, probably reflect the
8 infectious disease community that's involved in
9 transplantation closely, and I wouldn't want to affect any
10 policy decisions at UNOS. There are people better
11 qualified to speak directly to UNOS here; Walter Graham,
12 the executive director is here and Joyce Hager who is our
13 point person for reporting of disease transmissions is
14 also here.

15 I also thought that I should spend two seconds
16 since organs have in multiple presentations been pointed
17 at as whatever I say doesn't apply to organs as almost an
18 excluded group, and there's a reason for that, and I put
19 it all on a board there, just so it'd be here for the
20 record, but I thought I would mention a couple of key
21 things that differentiate organs from much of the rest of
22 allograft if you will, including blood transmission. It

1 probably most closely relates to blood, but there are a
2 couple of things.

3 Organ transplants are in general vascularized
4 viable tissue, which means they are a superb media for
5 transmission of infection, particularly viral pathogens.
6 The second thing is there is a short timeline for
7 screening, in that, they have to be used within hours of
8 donor availability for cadaveric or deceased donor, which
9 means its screening technology has to live to the organ
10 life.

11 The next is that many of the assays which are
12 used routinely in blood screening are not approved, FDA
13 approved, for use for single donors in organs. That means
14 that assays are more happenstance and are not necessarily
15 agreed upon in advance. As you probably know, organ
16 safety is regulated by Health and Human Services, not by
17 the Food and Drug Administration, so there is a disconnect
18 there. And the -- perhaps the most important feature of
19 organ transplantation is that the recipient is an
20 immunocompromised host by definition, which is to say that
21 to prevent rejection of the graft, they are given drugs to
22 block immune function.

1 The end result of that is infection, which might
2 not occur in a normal individual, is amplified in the
3 immunocompromised transplant recipient, which means they
4 are sentinels for infections that won't affect other
5 patient populations. Therefore, what we see in organs is
6 a better mirror of what is actually happening, not
7 necessarily an advantageous mirror, but a better mirror
8 than are potentially other grafts that go to other types
9 of recipients.

10 So I start with the bad news. Recent -- April
11 23, 2007, a new virus causes transplant deaths in
12 Australia, and I follow it a little bit according to the
13 way it was reported, so these are news reports. A
14 previously unknown virus has killed three organ transplant
15 patients in Australia; they received organs; a liver and
16 two kidneys from the same donor, they analyzed the organs
17 and found little pieces of a virus related to Lymphocytic
18 Choriomeningitis or LCMV, a rodent virus, which obviously
19 wasn't a new virus, that occasionally infects people and
20 is linked with disease in organ transplant patients.

21 Three recipients, ages 63, 64, and 44 received
22 the liver and kidneys of a 57-year-old organ donor who

1 died of a brain hemorrhage and therefore manifested no
2 signs of disease in December 2006, and then the disclaimer
3 returning to Australia from an extended stay in Europe.
4 So clearly, it was the fault of the Europeans and not the
5 Australians.

6 (Laughter)

7 DR. FISHMAN: Confusion. Victoria's acting
8 health -- Chief health officer told the Australian
9 broadcasting Company that the virus does not pose a risk
10 to the community, because it was not believed to be an
11 infectious disease, but the conclusions were correct. The
12 transplant program saves many hundreds of lives every
13 year, this is a one off or at least a rare event,
14 introduction of tests for the new virus will be discussed
15 and then the more tests that are done the longer the delay
16 in transplanting the organ.

17 If someone is terminally ill, waiting for an
18 organ, you don't want to delay that procedure to undertake
19 tests that may not be properly validated yet or tests that
20 are to look for an extremely rare event. It is not in
21 fact, as I've already alluded to, and has been alluded to
22 by the previous speakers, that rare, it certainly is

1 uncommon, but I should mention a number of similar events
2 that have occurred in the United States and elsewhere.

3 So we've had a number of outbreaks, two in the
4 United States of LCMV infection associated with hamsters
5 and rodents primarily associated with nine deaths. You
6 may've read in the newspapers about rabies virus, with two
7 known outbreaks; one in Germany, one in the United States,
8 and five deaths including one when a piece of vascular
9 graft was used after the transplants had been completed.
10 West Nile virus was most common in 2002 to 2003 with four
11 infections and one death in the organ recipients, a number
12 with encephalitis including flaccid paralysis in two
13 individuals.

14 There was a more recent outbreak in Texas, I
15 believe, in 2006, nine affected individuals, four deaths,
16 and four episodes of flaccid paralysis. So these are not
17 benign transmission. Two deaths due to Chagas disease
18 transmission with organs and herpes simplex virus, which
19 you all know as "cold sores" has also been associated as
20 recently as last year in Boston with a number of deaths
21 despite knowing what the pathogen was. So these are
22 unusual, I should say, very unusual events, but they do

1 occur.

2 So the response would be let's screen every
3 donor for everything or not. So what are we talking about
4 numerically? And this is a question that's come up.
5 About 8,000 diseased donors each year with 28,000
6 transplanted solid organs, a very long list of people
7 waiting for grafts. As I mentioned, all receive immune
8 suppression to prevent immunologic graft rejection.
9 Almost 7,000 Americans die each year waiting for a
10 transplant. Organs must be used within four to 24 hours
11 after procurement, and therefore testing and screening
12 must be available for individual organ donors on a 24/7
13 basis.

14 As you've heard, organs are lifesaving, so false
15 positive assays have an adverse effect that is not true of
16 any other group of allografts; we can't just discard
17 organs. Testing is different than for blood products,
18 there is no batch testing, there's no time to wait, and
19 the assays are not necessarily approved for individual
20 organ screening. So how much more infection do we see?
21 The data available suggests that transmission is four to
22 five times -- my guess is that it's significantly greater

1 than that, more likely in a solid organ transplant
2 recipient than in normal individuals.

3 Improved diagnostic assays don't necessarily
4 work, and we are seeing a broader array of pathogens due
5 to broader social and geographic backgrounds of our organ
6 donors. What types of infection do we see? Just about
7 everything. Why is it? A lot of it's just bad luck. If
8 somebody has a bacteremia or fungi -- bacterial or fungal
9 infection at the time of procurement, it may not be
10 detected despite the fact that we're doing routine
11 cultures until after implantation has occurred. This was
12 -- some slides from a couple of months ago of a
13 transmission of Chagas disease after cardiac
14 transplantation. So lest you think this is a rare -- that
15 rare an event we're -- only two of these, the Chagas
16 disease transplantation, but the patients did indeed die.

17 What has been reported to UNOS; there is a
18 group, and I will come back to it later, called "the
19 disease transmission advisory group," but infectious
20 transmissions and malignancies are required to be
21 transmitted to UNOS or to the OPTN and reported, so that
22 we have some baseline epidemiology. This is what we've

1 seen in about the last year since this mandated reporting
2 has been going on, and the data have been collected. What
3 you see here are endemic fungi, bacteria, parasites,
4 viruses, and a variety of other pathogens, it is -- just
5 about covers the whole spectrum.

6 But these again, are rare events. For solid
7 organ transplantation, screening means something perhaps a
8 little different than it will for many tissues. There is
9 a social issue -- history specifically aimed at excluding
10 high-risk behaviors related to the possible transmission
11 of HIV and secondarily hepatitis C. Blood and urine
12 cultures are done, but of course, those results are
13 generally not available until after implantation. And
14 then there are a variety of serologies that are done to
15 exclude common infections or to risk stratify donors. For
16 example, we routinely implant tissues from people infected
17 with cytomegalovirus and we develop a prophylactic
18 strategy to go along with that.

19 I'm going to focus on two aspects of screening,
20 and I'm happy to take questions along the way, but first
21 is detection, which is how well do the assays work, and
22 the second is communication about which you've heard a lot

1 from previous speakers. In terms of detection, how
2 available are the assays, do they have the sensitivity
3 needed to exclude risk, do they have specificity, can they
4 be done quickly, and do we have the money to do them?

5 In terms of communication, as you've heard, we
6 need to -- communication in multiple directions, we need
7 communication about an organ from an organ bank, or from a
8 procurement organization, we need backwards communication
9 if an event has occurred in a recipient, so that
10 recipients of other organs and tissues from the same donor
11 can be notified, because that has a clinical impact in
12 terms of lifesaving therapies. So the timeline for those
13 kinds of communications, should be hours not days, that
14 means filling out a form and putting it in an envelope and
15 mailing it off to somebody doesn't work very well.

16 The information must be accurate, and it must
17 also connect to public health authorities as well as care
18 providers so that investigations about outbreaks can be
19 done by the people equipped to do those kinds of
20 investigations. It is worth remembering, and those of you
21 in the blood banking arena know this better than I do that
22 serologies are not perfect; they may remain negative it --

1 during acute infection, often up to weeks after infection
2 so that a seronegative person for, for example, hepatitis
3 C, might have hepatitis C and you just are detecting the
4 antibody response, not the virus. Antigen test and
5 nucleic acid tests are waved around as being highly
6 useful, we'll talk about that again in a second. They are
7 highly sensitive false positive assays are not rare, and
8 they're not available for all pathogens; they're also not
9 perfect.

10 What are the imperfections? Recent cases of
11 West Nile Virus, blood testing on the donor was negative,
12 because the blood samples were degraded and the PCR assays
13 were negative, while the tissues were positive in the
14 recipients. In the cases of lymphocytic choriomeningitis
15 virus in which I was involved and Dr. Kuehnert was
16 involved in the investigation, we were never able to
17 demonstrate the presence of virus in donor blood or
18 tissues even after developing specific primers for the
19 strain of virus that caused the infection. That is to say
20 the level of viral infection was so low as to never be
21 detectable in the donor although it was transmitted to
22 four recipients and detected in the hamster that seemed to

1 have caused all the trouble in the first place.

2 Therefore, nucleic acid testing, I'm a big
3 advocate, it's not perfect. Recent cases of rabies, there
4 was no history of a bad exposure, until after the
5 recipients had become ill. The further problem of which
6 assays are the correct assays, West Nile virus being a
7 very good example. And I use West Nile virus, because the
8 CDC maps are the best. First of all, back in 1997, all
9 the various encephalitis viruses were where they were
10 supposed to be, which is to say the Japanese encephalitis
11 virus was in Japan, St. Louis was in St. Louis, West Nile
12 was in West Nile, everything was good, and everybody could
13 be color-coded. Something went wrong, so in 1999, we had
14 a few states with West Nile virus, this -- these are not
15 political maps by the way, this is not red and blue.

16 (Laughter)

17 DR. FISHMAN: By 2004 --

18 SPEAKER: Yeah.

19 DR. FISHMAN: -- this is what the West Nile map
20 looked for -- we don't know what was going on in
21 Washington, but it had spread across the entire United
22 States rather quickly, obviously within three to four

1 years, which would've meant we would've had -- have West
2 Nile virus testing in place by 2000, and we didn't of
3 course, and therefore what happens? Well, as you go
4 forward, of course, West Nile seropositivity changes, and
5 the incidence of West Nile virus infection drops.

6 So is West Nile the right thing to be testing
7 for, because it caused some fatalities back in 2002, and a
8 small cluster in 2006, or should we be looking at other
9 viruses that we know are around the world, and that we
10 know are likely to be transmitted eventually to transplant
11 recipients, but just haven't made it yet, and the fact
12 that you can't pronounce the viruses doesn't matter,
13 they'll still be on the exam at the end.

14 So we need rapid assays of high sensitivity with
15 the acknowledgement that no test will prevent transmission
16 of infection a 100 percent of the time. We need rapid
17 communication of data for all types of allografts and
18 between all types of allografts all via central repository
19 and a tracking system so that we can track back and forth
20 from organ donor to organ recipient. I've mentioned some
21 of the initials. DTAG is a subcommittee of the operations
22 committee of UNOS, the Disease Transmission Advisory

1 Group, which is the advisory group that reviews reports of
2 disease transmission to UNOS.

3 What is reported is mandated by policy 4.0, but
4 the only absolute exclusion criteria for organ donors is
5 HIV, which means there is a lot of latitude in terms of
6 what happens with other organs, this is the -- reflects
7 the nature of the evolution of transplantation as much as
8 it does, the fact that this is lifesaving surgery and
9 there are some -- (inaudible) area for negotiation.

10 You've heard from a number of people about TTSN,
11 the Transplant Transmission Sentinel Network, which is the
12 CDC derived and UNOS established web based reporting
13 system under development right now. The concept is this,
14 we need to go in both directions, that means, we have a
15 donor who has an infection. If we recognize that
16 infection later, we need to communicate it to the clinical
17 centers. If we recognize that clinical syndrome in a
18 recipient, we need to be able to backtrack and report to
19 all the people that may have received any grafts from that
20 individual.

21 As was pointed out earlier by Scott Brubaker,
22 the problem with this is that tissues in particular can be

1 processed and divided and spread out over many areas.
2 Therefore, we need to know where every tissue went and who
3 got an implant. You've heard a little bit about the
4 various parts of it that each -- in part A, that every
5 donor would have a unique tissue identifier. In part B,
6 we have a system to track each tissue all the way through
7 to the recipient. In part C, we have the back information
8 system, notification system for adverse events, where you
9 can say "My patient has infection x, y, z," or I can say,
10 "My patient has meningitis, my patient has encephalitis or
11 hepatitis, does anybody else who got an organ from the
12 same donor have a similar syndrome?"

13 So it's going to be reported based on specific
14 microbiology, or not based on microbiology as much as a
15 syndrome. Part D was the idea that we notify appropriate
16 regulatory authorities, both for investigation and for --
17 to -- for mandated reporting and part E is a forward
18 looking education program to say you have West Nile in
19 your area now, you should be testing for it even though
20 it's not mandated, or similar types of information. So it
21 is a communication system in many sense of the word.

22 As has been said, a lot of work has gone into

1 this, this is the front page of the TTSN network, it does
2 exist, you're able to search and link to organs,
3 allografts or various type tissues et cetera, numbers, so
4 that virtual numbers are all stored in one data set that
5 is confidentially protected. What are the problems?
6 Well, TTSN is not yet completed, there's no agreement on
7 an appropriate screening paradigm, so you may've heard
8 overall that we're screening for a lot of different
9 potential pathogens, but of course, we don't know day to
10 day, which are necessarily the best ones to screen for and
11 what is the best test.

12 Compliance is not mandated, you've heard about
13 cards that get sent back. Well, we don't even have cards
14 in the organ community, but even though we're supposed to
15 have mandated compliance of reporting, it doesn't always
16 occur. Once TTSN is finished, it is a demonstration
17 project in many senses of the imagination, so there is no
18 funding, there is no mechanism which will mandate
19 implementation of TTSN nationally. So the -- all the good
20 things you've heard about TTSN and the communication, all
21 the organs, all the tissues, et cetera, all the eyes will
22 be left still hanging unless somebody pays to have it

1 implemented in the mandates compliance. It will become
2 irrelevant if it's not funded. And screening of solid
3 organ donors can't be folded into a screening paradigm,
4 developed for blood or tissue screening, as the issues
5 while closely related are distinct for the reasons I
6 mentioned earlier.

7 So the lessons are that new pathogens can be
8 detected using molecular and immunologic techniques,
9 sensitivity is not yet perfect, but is improving.

10 Infection is amplified in transplant recipients with
11 immune suppression, so the risk is greater than in the
12 general population and that we need the rapid coordination
13 of information amongst appropriate authorities and
14 clinical care providers. So what are the lessons? Well,
15 there are a lot of lessons and you all know them better
16 than I do, we need resources for outbreak investigations,
17 we need reference labs that are available to everyone for
18 rapid access to evaluate our recipients, we need to be
19 proactive in pathogen discovery technology, so that we
20 know what's coming, and I showed you West Nile went
21 through the entire United States in four years, and it's
22 taken a while to get all the assays up and running.

1 We need to mandate reporting of specified
2 infections and clinical syndromes, we might think about a
3 system for archiving of specimens from old donors, for
4 future epidemiologic investigations, something which
5 Health Canada is by the way piloting, and we need to
6 perform cost effectiveness analyses and collect data to
7 answer the question that was asked earlier about decisions
8 regarding implementations of new screening tests and I
9 will stop there, thank you very much for including me in
10 your session.

11 DR. BRACEY: Thank you. Questions from the
12 committee? Dr. Kuehnert?

13 DR. KUEHNERT: It was an excellent presentation,
14 I think it filled a lot of the gaps that existed maybe
15 perhaps in the committee members' minds, I just want to
16 clarify one thing. You mentioned regulated by HHS and
17 specifically --

18 DR. BRACEY: I knew I was going to get in
19 trouble for that --

20 DR. KUEHNERT: But specifically, I think maybe
21 you meant HRSA for organs, okay --

22 DR. BRACEY: Yes, I'm sure I meant HRSA.

1 (Laughter)

2 DR. KUEHNERT: And the other thing --

3 DR. BRACEY: You can tell I work for the
4 government, right?

5 DR. KUEHNERT: I'm the one wearing the uniform,
6 so don't worry.

7 DR. BRACEY: Yeah.

8 DR. KUEHNERT: SO the other thing I just wanted
9 to touch on was -- and Scott Brubaker mentioned this
10 before, is the difference in deferral criteria, and he
11 gave the example of a donor that had a history of crack
12 cocaine use, IV drug use, could you just sort of explain
13 to the Committee like what happens when such a donor
14 becomes available, and who makes that sort of a decision,
15 because I know for organs, it's really more of a judgment
16 situation.

17 DR. BRACEY: So just to take one step further
18 back, each organ procurement organization has related, but
19 slightly distinct social history forms, you have to recall
20 of course, that the social history is often taken from
21 family members who're under a lot of stress. So the
22 information that you may get may be excellent or may not

1 be, but it's nobody's fault, these particular screening
2 strategies -- therefore, you may get information that
3 somebody's an IV drug abuser or not, various other -- so
4 there are gaps in that kind of screening, which are backed
5 up of course, by microbiologic screening techniques.

6 The -- I earn my keep largely by answering the
7 question that you just asked, which is we have a patient
8 who was in jail recently, do you want to use the organs?
9 Well, if you have somebody who's dying and the serology is
10 negative for HIV, you may decide to use the heart from
11 that person with informed consent. You will tell the
12 family or the recipient, "I have a heart that I'm going to
13 give you, but there is a potential problem, are you
14 willing?" And of course, in that lifesaving situation,
15 somebody will often take it. We generally get away with
16 it, our microbiologic screening is pretty good. We may in
17 fact take organs that we know are infected.

18 For example, with hepatitis c, for somebody who
19 will die within a day without a new liver so that we have
20 a lot of latitude, but the reason is because of lifesaving
21 technology. Now, we don't do that in general for kidneys,
22 we -- very rarely, because there are other technologies to

1 sustain life in the need -- when you need a kidney
2 transplant. So that I think it's a very important
3 question and where you have the latitude it is made by the
4 clinical center in which the organ is to be implanted.

5 DR. KUEHNERT: And just to emphasize that point
6 you made, it's also their judgment to say, "Well, we need
7 the heart, we need the liver, well, why not take the
8 kidneys too." I wonder how they -- how people --
9 different people make that differential judgment on
10 whether they -- the kidneys are sort of coming with it,
11 why not transplant those too --

12 DR. BRACEY: Well, each --

13 DR. KUEHNERT: Well, you know, they -- like you
14 said, those patients could be on dialysis, let's hold off,
15 given the risk.

16 DR. HOLMBERG: And the other point that I'm sure
17 everyone here knows, but I'll just mention is that each
18 organ is allocated separately. So that unless you're
19 asking for a liver, kidney or some combination, the
20 patients are listed through OPTN, through UNOS as
21 individual recipients and the organs are shared across
22 wide geographic areas. It may be for example, that I

1 wouldn't accept a heart for one of my recipients, but
2 somebody in a neighboring community might, that is the --
3 that's the appropriate nature of the judgment call that's
4 made.

5 DR. BRACEY: Thank you Dr. Holmberg?

6 DR. HOLMBERG: Yeah, thank you Dr. Fishman. You
7 made a fantastic comment about the sentinel -- the organ
8 donor being the sentinel parameter here, and I think that
9 we really need to spend a little time on that, but one of
10 the things as far as the TTSN is that I noticed that there
11 were two different number systems, so currently, there's
12 no agreement, consensus on what numbering system to use,
13 and it sounds like also that for a period of time there's
14 going to have to be cross referencing.

15 DR. FISHMAN: Well, in fact, it was addressed
16 more directly than that, in that there are numbering
17 systems that exist for each of the types of allografts, so
18 for eyes and for tissues and for organs, but at different
19 organizations or at different places. For example, it may
20 be that tissues have -- that one tissue bank has their own
21 numbering system. The way to make everyone buy into this
22 system and to facilitate its use is that each donor will

1 get a single number, but that would be linked to all the
2 other numbers that might be generated, so that if you get
3 a tissue graft, it might have one number on it, but if you
4 go into the computer, that computer will pull up for you
5 the number that is -- applies to the donor, so each donor
6 has a single number, but all of the subsequent tissues
7 from that may or may not -- all be linked in cyber space.

8 DR. HOLMBERG: Okay. The other question I have
9 is regarding testing. Now, are most organ donors, are
10 they tested by a diagnostic test or a blood screening
11 test?

12 DR. FISHMAN: Yes.

13 DR. HOLMBERG: And I raise that question,
14 because I think with tissues we have another issue and
15 that is that I don't believe that all screening tests are
16 approved for cadaveric samples.

17 DR. FISHMAN: It's -- the reason I say that is
18 it's obviously a mix. So that there are tests, the
19 serologic tests that are used are approved for organ donor
20 screening. They are generally also approved for tissue
21 procurement screening. However, when we get to the
22 nucleic acid test level, most of those are approved for

1 blood banking and/or for tissues, but not necessarily for
2 organs, they weren't designed for single organ donors
3 necessarily. So that there's some variability, and it
4 depends on the individual test. For example, for West
5 Nile testing, there are multiple manufacturers and their
6 tests have different approvals for different types of
7 specific indications.

8 SPEAKER: Could I --

9 DR. BRACEY: Dr. Solomon (phonetic) --

10 DR. SoLOMON: -- back please, all of the current
11 FDA licensed NAT tests are approved for organ donor
12 screening, it -- that's what it says in the package
13 insert, that organ donors can be screened with all the FDA
14 license NAT tests.

15 DR. FISHMAN: According -- I don't want to
16 disagree, because I know you're the source here for this
17 information. But according to the manufacturers, for West
18 Nile testing, that's not necessarily the case. So there
19 is some communication issue. You're probably completely
20 correct, but the manufacturers don't necessarily know it,
21 because they haven't filed the paperwork for organ
22 screening. So I just mentioned that there are

1 communication issues that may have to be carried through
2 in terms of what's approved for what.

3 DR. BRACEY: Thank you. We will then move to
4 the open -- oh, yes, Dr. McCurty.

5 DR. McCURDY: I've kind of lost track with what
6 the end point was, but one of the two original contracts
7 for the development of NAT testing was specified for organ
8 donors, and the idea was, when it started out, that this
9 would be a single tube test, it would be doable by
10 technicians who are not specially trained for NAT testing,
11 and it could be done in the middle of the night or any
12 time. I don't know how this turned out with the approval
13 process, but that was the original goal of one of the two
14 contracts that was -- Gen-Probe was the contract winner in
15 those.

16 SPEAKER: I couldn't say whether the
17 laboratories that do the tests are open 24 hours, I really
18 don't know that.

19 DR. McCURDY: The idea was that it would be any
20 hospital laboratory. In other words, a hospital
21 laboratory, it was -- in a hospital it was doing
22 transplants, it would have 24/7 coverage of the

1 laboratory. They have 24/7 coverage of the blood bank,
2 the chemistry lab and others and this was a test that was
3 meant to be designed or being done by the midnight tech
4 the night shift, if necessary.

5 SPEAKER: I'd like to, if I can, move to the
6 open public hearing section. We have statements by three
7 presenters. The first is Ms. Ellen Heck from the Eye Bank
8 Association of America, Ms. Heck is director of the
9 University of Texas transplant services for the Lions eye
10 bank.

11 MS. HECK: Thank you very much. As he said, I
12 am a director of a transplant center in Texas, and it is a
13 tissue and eye center, but I'm here today to represent the
14 EBAA, and I appreciate this opportunity to talk to you.
15 Last night I heard a talk on listening, and I think it's
16 been very apropos to what I've been doing today, which is
17 listening, and I find that there are a great deal of
18 similarities between the things that I've listened to on
19 blood and organs and certainly on eyes and tissues, but
20 there are a great deal of differences as well.

21 I'd like to give you, before I get into those, a
22 little bit of background though about the EBAA and its

1 member eye banks if I can. The EBAA -- let me see if I've
2 got this figured out. Here we go, thank you. The EBAA
3 was formed in 1961, and it represents 98 percent of all
4 the eye banks in the United States with additional members
5 worldwide. The EBAA published its first standards in 1981
6 based on scientific research and information specific to
7 eye banking and to corneal and scleral transplantation.

8 These standards have been reviewed and
9 administered and changed as progress has come along in our
10 industry and as medical needs have changed over the years.
11 The Board that meets twice a year on this is comprised of
12 renowned corneal surgeons, experienced eye bank
13 professionals and a number of advisors in various areas
14 who contribute their expertise on the relevant issues that
15 address the safety and effectiveness of ocular tissue for
16 delivery for transplantation.

17 EBAA through its standards has been a leader in
18 the field in testing for HIV, which was implemented in
19 1986, and I think was the first implementation in the
20 tissue industry for that, followed closely by
21 implementation of testing for hepatitis B and hepatitis C.
22 We've really had very little infection since 1987, in fact

1 there've been no reported cases of transmission of
2 systemic disease since the -- since that time, which we
3 think coincides well with our medical standards. Now,
4 there were some talk about rabies, and I'd like to spend a
5 minute to talk about that, because, while you can take a
6 Texan out of Texas, but you can't take the Texan out of a
7 Texas person. So that was in Texas that the rabies
8 occurred with the organs and later with the vessel.

9 And I think that standards and certainly FDA
10 regulations should get some credit here, because those --
11 that donor was referred to us as the tissue and eye bank
12 in that area and was declined based on the screening
13 criteria that had been implemented by both the EBAA and
14 the AATB and the FDA regulations. So there are safeguards
15 that do appear to be working in some cases, and we were --
16 we could not accept that donor based on the history of
17 presentation to the emergency room even though at that
18 initial time, we did not know about the rabies, we did
19 know about the mental disorientation, the possibility of
20 encephalitis, the high temperature, things like that which
21 obviously made it -- this would not be a donor acceptable
22 to enter into our donor pool. So there are some safety

1 guidelines already in place that do seem to be working.

2 Also I think it's important, because Dr. Joyce
3 referred to this earlier about how a donor is deferred and
4 who makes that deferral or acceptance decision to think
5 about eye banking a little bit differently than you think
6 about tissue banking, because retrieval of a -- of corneas
7 or whole eyes is much less invasive and therefore much
8 less risky to the person doing it than spending five or
9 six hours recovering extensive muscular skeletal tissue,
10 which we want to concerned, I think in safety, not only
11 about the recipient of the transplant, but also about the
12 personnel who conducts the retrieval of the transplant.

13 So with an eye donor, you might go out and
14 retrieve the cornea or retrieve the eye, and then do a
15 more extensive investigation of the medical social history
16 and get your testing results after you have completed this
17 retrieval, because it takes only a matter of an hour or
18 less to do this, and then you can -- and it certainly is
19 not as expensive and time consuming as it is to go out and
20 retrieve a muscular skeletal tissue if you retrieve an
21 entire -- sites that are consented for, so this is -- some
22 differences that we obviously find that are notable.

1 The other thing that I think is interesting is
2 to note that in the 35,000 to 40,000 transplants per year,
3 we have approximately two tenths percent primary graft
4 failure. So not only is it an issue of infection, but
5 it's also an issue of function and the corneas function
6 very, very well. They function very, very well, I think
7 in large part, because they are retrieved very soon after
8 death and they are also implanted very soon after death,
9 and this is another area where unlike muscular skeletal
10 grafts or skin grafts, we don't have the leisure time that
11 they have with 14 -- waiting on 14-day microbiology or
12 other testing results to get these corneas transplanted,
13 because although the cornea procedures are scheduled
14 surgeries, they're usually done within four days of the
15 time the tissue is actually retrieved, and I think this
16 something to do with the very success rate we see in
17 corneal transplants, which is virtually 90 percent of them
18 are successful and restore functional vision to the
19 individuals who receive the transplants.

20 And now I've gotten way off of what I was going
21 to say, but I think those are the issues that in the
22 listening to that I've heard today. EBAA would find it

1 unethically and irresponsibly not to continually review and
2 carefully consider all scientific and medical evidence and
3 documentation in establishing future standards and
4 regulations for ocular tissue. And we'd like to use the
5 Chagas and West Nile virus examples here, because
6 recently, we've looked at the literature, and the Chagas,
7 except for the few examples that were noted here just a
8 moment ago, a majority of that has been in south America,
9 and so if we were practicing eye banking in south America,
10 we might feel differently, but in the United States, we
11 don't seem to see any -- we've -- even in south America
12 where they have had Chagas organs transplanted, not even
13 all of the heart and kidney recipients have received --
14 have converted and had Chagas disease, and there've been
15 no reports of Chagas disease transmitted from those
16 corneas.

17 So we think that there's some difference based
18 on the systemic immunosuppression of organ recipients
19 versus those of corneal patients, which as I said earlier
20 are generally -- otherwise healthy individuals that
21 undergo a scheduled procedure which doesn't require
22 systemic immunosuppression, and therefore they're probably

1 much less likely to contract host-sequestered agent even
2 if it were to be present in this relatively avascular⁴
3 tissue. And there again we have some differences between
4 organs because this is such an avascular tissue.

5 Now we -- Scott talked about the processing and
6 the radiation that things that you could do to sterilize
7 tissues, and obviously that is not an option that we have
8 with corneas. But the avascular nature of the cornea is
9 somewhat protective and the actual procedures involved in
10 the cornea retrieval are not nearly as invasive and do not
11 lend themselves as easily to contamination or cross-
12 contamination as you might see with a more extensive
13 surgery of bone removal, which can be quite complicated at
14 times.

15 Given the lack of evidence of a high-risk of
16 disease transmission and the likelihood of false positive
17 test results with adding new tests such as Chagas and West
18 Nile virus, we think that a level -- a very screening
19 strategy and testing evaluation would seem prudent.
20 Premature implementation of testing regime to lead to
21 significant discards of healthy tissue based on false
22 positive testing even have some unknown risk factor.

1 West Nile virus offers a good model for this.
2 For the FDA guidance document now requires screening, not
3 testing, for a disease that would have a higher
4 probability in the United States than Chagas disease. And
5 here I have to deviate for just a moment because on our --
6 we've been getting a lot of rain down in Texas followed --
7 we had droughts for a couple of years; it was just
8 terrible.

9 And I noticed the slide where only Mike Strong's
10 State didn't have the West Nile virus and that has to have
11 been from the rain because I just heard that mosquitoes
12 from rain don't carry West Niles; it's mosquitoes who find
13 stagnant water. So, they're saying to us in Texas that
14 they're washing out all of our mosquitoes with West Nile
15 with all this rain we've had, which is great. We'll take
16 it.

17 So maybe we -- you know, maybe the FDA was even
18 preemptive in their understanding of the West Nile by not
19 -- requiring testing at this point. Potential donors who
20 have Chagas disease may exhibit symptoms of fever,
21 headaches, malaise, flu, rashes and lymphadenopathy. And
22 this kind of a medical history would, just like the rabies

1 history that I previously alluded to, cause must more
2 investigation and the individual would be highly unlikely
3 to ever be eligible for donation for transplant purposes.

4 These screening criteria do work. They're not
5 infallible just as testing is not infallible, but they are
6 certainly leading us in a direction which gets us to what
7 I think is the most important part, and that's that these
8 are patients who we want to give back their sight.
9 Certainly, we're not life saving as the organ people
10 mentioned to us, but we are very definitely life
11 restoring.

12 And so we think that if you recognize -- though
13 we recognize and share your concerns about emerging
14 infectious diseases, we would support recommendations that
15 are based on risk and risk benefit of the individual
16 tissue. The FDA model would seem to support this with
17 their recent leukocyte-rich versus other types of tissue.
18 Not all tissues have the same disease risk or associated
19 infection potential. And we are pleased with the fact
20 that FDA has recommended that.

21 We believe individual assessment would produce a
22 safe system of regulation, which can be applied

1 epidemiologically in statistically analyzed problem, or
2 the lack of a problem, and would provide us with a safety
3 without inappropriately constraining transplantation
4 medicine.

5 We urge the Committee to weight tissues on an
6 individual disease basis and the known individual nature
7 of risk as well as the individual potential of the tissue
8 to carry this risk. Thank you all very much.

9 DR. BRACEY: Thank you. In the interest of
10 time, I'd like to move on to the next speaker who we have
11 listed as Dr. Barbie Whitaker. Dr. Whitaker is known to
12 many in the room. She is very active in the AABB's
13 program for biovigilance.

14 DR. WHITAKER: Good morning. My name is Barbie
15 Whitaker. I'm the director of the Center for Data and
16 Special Programs with AABB. Over the past 10 months AABB
17 has participated in the inter-organizational task force
18 for biovigilance, which includes both government and
19 private representation.

20 We've agreed over this time that there are
21 certain attributes for a national biovigilance system
22 including that it should be a voluntary reporting system,

1 that it be non-punitive, confidential and web-based, and
2 that there should be in the near future a pilot
3 hemovigilance system developed to test the feasibility of
4 collecting this type of data, much of which we discussed
5 here today or yesterday.

6 The pilot system should be focused on five
7 serious untoward consequences of transfusion and four
8 significant events, but it should allow and encourage
9 reporting of other types of reactions. The Committee has
10 recommended that the ISBT working party's adverse event
11 definitions be used provided that they create no major
12 contradictions with definitions commonly used in the U.S.
13 and that the MERS-TM system be used for definition and
14 classification of events. And we heard the MERS-TM system
15 described last August I believe at this meeting.

16 I'd like to make a few comments based on our
17 experiences over the past 10 months. Without a doubt
18 there are many things that we in the United States can
19 learn from other country's hemovigilance, and as they
20 develop biovigilance systems. However, the U.S. is unlike
21 any other model. The U.S. does not have a unified
22 national healthcare system. The government does not pay

1 for the delivery of blood or tissue or transplantation
2 services.

3 We have a public/private healthcare delivery
4 model, which is why a shared public/private model for
5 biovigilance is a logical solution. There are two
6 examples that come to mind. The TTSN, which is the joint
7 effort between the CDC, and UNOS and it's resident outside
8 the government, and the stem cell therapeutic outcomes
9 database funded by the CW Bill Young Cell Transplant
10 Program within HRSA and resident at the Center for
11 International Blood and Marrow Transplant Research.

12 Secondly, the system must be flexible. It must
13 be nimble enough to react to the changing clinical
14 practice and epidemiological environment. If it becomes
15 apparent that an emerging pathogen or a particular
16 clinical problem warrants a special question or alert, the
17 system must be facile enough to accommodate a software
18 change.

19 We've talked about evidence-based medicine.
20 This would be the opportunity to collect the evidence.
21 For example, if we'd had a system that collected data on
22 (inaudible) cases, we might've been able to insert a new

1 field on -- a new field to follow up on donor's sex
2 imparity. Any government funded software system requiring
3 approval by OMB for every software change or question
4 change, which is often a five-month timeframe, might
5 restrict this responsiveness.

6 Yesterday and today we've heard about the
7 National Healthcare Safety Network from CDC touted as a
8 likely comparison for a potential biovigilance system. We
9 agree that the transactional basis for the system is
10 comparable to what we'd like to see developed and that
11 there are many positive features to the system; however,
12 there are some things to keep in mind when making good --
13 the comparison.

14 The NHSN tracks nosocomial infections. These
15 are directly related to the length of patient-hospital
16 stays and therefore a significant financial concern for
17 hospitals, insurers and CMS. Because of the financial
18 component of nosocomial infections, infection safety
19 officer exists in many hospitals nationwide and their
20 presence supports data entry into NHSN.

21 While we support the similar concept of a
22 transfusion safety officer like in Canada, unfortunately

1 we don't perceive that a comparable model would work for
2 biovigilance. For what we proposed to monitor via hemo or
3 biovigilance system, we are unlikely to show with the
4 numbers and types of reactions that any of this will save
5 a hospital enough money to justify the time and effort.

6 Lastly, we should not collect data for data
7 sake. One of the problems with some hemovigilance systems
8 is that they function to collect nice, but historical,
9 data that doesn't make a difference. We don't have the
10 time or the funding or, to put it bluntly, the lives for
11 that. The data now comes from a biovigilance system must
12 be accessible to hospitals and to clinicians, the people
13 who can make the difference in the lives of the patients.

14 We have to support the analytical tools to
15 change clinical practice, to improve transfusion and
16 transplantation services quality in real time, not after
17 the fact. We would like to see a biovigilance system that
18 reflects the public/private commitment to quality
19 transfusion and transplantation medicine that we see in
20 this room that can react nimbly to a changing environment
21 and that can get the data, outcomes and analysis to the
22 responsible parties within the government and especially

1 to the clinicians who affects the lives of patients
2 everyday. Thank you.

3 DR. BRACEY: Thank you, Dr. Whitaker. We'll
4 move ahead then to our last presenter who is Mr. Corey
5 Dubin of the Committee of Ten Thousand.

6 MR. DUBIN: Thank you, Mr. Chairman. I won't
7 waste anybody's time with introducing myself. We recently
8 became aware of an instance where a hemophilia client who
9 had a hip replacement and cadaver bone was kneaded, two
10 months later was informed that that cadaver bone was HIV
11 infected. As far as our internal looking into the matter
12 goes, not only was he not informed for two months, but
13 there was -- as far as we know to this point there was no
14 reporting under the adverse events reporting system.

15 We're deeply troubled by this. And some of you
16 know, due to the joint damage associated with hemophilia,
17 we require quite a bit of joint surgery, and cadaver bone
18 in some instances becomes a part of that. We're also
19 seeing more liver transplantation due to the Hepatitis-C
20 associated problems in hemophilia. And now that we're up
21 to three institutions in the United States that will
22 transplant HIV infected persons with hemophilia liver

1 transplants.

2 So, we're greatly concerned by this. And we got
3 to say we do not understand the use of the words bio and
4 hemovigilance in a system that is not mandatory. How do
5 we know what's going on out there? We talked with doctors
6 who don't even understand what AERS is, more or less that
7 they should report an adverse event. So if we're really
8 talking about hemo and biovigilance, why do we keep
9 falling back on non-mandatory reporting?

10 It boggles our mind, and we've seen even outside
11 of hemophilia, but this is a very tangible instance in
12 hemophilia that's got our attentions, and we're asking
13 more and more questions. So we come back to the issue of
14 voluntary reporting, given our history and all that we've
15 seen doesn't seem to be the way. And we would really urge
16 secretary, the ASH, the Committee to really ask the
17 question, is voluntary reporting to the adverse event
18 system really going to get the job done when we have so
19 many parameters to cover.

20 And we'd like to come down very strongly for
21 mandatory reporting. Earlier somebody had **Huxley** up there
22 learning from history. What the heck are we doing not

1 requiring reporting. We're not learning from history in
2 that way. We're ignoring history, and we're going to get
3 hurt again. It may not be us, but that doesn't matter.
4 For us the importance is to really understand history and
5 design programs that are going to guarantee that the FDA
6 knows what's going on out there, and that the government
7 and private structure for blood and transplantation
8 medicine know what's going on.

9 And in tissue, it occurs to us, something we
10 don't deal with a lot, that it's been a bit of a "cowboy"
11 private enterprise. And that these new companies have
12 gotten out ahead of the government, and the government
13 scrambling to get caught up, which makes us think even
14 more that mandatory reporting is the way to go.

15 Thank you, Mr. Chairman.

16 DR. BRACEY: Thank you, Mr. Dubin. We will take
17 a break for lunch in the interest of allowing us to
18 complete the task that we have. I ask that we reconvene
19 at 1:00, so if you can compress your lunch by 10 minutes
20 or so. Thank you.

21 (Recess)

22 SPEAKER: We'd like to reconvene. It turns out

1 that there is a question from Dr. Kuehnert to Ms. Heck
2 regarding her presentation. Dr. Kuehnert, he's running
3 away.

4 DR. Kuehnert: Heading for the hills?

5 SPEAKER: Heading for the doors. So Dr.
6 Kuehnert, your question?

7 DR. Kuehnert: I -- well, I just wanted to
8 commend EBAA for having adverse event reporting system in
9 place already. And I think you said that, but I just
10 wanted to emphasize that. But that there are some real
11 challenges, and, you know, I'll just use this as an
12 opportunity to just comment that from all the other
13 speakers, I mean, I think we've seen where disasters
14 happen. But we're -- what we're not appreciating is where
15 we probably dodge some bullets too.

16 You know, there happened to be donor screened
17 out because of various social issues, and therefore didn't
18 donate tissues. But there have been some that like for
19 instance, with LCMV, I believe, where it was just the
20 family's preference not to donate tissues. Otherwise, we
21 had -- would have had a far more complicated situation.
22 In fact, in that particular situation, corneas were

1 procured and implanted.

2 And it was very, very difficult to -- which
3 probably isn't reflected in (*italics*) The New England
4 Journal paper, to follow those patients because they were
5 implanted in Algeria. And so I just wondered if you could
6 comment on some of the challenges of adverse event
7 reporting, particularly when corneas are exported, how
8 often that happens, and what challenges that presents.

9 DR. HECK: I thank you, Dr. Kuehnert. Well,
10 with corneas, of course, we have an advantage because
11 we're not tracking thousands of products like you might be
12 from one tissue donor. However, with corneas we generally
13 know to whom they're -- if they're going to be
14 transplanted in the United States, we generally know to
15 whom they are going to be transplanted before they ever
16 leave the eye bank, which is a very different scenario
17 from a lot of transplantation.

18 So that allows us to initially begin our
19 tracking process a little bit more preemptively than it
20 does with tissue. The only thing that's put on the
21 shelves, so to speak, is the sclera. And basically from
22 one donor you should only have a maximum of eight pieces

1 of sclera. So again, we have a smaller tracking problem
2 than with most of the tissue. We have had very good
3 compliance. And I, as a tissue banker, I can speak to
4 this from two different aspects.

5 The corneal adverse reaction in implantation
6 records, and utilization outcome follow-up from our cornea
7 physicians is very, very high. We get 90 plus percent of
8 that back on a routine basis. It has been more difficult
9 to get that kind of compliance right from our orthopedic
10 surgeons, and our burn surgeons, and our plastic surgeons,
11 and so forth for the other tissues that we provide because
12 there are so many of them.

13 And they don't get them specifically, except for
14 the larger musculoskeletal grafts. They don't get them
15 specifically for one patient necessarily. They have them
16 available, they go in, they take a box off the shelf, and
17 that makes a big difference. But with corneas, the
18 tracking has been very good. Now, out of the country,
19 that becomes a much more difficult issue. And we have had
20 some problems tracking those that have gone to other
21 countries. We are trying to do that, our program accounts
22 for that. But again, once they leave the United States,

1 we have very little control over that.

2 And unless there are some sort of WHO or other
3 intervention to get a more standardized reporting form,
4 we're going to have that. Physicians also, in other
5 countries, have a reluctance to report a problem because
6 they're going to be afraid that then we won't send them
7 anything the next time they ask for it. And that's an
8 issue that I think we have throughout transplant.

9 SPEAKER: About what percentage are exported, of
10 corneas?

11 DR. HECK: Well, that percentage dropped a
12 little bit this year, and so I'm kind of reluctant to
13 quote a figure. But we do export what -- at about 10,000
14 corneas. Not that many this year, previously about 10,000
15 corneas had been going outside the country, I'd say
16 probably now maybe seven to eight thousand. And that
17 number may be dropping again as we're having more needs in
18 the United States for corneas, and less cornea donation.

19 SPEAKER: That's about 15 or 20 percent?

20 DR. HECK: Yes.

21 SPEAKER: Yeah, thanks. Thank you very much.

22 SPEAKER: Yes, Dr. Solomon.

1 DR. SOLOMON: Ellen, could you comment on the
2 fact that eye banks are really a one-stop shop in terms of
3 you send out -- do you send out the recovery person from
4 the eye bank, and it's all contained, there aren't various
5 agencies involved?

6 DR. HECK: Basically, that's true. Most eye
7 banks prefer to retrieve their own corneal tissue, there
8 are few where a tissue bank or an organ bank may recover
9 tissue for the eye bank. One of the things that you have
10 to remember, and I may have mentioned this earlier, is
11 that it's simple to retrieve, and then we can take a
12 closer look at it. So even though we retrieve it,
13 particularly if they have signed a consent that says it
14 can be used for research as well as transplant, we may
15 retrieve it and then do more in-depth evaluation.

16 And because of the nature of the retrieval we're
17 able to do it at more sites with less restrictions. For
18 instance, you could actually recover or retrieve a whole
19 eye or a cornea donation in a patient's room if you could
20 constrain traffic flow in that environment for the amount
21 of time that you were doing it. You could do it in a
22 funeral home as well, you could do it in an operating

1 room. So there's a variety of different flexibilities
2 there that you have because of the nature of the recovery
3 that don't apply to tissues.

4 SPEAKER: I believe Dr. Holmberg also had a
5 question. Well, Dr. BLOCHE has a question.

6 DR. BLOCHE: Go ahead first, and then I'll
7 follow you.

8 DR. HOLMBERG: Well, I'm glad that we have this
9 opportunity to ask you questions, Ms. Heck. You made
10 mention in your presentation about the situation where the
11 body of the individual, that was then later to have
12 transmitted the rabies, was refused by your facility. And
13 it appears to me that the OPOs and the tissue banks can
14 pick and choose. And so it can -- it sounds to me like
15 there's different criteria for acceptance. Is that the
16 case?

17 DR. HECK: Well, there's very different criteria
18 for acceptance between organs and tissues. And I think
19 that was alluded to by the, you know, speaker earlier.
20 It's a very different situation. We actually have less
21 ability to pick and choose, if you will, because we are
22 regulated, as you know, by the Food and Drug

1 Administration who has a guidance, who has a regulation
2 and a guidance document for us on donor eligibility.

3 So we are going to be screening out those cases
4 which come under, as I mentioned before, people who have
5 high unexplained temperatures, who have various signs of
6 disorientation and things like that that can't be
7 accounted for. So those are going to fall outside our
8 criteria. Whereas, if you think it's gone, in
9 encephalitis case, for instance in an organ transplant,
10 they may be willing to take that risk and feel that
11 they'll treat the patient to overcome it, and it's a
12 reasonable risk given the situation. But it's not a
13 reasonable risk for us.

14 SPEAKER: Then, Dr. Bloche.

15 DR. BLoche: I'd be interested in what other
16 countries besides, I think you said Algeria, the corneas
17 are exported to? And what are the allocation principles?
18 Is it a matter of bidding and -- where the pricing is most
19 advantageous or are there other allocation principles?

20 DR. HECK: Well, that's an interesting question.
21 Actually the pricing isn't advantageous for exportation of
22 corneas. And I could start trying to name the countries

1 to which they go, but it would go all over the map, from
2 Europe to Asia to South America, you pick it, it
3 practically goes everywhere. It's how that allocation
4 process works.

5 I mentioned earlier that corneal surgery was a
6 scheduled surgery. Unfortunately, donor death is not. So
7 we get corneas for surgeries on Monday, Tuesday, and
8 Wednesday, and some doctors will operate on Thursday. But
9 Monday, Tuesday, and Wednesday are the high days for
10 corneal transplantation.

11 So if the death and the screening criteria and
12 the testing criteria that we go through doesn't allow for
13 the donor to fall into those three or four days within
14 about four days after death. We have a more difficult
15 time utilizing those corneas in the United States
16 sometimes, and those then are made available outside the
17 States.

18 DR. BLOCHE: And how are those, how does that
19 allocation occur?

20 MS. HECK: Well, it really occurs by contact
21 from a bank or a physician in the country that to one of
22 the banks here in the United States, and the allocation is

1 made strictly on availability. If it's there, it goes; if
2 it's not, it doesn't.

3 DR. BLOCHE: But when an informer who knows
4 somebody and who gives a call, kinds of fashion, or are
5 there formula or protocols for this allocation?

6 MS. HECK: Well, most of it is related to
7 established networks. They know people who have export
8 tissue available. Export tissue is coordinated through
9 three or four major outlets here in the United States. We
10 might send a cornea from Dallas for instance to Seattle to
11 one of the -- to the eye bank up there, who has a
12 distribution network with Japan.

13 DR. BLOCHE: Are there protocols posted on the
14 web or in any other public setting that layout how this
15 occurs?

16 MS. HECK: Not that I am aware of. But if in
17 the eye bank accreditation process, they examine our
18 allocation of tissue as part of our accreditation, it must
19 be on a first come first serve basis. And so that that's
20 the only way that I know of that you could actually
21 document the allocation.

22 And that's first come first serve in the United

1 States and then it goes outside. It's part of our mission
2 driven statement that we are a 501 (c)(3) and it's really
3 not a for-profit entity, so it is not like we hold an
4 auction for these.

5 DR. BLOCHE: But is it -- when a cornea is
6 shipped abroad, is there -- I assume there is some sort of
7 transfer of money meant to cover costs, right?

8 MS. HECK: Yes, there is, and sometimes it does
9 cover cost and sometimes it doesn't. The majority -- I
10 think, the majority of us feel that our mission is to
11 restore sight, and so if we have a cornea that that we can
12 place in some country that can't pay for it, if they are
13 going to pay the shipping, and they are not going to cover
14 the rest of the charges, we're still going to ship it to
15 them, because that's what our mission is.

16 DR. BRACEY: Okay, if we could move on then to
17 the next speaker. The next speaker is Dr. Ted Eastlund.
18 He has been the Medical Director of Transfusion Services,
19 Therapeutic Apheresis, and Stem Cell Collection at the
20 University of Minnesota Medical Center. He has been very
21 active in tissue banking, serving as the past president of
22 the ATB. He will speak to us on managing tissues in

1 hospitals. Dr. Eastlund.

2 DR. EASTLUND: Good afternoon. I've been a
3 blood transfusion service medical director for about 11
4 years, and prior to that for about 16 years, a regional
5 blood center medical director, and also for about 11 years
6 a regional tissue services' medical director.

7 So I have been involved with a lot of things
8 that we have been talking about. And as a hospital blood
9 bank medical director, I am well versed with all the
10 elements of a blood transfusion service. And it seems to
11 me that I am here to talk to you about the elements of a
12 blood bank in a hospital, and how it relates to the
13 hospital's handling of tissues.

14 Because more and more we are realizing that all
15 the elements of how blood is handled in a hospital is how
16 it should be handled for tissues also. And I'll spend a
17 lot of time on that.

18 So I plan to start because if some of your
19 questions are written questions about the similarities
20 between tissues and blood, I'll talk very briefly about
21 clinical use and risks, that's been covered a lot the last
22 two days.

1 And then we will go into hospital handling of
2 tissues and how we are currently, actively, working on
3 getting hospitals to handle tissues more safely in a more
4 reliable way. And it seems that it is a lot more than
5 just problems with traceability in hospitals.

6 And I'll emphasize that the problem is that
7 hospitals handling tissues aren't incorporating all the
8 elements of a blood transfusion service, particularly,
9 regarding evaluating adverse reactions about overall
10 medical overview of the service. Things like abilities to
11 do look back investigations and things like that.

12 This is a 1989 slide that I had comparing organ
13 tissue and blood transplantation. The basic similarities
14 as you can see as far as -- what's missing from here is
15 things like public support is necessary for all, donor
16 recruitment, donor screening, exam, and testing, hospital
17 blood bank is not involved or hospital blood bank model
18 isn't included in all this.

19 Including the investigation, adverse outcomes,
20 all these things that we'll talk about soon. This is the
21 basic steps from donor on the way to the recipient not in
22 the hospital, but in the Regional Blood Center and in the

1 Regional Blood Bank -- I'm sorry, tissue bank that these
2 are suppliers of tissue on the right and supplier of blood
3 on the left.

4 So in both, if you look to the left the blood
5 center requires and needs volunteer donation. The risk of
6 disease transmission is 5 to 25 times higher if it is a
7 paid donor. Medical history, social history, risk factor,
8 exclusionary factors to make sure that you reduce that
9 risk in the public from 10 to 50 times before you do blood
10 testing and further eligibility.

11 There are differences, permanent exclusions if
12 you are a man who had sex with a man is permanent in
13 blood, whereas in tissue banking, it is a 5-year deferral
14 or I should say exclusion.

15 Physical exam is much different. There is some
16 checking for tracks, for blood donors, but there is a more
17 full examination of the body in a diseased donor tissue
18 situation. Confidential exclusion option for a blood
19 donor does not exist, of course, for the diseased donor,
20 that's aseptic collection.

21 And the cadaver tissue collection that includes
22 bacterial testing of all the tissues that is removed at

1 the time prior to processing. Infectious disease test is
2 very similar, and as you heard, we're doing HCV RNA and
3 HIV RNA.

4 And about 50 percent approximately of cadaver
5 tissue donors, have autopsies are reviewed before any
6 tissue is distributed. Now, the manufacturing side of it
7 is much different.

8 There is component production, of course, and
9 processing, (inaudible) reduction for blood, even
10 bacterial testing for platelets, and it is very different
11 for cadaver tissue making the precise type of tissue
12 product the component of the bones that are divided into
13 small pieces, cleaned all the blood, and fat is taken out,
14 often times they are treated with things like peroxide,
15 antibiotics, alcohols, and as you may have heard already,
16 solvent detergents and gamma radiation, a number of things
17 that's in manufacturing. Now there are some other
18 similarities, the blood banks, regional blood centers and
19 the regional tissue banks also do adverse reaction
20 evaluation and initiate recalls and look backs, and they
21 do quite a good job.

22 Now blood transfusion services on the left here

1 in hospitals is listed, the very basic elements, vendor
2 qualification that is to make sure that the supplier of
3 blood is supplying blood from an FDA approved bank in
4 high-quality, incoming inspections, storage, record
5 keeping, matching and selection, releasing from storage,
6 and at that time for instance if you have platelet
7 concentrates, you do a test for bacteria, the preparation,
8 filling, pooling, investigating adverse outcomes,
9 conducting recalls and look back investigations.
10 Now, all those steps are basic elements.

11 On the right is -- is what we have in hospital
12 tissue services now, mainly a rudimentary beginning of
13 vendor tissue supplier qualification, incoming inspections
14 and logging in, storage record-keeping, matching and
15 selection, releasing from the storage, preparation in the
16 OR and the implant of the actual tissue. What's largely
17 missing is experience or well-designed plans and
18 procedures for investigating adverse outcome, conducting
19 recalls and look back investigation.

20 In addition, the hospital tissue services, as
21 we've already discussed don't have recognized medical
22 directors or the cognitive transfusion committees for

1 overview of the whole service. There is also similarities
2 in the tissues and the organs, and the blood regarding
3 disease transmission.

4 You see on the top here a bunch of diseases that
5 are similar in all three groups. Certain viruses,
6 bacteria, CMV, and next is a group that is common for
7 blood transfusion on the left, West Nile, Toxo, Parvo,
8 Chagas, malaria, GVHD and on the right same diseases in
9 organs. Below that you see the diseases, rabbis,
10 malignancy, TB, Herpes simplex virus, from tissues and
11 also form organs and then underneath that is other -- it
12 includes other diseases that have been transmitted. Not a
13 complete list but at least that shows in groupings what --
14 what diseases are transmitted in comparison with each
15 other.

16 Now in general, the prevalence of disease
17 transmitted through tissues is really unknown, but we all
18 feel fairly confident that it's quite low, particularly in
19 a majority of the tissues transplanted today. The biggest
20 concern is tissues that have not been well treated with
21 disinfectants or sterilants, tissues that must be
22 transplanted when they are in the fresh state, and

1 therefore can be basically be temporarily treated with
2 antibiotics only.

3 More studies are needed prospectively, and even
4 retrospectively about what the prevalence and incidence of
5 these diseases -- that these diseases are in the United
6 States and also studies of how some of these diseases
7 arrive. For instance we'll talk about the bacterial
8 diseases that have occurred in some patients. Largely
9 these have been in the tissues before processing. The
10 donor was healthy and well prior to death, he was not
11 infected, but -- or somewhere is around twenty -- 10-20
12 percent of the tissues recovered end up with bacteria on
13 it.

14 The translocation of bacteria from the intestine
15 through the body occurs after death, and we have
16 requirements about recovering tissues within certain
17 number of hours, but this translocation does occur and yet
18 there is not enough scientific data really to -- too much
19 or know much about this. In the living human there is
20 much more information, but in the -- the dead person there
21 isn't.

22 Now the common clinical uses we talked about

1 some already, and I'll just list these with the diseases
2 that have been transmitted. The corneas, the bacteria,
3 fungus - hepatitis B, rabies - CJD malignancy, the bone -
4 HIV HCV HTLV tuberculosis and bacteria. The relatively
5 lightly processed tendons, HIV, HCV and bacteria; the
6 heart valves that are viable cryo-preserved in DMSO,
7 bacteria and yeast for sure, and it seems like some old
8 cases of tuberculosis.

9 The other tissues that are transplanted and the
10 diseases are listed here. You'll see cartilage with
11 bacteria, skin with bacteria and HIV, pericardium with
12 bacteria, dura mater with CJD and blood vessels with HCV
13 and rabies. There is a difference as far as ability for
14 these tissues to transmit, and you can see how I have
15 listed on the left the non-viable tissues, the most common
16 tissues transplanted including bone, do not need living
17 cells, and as a result they can be sterilized and a large
18 portion of the bone transplants are sterilized where as
19 some are not. Some are heavily or lightly disinfected and
20 that's about all. Whereas the viable tissues cannot be as
21 heavily disinfected or sterilized and that includes heart
22 valves, corneas, articular cartilage and skin.

1 I want to go now with -- where some of the
2 origins of the microbe came from according to the types of
3 infections that have occurred from tissues. First of all,
4 newly infected donors in the seronegative window phase
5 have transmitted disease. We've probably heard about some
6 of these in the last two days or so. There has been cases
7 of hepatitis C both from frozen tendons and saphenous
8 veins.

9 As far as HIV has occurred, also especially with
10 the famous case in 1985 we'll briefly mention. There has
11 also been donors that were newly infected that were not
12 adequately diagnosed at the time of death. And one of
13 them was a patient who died of a -- what was thought to be
14 a toxic drug overdose, when it was actually a toxic shock
15 syndrome from group A streptococcus. Event that fresh
16 artery we were taking about was wrongly diagnosed at the
17 time of the death. It was thought to be a cocaine
18 overdose when it was actually rabies because of
19 similarities in hypertension, MI status, epilepticus,
20 things like that that can occur and it was hard to tell
21 the difference between cocaine overdose and rabies.

22 And of course with brain death you can have a

1 104 fever, so it all kind of fits in those kind of -- that
2 one patient. Now the demonstrations of seronegative
3 patients who transmitted the disease are amongst cases of
4 HIV and (inaudible) HIV. I think for time we better not
5 go too much into this. But just briefly, in 1985 when the
6 HIV test was came about, the seronegative window then was
7 about 45 days or so. And this shows you -- the dotted
8 line, the IGM level of the antibody, and then the IGG, and
9 the newer tests now can pick it up since 1992 around 20 or
10 21 days or so.

11 So this patient who was shot in the head and
12 became a organ and tissue donor in 1985 was in that
13 seronegative window and he donated, and as a result,
14 transmitted HIV through organs, tendons, and femoral
15 heads. Not through freeze-dried tendons that -- or not
16 through freeze-dried bone or irradiated dura. Six
17 recipients of -- I think about 50 or so recipients were
18 not able to be located because of again, poor records in
19 hospitals, and the same thing occurred only with very much
20 smaller numbers of unfound recipients was the case we
21 talked about in the year 2000, organ and tissue donor
22 where lung and kidneys recipients were infected. The

1 corneas did not infect patients, but a year later or a
2 half year later, HCV infection developed in saphenous
3 veins and tibialis tendon and that was reported to a
4 tissue bank, but the tissue bank said the donor was
5 negative therefore it must not have been the tissue.

6 Then as you can see here on the time lines after
7 the right, in 2002, the tissue bank who had most of the
8 bones from the body of the donor processed then in March
9 of 2002 and in April or so gave tendons to patient and
10 within a short time the patients developed hepatitis C.

11 This tissue bank though looked carefully and
12 decided we better look and see if this could have been the
13 donor and ended up evaluating with help from CDC and
14 showing that the donor actually was seronegative but had
15 HCV RNA in the blood. And so as a result there was a
16 large look back investigation and two recipients or one --
17 I'm not sure, were not found. 32 recipients were tested,
18 five of 27 were HCV RNA positive, including 303 bone
19 tendon bone recipients for a knee surgery. So it's
20 another example of seronegative donor that was infected
21 and the look back show that the traceability in hospitals
22 was not a 100 percent.

1 Now different type and source of infection is
2 when the endogenous contamination of tissues occurs after
3 the donor has died, coming out of the intestines or who
4 knows where, and infects the tissues. It doesn't infect
5 the tissues, it colonizes the tissues, and that's what all
6 the process is done to eliminate those -- those bacteria.
7 But sometimes it has still been there, it's failed in
8 lightly processed tissues, failed to be eradicated and has
9 been shown with fresh cartilage with a 21-year-old man who
10 died from clostridium infection in November of 2001 from
11 fresh cartilage that was only exposed to antibiotics.

12 It's also been shown in the frozen tendon
13 recipients and in cornea recipients, and example for
14 instance cornea is one of the fresh tissues that is
15 basically stored in antibiotic solutions. So any of the
16 bacteria that was there or is might not always be
17 eliminated. And every year including this past year they
18 have reports of unusually named yeast that infect patients
19 from the corneas, because antibiotics are not perfect. So
20 the normal bacteria and yeast that are in humans can
21 persist at times and infect patients through tissues when
22 the tissues are not heavily treated, disinfected strongly

1 or sterilized.

2 The other type of sources of infections is
3 unusual bacteria acquired from the tissue bank environment
4 during processing. There is a recent example that has
5 been -- I think Scott mentioned. And also contaminated
6 Hanks' balanced salt solution was used as a washing
7 reagent for pericardium. There was an unusual bacteria in
8 all those bottles when they washed the pericardium. The
9 Pericardium is used as a dura replacement and several kids
10 got osumalytis (phonetic) and meningitis. So there is
11 plenty of examples of lack of traceability in these
12 different kinds of cases and I would like to -- try to
13 move on to the traceability issues.

14 The lack of traceability in hospitals was first
15 really noticed in 1990 or so when the first HIV case
16 occurred. After that the profession decided to try to
17 implement standards in hospitals. The American Red Cross
18 did it in '92, 1993 or 1994, AABB and AATB did, and
19 developed hospital standards, but they don't necessarily
20 always affect the hospitals when the standards are by
21 blood banks. So the American Association of Tissue Banks
22 then went to JCAHO, then the Joint Commission to ask them

1 to look at the way hospital blood banks handle blood. All
2 those elements, and see if they couldn't be -- that we can
3 put those into JCAHO standards and have some teeth,
4 especially if they are handled by labs or by blood banks,
5 and they did that.

6 It wasn't recognized though or realized that the
7 standards didn't apply to ORs for a long time. In the
8 fall of '04 one of the technical committees of the joint
9 commission recognized because of recent infections that --
10 they needed some standards that improved. And as a result
11 in the year 2005 they applied new standards that included
12 operating rooms and hospitals and surgical centers.

13 That really made a big change that we're feeling
14 right now. Since then there has been so much improvement
15 and so much recognition that the hospital tissue services
16 need to establish themselves as a thorough service and
17 more and more people are realizing it should include the
18 elements of blood banks.

19 Problems that were seen in about 1994 and 1995
20 are listed here. Tissues were then, and are now mostly
21 handled in operating rooms, occasionally in blood banks;
22 problems where -- when you looked at blood banks you saw

1 hardly any problems but when it was in the operating rooms
2 serious problems in the over half. No tracking mechanisms
3 at all in some ORs and this is 10 years ago, partial
4 tracking in others, no temperature monitoring on weekends
5 lack of alarms on freezers, no temperatures monitored at
6 all, alarms not checked for response and for the freeze-
7 dried tissues, no knowledge about what the limit should be
8 about temperatures, acceptability, or monitoring or them
9 either. So there wasn't much improvement since, even the
10 late 80s when I did some surveys then. And some of the
11 problems are illustrated just recently when I visited the
12 hospital. To trace and track, you need record-keeping,
13 and they don't always have them. And so several hospitals
14 told me we can do it though if we go to billing, billing
15 will allow that.

16 Hospitals do billing directly, that is they
17 generate the billing, the ORs do I mean, for tissues and
18 so they can track and trace but it's not within their
19 control of doing that. But billing doesn't seem like a
20 good way to do it. Now hospital tissue services -- this
21 is the way I define it, it's the hospital entity that
22 acquires storage and -- stores and provides an adequate,

1 safe and effective supply of tissue autografts and
2 allografts and it ensures cost-effective and clinically
3 appropriate use. If it sounds familiar that's basically
4 what hospital blood transfusion services does. The main
5 components of a transfusion system and a tissue system are
6 really alike. We have tissues and blood suppliers.
7 Again, the tissue system we also have tissue distributors
8 that have an impact with storing temporarily between the
9 tissue bank and the hospital, and we have end users mainly
10 hospitals. We've gone through already with the tissue and
11 blood suppliers the different functions they have, tissue
12 distributors, obtained from a tissue bank store and then
13 sell it to the hospitals. Now, the end users or
14 hospitals, I won't take the time to go through those
15 elements right now, but I've separated these elements with
16 a dotted line here to show you which ones are routinely
17 done, not necessarily correctly done on the top, that is
18 qualifying tissue suppliers, inspections, record-keeping
19 storage, selection of the tissue end preparation on the
20 OR. But if you ask, well it's hard to know what you would
21 find if you ask about what is your basic responsibility?
22 Is it to provide a safe effective tissue in the OR or not?

1 Certainly, it's an unnecessary thought that
2 broadly they are very, very, worried about patients and
3 want to do the best job at all times. But I think more of
4 -- in the blood transfusion service with transfusion
5 committees working with the physicians using blood we're
6 more willing and able to say that we are here to assure a
7 safe and effective blood supply and some one should -- for
8 tissues also. And the biggest deficiency I see is the
9 investigation of the worst outcomes, the response to look
10 back investigations, peer approval and review -- I should
11 say peer review of tissues and how they are used and
12 appropriateness of use, medical staff overview of
13 appropriateness, and medical director function.

14 Excuse me, wrong slide. I think I'll pass up on
15 telling you the expertise of hospital blood banks, because
16 I am repeating myself. But I've listed them here and
17 these are the things that I say needed to be applied to
18 the tissue services within hospitals. So currently, I'll
19 repeat one more time that there has been a fairly good
20 performance in operating rooms and in hospitals where
21 storage and improved traceability since JCAHO put it --
22 gave us part of -- then Trent Commission (phonetic) gave

1 its new standards and 2005, currently the tissue services
2 meets requirements of surgeons well. It has quality
3 programs within hospitals and it's starting to meet the
4 JCAHO requirements much better. But there are -- there
5 are deficiencies in the other areas that we've mentioned.

6 Next is the investigation of adverse outcomes.
7 The adverse outcome investigation that is infections, is
8 basically first of all is to evaluate whether the
9 infection arose from the patient and that's where the
10 orthopedic surgeon comes in or other physicians involved.
11 Second, is to decide whether the infection could have
12 arise -- arose in the hospital. There is no need to just
13 give this report to the supplier without going through
14 that filter.

15 Similar things occur in blood banks. When a
16 report of an infection such as hepatitis B or HIV would
17 occur and be reported to the blood bank medical director,
18 the medical director doesn't just pass it on to the
19 supplier, of the regional blood bank. There is an
20 investigation to decide did that recipient have
21 hemophilia, were the injecting blood users. Was there a
22 reason for it other than the source from the blood itself?

1 And when there has been some evaluation then you are -- at
2 that time ready tell the blood bank who supplied the
3 blood, that it could have been from the blood that was
4 transfused. Likewise, there needs to be an initial filter
5 to jointly decide is that possible that the tissues could
6 have caused this infection and then report it to the
7 tissue supplier.

8 In blood transfusion, its simple, the physician
9 and patient are involved with the initial infection as
10 reported to the blood bank and then reported to the blood
11 supplier. For tissues, it's a little bit more obscure as
12 far as who and when and where the reporting goes. The
13 surgeon and patient are involved of course, the surgeon
14 needs to decide, could this bacteria have been from a
15 tissue or was it an ordinary infection?

16 Generally though, the surgeon doesn't think of
17 an entity in the blood bank -- in the hospital to report
18 it to. You know, he got the tissues in, or someone did in
19 the operating room freezer and use it, does he tell the
20 nurse in the operating room, does he tell hospital
21 infection control, and in general, I think most surgeons
22 would take the enderon, as you can see on the right there

1 in the light black lines, going directly to the supplier
2 or to the tissue bank, at least that happens, in my
3 experience.

4 But I say the surgeons should be reporting this
5 to the hospital tissue services that should be operated
6 like a blood transfusion service. There should be a full
7 investigation. The tissue distributor may and may not be
8 involved or may and may not be told, I'm not sure what's
9 the right thing to do, but certainly early on the tissue
10 bank supplier should be told and involved with this.

11 Tissue distributors sometimes store tissues for
12 months and months and months, and it could have an impact
13 on the quality of the tissue if the storage is not right
14 or the package integrity is missing and could even have
15 some impact potentially of infectivity of the tissue. The
16 transplant surgeons has responsibilities, Mike Joyce spoke
17 a lot of this, but I think the hospitals, just like they
18 do for blood, have a responsibility to make sure that
19 hospital physicians are educated about the risks of the
20 blood transfusions and tissue grafts.

21 They should be told, as we do tell our
22 physicians about blood transfusion risks every other year

1 formally in materials -- what should -- they should do if
2 they have a recently diagnosed patient with an infection?
3 For instance, if you have HIV or hepatitis you should make
4 sure you report that if that's within six months of the
5 tissue graft. If you have a West Nile virus infection
6 within four weeks of a fresh viable graft you should
7 report it. If you develop CJD, within say three decades,
8 who has a memory though that lasts that long, of these
9 tissue grafts, you should report it.

10 If you have a fungal infection, using a heart
11 valve for instance, that's multifocal disseminated of
12 embolic origin within three years of the graft you should
13 report that, because they sit without symptoms for a year
14 or two, these fungal infections, without showing up. If
15 you have a deep bacterial graft site with a certain
16 characteristic, of course you should report that right
17 away, and you should report if there is unusual graft
18 failures, noninfectious complications. And user
19 physicians need to be reminded of these things, and in
20 writing on a regular basis.

21 Now, to work on these tissue issues in hospitals
22 the Americans -- the AABB has developed a Tissue Committee

1 with representatives from the Joint Commission FDA, EBA,
2 AATB, the Armed Forces, Blood Group, AAOS with Dr. Joyce
3 on it, and CDC, it has done a number of things on helping
4 in the area of hospital tissue services. It conducted a
5 survey where the tissues are handled in hospitals. It's
6 developed some guidelines, it's in the process of a
7 handbook development. It has had, and is performing audio
8 conferences and updating standards of the AABB and a
9 number of educational programs.

10 The survey was conducted in, I think, 2005 and
11 showed that of 402 hospitals, 76 percent of them stored
12 tissues entirely in the operating rooms but -- in about 50
13 percent the blood banks had some role, varying from just
14 storage or total full control of tissues. The guideline
15 book was designed to give guidance temporarily until more
16 publications were available in educational programs on how
17 to comply with the JCAHO, the joint commission, new
18 standards and it dealt with these issues that we've talked
19 about. Oversight responsibility must be there, obtaining
20 tissue grafts, supplier qualification, inspection and
21 documentation on receipt, these are the chapters that are
22 in this booklet.

1 Now, traceability and record-keeping, storage of
2 tissues, investigating adverse outcomes and handling
3 tissue recalls and withdrawals. In addition, this and our
4 other published materials will discuss these other areas
5 about medical review, medical involvement, tissue
6 committee involvement, our transfusion committee
7 equivalents. For instance, AABB has standards about roles
8 of blood bank physician overview, approval of procedures,
9 approval of deviations from procedures, being involved
10 with the actual use of the blood, developing this consent
11 procedures, determining indications and evaluating adverse
12 outcomes.

13 So we have some proposed roles of a medical
14 director of a tissue service which are not well published
15 and are certainly not in operation at this time, that are
16 very similar and given those in your handout, very similar
17 to that based on a hospital blood bank medical director
18 responsibility. In addition, we are proposing guidelines
19 for hospitals to develop tissue transplantation overview
20 committees that are based on the required AABB
21 requirements for an overview blood transfusion utilization
22 committee.

1 So reviewing practices, to review adverse
2 outcomes, to develop and maintain appropriateness
3 guidelines and do peer review of appropriateness, review
4 regulatory and accreditation reports, and reports of the
5 quality assurance and activities of the tissue service.

6 On summary, the risk of disease transmission
7 from tissues is really low and it's mainly in the fresh
8 less processed tissues. There are many areas of
9 improvement that are needed inside the hospital regarding
10 handling of tissues. The elements of a blood transfusion
11 service in hospitals should be mimicked for tissue
12 service, and implementing the existing AATB, AABB, and the
13 Joint Commission requirements will make transplants much
14 safer in the future. And I see that happening right now,
15 thanks to the Joint Commission's standards that they made
16 broader in 2005.

17 Now regarding the state of safety of tissues,
18 the risk is very low, these are my answers to your
19 questions that were in writing, and it's mainly associated
20 with the lesser process graft, there's commonalities. We
21 mentioned about diseases that are of human origin. My own
22 suggestions to improve safety, one of them is to require

1 all the elements of a transfusion service in the hospital
2 for tissues, they must be present whether they are in the
3 OR or the blood bank, they don't have to be in the blood
4 bank.

5 But all of these elements must be present in
6 order for the system to work appropriately. That does
7 include medical director involvement and also to develop a
8 system that's dealing with, I think, some of your topics
9 that you had these last -- few meetings of yours. A
10 system of recognizing and managing tissue related
11 infections. Most important resources that I see are
12 needed at this time, are again, identification of
13 infections by surgeons and by hospitals, and improving the
14 investigations at the hospitals of the reported infections
15 excluding hospital acquisition and then reporting it to
16 the tissue banks.

17 And reporting, and trending, and tracking at a
18 national level if there was a system of -- of having the
19 system starting at the beginning with the doctor, the
20 surgeons and investigation in the hospital, then report it
21 to the tissue banks in a very ethereal (phonetic), manner
22 and then tracking it throughout the system.

1 Then you'd have data to be able to improve the
2 problem of disease transmission and tissues, thank you
3 very much.

4 DR. BRACEY: Thank you Dr. Eastlund, that's a
5 wonderful review. Questions from the committee? Dr.
6 Roseff?

7 DR. ROSEFF: I have two questions. First, how
8 many people do you think it takes in your blood bank to be
9 assigned to doing tissue management?

10 DR. EASTLUND: At the Beaumont Hospital in
11 Michigan we have one full-time person, and they've been
12 doing that for 11 years, and a part -- the medical
13 directors service both blood and tissues.

14 DR. ROSEFF: And the other question is, you've
15 implemented this a long time ago, but what kinds of
16 cultural, and I guess, historical issues arose at the
17 blood bank start taking control of tissue out of the OR at
18 the surgeons purview?

19 DR. EASTLUND: The social and cultural issues
20 are being shown this year and last year more than ever
21 before, because it is now the disease is really occurring
22 where hospital staff in the operating room are realizing

1 this is too much for them. That's probably the most
2 common thing. The second most common is these are good
3 things to do and we are going to do them. And they are
4 trying hard but they feel a bit incompetent about it. And
5 they are frequently asking, how can I do this, am I doing
6 it right?

7 And that's the status right now, regional
8 meeting that we are having in the Twin Cities, that's the
9 common thing is, I want to know, is this the way, am I
10 doing it right? They don't have a leadership that's
11 helping them. And I think the most important social issue
12 is the issue of taking away a service that they have liked
13 doing, some haven't liked it, but they feel and dedication
14 to make sure that the tissue is there and it's right and
15 I've worked with the doctors directly, and they don't see
16 it, real easy how they can give that up.

17 It's not a 100 percent, large number of them are
18 saying we want to get rid of this, period. And we see
19 even the blood bank as once we know how to do it. So
20 there is a mixed response there. But there is a strong
21 degree of professionalism that in the operating rooms that
22 it's not easy to overcome and it's not necessary to

1 overcome it. It's just that they need to do things a lot
2 more in detail and many of them are just realizing that.

3 DR. BRACEY: Thank you. There are no more -- we
4 do have a question. Yes, Ms. Benzinger?

5 MS. BENZINGER: Yes, I have a question/comment.
6 I like to make a statement about the transplanting
7 surgeon's responsibilities, and how they need to be
8 diligent in recognizing after four weeks, six months and
9 decades. I would like anecdotally to say surgeon
10 basically doesn't see that patient after a successful
11 surgery. Then I think that you're -- part of this is
12 leaving out the patient responsibility and the education
13 of the patient going in, that they carry a responsibility
14 of notifying the physician in charge of their care of the
15 fact that they've had this type of a surgery requiring the
16 grafts and things.

17 So I feel like the patient is being left out of
18 here in bearing some of the responsibility of
19 notification. Because again, that surgeon is not going to
20 be there, probably in three years, or ten years, or you
21 know, how many decades ago when it comes to having an
22 illness show.

1 DR. BRACEY: That's a good point in a system
2 with multiple caretakers. In the interest of time, we
3 would like to move onto our next speaker, if you don't
4 mind, and that is Dr. Germain. Dr. Germain comes to us
5 from Canada, where he is trained as a medical
6 microbiologist and he will share with us the Canadian
7 Experience in transfusion and transplantation safety. And
8 Dr. Germain is the vice-president of Human Tissues of
9 Hema-Quebec.

10 DR. GERMAIN: Good afternoon, and thank you. I
11 would like to thank the committee for giving me the
12 opportunity to share with you the Canadian Experience, and
13 those are very important issues. I have to warn you,
14 however, that my talk is going to be tainted by much more
15 so by the Quebec Experience, because that's where I come
16 from and I have a little bit more details to tell you
17 about in that regard. But I tried as much as I can to
18 cover the whole country of Canada.

19 So the first question that was put to us,
20 Speaker, was to discuss the current state of safety both
21 in transfusion and transplantation of cells, tissues, and
22 organs. Regarding transfusion, I am not going to spend

1 too much time here because I am assuming that at least for
2 some of you, you have some knowledge of the blood system
3 in Canada, so I will be brief.

4 Basically, in Canada, there are two transfusion
5 agency providing blood and blood products to the
6 population; one is Hema-Quebec, and that's my
7 organization. We are serving the population of Quebec,
8 about 25 percent of the total population of Canada, and
9 the other organization is Canadian Blood Services.

10 And there two organizations have the exclusive
11 role of providing blood and blood products for the whole
12 country, two organizations that are also members of ABC as
13 you have probably heard yesterday.

14 We operate under very strict regulations put
15 forth by our regulators; we abide with the standards set
16 forth by the ABB. We have strict licensing requirements
17 very much like there is in the United States for
18 transfusion agency.

19 A point that I want to make and I know that some
20 of you are also somewhat familiar with this; in Canada,
21 and especially in Quebec, we are fortunate to have in
22 place a very proactive surveillance system for adverse

1 events to transfusion, and Quebec actually is one -- was
2 at the forefront of this initiative, but it now has a
3 spread across the country with various degrees of
4 implantation in the various provinces, but we have a
5 centralized repository of data for surveillance of adverse
6 events to transfusion.

7 This means, depending on the region or the
8 province, this means that we have dedicated personnel that
9 has the task of being on the alert for looking for these
10 reactions. For example in Quebec, we have transfusion
11 safety officers in the major hospitals that provide blood
12 banking services, and that has been a very interesting
13 tool for us in order to assess safety. We manage risk
14 very diligently; both organizations have committees, that
15 assess various risks, composed of international experts,
16 at least one of them is in this room today.

17 The incidence and the prevalence of infectious
18 disease in our donor population is very low, actually
19 somewhat lower compared to the rates that are seen in the
20 United States. So all in all, I think it is fair to claim
21 that blood in Canada is as safe as can be, and I think
22 that for us it really serves as a benchmark to evaluate

1 the safety of other biological products such as cells,
2 tissues, and organs.

3 So what about CTOs, I will start first by
4 talking about the regulatory environment in Canada in that
5 regard. I will go relatively quickly because hearing the
6 other presentations, I realized that it is a system that
7 is very similar to what is in place in the U.S.

8 In Canada, the role of regulating healthcare
9 products including blood, blood products, but also, more
10 recently, cells, tissues, and organs falls to Health
11 Canada, which is basically the equivalent of the Food and
12 Drugs Administration in the U.S., and therefore, Health
13 Canada has been quite active in that regard.

14 The main difference compared to regulations for
15 blood and blood products is probably one of timing. It is
16 fairly recently that Health Canada has taken an active
17 role in regulating cells, tissues, and organs at least in
18 comparison with blood.

19 So basically, the first step that they took was
20 to ask an organization, the Canadian Standards
21 Organization to come up with standards relating to the
22 procurement, processing, and transplantation of cells,

1 tissues, and organs.

2 Canadian Standards Association is an
3 organization that specializes in writing up standards in
4 various fields of the industry, including in the
5 healthcare industry, and basically, they are very good at
6 getting experts together and come up with standards that
7 can be applied in the field.

8 So that process was started in 2000, and after
9 only two, three years, the first standards were published
10 that covered various areas of cells, tissues, and organs
11 transplantation. One of these standards had a set of
12 general standards that applied to all cells, tissues, and
13 organs, and subsets were dealing with the specifics, for
14 example, for organs, or tissues, or eye tissues, et
15 cetera.

16 These standards when they were published were
17 still voluntary. It was not enforced at the time of their
18 publication, and the last point that I want to mention,
19 obviously, the standards are very similar to other
20 existing standards, for example -- or regulations, for
21 example the 80b standards or the CFRs.

22 Health Canada really wanted to base their

1 regulatory framework on these standards and it is a
2 process that takes a while. In the meantime, they didn't
3 want to just wait for that, and so what they did in 2003
4 was to issue, what they call, a directive, which is, if
5 you wish, one step below the full regulatory framework.

6 And that directive was basically an excerpt of
7 most of the dispositions included in the CSA standards,
8 and that directive had force of law, if you wish, and
9 covered the whole spectrum of donor, of cells, tissues,
10 and organs from donor procurement assessment down to
11 distribution.

12 This was not, however, the full regulatory
13 framework. There were still some things missing and you
14 will see that it was added a bit later. Now, once the
15 directive was published, Health Canada embarked into what
16 they call a national review. Basically, it meant that
17 they went after all of the cells, tissues, and organ
18 establishments that they knew about and asked them to
19 provide documentation as to their level of compliance with
20 the directive.

21 Once they received that information, they then
22 started to audit all of these establishments and I don't

1 remember the exact figures, but it was more than a
2 hundred, if I recall. And basically, that first audit was
3 targeted almost exclusively on issues around donor
4 qualification and donor testing and not addressing the
5 full scope of what is delineated in the standards.

6 And this was done only within Canada, they did
7 not audit external establishments elsewhere in other
8 countries.

9 Now, the true regulatory framework is what they
10 call their Phase 1 and basically it is a reprise of what
11 was in the directive, but with a more regulatory flavor if
12 you wish. For example, they were more precise in terms of
13 labeling requirements. Registration is not a requirement
14 for any establishment dealing with cells, tissues, and
15 organs; that wasn't obviously, not part of the standards.
16 So a registration mechanism very much as you have here in
17 the U.S.

18 It is interesting to note also that these
19 regulations directly refer to the standards and that is
20 why they call it standard based regulation. The advantage
21 of doing it this way is that whenever the standards are
22 modified by consensus by the community of peers and

1 experts in the fields, automatically the regulations
2 should follow pace because they refer directly to the
3 standards.

4 It is also interesting to note that some parts
5 of the standards did not find their way in the regulations
6 and that is basically because in some areas of healthcare,
7 especially one in for things that happen at the hospital
8 level, the Health Canada does not have authority. I
9 already talked about the registration mechanism. I think
10 it is important also to mention, and you'll see a bit
11 later why I stressed this point, that establishments that
12 are not in Canada, but that provide tissues, cells, or
13 organs -- I'm sorry, not organs, but cells and tissues,
14 foreign establishments that distribute in Canada should
15 also be registered with Health Canada.

16 There are some exclusions in this regulatory
17 framework, at least at this phase of this first round.
18 Heart valves, for example, are considered medical devices.
19 It is planned that eventually they will also fall under
20 CTO regs. In terms of timelines, the proposed regulatory
21 framework was published a little bit more than a year ago,
22 up for comments for a certain period. There has been

1 delay in getting them published officially, but I've been
2 told that they should become -- they should be published
3 basically any day right now. And it is going to be a
4 mention that the establishments will have six months to
5 become in full compliance with these regulations.

6 And the Phase II will include some more precise
7 -- the Phase II of this regulatory framework, which will
8 happen in the next few years, will have some more precise
9 dispositions regarding surveillance and adverse reactions
10 reporting and also, like I said earlier, they will include
11 tissues that are not currently falling under CTO regs.

12 Now, that's telling you what the regulatory
13 status of CTOs is in Canada, but doesn't say much of what
14 actually is going on in the field and this is going to be
15 the next part of my talk. So, I have to explain for those
16 of you who are not familiar with the way the healthcare
17 system works in Canada. The federal government has a role
18 in terms of regulating the industry for blood cells,
19 tissues, organs. However, the actual provision of
20 services of healthcare services including organ donation,
21 cells, tissue, and organ services is up to the provincial
22 government.

1 So that for organs, most of the major provinces
2 have their own programs that are run by the provinces.
3 For tissues, it is, I think -- upfront, it is important to
4 know that there are basically very few comprehensive
5 tissue banks in Canada with quite small volumes and you
6 will see a slide showing that later. But in comparison to
7 the U.S., even taking into account the differences in the
8 size of the populations, the infrastructure in terms of
9 tissue banking is basically very, very poor. We have
10 quite a few surgical bone banks that something that has
11 somewhat disappeared from what I understand in the States
12 over the last decade or so, but still in Canada, quite a
13 few hospitals rely on surgical bone banking to provide
14 bone tissues for their orthopedic patients.

15 We have several eye banks that are all quite
16 small and my understanding is that they function on very
17 limited resources. All of our tissue banks with one
18 exception and that is the bank -- the tissue bank that we
19 operate at Héma-Québec, my organization, all of the other
20 tissue banks are hospital based. And also all of these
21 are obviously not for profit and only the few major tissue
22 banks are -- have some sort of accreditation and basically

1 right now the only accreditation that we can have in
2 Canada is the AATB accreditation, and only a few banks in
3 Canada have that.

4 This is to give you a flavor of the scope of
5 tissue banking in Canada. You'll see based on the numbers
6 of donors procured on a yearly basis that it's really
7 minimal, and there are basically two -- three major tissue
8 banks or larger tissue banks, and these are multi-tissue
9 banks operating in the country.

10 The situation in Ontario was interesting,
11 because Trillium Gift of Life is the organ donation
12 organization, but they also have the mandate of providing
13 tissue services to the province. However, they are in the
14 very early stage of their development, and they still have
15 quite a few small tissue banks, each of them dealing with
16 specific tissues.

17 Now, what are the current challenges for us in
18 terms of tissue banking in Canada? First of all -- and
19 that was quite obvious after Health Canada went around and
20 audited the various tissue banks. The regulatory
21 compliance of at least the smaller tissue banks is a major
22 challenge.

1 And in fact, after this first round of audits,
2 quite a few of those banks basically decided to shut down,
3 and some of them -- for some of them the tissues were put
4 in quarantine until they got their act together. So
5 that's clearly a challenge for us. Donor testing, the
6 availability of screening test adapted to CTL donors --
7 we've heard about that today.

8 I'm not going to spend much time, except to say
9 that in Canada -- and believe it or not, that I work in an
10 organization that screens a thousand donors daily. And we
11 are not able to provide the services needed to screen
12 tissue donors according to standards and regulations, and
13 we have to send our samples to the U.S. to do that. And
14 basically, all the major banks in Canada do that, so
15 that's an issue for us.

16 A major point for us, and that's why it's really
17 crucial for us to know what's happening in the U.S. It's
18 that currently the supply of tissues in Canada is very
19 heavily relying on tissues coming from American tissue
20 banks. And we estimate that up to 90 percent of tissues
21 used in Canada come from the American providers.

22 And that's also -- that's in part because we

1 don't have the capacity to procure and process tissues,
2 and also we don't have the capacity to manufacture more
3 specialized products such as freeze-dried bone even,
4 demineralized bone, which are very much in use, especially
5 in the field of orthopedics.

6 Because we don't have that capacity to procure
7 and process, we obviously don't have the capacity to meet
8 the full donation potential for tissue donors. I would
9 argue -- and that point has also been made by other
10 speakers today, that we have issues with the great
11 diversity of methods that are used to process tissues.

12 At least in comparison with blood, and I can
13 make that comparison, because that's an activity that I've
14 been involved with. It's quite remarkable that there is
15 such a diverse -- there are so many different ways in
16 which tissues can be treated, and with the resulting
17 levels of risk that may very much vary, depending on the
18 methods that you use.

19 And I must say that I also find that there is a
20 lack of standardization that even for the basic
21 manufacturing processes you can find either in the
22 literature, or by talking to colleagues across the world.

1 Traceability and surveillance are also issues, and I'll
2 get back to that in the later part of -- the final part of
3 my talk.

4 I'm going to talk -- explain briefly how we try
5 to tackle this in our part of Canada and Quebec. Just
6 briefly -- again, like I said, Héma-Québec provides blood
7 and blood products in the province we've been in existence
8 since 1998. In 2001 -- so it's fairly recent --
9 basically, the Minister of Health at the time gave us the
10 mandate to also take charge of cell and tissue banking.

11 In terms of stem cells, I'm not going to spend a
12 lot of time, but we offer some services. We recently
13 started the public cord blood bank, it is still in the
14 early phases of the development, but we are currently the
15 only cord blood bank in the province, public cord blood
16 bank in the province. We also have a bone marrow donor
17 registry, and we also offer some services for preserving
18 autologous bone marrow.

19 In our province, as in other province across the
20 country, organs are dealt with with a separate
21 organization and it's called "Quebec Transplant." We
22 obviously have close ties with them, especially when we

1 have to deal with organ donors who are also tissue donors.
2 And there are two hospital-based eye banks in existence
3 right now.

4 So right now in our province we are the only
5 multi-tissue bank. The -- there are a few remaining
6 hospital-based tissue banks, mostly surgical bank and bone
7 banks obviously. And it is likely that these banks will
8 cease their activities once we're up and running, and once
9 that -- they find out that they cannot comply with the
10 upcoming regulations.

11 We are still, however, in the very early phase
12 of our development in terms of volume, but also diversity
13 of products. It is planned that very soon -- this year
14 actually, the two eye banks will come under our
15 jurisdiction, and therefore we will take over these
16 activities also. And the situation in Quebec is very
17 similar to elsewhere in Canada, that is, the majority of
18 tissues still come from abroad.

19 In some cases, we don't have any tissue. For
20 example, there is currently quite a severe shortage of
21 tendons, and that's true across Canada, also skin. So we
22 really have to get our act together for those tissues. So

1 I'll go briefly over this, but over the last couple of
2 years we have been very active in putting together our
3 tissue program.

4 We put up a new facility where we could procure
5 and process tissue. We obtained the AATB accreditation,
6 the medical device license for heart valves. And one
7 interesting and very important project for us is also to
8 start acting as the exclusive importer and distributor of
9 human tissues for transplantation in our province. This
10 model exists for blood products.

11 All products derived for blood are currently
12 distributed exclusively through the two agencies, Héma-
13 Québec and CBS in Canada. We would like to apply exactly
14 the same model to tissues. The advantage of doing this --
15 the first advantage being one of safety.

16 Under the new regulations, the importers of
17 tissues will have the responsibility of making sure that
18 the source establishment is duly registered with Health
19 Canada, and that they comply with the regulations.
20 Currently, the hospitals directly import those tissues
21 from the U.S. vendors. They don't have the possibility,
22 the capacity to evaluate those vendors.

1 We do that on a regular basis for blood-derived
2 products, and we would like to apply the same model for
3 tissue products. So we're starting this year with a pilot
4 project with one of the major hospitals in Montreal, and
5 hope that this will fan out across the province. This is
6 just to show you the new facility; this is not only the
7 tissue establishment.

8 We also have a blood -- the blood establishment
9 there. We had to put up clean-room facilities to procure
10 donors and process tissues. Now, I suppose that the
11 answer to the second question, which is, "What are the
12 areas of commonality with blood products themselves and
13 human tissues and organs?" is quite -- well, I suppose
14 your answer will sound obvious.

15 We definitely think that they have a lot in
16 common, and that's why we decided that in our own
17 organization we would be not only ready, but anxious to do
18 that, because blood, cells, tissues have a lot in common.
19 First in terms of quality we have to go with the
20 standards, regulations, good manufacturing practices.
21 This was already in place for blood.

22 In terms of safety, the processes for qualifying

1 donors, for testing donors are very similar. We're
2 familiar with look-back, traceback investigations, all
3 reasons that you've heard before from other speakers.
4 Traceability -- we have an objective of putting in place
5 this year the ISBT 128 Standard for blood -- for lay-by
6 components for blood.

7 We are planning to do the same eventually for
8 tissues. And there are some other advantages, and I'm
9 going quickly over those, but we already have the
10 infrastructure in place to assist us in developing this
11 new area of activity. At the same time, there are some
12 challenges, new challenges that we need to face in this
13 field.

14 It's obviously not the same donor population, so
15 we need to become familiar with this activity.
16 Technically, there are some new skills that we need to
17 acquire. The issue of bacterial contamination is one that
18 we have obviously for blood, but it's very different, and
19 again you've heard examples of that today. It's very
20 different for tissues.

21 And as I said, there is -- in my view there is
22 still a lot to be learned in those regards. We have to

1 become familiar with clean-room environments. Validation
2 is a major challenge, because as I said, there are not too
3 many guidelines out there to tell us how we should
4 validate the processes that are used to transform our
5 tissues. And we also need to deal with new customers at
6 the hospital level.

7 Finally, is there a scientific clinical evidence
8 to support a need for master strategy, for transfusion and
9 transplantation, safety? I think that regardless of where
10 tissues are processed, I'm not advocating that all tissue
11 banks should be housed in a transfusion agency. But
12 regardless of that, I think it makes sense to view these
13 activities on the same basis.

14 In terms of standards and regulation -- and
15 that's basically the current status report of what is
16 happening right now in Canada. I think in terms of
17 standards and regulations, with -- we are at par with what
18 is in place with blood. The standards are there, the
19 regulatory framework will allow us to make sure that we
20 can verify compliance through these regulations.

21 However, there are still some issues. Donor
22 screening, I think, is also something that has been taken

1 care of by putting in place these regulations. And at
2 least for blood and tissues, this is a given. However, as
3 I mentioned, for donor testing there are still some issues
4 that need to be resolved in terms of the access to tests
5 that are specifically designed for cadaveric donors, for
6 example.

7 Control of bacterial contamination, as I said,
8 is still an issue for us. In terms of self sufficiency,
9 we're doing quite good for blood and blood products. The
10 only area where we're not totally self sufficient is with
11 plasma-derived products. That is not at all the same
12 situation, and that's true across all Canada. We are
13 certainly not self sufficient for tissues for
14 transplantation.

15 Control of importations -- as I said right now,
16 hospitals are free to get their tissues from anywhere they
17 like. And to us we think this is a threat to the safety
18 of patients in terms of being able to have a good
19 assessment of the safety of those tissues, and also their
20 traceability. And finally, traceability and surveillance,
21 there are some clear deficiencies in those regards.

22 And in fact, we had a meeting about 10 days ago

1 in Montreal where the Hemovigilance Committee -- which is
2 reporting to our Health Minister and has the mandate of
3 looking over the blood system as a whole, not only Héma-
4 Québec as a transfusion agency, but also at the
5 surveillance that is conducted -- this committee was
6 convened to discuss the current safety of human tissues in
7 Quebec.

8 And the main talking points are basically those
9 that you've heard from Dr. Eastlund. First of all, there
10 is no surveillance system in place. Compared to blood
11 it's basically -- it's actually zero right now. Nothing
12 is being done actively in terms of surveilling adverse
13 events to cells, tissue, and organs.

14 There is a need -- before we put something in
15 place, there is clearly a need to agree between ourselves
16 as to what should be the data elements for doing that
17 surveillance or clearly the case definitions. There are
18 clearly some challenges. It's interesting to hear the
19 notion that in fact it's true some of these events can
20 happen years after the transplantation. How are we going
21 to tackle this?

22 But for us, it's quite obvious that all of this

1 could probably be very easily put in place by tapping into
2 the existing hemo-vigilance system, and adding this task
3 to what is currently being done for blood and blood
4 products.

5 Finally, traceability is also deficient in our
6 system, and the situation is basically the same as what
7 you've just heard from Dr. Eastlund. And we also feel
8 that hospital blood banks have all that they need to
9 tackle this task of ensuring, tracking, and recordkeeping
10 for tissues transplanted in their institutions.

11 In conclusion, I think it's fair to say that
12 cells, tissues have become regulatory products with
13 specification, quite similar to that of blood products.
14 We think in our case that we have the expertise to tackle
15 this new challenge. Blood components are mature
16 regulatory products, whereas in the case of CTOs, they are
17 still in their early regulatory mode.

18 And getting them on par, I think, is some -- is
19 an opportunity that has to be taken in the best interest
20 of our patients. And with this, I'll be happy to take
21 your questions.

22 DR. BRACEY: Thank you, Dr. Germain. Questions

1 or comments from the committee? Dr. Holmberg?

2 DR. HOLMBERG: I wear another hat in my job, and
3 that is with the readiness and preparedness, and one of
4 the things that I am very concerned about in our country
5 is the amount of skin that is available. Are you
6 importing a lot of skin from the United States?

7 DR. GERMAIN: Well, we are not -- in our
8 province, I am not aware that we are doing that, and I
9 think it's basically because we cannot find skin on the
10 U.S. market. If there is, I'd be glad to know because it
11 happens that sometimes that we have request that we cannot
12 fulfill. Fortunately in our part of the country, recently
13 we didn't have any major disasters that put up at risk for
14 that, but it's not a usual practice to the best of my
15 knowledge.

16 DR. HOLMBERG: Okay. And I have another
17 question. Realizing that 80 to 90 percent of your
18 products comes from the United States, are you involved in
19 the technical advisory group of the ISBT 128?

20 DR. GERMAIN: Well, as I said, ISBT 128 for
21 blood that's being basically put in place as we speak, for
22 tissues I am occasionally sitting on the committee that is

1 looking at this at the AATB, so we keep an eye on that
2 project. And it's our intention to -- to get there as
3 soon as possible, as feasible.

4 DR. HOLMBERG: Well, I guess in -- this is
5 question for, maybe, Scott, if Scott still in the room
6 here. And that is that I know that the AATB is part of
7 the technical advisory group, and since you are a member
8 of AATB, would this be a standard of AATB that all their
9 facilities would go with this same numbering system?

10 DR. GERMAIN: Well, I think, and I am speaking
11 for Scott here, he will be in a better position to answer
12 it. I think AATB is pushing hard to get tissue banks to
13 adopt a single standard. I don't think their naming ISBT
14 128, but they are probably thinking very hard about it,
15 right Scott?

16 MR. BRUBAKER: We want to expose our banks to
17 it, so they could be educated and understand how the
18 systems works or can work. You know, labeling changes,
19 the number changes need to be go through detailed
20 validations, you know, it has to do with patient safety
21 obviously that the graft would be -- will be tracked and
22 like they can do now, which is they have excellent systems

1 in place due to our standards for many years.

2 So to change that is something that's -- it's a
3 very big change, and you have to buy in to the how this
4 can be better, and to prove that it's better, the ISBT or
5 I should say the ICCBBA folks who developed ISBT 128 have
6 been helping with our four- and five-hour long meetings,
7 couple of times a years that we hold our these meetings in
8 conjunction with our spring, and other annual meeting.
9 And it's difficult for them to apply that system to all of
10 the different types of grafts that our banks do produce.

11 It's been a real challenge for them to apply
12 their system. You know, we -- we heard originally that
13 the UK implemented the system in just a few months period
14 of time, but their grafts they provide from their tissue
15 banks are, you know, a set number that are very small.
16 You know, coming from a large bank in the U.S., they may
17 have 400 grafts of different types and sizes that they
18 need to classify into the ISBT 128 system. It's very
19 difficult. So we want -- we're in an education role, and
20 it's been two years so far, and there is more positive
21 attitude towards it now.

22 DR. BRACEY: Ms. Finley?

1 MS. FINLEY: Thank you very much for your
2 presentation, and also for your focus on the self
3 sufficiency issues. We've encountered this in the past
4 going back at least 12 years in terms IVIG and anti-
5 hemophilia products. And I was curious as to what steps
6 the Canadian government has taken to achieve greater self
7 sufficiency during that time period for blood derived
8 products, plasma products, and tissues, and organs?

9 DR. GERMAIN: You want me to discuss
10 specifically IVIG or at least -- but the right product?

11 MS. FINLEY: I am sure there are people in the
12 audience who would love to hear you discuss IVIG, but --
13 but if you can talk just in general about the others to
14 the extent that you can, I would appreciate it.

15 DR. GERMAIN: Yeah, there was a -- recently, and
16 I was not part of that consensus conferences, but that
17 that there was I think a year ago a consensus conference
18 in Canada to discuss the issue of self sustainability for
19 blood derived products, and the main issue being IVIG.
20 And I don't want to misquote the panel for the conference,
21 but basically in the end the recommendations was to
22 decrease our level of reliance on U.S. source plasma,

1 recognizing that it was not probably not possible, and
2 also probably not necessary to achieve full self
3 sufficiency for a variety of reasons, one of them being
4 that there is a lot of plasma available on the market, but
5 at least some level of self sufficiency or increased self
6 sufficiency would be -- would be desirable, and in fact
7 both blood organizations in the country have started to
8 work on plans to achieve that -- you know, achieve a
9 certain increase in self sufficiency.

10 For tissues, basically there hasn't been a
11 similar exercise, but it's quite obvious that at least for
12 those products that are in short supply everywhere, we
13 will definitely increase, the need to increase our degree
14 of self sufficiency skin, heart valves, tendons, et
15 cetera, and the tissue banks that are currently operating
16 are trying to achieve that, but there is no -- there is no
17 such thing as a national plan to get there.

18 There is, however, and maybe it's my opportunity
19 to mention that there is an organization at the national
20 level which is called the Canadian Counsel for Donation
21 and Transplantation, which advices -- it's a bit similar
22 to your group, I should -- I probably would think so.

1 This group, the CCDT, advises the federal and provincial
2 authorities as to what should be done to improve CTO
3 services in Canada, and I am part of that group, and I'm
4 chairing the tissue committee. And as obviously one of
5 the very specific recommendations that we are going to
6 make to our politicians is to make sure that we are going
7 to be in a position to supply at least the products that
8 are not easily available on the international market.

9 MS. FINLEY: Thank you.

10 DR. BRACEY: Given the time and the need for us
11 to develop a recommendation for the assistant secretary,
12 I'd like to go ahead and move into the discussion phase
13 now. What we have done -- we have -- I can use this mic.
14 For the sake of those who are new to the committee, the
15 recommendation that came out of the August 2006 meeting is
16 posted here. Specifically, whereas promoting the safety
17 of the U.S. blood supply's principle activity, the
18 advisory committee inclusion of efforts to improve organ
19 and other tissue safety and availability also need to be
20 considerably recommended to the secretary that the
21 secretary coordinate federal actions and programs for
22 support and facilitate by a vigilance in partnership with

1 initiatives of the private sector.

2 By a vigilance is defined as a comprehensive and
3 integrated national patient safety program to collect,
4 analyze and report on the outcomes of collection, and
5 transfusion and/or transplantation of blood components
6 derivatives, cells, tissues, and organs. Program should
7 be an outcome driven. Sorry, -- the program should be
8 outcome driven with I'll use the -- can you help me --
9 yeah. I'll just read from the -- the program should be
10 outcome driven with the objectives of providing early
11 warning systems of safety issues, exchanging of safety
12 information, and promoting education and the application
13 of evidence for practice improvement.

14 Formation of an HHS and PHS biovigilance
15 taskforce would be an important step for identification of
16 the vision, goals, and processes needed to advance these
17 objectives. This task group should participate with
18 private sector efforts, including the AABB inter-
19 organizational taskforce on biovigilance to advance public
20 health in this effort.

21 The HHS -- so in essence that was the
22 recommendation, and in fact the action from the secretary

1 was to form that particular task group. So what we're
2 faced with today is we have a series of questions and
3 those questions were posed to us from the secretary. So
4 we have a draft, which is in essence a working draft, and
5 there are two versions. And in essence this is -- the
6 idea is to get the momentum rolling and we will make
7 adding as needed. But, basically, the draft that we
8 offer, Dr. Kuehnert and I, and other -- and Dr. Holmberg
9 have been working on this, is the following. The HHS
10 ACBSA heard presentations on the status of safety systems
11 for transfusion, transplantation -- sorry, transfusion,
12 tissue banking, and transplantation from major blood
13 collectors, accrediting agencies, and practicing
14 physicians in its May 2007 meeting.

15 The committee was impressed by the number of
16 common issues facing these activities and the opportunity
17 for a process improvement. Whereas, the assistant
18 secretary for health accepted the committee's August 2006
19 recommendation to pursue Biovigilance by expanding the
20 role of the committee's oversight in its new charter and
21 by establishing a PHS biovigilance task group. The
22 assistant secretary request additional input from HHS

1 ACBSA.

2 The committee responds to the following question
3 posed by the assistant secretary. One, is there an
4 opportunity to lay out a process for transfusion and
5 transplantation safety for the future? The answer or the
6 response being yes, there is a need to develop processes
7 to enhance quality improvement in transfusion medicine and
8 transplantation. While transfusion medicine laboratory
9 processes function at a high safety level, there is a
10 great need to enhance and further develop quality systems
11 in tissue banking and transplantation.

12 Recognizing the difficulty in acquiring some
13 tissues and organs a careful risk benefit analysis should
14 serve as the foundation of such quality systems. Two, is
15 there scientific evidence to support the need for a master
16 strategy? Yes. While the literature is in need of
17 expansion, available infectious disease transmission and
18 error reports substantiate the need for quality
19 improvement noting the benefit risk profile differs
20 between transfusion, tissue, and transplant recipients.
21 All patients treated with these modalities have potential
22 for acquiring life-threatening infections if infectious

1 disease screening is flawed or emerging unknown diseases
2 evolve unchecked over time.

3 Non-infectious hazards with potential for
4 implant/transplant failure through host rejection or graft
5 failure due to faulty preparation processing or testing
6 are also important hazards in this patient population.

7 Three, what should be the scope (rubric) of a master
8 strategy? Recipient -- one, recipient outcome
9 surveillance (biovigilance system). A, identify all
10 donors using common identification numbers linked to
11 biological products that are uniquely identified. B,
12 trace all biologic products to the clinical user and
13 recipient. C, recognize transmissible events resulting in
14 adverse outcome, including sub. one infection agents, sub.
15 two malignancies. Sub. three toxins.

16 D, build communication network to share data
17 from users and to disseminate data to users. E, allow
18 efficient trace forward and trace back algorithms across
19 all product types. F, given large gaps at the user level
20 need healthcare based programs to coordinate adverse event
21 reporting. Two -- actually, two, there is a need for new
22 approaches to emerging infection -- sorry, infectious

1 disease monitoring including informatic tools and
2 evidence-based research.

3 Three, other strategic plan elements should
4 include (can be developed at subsequent meetings) donor
5 recruitment, b, donor screening, c, research coordination,
6 d, emergency preparedness. Under question four, what are
7 the areas of commonality with blood products, core blood,
8 progenerative cells, and bone marrow tissues and organs?
9 And what we offer here in essence are these elements,
10 donor recruitment availability, donor screening,
11 collection, infectious disease testing, transport, much of
12 what we've seen on the various slides, storage,
13 processing, labeling, traceability, surveillance, outcomes
14 analysis, adverse event reporting.

15 Five, how best should this be done with the
16 stakeholders? How do we begin? Develop a forum for --
17 well, we need to change that -- develop a forum for
18 development of common priorities using evidence-based
19 decision making. Stake holders should include regulators,
20 accrediting agencies, manufacturers, clinicians, and
21 recipients. This considerable regulatory overlap, the
22 efforts of OBRR, OCGT -- OCTGT, HRSA should be coordinated

1 within the department.

2 These efforts need to be public-private
3 partnerships with transparency, collaboration, and data
4 sharing, but the task of biovigilance is inherently a
5 public health mission and government-based origin, and
6 structure of the system should reflect that premise. And
7 under d, which is not really flashed out much, it says
8 what resources are needed, and, basically, what are the
9 estimated costs. And we have increased appropriations
10 from FDA. Now, we do have comments. Well, first -- the
11 first comment was from Jay Epstein who is not here. And
12 under the first question which is question one, is there
13 an opportunity to lay out a process. His specific
14 comments -- let me get those.

15 Ms. FINLEY: Excuse me, Dr. Bracey. Did you say
16 there were two versions of this? Because I have one, my
17 colleagues don't have any, and what you're reading is not
18 the one that we have. And some of us have some difficulty
19 with distance.

20 DR. BRACEY: Oh, I'm sorry. This actually, it's
21 a merged version. The version that you have has been
22 modified, adding comments from others. Comments from --

1 Ms. FINLEY: -- question, sir, okay. So this is
2 the one that we need.

3 Dr. BRACEY: This is not a merged document.

4 Ms. Finley: Okay. Would it be possible, first,
5 to get a copy of this, it's for reviewing it.

6 DR. BRACEY: Can we get a copy? One -- the
7 specific comments that were made Jay Epstein, under number
8 one, is there an opportunity to lay out a process for
9 transfusion and transplantation safety for the future.
10 His comments were, yes, correctable gaps exist. Safety
11 reporting, evidence-based practice linked to out comes,
12 rapid traceability, tools are available, EGIT informatics
13 and web-based tools.

14 Under number two, in terms of is there
15 scientific evidence to support the need for a master
16 strategy? His comments were, yes. If the issues in
17 different domains have overlapping significance,
18 especially infectious diseases, example of blood and cell
19 therapy, organs with many common threats. He, basically,
20 had no other significant complication -- comments under
21 that.

22 Ms. FINLEY: Complications -- you are a

1 physician.

2 DR. BRACEY: No significant comments on the rest
3 of the -- of what was submitted. So, again, this is just
4 a framework so we can begin to discuss what the committee
5 wishes to -- how to respond to the question, because
6 yesterday, part of the issue was -- well, would we
7 recreate the questions. And I think recognizing the
8 importance of the fact that, a, we've established within
9 the department that there is -- there will be a
10 biovigilance activity. Our discussion, yesterday
11 afternoon, was in essence to flush out something that the
12 assistant secretary could grasp, something he put his arms
13 around, something at the 5 to 10,000 foot level, rather
14 than at the 30,000 level, which is the strategic level
15 because we've done that in our preceding meetings. Now,
16 Matt, actually, had done a pyramid. You want to go over
17 your pyramid, Matt?

18 Dr. Kuehnert: So, basically, this is what I was
19 referring to yesterday, it's that, kind of in order to get
20 to the top you have to have the lower foundation layers.
21 And I think this is pretty much all mentioned in what
22 actually Jim Bauman came up with independently concerning

1 what are some of the elements of a biovigilance program
2 which I guess sort of strengthened my confidence, I knew
3 we're on the right track, and it also matches what we had
4 as priorities in the 2005 Organ and Tissue Safety
5 Workshop, which is, basically, the first foundation to
6 have a common donor identification number which exist for
7 organs, but does not exist for tissues. Although, I want
8 to quickly add that there are unique donor IDs assigned,
9 but they just don't -- they're linked up to different
10 tissue IDs during processing, and when it finally gets to
11 the users so that the user doesn't see that donor ID
12 necessarily. So that donor ID should carry all the way
13 through to the user environment, to the clinician, the
14 same thing as, once that's established to have tracking to
15 the recipient.

16 The third level having adverse event recognition
17 that is clinician or user generated. And there is a
18 number of different terms for a -- for the user whether
19 the consignee or -- consignee, or the user, or the
20 clinician, but basically that's what we're talking about,
21 it is finally the person who puts the tissue in. And
22 that's -- and also added to that, I think was a very good

1 comment was the recipient themselves also have some
2 responsibility for recognizing adverse events. And,
3 perhaps, reminding the clinician that an event needs to be
4 inputted into the system.

5 The next being element that's important once
6 these others are established is to have communication to
7 the user about other related adverse events, perhaps
8 linked to the same donor, or perhaps just associated with
9 general public health threats. And then the final thing,
10 final top of the pyramid being system education being a
11 really important aspect of this work. The system really
12 can't work until everybody all along the various elements
13 of the system are aware of the system and understand what
14 they need to do to participate. And, you know, that maybe
15 under education that also is -- gets into what we talked
16 about as far as mandatory aspects or standards which would
17 compel participants to use the system, and that's --
18 that's basically it. But I think that -- I know this is
19 too small for anyone to read, so -- I think that under
20 number three all these statements are basically
21 incorporated in that. Thanks.

22 Dr. HOLMBERG: If I can add another question to

1 you, what you presented, Matt. We heard from both Dr.
2 Klein last week -- I mean yesterday regarding that this
3 was a PHS public health issue and that he also said that
4 he felt that this was a mandatory requirement if I
5 remember correctly what he was saying. I think we heard
6 from the patient community this morning about -- again
7 they were emphasizing in the mandatory reporting and
8 participation. I just want to throw that out to the
9 committee. I think that's something that the committee
10 needs to discuss. AABB has made their statement saying
11 that it should be a voluntary non-punitive, but I think
12 that the rest of the committee needs to comment on that.

13 Dr. Kuehnert: Well, let me just say one thing
14 in -- just before discussion is that, you know, it doesn't
15 have to be all or none. You know, there can be aspects of
16 it that are -- people are compelled by, you know, various
17 regulations or standards and some not, depending on the
18 participants. And also, it can move to be mandatory in
19 certain respects, but personally, I think that would take
20 a very, very, long time. So I think you have to have
21 something before that to get there.

22 DR. BRACEY: Let's start -- Dr. Bianco, you had

1 a comment?

2 DR. BIANCO: It's -- thank you for letting me
3 give the comment. I think that this system education that
4 Matt placed, that needs to be expanded a little bit, is
5 the feedback. It's not a system that you just want to
6 generate reports that you are fired, or tell everybody,
7 you want to prevent future events if you can, and that's
8 the ultimate goal that I see for a biovigilance program.

9 DR. BRACEY: Dr. Kouides.

10 DR. KOUIDES: Yes, going by this discussion
11 about mandatory reporting, could a recommendation be made
12 to expand on that that we also would recommend perhaps
13 that such mandatory reporting be enforced to the joint
14 commission?

15 DR. BRACEY: Perhaps we could say -- that's a
16 point -- point for discussion, and some of the thoughts
17 would be -- would be more generic accrediting agencies.

18 DR. KOUIDES: I'm just concerned with -- I think
19 there is a consensus there, and I strongly agree about
20 mandatory reporting, but again we have to, I think we are
21 so obligated filling the specifics of that.

22 DR. BRACEY: Okay, discussion. Mr. Matyas.

1 MR. MATYAS: Yeah, I -- I mean, what Dr.
2 Kuehnert said is right, and if we're trying, as a goal to
3 get through this --

4 DR. BRACEY: Yes.

5 MR. MATYAS: -- first being the linear kind of
6 person, I'd like to see if we could go through each of the
7 questions, because as well, as Dr. Kuehnert said, this
8 really is, goes to number 3, and while we're talking about
9 it, is what we -- well, that is a different version than
10 what we have is what we had had here was --

11 SPEAKER: This is not the same.

12 MR. MATYAS: -- specific examples include
13 product traceability, use of centralized air, robust risk
14 communication, again, and putting in mandatory reporting
15 doesn't mean that we're recommending that it must be
16 mandatory reporting but that the scope or rubric of a
17 master strategy would examine mandatory reporting and the
18 like. I think, we don't want to lose it, but I agree with
19 Dr. Kuehnert and what I've learned and heard is, well,
20 make that recommendation and it may or may not go
21 anywhere, that's for others to decide. But I think we
22 need to preserve it as something that should be considered

1 and thought about as part of the master strategy.

2 DR. BRACEY: Dr. Roseff.

3 DR. ROSEFF: I have a question first, may be for
4 Dr. Solomon. Why is blood licensed and tissue registered?
5 You know, what is the difference? How do that -- is there
6 a reason or is it -- and does that have some impact on
7 what we talk about too?

8 DR. SOLOMON: It's a historical thing that dates
9 back to the early '90s when we didn't regulate tissue
10 prior to then, and then there was the concern about
11 Russian body parts coming into the country from untested
12 donors, and so --

13 SPEAKER: Thank you.

14 DR. SOLOMON: I can't sort of defend the
15 decision, I wasn't around then. I think it was a -- an
16 effort to address a problem quickly and not be incredibly
17 burdensome and the normal licensing procedure involved
18 showing safety and efficacy, and it would be -- there were
19 no parameters really to demonstrate efficacy and so
20 efficacy, some people say is sort of implied if you
21 replace a part that's missing in the recipient, or
22 defective with a part from the donor, an equivalent part,

1 then efficacy is sort of presumed. That's really all the
2 history I know about, and it's just continued that way.

3 No one has, to my knowledge, suggested that
4 tissue banks be regulated through a licensing procedure.
5 The legal authority that was used to generate the
6 regulations comes from section 361 of the Public Health
7 Service Act, which is focused on prevention of infectious
8 disease, whereas the licensing provisions are in section
9 351. So I think it would have -- require some sort of a
10 legal juggling or whatever to now, you know, go back or
11 reverse that decision. I haven't heard even a whisper
12 that that's where we want to go, or what the agency is
13 thinking about.

14 DR. ROSEFF: Because I don't -- not that I love
15 regulation, you know, but I -- but I mean, even from
16 Canada, they said it was when people started getting
17 inspected, people who couldn't meet the requirements
18 started tightening up. And you know, it almost sounds
19 like at least as far as the traceability, and adverse
20 reaction reporting, we have a good system in place for
21 blood. Do we need more teeth to make this happen for
22 tissue, and you know, to say the Joint Commission, so

1 called, or AABB or AATB can have the teeth, well, its
2 still voluntary. I think people who want to be credited
3 will do what they need to do. But it sounds like, you
4 know, again --

5 DR. SOLOMON: Perhaps, you don't realize that
6 the tissue banks and eye banks are inspected. We have a
7 field crew that inspects these places to ensure compliance
8 with the rules. The basic difference is, for blood, they
9 have to first submit an application and get approval
10 before they go on the market; for tissues, you can go on
11 the market and the compliance is assessed at the time of
12 inspection. It's not to say that they're less
13 "regulated."

14 DR. ROSEFF: Right, there are different rules
15 that are in place. What we're hearing is some of the
16 rules may be need to be the same, but again --

17 DR. BRACEY: There is a question -- comment from
18 Dr. Bloche.

19 DR. BLOCHE: Yeah, I just had a general one. I
20 apologize, I'm going to have to leave a little after 3:00,
21 so I wanted to offer this overview thought, fully
22 acknowledging that I am, plainly by far the least informed

1 in this room, with respect to the specifics of much of the
2 industry. First thought, by way of seconding what you had
3 to say, it seemed to me that anything that's proposed as
4 mandatory, or to have at least in a general way, some
5 description of where the books (phonetic) are?

6 Now, maybe it's specifically the Joint
7 Commission, maybe it's the various accrediting bodies, but
8 plainly, HHS does not have global statutory authority to
9 impose all that. And so something, a lot of you said
10 about how it gets (inaudible) claiming we're not in Canada
11 or Germany, sweeping national --

12 DR. BRACEY: Right.

13 SPEAKER: If you put -- Dr. Solomon has --

14 DR. SOLOMON: Matt, could you clarify from whom
15 to whom is this mandatory reporting? I don't quite
16 understand, from the clinician to whom?

17 DR. KUEHNERT: I don't know, I'm not the one who
18 suggested mandatory reporting. So I don't -- I don't know
19 what is -- I'm not sure who is --

20 DR. BRACEY: Well, the mandatory reporting is
21 what we were just discussing, so we really --

22 DR. KUEHNERT: Right, oh, who --

1 DR. BRACEY: -- the concept of mandatory
2 reporting.

3 DR. KUEHNERT: -- if someone wanted to make it
4 mandatory, who would they report to?

5 DR. BRACEY: Correct.

6 DR. KUEHNERT: Well, I think that to me, you
7 know, this B, that just got created, it really would fit
8 under F, or what is now probably G. But at the bottom,
9 where it says, there is a gap at the user level, and where
10 I think the gap is, is the clinician thinking of this
11 possibly being related to the allograft and saying I need
12 to report this. Now, who do they report to?

13 Well, ultimately the healthcare facility, would,
14 in the case of tissues, report to the tissue bank; in the
15 case of organs would report to the OPO, and then it would
16 go to the various regulatory agencies from there. Of
17 course they could just shortcut that and report to
18 MedWatch, I suppose, for blood and tissues. But I'm not -
19 - certainly would not propose a new reporting mechanism
20 but just to strengthen the reporting mechanisms that are
21 already there, but also to facilitate communication of
22 adverse event recognition by having systems like TTSN,

1 where you share data even before it's spit back out by a
2 regulatory agency, which might take some time.

3 But it would basically -- I guess, to answer the
4 question, it would be using the reporting mechanisms that
5 exist, but really making sure that they're strengthened.
6 So the clinician, I mean, they're not going to know who to
7 report to at the tissue -- they don't even know who the
8 tissue bank is probably, or the OPO. So there's going to
9 have to be a healthcare epidemiologist, quality assurance
10 program, something in the hospital that coordinates the
11 adverse event reporting.

12 SPEAKER: Okay, can I just finish the -- my
13 earlier --. A kind of global thought I wanted to offer
14 about this question of whether blood gets treated
15 separately from tissues and organs et cetera, versus
16 whether it should all be seen together from a regulatory
17 perspective. The global thought is this, that with
18 respect to vigilance and surveillance, the case seems to
19 me to be powerful for treating this all together, these
20 global information systems. The pyramid is wonderful, it
21 really drives home an important concept. It's astonishing
22 to me that in this country, we're so kind of fragmented in

1 this regard. But with respect to the management of risks,
2 with respect to the policies about what to do, once this -
3 - the information comes in; it seems to me, and here I
4 found Dr. Fishman's presentation quite powerfully
5 persuasive.

6 The problems are so fundamentally different for
7 the different kinds of products. The risk-benefit
8 balances are so different. The dying patient, who needs a
9 liver in 36 hours versus transfusions -- with kidney
10 transplants, somewhere in between, because it seems to me
11 that given the inevitable rigidities that set in, once a
12 regulatory system is set up and people have their
13 compliance officers in the -- kind of following a rigid
14 sort of way.

15 It seems to me that the case is much weaker,
16 we're combining the risk management of blood, tissues,
17 organs et cetera, its kind of my thought from satellite
18 range as opposed to 30,000 feet or 10,000 feet.

19 DR. BRACEY: Yeah. So then basically, it sounds
20 to me that really, the questions 1 and 2 are really fairly
21 easy to answer, and the real meat of what we're talking
22 about is within question 3. And we seem to need to decide

1 finally on this issue of reporting and whether the
2 reporting would be mandatory, compelled, Dr. Pierce.

3 DR. PIERCE: Well, I think we would be wasting
4 our time if we didn't ask for mandatory reporting, and we
5 have two systems in place, we have MedWatch and we have
6 the CDC that already have mandatory reporting of certain
7 infectious diseases, for instance.

8 DR. SOLOMON: SPEAKER: No that's not --

9 DR. BRACEY: Well, Dr. Solomon.

10 DR. SOLOMON: There's mandatory reporting for
11 the tissue bank or the blood bank or the eye bank but its
12 voluntary reporting for the clinician.

13 DR. PIERCE: That's right, but I'm -- yes, and
14 so what I'm talking about though is asking for mandatory
15 reporting. But we have systems in place that can already
16 handle that and they need to be beefed up, but they're
17 already there. The systems are there for getting the
18 information out.

19 DR. BRACEY: Dr. Lopez.

20 DR. LOPEZ-PLAZA: I think in the long run, I
21 think the mandatory reporting should be there, the
22 ultimate goal, but I think we have to really remember that

1 if we make something mandatory then we have to be prepared
2 to the always people not complying to that, and you know,
3 going from nothing to all is going to create that kind of
4 problems. So I think that may be perhaps thinking about
5 some kind of pilot project, when we'll learn how the
6 system worked or the biggest efficiencies, how we can make
7 them work better, and then once we had that information,
8 then start thinking on making something mandatory, that's
9 the -- I mean, the ultimate goal.

10 DR. BRACEY: Well, one of the things that was
11 discussed and I don't know if we actually have it included
12 was the idea of making the surveillance, current pilots
13 sustainable, because currently that's temporary, and we
14 need to seek funding to make that. So perhaps we could
15 enter that as a compromise with a notion that once we make
16 systems sustainable, figure out how to make it work, then
17 the ultimate goal will be to make it mandatory.

18 SPEAKER: Yeah, that sounds really good.

19 DR. BRACEY: Dr. Holmberg.

20 DR. HOLMBERG: But I'm very concerned that if
21 we're just supporting the TTSN, what are we doing for the
22 blood aspect of it, and as Dr. Bloche mentioned on the

1 global perspective, I think that we need the vigilance and
2 the surveillance to be linked so that we have the
3 communication to go across all of the biological products.

4 SPEAKER: Just a comment, any consensus from the
5 committee?

6 DR. BRACEY: Ms. Finley (phonetic).

7 MS. FINLEY: Two comments, first of all I think
8 there's been a question raised by my esteemed colleague
9 here about how much authority CDC has to compel this. I
10 don't know if we need an answer from the general counsel -
11 -

12 DR. BRACEY: None.

13 MS. FINLEY: We don't -- they have no authority.

14 DR. BRACEY: No, authority.

15 MS. FINLEY: Okay, so may be that's a place for
16 us to depart from, by, you know -- all right, the second
17 issue I would raise is I see that you're including some
18 information in here, and I also have a couple of friendly
19 amendments and wordsmithing to the first and second
20 question.

21 DR. BRACEY: Right, sure.

22 MS. FINLEY: So I just wanted to make sure

1 process-wise, we're going back there, so I raised that
2 issue, and --

3 DR. BRACEY: No, we will be, in fact, in order -
4 - why don't we do this. Let's go first to question one,
5 eliminate the easy question. We go to question two, and
6 then the meat will be in three. So question one, I think
7 is pretty straightforward that we all feel -- well, sorry,
8 I don't want to speak for the committee. Does the
9 committee feel in a unanimous sense that this is the right
10 thing to do?

11 SPEAKER: Yes.

12 SPEAKER: Yes.

13 DR. BRACEY: Okay, now, in terms of the
14 wordsmithing, its -- do we wish to cut down on the
15 verbiage?

16 SPEAKER: No.

17 DR. SANDLER: Let's try and get through, you
18 know, we can bog down on wordsmithing.

19 MS. FINLEY: Okay, but there is an important
20 concept, Dr. Sandler, that I would like to include here.
21 And I don't want to take up a lot of time, I have four
22 words to add, at the back of that last sentence.

1 DR. BRACEY: Sure.

2 MS. FINLEY: So if we're -- are we writing here
3 and then voting, is this up to --

4 DR. BRACEY: Well, I'd like to just go one by
5 one.

6 MS. FINLEY: Okay, all right, I just have four
7 words I'd like to add to that.

8 DR. BRACEY: Okay, well, what's your
9 recommendation?

10 MS. FINLEY: At the last sentence, "should serve
11 as the foundation of such quality systems with the
12 recognition of the importance of patient safety." I'm
13 uncomfortable with "risk benefit analysis based on the
14 scarcity of tissues. It's a factor; it is not the
15 determining factor. So I just wanted to have that
16 recognized.

17 DR. BRACEY: Okay, so if -- it's a -- let me --
18 well, you can read it or do you want me to read it before
19 we vote on it? What's the committee's preference?

20 SPEAKER: Please read.

21 MS. FINLEY: -- with a focus on the recognition
22 --

1 DR. BRACEY: I'll read the last sentence.

2 "Recognizing the difficulty in acquiring some tissues and
3 organs, a careful risk-benefit analysis should serve as
4 the foundation of such quality systems, with the
5 recognition of patient safety." Does that sound fair?

6 SPEAKER: I guess, not that I disagree with your
7 addition; I don't know why we need the second sentence?

8 MS. FINLEY: Okay, then just pull it out, that's
9 okay with me.

10 SPEAKER: I mean that -- or the third sentence.
11 Huh?

12 MS. FINLEY: That's okay with me.

13 SPEAKER: I mean, the question is, is there an
14 opportunity to lay out a process for transfusion and
15 transplantation safety for the future? Yes, there's a
16 need to develop processes, that rest of that sentence,
17 while transfusion medicine laboratory processes function
18 at a high level, there's a great need to enhance and
19 further develop quality systems," ending there. I don't
20 know why we need that.

21 DR. BRACEY: Well, part of it was the issue
22 that, I guess has been expressed by some of the organ

1 procurers that the quality systems would begin to
2 interfere with the ability to have access to organs, and
3 we wouldn't take the benefit-risk into consideration.

4 SPEAKER: But then that's -- but shouldn't that
5 go into -- I don't know, I'm just being lawyerly, I don't
6 -- I don't see how that --

7 DR. BRACEY: You don't see added value.

8 SPEAKER: Yeah, I don't see what that adds to
9 answering that question.

10 DR. BRACEY: Okay, Dr. Pierce.

11 DR. PIERCE: I do think this needs to be
12 general, because there is a big difference between
13 transplanting a patient with a liver who has 24 hours to
14 live and transplanting a patient with a kidney who can
15 live for another five years. So I would just take -- I
16 would take specifics out of this and the documents.

17 DR. BRACEY: Let's strike it, okay, let's strike
18 it then.

19 MS. FINLEY: Okay.

20 DR. BRACEY: Okay, so we'll strike that. So
21 then --

22 SPEAKER: Do you have a question?

1 DR. BRACEY: Question, Dr. Bloche?

2 DR. BLOCHE: Yeah, I just think it's a mistake
3 not to -- it can be elsewhere in the document, I think
4 it's a mistake not to make reference to risk-benefit --
5 the risk-benefit perspective, because there's just such a
6 high likelihood that this kind of language can be
7 interpreted in a -- kind of sources-apprentice fashion to
8 promulgate all manner of detailed obligations. Let's
9 treat like things alike, blood is alive and so are organs
10 and tissues, and so let's impose the same obligations.

11 And I just don't want to come remotely close to
12 putting the transplant surgeon in a situation where he or
13 she might not be able to save a life because an organ
14 can't be fully tested and vetted in 18 hours. So I just
15 think that risk-benefit language is crucial.

16 SPEAKER: But doesn't --

17 SPEAKER: Wouldn't that be part of the rubric of
18 a master strategy?

19 SPEAKER: Yeah.

20 DR. BRACEY: Well, that's a good point. We
21 could include it there.

22 SPEAKER: I mean isn't that a factor, I don't

1 know where -- within like the --

2 DR. BRACEY: It needs to be included, but
3 perhaps not -- okay, okay, all right. Okay, so then --

4 SPEAKER: -- but it's -- that's, it should be a
5 factor.

6 SPEAKER: Yeah.

7 DR. BRACEY: Okay, so then, yes, there's a need
8 to develop processes to enhance quality improvement in
9 transfusion medicine and transplantation, while
10 transfusion medicine laboratory processes function at a
11 high safety level, there's a great need to enhance and
12 further develop quality systems in tissue banking and
13 transplantation. Dr. Solomon.

14 DR. SOLOMON: I take issue with the second
15 sentence. It sounds like blood banks are squeaky clean
16 and tissue banks are the dreads of the earth. What
17 evidence is there to show that because --

18 SPEAKER: Okay.

19 DR. SOLOMON: -- we don't have the denominator
20 data. I mean --

21 SPEAKER: Okay, just the first sentence.

22 DR. SOLOMON: I think that's a very insulting

1 statement.

2 DR. BRACEY: Okay, so then we'll make it truly
3 brief, and we'll -- I don't have a problem actually with
4 deleting the second sentence, so you're fine. Is that
5 okay with the committee?

6 SPEAKER: Yes.

7 DR. BRACEY: Okay, all right, so then, yes,
8 there's a great need to develop processes to enhance
9 quality improvement in transfusion medicine and
10 transplantation, very general, okay. Let's move on to --
11 all in favor, we'll keep it going, motion, all in favor?

12 SPEAKER: Aye.

13 DR. BRACEY: Aye, okay, passes. Let's go on to
14 the next piece then. "Is there scientific evidence to
15 support a need for a master strategy?" Here we have "Yes.
16 While the literature is in need of expansion, available
17 infectious disease transmission and error reports
18 substantiate the need for quality improvement. Noting that
19 the risk- benefit profile differs between transfusion,
20 tissue and transplant recipients, all patients treated
21 with these modalities have potential for acquiring life
22 threatening infections if infectious disease screening is

1 flawed or emerging, unknown agents evolve unchecked over
2 time.

3

4 "Non-infectious hazards with potential for
5 implant/transplant failure, through host rejection or
6 graft failure, due to faulty preparation, processing, or
7 testing are also important hazards in this patient
8 population."

9 SPEAKER: It's just grammar. The second
10 paragraph, using hazards to refer to hazards, may I
11 suggest, that the second hazards, the last -- in the last
12 line, be changed to "factors in this patient population."

13 DR. BRACEY: Okay. Do we -- is some of this
14 unnecessary?

15 SPEAKER: I vote to accept it.

16 SPEAKER: I'm not going to debate that.

17 DR. BRACEY: Okay, motion, we had a motion, is
18 there a second?

19 SPEAKER: Yes.

20 SPEAKER: Second.

21 DR. BRACEY: All in favor?

22 SPEAKER: Aye.

1 DR. BRACEY: Okay, it passes. Now, let's get to
2 the real meat. Dr. Solomon.

3 DR. SOLOMON: Excuse me, are you supposed to ask
4 for, that the ex-officio members make comments.

5 DR. BRACEY: Oh, yes.

6 DR. SOLOMON: I don't know what that procedure
7 is.

8 DR. BRACEY: Yeah, you -- yes, you may make a
9 comment, yes.

10 DR. SOLOMON: Okay, for the second question, I
11 would answer no, there isn't scientific evidence to
12 support a need. I think we need first to do the gap
13 analysis, which is occurring now and then analyze that and
14 see where -- if there are gaps and where there are, I
15 don't think its ipso facto that there is scientific
16 evidence to support a need.

17 DR. BRACEY: A need for hemovigilance --
18 biovigilance? What does the committee -- Dr. Roseff?

19 DR. ROSEFF: I think I agree with that. You
20 know, may be the question is almost wrong. You know,
21 we're answering a question, we know that we have a lack of
22 scientific evidence in a lot of the things that we're

1 dealing with. So is there scientific evidence, may be
2 it's not necessary currently, you know, we have -- we have
3 understanding, we have anecdote, we have reports and that
4 should lead to supporting a structure to get more
5 scientific evidence again, because we have different
6 tissue we're looking at, different risk factors, so I
7 think that's a good point that we don't have adequate
8 scientific evidence but that -- that's not the question
9 almost at this point to us, that we need to do something,
10 we need to have a master strategy while we're looking for
11 scientific evidence, based on what we see is happening.

12 SPEAKER: I think you can accomplish that by
13 actually answering the question without answering the
14 question by not putting a yes or no. It's -- while the
15 literature is in need of expansion?

16 DR. BRACEY: Yeah, that's a good point. Dr.
17 Bloche or Ms. -- Dr. Bloche.

18 DR. BLOCHE: I agree with what Dr. Roseff just
19 said, may be a way to wordsmith this is just ask does our
20 current understanding of the problem support -- support a
21 need for a master strategy?

22 DR. BRACEY: The only problem is that these are

1 questions that we've received and --

2 SPEAKER: It's not really -- the irony is if
3 there were, you know, clear cut scientific evidence, --
4 you lest (phonetic) have a need for a strategy, so -- I
5 basically agree that the question is --

6 DR. BRACEY: Ms. Finley.

7 MS. FINLEY: I work my way through colleges, I
8 proofread throughout the day, let me see if I can help
9 you. While scientific evidence is lacking or is not
10 available, and needs to be -- is not fully mature, needs
11 to be collected, available infectious disease transmission
12 and error reports substantiate the need for quality
13 improvement, does that satisfy everybody?

14 DR. BRACEY: That sounds good to me.

15 SPEAKER: Omit the 's'.

16 DR. BRACEY: Omit the 's', yeah, right, okay, so
17 we'll omit the 's', okay. Okay, so while the available
18 scientific -- let's go back over that again, "While the
19 available scientific literature is lacking," is that
20 right?

21 MS. FINLEY: No, what did you see, what was your
22 word?

1 SPEAKER: Maturing.

2 MS. FINLEY: Is maturing.

3 DR. BRACEY: Okay, "While the available
4 scientific literature is maturing" --

5 MS. FINLEY: -- available infectious --

6 DR. BRACEY: -- yeah, available infectious --

7 MS. FINLEY: -- infectious disease transmission,
8 or you could say is still maturing, indicating it is a
9 process, in the middle of a process.

10 DR. BRACEY: Okay, "still," still hold on.

11 MS. FINLEY: Still.

12 DR. BRACEY: Okay, substantiate the need for
13 quality improvement.

14 SPEAKER: Should the first "available" be
15 removed?

16 MS. FINLEY: I'm sorry.

17 SPEAKER: Should the first "available" be
18 removed, you have "available" twice.

19 DR. BRACEY: Yeah, it's a good point, right.

20 MS. FINLEY: Yeah.

21 SPEAKER: Mr. Chairman, to be responsive, we
22 should change "literature" to "evidence."

1 DR. BRACEY: Yes, that's a very good --

2 SPEAKER: It's not responsive the way it --

3 DR. BRACEY: So "scientific evidence," okay. So
4 "While scientific" -- just put, "While scientific evidence
5 is still maturing, available infectious disease
6 transmission and error reports substantiate the need for
7 quality improvement. Noting the benefit-risk profile
8 difference between transfusion, tissue, and transplant
9 recipients, all patients treated with these modalities
10 have potential for acquiring life threatening infections,
11 if infectious disease screening is flawed or emerging,
12 unknown diseases evolve unchecked over time."

13 SPEAKER: Have we answered yet the question
14 whether or not there's a need for a master -- I mean,
15 we're saying there's a need, but is there a need for a
16 master strategy?

17 DR. BRACEY: Well, here they're just asking, is
18 there evidence, scientific evidence.

19 SPEAKER: Okay.

20 DR. BRACEY: So we're sort of skirting the
21 issue.

22 SPEAKER: Okay, I mean, if you want to put that

1 in.

2 DR. BRACEY: And then in the second piece, "Non-
3 infectious hazards with potential for implant/transplant
4 failure through host-rejection or graft failure, due to
5 faulty preparation processing or testing are also
6 important factors in this patient population."

7 SPEAKER: What about the errors?

8 DR. BRACEY: We've got it -- oh yeah, well, we
9 will say faulty -- yeah, so you want to include "errors"?
10 What do you think?

11 DR. SOLOMON: I think we should delete that part
12 due to, because until we -- there could be other factors
13 that they're due to, not just these. It could be due to
14 something in the recipient for instance.

15 DR. BRACEY: So you're saying just -- just say
16 that "Non-infectious hazards with potential for implant
17 failure" -- "implant/transplant failure through host
18 rejection or graft failure are also important factors,"
19 yeah. We could -- Dr. Sandler.

20 DR. SANDLER: Yeah, again to be responsive, if
21 we can go back to the first sentence and try and make that
22 first -- the first sentence in the answer to two, can we

1 roll up -- yeah. So they're asking us, "Is there
2 scientific evidence to support a need for master
3 strategy?" And to answer the question, it seems, while
4 scientific evidence is maturing, available infectious
5 diseases, transmission, error reports, substantiate the
6 need for a master strategy. Why introduce quality
7 improvement, I mean, he's asking if you want a master
8 strategy, you just tell him, "Yeah."

9 DR. BRACEY: Excellent, yeah, okay. Ms.
10 Benzinger.

11 MS. BENZINGER: Yes, I was -- wanted to make
12 that point too, but I wanted to put in there, "safety"
13 should be in there versus "quality improvement," that's
14 what your master strategy is here for, it's to improve the
15 safety.

16 SPEAKER: Master strategy for safety.

17 DR. BRACEY: Masters strategy for safety, yeah,
18 excellent, okay. So -- Dr. Pierce.

19 DR. PIERCE: I don't really know what
20 "Scientific evidence is still maturing," I don't know what
21 that means? You know, what does that mean?

22 DR. BRACEY: Well, I mean, we take it to mean

1 that the data are still coming, they're coming forth.

2 DR. PEIRCE: Well, so how many cases of
3 transmission of an infectious disease, because of a lack
4 of a system being in place do you need in order to have a
5 mature scientific strategy?

6 DR. BRACEY: True, good question. How about,
7 "While scientific evidence is --

8 SPEAKER: Still accruing?

9 DR. PIERCE: Well, it's not -- it's always going
10 to be accruing.

11 DR. BRACEY: Yeah.

12 DR. PIERCE: Scientific evidence is scientific
13 evidence.

14 DR. BRACEY: How about is limited, how about is
15 limited.

16 DR. PIERCE: We have New England Journal papers,
17 we have newspaper articles that have been supplied.

18 DR. BRACEY: Right.

19 DR. PIERCE: I think the scientific evidence is
20 out there that these organs can transmit diseases, and
21 there are a whole variety of reasons why that may be the
22 case. In some cases, there might be nothing that can be

1 done about that. In other cases, there are improvements
2 that can be made in the procurement and testing of these
3 organs and tissues that would have an effect.

4 DR. BRACEY: So if we said, "While scientific
5 evidence" --

6 MS. FINLEY: Is incomplete?

7 DR. BRACEY: -- "regarding infectious disease
8 transmission and error reports substantiates" -- that's --
9 okay, wait a minute, "While scientific evidence is
10 incomplete" --

11 MS. FINLEY: Is necessary?

12 SPEAKER: Seems complete enough to me.

13 DR. BRACEY: That's the question, that's the
14 question.

15 DR. LOPEZ-PLAZA: Are we talking about something
16 being incomplete or something evolving to the point that
17 as we know more, we're adapting what we do.

18 DR. BRACEY: Well, the question is how much
19 evidence do you need to take action, I mean, that seems to
20 be what the question --

21 MS. FINLEY: Could we just --

22 SPEAKER: If you have somebody that marks down

1 HIV -ve, when they should have marked down HIV +ve, how
2 much more scientific evidence do you need to say that
3 there's something wrong with the system?

4 DR. BRACEY: Okay, how about this? "While
5 scientific evidence is limited, available infectious
6 disease transmission and error reports substantiate the
7 need for master strategy for safety." We just say that
8 it's limited, and that --

9 SPEAKER: I would say surveillance evidence is
10 limited because we don't have all the surveillance systems
11 in place.

12 DR. BRACEY: Okay.

13 SPEAKER: We know that infectious diseases can
14 be transmitted via organs and tissues.

15 DR. BRACEY: Okay, so "While surveillance
16 evidence is limited" --

17 SPEAKER: Because we don't have surveillance
18 systems.

19 DR. BRACEY: -- "available infectious disease
20 transmission and error reports substantiate the need for a
21 master strategy for safety. Is that acceptable to the
22 group?"

1 MS. FINLEY: Yes, it's very acceptable.

2 DR. BRACEY: "Noting that the benefit risk
3 profile differs for transfusion, tissue, and transplant
4 recipients, all patients treated with these modalities
5 have potential for acquiring life threatening infections
6 if infectious disease screening is flawed or emerging
7 unknown diseases evolve"--

8 SPEAKER: Or error --

9 MS. FINLEY: Or if you get -- if you have
10 errors, and just get rid of that last paragraph.

11 DR. BRACEY: Cut it --

12 MS. FINLEY: I would just -- if you -- what
13 that's basically saying is --

14 DR. BRACEY: Yeah, yeah, right, right.

15 MS. FINLEY: -- is the errors are --

16 DR. BRACEY: Okay, good point, so scratch that
17 last paragraph.

18 SPEAKER: Mr. Chairman.

19 DR. BRACEY: Oh, yes.

20 DR. SANDLER: Can I make an edit on the first
21 sentence, "While surveillance evidence is limited, reports
22 of infectious disease transmission and errors

1 substantiate" --

2 DR. BRACEY: Very good, reports of infectious
3 disease transmission and error --

4 DR. SANDLER: -- and errors substantiate.
5 Reports of transmission and errors is the operative
6 thought.

7 DR. BRACEY: Right, right. Okay, it looks like
8 a good statement created with some words missing. Is the
9 committee ready to vote on this statement now?

10 MS. FINLEY: Yes.

11 SPEAKER: I move.

12 DR. BRACEY: Second?

13 SPEAKER: Second.

14 DR. BRACEY: All in favor?

15 SPEAKER: Aye.

16 DR. BRACEY: Aye, it passes. Okay, now lets get
17 to the meat, let's get to the meat of the topic here, and
18 that is number three. Okay, "What should the
19 scope(rubric) of the" -- "What should be the scope(rubric)
20 of a master strategy?" So here we have, "Recipient
21 outcome surveillance (Biovigilance System). (a) Identify
22 all donors with common identification numbers, linked to

1 biological products that are uniquely identified. (b)
2 Compelled data reporting through appropriate mechanism,
3 i.e., regulation requirement, license requirement, joint
4 commission," well, there's some alphabets in here. We
5 could do an e.g. But the question is, do you feel -- the
6 idea is that it wouldn't be mandatory but it would be
7 compelled. Ms. Finley?

8 MS. FINLEY: I would just add on (b) from -- to
9 the public health authority and to patient recipients.

10 DR. BRACEY: Okay, so to the public health
11 authority --

12 MS. FINLEY: And to patient recipients.

13 DR. BRACEY: -- and patient recipients, do we
14 need to specify CDC?

15 MS. FINLEY: I -- no, I don't think we do,
16 because I think it varies, and sometimes it's up to --
17 yeah.

18 SPEAKER: And to patients --

19 MS. FINLEY: And to donor recipients.

20 SPEAKER: And I'm not sure it should be data
21 reporting, I don't -- I think data is a little bit broad.

22 DR. BRACEY: Just say, "Compelled reporting."

1 SPEAKER: Adverse outcomes.

2 SPEAKER: Adverse event reporting or adverse
3 outcome reporting.

4 DR. BRACEY: Okay, it's "Compel --

5 SPEAKER: And that would have --

6 DR. BRACEY: -- adverse event reporting.

7 MS. FINLEY: Does that include errors?

8 SPEAKER: Yes.

9 DR. BRACEY: So, "Compel adverse event reporting
10 through appropriate mechanism" -- that doesn't.

11 SPEAKER: And I thought I heard -- may be I was
12 reading into what you were saying Henry, but I guess what
13 I thought was you were saying was to jump from right that
14 mechanism to the public health authority, is that --

15 MS. FINLEY: You know, if -- yeah, I don't have
16 a problem with -- I mean, that's wasn't what I was
17 suggesting but may be taking some of the words out would
18 enable us to --

19 DR. BRACEY: Yeah, focus more.

20 MS. FINLEY: -- get rid of that alphabets, so --

21 DR. BRACEY: Right.

22 MS. FINLEY: And I want to be careful here that

1 we're not compelling the department to do too much. You
2 know, we want to give more, put more flesh on the bones
3 here, but ultimately, that's the agency's responsibility.

4 DR. BRACEY: Right.

5 SPEAKER: I think what I'm hearing from a lot of
6 individuals is that may be there might be years before
7 rule-making could take place. And I think what I heard
8 from the committee and the discussions today were that
9 there were standards and accreditations that could be the
10 steps.

11 DR. BRACEY: Right, so if we say, "Compel
12 adverse event reporting through the appropriate mechanism
13 from hospital based" -- we don't need a capital on that,
14 based safety personnel, I don't know if we need to -- do
15 we need to specify bio-safety?

16 MS. FINLEY: I don't think so.

17 MS. LOPEZ-PLAZA: I have a comment on that. I
18 mean, I -- and this is personal. In the hospital, like in
19 the blood bank, we are kind of the persons responsible for
20 a lot of these things, that we're held accountable for,
21 but still -- so I mean, but there's not -- is the safety
22 office just ours, like from the blood point of view. So I

1 think that there should be some hospital based reporting
2 mechanism or surveillance mechanism that then reports to
3 the biovigilance team, and I don't -- I would not put
4 anyone specific in my -- I don't think it's for safety
5 personnel, because I'm not a safety personnel, but I'm
6 responsible to what I release from the -- from my site.

7 DR. BRACEY: So you're saying from a hospital
8 based safety system?

9 MS. LOPEZ-PLAZA: No, from the hospital based
10 surveillance system. (inaudible) been reporting, the
11 thing is that it could be different people, not a safety
12 officer.

13 DR. BRACEY: Okay.

14 MS. FINLEY: What about responsible -- hospital
15 based responsible personnel or --

16 DR. BRACEY: From --

17 SPEAKER: Let me make it even simpler, why don't
18 you take out the front, "Compel adverse event reporting to
19 the public health authority and to donor recipients."

20 SPEAKER: That's it, yeah, that's -- yeah,
21 because we're being very prescriptive by saying hospital
22 based.

1 SPEAKER: Right, I mean --

2 DR. SOLOMON: Haven't you left out the tissue
3 bank, eye bank and blood center there.

4 SPEAKER: That's why take in that -- the
5 hospital.

6 SPEAKER: To the public health authority --
7 well, if we report it to the public health authority and
8 the system works in the public health authority, would
9 notify the tissue bank.

10 DR. SOLOMON: No, then you've lost time. You're
11 still missing a link, I think, remember that depending on
12 what you're doing you have the recipient, you have the
13 physician that is taking care of the recipient, you have
14 the person that distributes their whatever in the hospital
15 --

16 SPEAKER: Okay,

17 DR. SOLOMON: And then you have the agency that
18 provided that to the hospital.

19 DR. BRACEY: Okay, so what if you say, "Compel
20 adverse event reporting through appropriate mechanism to
21 include tissue bank, public health authority, donor,
22 physicians. And that way you get all the people that you

1 think are important.

2 DR. LOPEZ-PLAZA: We are still missing the
3 initial part, I mean, again, the biggest problem is, you
4 know, coming from the recipient of, and I think that you
5 have to specify that -- I prepared mechanism that will
6 include from -- from collection to distribution to, you
7 know, till the end, I don't know, I mean, you have to
8 address that because that's where you're going to have a
9 lot of problems with compliance.

10 MS. FINLEY: And should we compel that
11 centralized mechanism in the facility too, because that's
12 -- its' -- you know, we know in blood who does it, who
13 does the reporting, we don't know in the hospital or any
14 facility, so --

15 DR. BRACEY: Okay.

16 DR. LOPEZ-PLAZA: And you may have hospitals
17 that decide to keep the differencing separated but still
18 have a central reporting system, and with two or three
19 different accountable persons.

20 DR. BRACEY: What if -- instead of being so
21 prescriptive, you say, "Compel adversary report" --
22 "Compel adverse event reporting to ensure" --

1 MS. LOPEZ-PLAZA: From collection to donor
2 receipt.

3 SPEAKER: Yeah, yeah.

4 SPEAKER: Yes, exactly, that --

5 SPEAKER: From hospital to supplier.

6 SPEAKER: Right.

7 DR. BRACEY: So a process -- "Compel a process
8 of adverse event reporting from the facility to -- from
9 the healthcare facility to the vendor, Dr. Sandler.

10 DR. SANDLER: I was thinking that this is an
11 opportunity to specify that we want to compel a process of
12 reporting adverse events for tissue, organ, and blood
13 collection and therapy at all stages to the agency. I
14 mean, do we want to be specific and make sure that we're
15 covering the waterfront so to speak.

16 MS. FINLEY: We might also want to include the
17 word "processing" in there.

18 DR. SANDLER: It wasn't cellular therapy. I
19 think we've got three things. I think we've got tissue,
20 organs, and blood, if I'm not mistaken. Those are the
21 three things we're addressing, is that correct?

22 DR. BRACEY: Yes, those are the three --

1 DR. SANDLER: Yeah, and I'd say therapy.

2 DR. BRACEY: Comment, Dr. Whitaker, sorry.

3 DR. WHITAKER: I'm not a lawyer but -- I'm not a
4 lawyer but I think that if every adverse event gets
5 reported to the donor recipient, or to the recipient of
6 the tissue, organ, or blood therapy, the hospitals are
7 going to have issue with that.

8 DR. SANDLER: I'm the hospital representative
9 and the word "compel a process," satisfies my need to have
10 some editing thing. In other words, it doesn't say compel
11 adverse reporting, it says compel a process, and by saying
12 process, I think that that makes it possible to flush it
13 out so it's not an annoyance.

14 SPEAKER: Or you could put as appropriate at the
15 end.

16 SPEAKER: Or as appropriate.

17 SPEAKER: Okay.

18 SPEAKER: As appropriate.

19 DR. SOLOMON: I think we should include cells,
20 the reproductive --

21 DR. BRACEY: Okay, so -- okay, we have a motion
22 for cells.

1 SPEAKER: So moved.

2 DR. SOLOMON: And the thing is why wouldn't you
3 want some investigation before you go and tell the
4 recipient?

5 SPEAKER: Well, no, we're having a process.

6 MS. FINLEY: It's a process, we're not saying
7 there's no investigation.

8 SPEAKER: No saying we're just going to go and
9 report, we're going to have a process.

10 SPEAKER: Just like there is with blood.

11 DR. BRACEY: So compel a process of adverse
12 event reporting for tissue, organ, cellular and blood
13 therapy through appropriate mechanisms to designated
14 public health authority or authorities?

15 SPEAKER: -- ties.

16 DR. BRACEY: -- authorities, and to donor
17 recipients as appropriate. Yes.

18 SPEAKER: (Off mic).

19 DR. BRACEY: Okay.

20 SPEAKER: Do we need donor? Take it out? And
21 then why is the eye separate from organ?

22 DR. BRACEY: That was -- is the eye truly

1 separate from other organs?

2 SPEAKER: No, move to the mic please..

3 SPEAKER: (Off mic).

4 DR. BRACEY: Well if we -- what we could do, we
5 could basically define -- make a definition, include eye
6 under the definition of organs.

7 SPEAKER: No, eye tissue is not organ, it's a
8 tissue.

9 SPEAKER: Well, it's a tissue -- so it's
10 included under tissue then.

11 SPEAKER: By definition, we're dealing with
12 tissues and organs.

13 SPEAKER: Yeah, true, true, with yeah, quite
14 right, yeah. so let's leave it like that, tissues and
15 organs, because that's what we've been given the authority
16 to address, (inaudible).

17 SPEAKER: I'd like to have a clarified what --
18 the adding of "as appropriate to the recipients." Because
19 there you're walking a line of, you can interpret that
20 anyway you like. It's not appropriate to scare them
21 because -- oh, because this other person who received a
22 kidney came down with CJD.

1 DR. BRACEY: Right, but what we're saying --

2 SPEAKER: We don't want to alarm a patient, so
3 that's what I'm saying, as appropriate is too big of a
4 loophole for me.

5 DR. BRACEY: When we do adverse event reporting,
6 we don't report only events that are associated with
7 injury, we report all deviations. Some deviations are so
8 minor, yes, they can lead to multiple deviations into a
9 final event, but there's no reason to report the most
10 minor deviation to the recipient.

11 SPEAKER: But to Anne Marie's point then though,
12 and then isn't that part of the process. We've said on
13 various other things, we don't have to get into that
14 levels as of --

15 SPEAKER: Yeah, we don't need "as appropriate"
16 because we're compelling a process.

17 SPEAKER: That's right, and the process will
18 take care of appropriateness.

19 SPEAKER: Responsibility is the agency's too.

20 SPEAKER: But Dr. Bracey, I think that one of
21 the things that we may have overlooked here, is that I
22 think we got carried away with tissues, and we think that

1 maybe the donor has already deceased, and I think that
2 we're trying to capture all of this, and I think that
3 we've just gone to the recipient, we haven't gone to the
4 donor side. You know, may be something might be evident
5 through the surveillance process that is needed to be
6 communicated to the donor.

7 MS. FINLEY: Well, actually that's a good point,
8 because if the donor has an infectious disease and they
9 have a family member with whom they've been intimate, then
10 that might be important. So I would say, and to
11 recipients and donors.

12 DR. BRACEY: That's a good point, yeah,
13 recipients and donors, because it could also be a living
14 donor.

15 MS. FINLEY: Yeah, that's -- yeah, you're very
16 right.

17 DR. BRACEY: Okay. Can we go back up to the
18 beginning -- not, not -- of three. "So what should be the
19 scope(rubric) of the master strategy? One, recipient
20 outcome surveillance, identify all donors through common
21 identifiers, compel a process of that adverse event
22 reporting for tissue, organ, and blood therapy through

1 appropriate mechanisms to designated public health
2 authority to recipients and donors; (c) trace all biologic
3 products to the clinical user and recipient; (d) recognize
4 transmissible events resulting in adverse outcome
5 including, infectious agents, malignancy, toxins; (e)
6 build communication network to share data from users and
7 to disseminate data to users. That sounds --

8 DR. BRACEY: Yeah, that's redundant, isn't it?

9 SPEAKER: Just why not drop that "Share data."

10 DR. BRACEY: Yeah, let's drop "Share data."

11 SPEAKER: (inaudible) data. I would --

12 DR. BRACEY: To disseminate data, to use it.

13 SPEAKER: Okay.

14 SPEAKER: Can we go back up a little bit?

15 DR. BRACEY: Okay, go back up a little bit.

16 SPEAKER: And higher -- on (c) can we go -- can
17 we again use "recipient and donor," trace all, because the
18 trace has to be backwards and forwards.

19 DR. BRACEY: Yeah, that's a good point.

20 MS. FINLEY: Okay.

21 DR. BRACEY: Build communication network to --
22 okay, so, trace all biologic products, clinical user, and

1 -- to the clinical user, recipient, and donor.

2 SPEAKER: Okay.

3 MS. FINLEY: Under (d), you just want to
4 increase outcome to outcomes to be consistent with the --

5 DR. BRACEY: Yeah, right. So you can scratch
6 that and under (c), in other words "Clinical user,
7 recipient, and donor," what -- you get rid of one of the -
8 - that's first hand. Okay. Moving down to (f), "Allow
9 efficient" --

10 SPEAKER: I'm sorry, can you -- when you do (f),
11 can you still have (b) up there? Or (c), I'm sorry, (c).

12 DR. BRACEY: "Trace all biologic products to the
13 clinical user, recipient and donor."

14 SPEAKER: Yeah, what's the difference between
15 that and "Allow efficient trace forward and trace back"?

16 SPEAKER: I think it's the same.

17 DR. BRACEY: That's the same thing.

18 SPEAKER: And then on "(g) given large gaps at
19 the user level, we need healthcare based programs to
20 coordinate adverse event recording," isn't that (a) or
21 (b), (b).

22 MS. FINLEY: Yeah, I think we can do without

1 those two.

2 DR. BRACEY: Okay.

3 SPEAKER: I do like the -- looking at the word
4 "efficient" though, I think we do need to address and --
5 up, I guess, to go up, tracing that it needs to be a
6 timely tracing, you know, it's not --

7 DR. BRACEY: Okay.

8 SPEAKER: Yeah, I like that.

9 DR. BRACEY: Efficient.

10 SPEAKER: Could we specifically state "timely"
11 because that has been a varied source effect on the
12 Hepatitis C issue --

13 DR. BRACEY: Efficient and timely

14 SPEAKER: Yes.

15 SPEAKER: Timely and --

16 SPEAKER: Timely and efficiently trace.

17 DR. BRACEY: Okay. So then we move down to the
18 next level. Well, okay -- okay, "There is a need for new
19 approaches to emerging infectious disease monitoring,
20 including informatic tools and evidence-based research."

21 SPEAKER: Well, but that doesn't answer the
22 question.

1 DR. BRACEY: That doesn't answer the question.

2 SPEAKER: I think that we started at one, saying
3 "Recipient outcome surveillance," and I think what we
4 really want to do is --

5 DR. BRACEY: Would we develop informatic tools -
6 -

7 SPEAKER: I think that might be better.

8 DR. BRACEY: -- to aid surveillance.

9 SPEAKER: Would informatic include --

10 SPEAKER: Yeah, but may be start the sentence
11 there, there is a need --

12 DR. BRACEY: Yeah, start the sentence, yeah, so
13 you go back -- delete all the way to "develop."

14 SPEAKER: Do what?

15 DR. BRACEY: Just delete all the way to develop.

16 SPEAKER: No, the other way.

17 SPEAKER: Delete that or delete from there?

18 SPEAKER: No, no.

19 SPEAKER: No, before "develop."

20 DR. BRACEY: Okay, delete. So "develop
21 informatic tools and evidence based research to" -- what's
22 the wording, to aid surveillance or --

1 SPEAKER: To support.

2 SPEAKER: To support.

3 SPEAKER: To support surveillance and evidence
4 based research.

5 SPEAKER: Yes

6 SPEAKER: All right.

7 SPEAKER: Well, support surveillance process
8 improvement too.

9 SPEAKER: Say that again.

10 SPEAKER: And research, I don't even know if you
11 need the words "evidence based."

12 SPEAKER: It's --

13 DR. BRACEY: That's kind of a good buzz word.

14 SPEAKER: -- buzz word now-a-days.

15 SPEAKER: Well, I know, but the issue here is
16 that we don't have a lot of evidence on a lot of these
17 emerging pathogens, so --

18 SPEAKER: But I would -- I'd like to say, I
19 would like to throw out to the committee that may be to
20 support surveillance, process improvement and evidence
21 based research.

22 SPEAKER: Yeah, it's good point. Okay, all

1 right, so o -- should it be to or develop. Just develop
2 informatics, okay.

3 SPEAKER: Right.

4 Then number three.

5 SPEAKER: (inaudible) include other strategic
6 plan elements such as --

7 SPEAKER: Right.

8 DR. BRACEY: Include --

9 SPEAKER: You rather like lower case P there,
10 including other strategic plan elements such as --

11 SPEAKER: And is that where we get into risk-
12 benefit.?

13 DR. BRACEY: You're right, we need to get into
14 there.

15 SPEAKER: Okay, I don't know that we need the
16 words, which can be developed at subsequent meetings.

17 It's really the department's call there.

18 SPEAKER: Yeah.

19 SPEAKER: Commonality.

20 DR. BRACEY: Now, we need risk benefits. That's
21 -- as in number four.

22 SPEAKER: It's up to you guys.

1 DR. BRACEY: Let's go back, let's go back.

2 SPEAKER: I mean, because I think the areas of
3 commonality.

4 SPEAKER: That's risk-benefit is common to all
5 of them.

6 SPEAKER: That has to be considered in
7 commonality.

8 DR. BRACEY: Can we go to the top of three then.
9 So we're talking about the scope -- "Scope of recipient
10 outcome surveillance: Identify all donors using common
11 identification numbers linked to biological products that
12 are uniquely identified to compel a process of adverse
13 event reporting for tissue, organ, and blood therapy
14 through appropriate mechanisms to designated public health
15 authorities and to recipients and donors; timely and
16 efficiently trace all biologic products to the clinical
17 user, recipient, and donor; recognize transmissible events
18 resulting in adverse outcomes including the three sub-
19 bullets - build communication network to disseminate data
20 to users. Two, develop informatic tools to support
21 surveillance process improvement and evidence based
22 research. Three, include other strategic plan

1 developments such as donor recruitment, donor screening,
2 research coordination, emergency preparedness," and then
3 we still have an adverse --

4 SPEAKER: Well, I guess my question is wouldn't
5 it be the first thing that as part of the strategy, the
6 risk benefit analysis is -- conducted risk-benefit
7 analysis on various areas, and then -- because that
8 overrides, does it not.

9 DR. BRACEY: Yeah, okay.

10 MS. FINLEY: Well, except we don't have evidence
11 for a lot of the risks here, and what I want to stay away
12 from is the same kind of risk benefits, because we didn't
13 have the information that led us to this committee and
14 where we were in the '90s. So there's a great deal of
15 sensitivity over that concept in this community. So I
16 think may be you could flush that out a little bit, so
17 that we are clear about what you're referring to,
18 development of evidence in this area.

19 SPEAKER: May be go back to one and say, add to
20 one saying, "enough evidence to support," where we say --
21 where is it?

22 SPEAKER: Go to number one or --

1 SPEAKER: I would do that as a --

2 SPEAKER: Okay, never mind.

3 DR. BRACEY: Well, I mean, really, this is the
4 scope of what the plan is.

5 MS. FINLEY: I think it looks good now.

6 DR. BRACEY: I mean, I don't think we're missing
7 anything.

8 SPEAKER: Looking at kind of risk benefit
9 analysis, because as you --

10 MS. FINLEY: Exactly, but it's the way that its
11 worded, that -- historically, that has some concerns. I
12 beg your pardon.

13 DR. BRACEY: Right, but we left it unsaid.

14 SPEAKER: We didn't -- we didn't put it in
15 there.

16 DR. BRACEY: We didn't put it in.

17 MS. FINLEY: We didn't put mandatory in?

18 SPEAKER: So should we put it under 1(b), compel
19 process of mandatory events.

20 MS. FINLEY: Yeah, I'd like that. Mandatory
21 adverse event.

22 DR. BRACEY: Well, what we were thinking of is

1 we didn't have -- really have any enforcement capability.

2 MS. FINLEY: Well, except that its really the
3 department's call as to whether they want to do that. I
4 mean, there are ways that they can compel --

5 SPEAKER: You mentioned through joint
6 commission, but you're right, that's not in our scope to
7 propose that I guess, or do you want to propose.

8 SPEAKER: Can we propose it, I mean --

9 SPEAKER: We could propose it, yes?

10 SPEAKER: I mean, it can be proposed to be
11 examined, I mean, that's what we're doing.

12 MS. FINLEY: Right, that's my point.

13 SPEAKER: We -- we have -- I mean, not trying to
14 minimize, but we're making proposal to be examined.

15 SPEAKER: But remember that the point we have in
16 here is that you're making something mandatory, you have
17 to have the capability of investigating each one of them.
18 And I think right now it's more important to capture the
19 things than to worry about making mandatory.

20 MS. FINLEY: Well, I think the concept of
21 mandatory versus voluntary is a concept that we should --
22 we've been asked to comment on. It's not our

1 responsibility to find a way to enforce this. That's Dr.
2 Agwunobi's.

3 Ours is to make recommendations only. He's
4 asked us to comment on this; the issue of mandatory versus
5 voluntary is on the table. If we want to be silent about
6 it, that's fine, but we need to make --

7 SPEAKER: Right.

8 SPEAKER: -- a decision.

9 SPEAKER: Now, what is the Committee's sense in
10 terms of a "mandatory," versus a "voluntary," --

11 SPEAKER: I think I'd like it to see it say.
12 "Recommend a process of mandatory, adverse event-
13 reporting."

14 SPEAKER: I think that's fine.

15 DR. BRACEY: Are there any "nays"? Motion?

16 SPEAKER: Motion.

17 DR. BRACEY: Second?

18 SPEAKER: Second.

19 DR. BRACEY: All in favor?

20 SPEAKERS: Aye.

21 DR. BRACEY: So, Okay, all right. So to compel
22 a process of mandatory --

1 SPEAKER: Recommend --

2 SPEAKER: Recommend a process.

3 DR. LOPEZ-PLAZA: Of "Mandatory adverse event
4 reporting," to the Department --

5 SPEAKER: Yeah.

6 SPEAKER: Okay.

7 DR. LOPEZ-PLAZA: Or "Mandatory process for --

8 SPEAKER: There you go, of course.

9 DR. LOPEZ-PLAZA: "Recommend a process for --

10 SPEAKER: -- process for.

11 SPEAKER: "4."

12 SPEAKER: All right.

13 DR. LOPEZ-PLAZA: It would be "Recommend a
14 mandatory process for adverse event reporting."

15 SPEAKER: Okay.

16 SPEAKER: Excuse me, do you want the Assistant
17 Secretary to -- are you asking the Assistant Secretary to
18 recommend a process, or are you asking the Secretary to
19 create or establish a process?

20 SPEAKER: Yeah, that's a good point.

21 SPEAKER: I think that's to recommend the above.

22 SPEAKER: The "recommend" --

1 SPEAKER: Are we recommending this task.
2 SPEAKER: We're recommending it.
3 SPEAKER: Right.
4 SPEAKER: My amendment was for "Mandatory
5 Adverse Event Reporting," not a "Mandatory Process."
6 SPEAKER: That's right.
7 SPEAKER: "Recommend a process for mandatory
8 adverse" -- that's what we voted on.
9 SPEAKER: Recommend a process, okay.
10 SPEAKER: (inaudible) okay.
11 SPEAKER: No, but the issues we recommend --
12 SPEAKER: Yeah, this is the master strategy,
13 right -- you don't, we're all --
14 SPEAKER: Everything here is a recommendation.
15 DR. BRACEY: Yeah, just start with, "A process."
16 SPEAKER: A process.
17 SPEAKER: No.
18 SPEAKER: No, stop it.
19 (Laughter)
20 SPEAKER: We'll be here all night.
21 DR. BRACEY: Okay, let's -- can we go back to
22 the top then? Okay. So what should the scope be? "The

1 scope would be to identify this then a process for
2 mandatory adverse," yeah, "event reporting of tissue, for
3 tissue, organs, and blood therapy through appropriate
4 mechanisms to designated public health authorities, and to
5 recipients and donors."

6 SPEAKER: Yeah.

7 SPEAKER: Not "meriting," and donors?

8 SPEAKER: Ms. Benzinger.

9 MS. BENZINGER: I would suggest that you also
10 included down here at the bottom of it, an education of
11 your -- that was one thing that we had back here, was,
12 getting the surgeons and everybody on the same track --

13 SPEAKER: Okay.

14 MS. BENZINGER: So if you're going to -- is an
15 "education" a continuing education process?

16 SPEAKER: Isn't that part of "E" --

17 SPEAKER: Wouldn't that be a part of --

18 SPEAKER: -- "Communication"?

19 SPEAKER: Yeah, actually in a broader sense,
20 yeah, because communication would incorporate education.
21 Could.

22 MS. BENZINGER: Could, but doesn't. If we're

1 going to, if we were looking for a master strategy, that
2 strategy should include that the hospital personnel know
3 that there is a mandatory continued education for these
4 people to keep them up-to-date on what is required.

5 SPEAKER: Actually for most Federal agencies
6 within the Department of HHS, if they issue a regulation
7 or anything that becomes mandatory, they are almost always
8 going to implement an educational guidance and process
9 with that.

10 SPEAKER: So why don't we say, instead of,
11 "Mandatory," "implement a continuing education process."?

12 SPEAKER: If I could just make a suggestion --
13 if we went up to "E" and just put, "Build communication
14 and education." If you want to say "continuing
15 education," that's fine, but that is the, that is one of
16 the roles of some of the organizations that testified --

17 SPEAKER: Yeah, okay.

18 SPEAKER: -- and that's what they're really very
19 good at.

20 SPEAKER: So it will be "communication and
21 education."

22 SPEAKER: On number?

1 SPEAKER: That's "E."
2 SPEAKER: Under "E."
3 SPEAKER: No, on top.
4 SPEAKER: 1-E.
5 SPEAKER: 1-E.
6 SPEAKER: What's this, "Build communication --
7 SPEAKER: Top of the screen --
8 SPEAKER: "Network and educational."
9 SPEAKER: "Communication and education network."
10 SPEAKER: Do you want to say, "support," since
11 we recognize that they're already there, next to that
12 "build"?
13 SPEAKER: Well, we don't think there's a
14 communication network there.
15 SPEAKER: Yeah. It was just --
16 SPEAKER: And if you want to use, "and support
17 an educational network," that's fine with me.
18 SPEAKER: Okay.
19 SPEAKER: I'm still concerned about the
20 "mandatory process," because something happened when we
21 wrote the words and I had to run out the --
22 SPEAKER: You want to go back?

1 SPEAKER: Yes, please.

2 SPEAKER: Can we go back? Okay.

3 SPEAKER: I thought we were trying to have
4 "mandatory reporting of an adverse event process," okay.
5 In other words, the process is within the facility. And
6 such as Dr. Sandler said, if you tell me the process, then
7 it gives me the opportunity to edit what I don't need to
8 say. I think we've changed the meaning here.

9 SPEAKER: Okay. In what sense, I mean we want
10 "adverse event reporting," all the way down to the "donors
11 of appropriate," so --

12 SPEAKER: I think we want a mandatory process, a
13 mandatory reporting of a continuing education process.

14 SPEAKER: If I could just make a suggestion. If
15 we went up to E and just put "build communication and
16 education," if you want to say "continuing education,"
17 that's fine. But that is one of the roles of some of the
18 organizations that testified.

19 SPEAKER: Yeah, okay.

20 SPEAKER: And that's what they're really very
21 good at. So --

22 SPEAKER: So it would be "communication and

1 education".

2 SPEAKER: I don't know what --

3 SPEAKER: That's E.

4 SPEAKER: Under E.

5 SPEAKER: E. No, on the top.

6 SPEAKER: 1E.

7 SPEAKER: 1E.

8 SPEAKER: Where it says "build communication" --

9 SPEAKER: Top of the screen.

10 SPEAKER: Oh.

11 SPEAKER: "Network and educational" --

12 SPEAKER: "Communication and education network".

13 SPEAKER: Do we want to say "support" since we

14 recognize that they're already there, instead of "build"?

15 SPEAKER: Well, we don't think there is a

16 communication network there.

17 SPEAKER: Yeah, it would --

18 SPEAKER: I mean if you want to use "and support

19 and education network," I don't -- that's fine with me.

20 SPEAKER: Okay, I'm still concerned about the

21 "mandatory process" because something happened when we

22 wrote the words. And I had to run out the --

1 SPEAKER: Do you want to go back?

2 SPEAKER: Yes, please.

3 SPEAKER: Can we go back? Okay.

4 SPEAKER: I thought we were trying to have
5 mandatory reporting of an adverse event process. Okay, in
6 other words, the process is within the facility and --
7 such as, Dr. Sandler said, "If you tell me the process,
8 then it gives me the opportunity to edit what I don't need
9 to say." I think we've changed the meaning here.

10 SPEAKER: Okay, in what sense? I mean we want
11 adverse event reporting all the way down to the donors, if
12 appropriate. So --

13 SPEAKER: I think we want a mandatory process, a
14 mandatory reporting of a -- help me out here.

15 SPEAKER: You're saying a mandatory adverse event
16 reporting process?

17 SPEAKER: I tell you --

18 SPEAKER: System.

19 SPEAKER: -- why don't you start with "mandatory"
20 and that's the end of it. Mandatory adverse reporting --
21 event reporting for tissue and, you know, I --

22 SPEAKER: Yeah, right. Yeah, right.

1 SPEAKER: So if you just start it --
2 SPEAKER: -- process to do it. I mean --
3 SPEAKER: Add "mandatory adverse event
4 reporting," would that solve it?
5 SPEAKER: Say that again.
6 SPEAKER: Just start -- write where it is right
7 now.
8 SPEAKER: "Mandatory," yeah, right there.
9 SPEAKER: Yeah.
10 SPEAKER: Okay.
11 SPEAKER: So that's "mandatory adverse event
12 reporting for tissue, organ, and blood" --
13 SPEAKER: And then you'll develop your own
14 process to be able to comply with that.
15 SPEAKER: There still has to be a process there.
16 I mean I don't -- I think that what you're trying --
17 you're doing there is that any -- we're going to have to
18 scope our --
19 SPEAKER: "Process".
20 SPEAKER: -- adverse event.
21 SPEAKER: Thank you. You read my mind.
22 SPEAKER: Okay, so "mandatory events reporting

1 process" --

2 SPEAKER: Fine.

3 SPEAKER: -- does that -- okay.

4 SPEAKER: Okay.

5 SPEAKER: All right.

6 SPEAKER: Something was rich.

7 SPEAKER: Okay.

8 SPEAKER: That --

9 SPEAKER: All right, let's take it from the top

10 then.

11 SPEAKER: Again?

12 SPEAKER: No, so --

13 (Laughter)

14 SPEAKER: -- outcome surveillance. We have the

15 identification piece. We have the mandatory adverse event

16 reporting, timely and efficient tracing. Next.

17 SPEAKER: Hold on.

18 SPEAKER: Yeah, we're settled on "recognized

19 transmissible," and then, "E, build communication

20 networks, develop informatic tools, include other

21 strategic plans". Yeah.

22 SPEAKER: And number 3. You said "include other

1 strategic plans as elements as needed, such as" because
2 you're going to be able to add or subtract from that. I
3 mean right now you're identifying those four areas, but
4 there might be other areas that you want too.

5 SPEAKER: That's a good point. Okay, all right.
6 Is --

7 SPEAKER: I move to approve.

8 SPEAKER: Is there a motion?

9 SPEAKER: Yeah.

10 SPEAKER: There's a motion. A second? Okay, all
11 in favor?

12 SPEAKERS: Aye.

13 SPEAKER: Against? All right, it passes. Let's
14 go on now to the next level.

15 SPEAKER: Hold that thought.

16 SPEAKER: The next question is what are the, oh,
17 yeah, areas of commonality with blood products,
18 progenitive cells, bone marrow, tissue, and organs. This
19 was pretty much something that was fairly non-
20 controversial.

21 SPEAKER: Motion to accept.

22 SPEAKER: Second?

1 SPEAKER: Second.

2 SPEAKER: Yes.

3 SPEAKER: I also -- this is where I just say
4 we've answered the question and I agree with that and will
5 support that. But I also think the unstated is what also
6 needs to be evaluated are what the differences are.

7 SPEAKER: Good point. Here --

8 SPEAKER: I know that's the question and we can -
9 -

10 SPEAKER: So here's to what risk-benefit
11 analysis, you know --

12 SPEAKER: Okay.

13 SPEAKER: Well, I'm not sure. I just --

14 SPEAKER: -- that term, okay.

15 SPEAKER: Can't change the question.

16 SPEAKER: No, you can't change the question.

17 SPEAKER: Sorry.

18 SPEAKER: No. What I'm just saying is at the end
19 --

20 SPEAKER: No, I think you can change the
21 question. You can add that -- those two words.

22 SPEAKER: Well --

1 SPEAKER: Well, but I don't think we have time or
2 we've heard about the commonality.

3 SPEAKER: So then as you go down --

4 SPEAKER: And then really at the end it's -- but
5 we also need to evaluate --

6 SPEAKER: No, just make a statement at the end
7 that there are differences, recognize differences.

8 SPEAKER: -- in addition to these areas in
9 common, we also need to evaluate the differences.

10 SPEAKER: The differences, okay. That's fair.
11 So in addition to these commonalities, we need to evaluate
12 the differences, okay. Okay, all right, so moving --

13 SPEAKER: We need -- there is a need. The --

14 SPEAKER: Oh, yeah, comment from the floor.
15 Sorry.

16 SPEAKER: Allie (off mic) of AABB. What is 11J,
17 "surveillance of product quality"? What is that?

18 SPEAKER: It looks like (off mic).

19 SPEAKER: To assess whether or not there's good
20 manufacturing -- yeah, (inaudible).

21 SPEAKER: Practices.

22 SPEAKER: Practices.

1 SPEAKER: Practices.

2 SPEAKER: Practices?

3 SPEAKER: Yeah.

4 SPEAKER: GMP, sort of --

5 SPEAKER: GMP.

6 SPEAKER: Why can't you just say "GMP" versus

7 "surveillance of product quality"?

8 SPEAKER: Yeah, just put "GMP," right.

9 SPEAKER: And get rid of --

10 SPEAKER: No, get rid of "surveillance".

11 SPEAKER: Just "GMP".

12 SPEAKER: Just -- what is that?

13 SPEAKER: Good Manufacturing Practices.

14 SPEAKER: I think that was the question you had.

15 SPEAKER: Could we put "GMP/GTP"?

16 SPEAKER: Absolutely.

17 SPEAKER: Yeah, that's right.

18 SPEAKER: -- isn't there a different one for --

19 SPEAKER: Yeah, right.

20 SPEAKER: GTP?

21 SPEAKER: Right.

22 SPEAKER: Good Tissue --

1 SPEAKERS: Good Tissue Practices.

2 SPEAKER: Good Tissue Practices. All right, okay,
3 so --

4 SPEAKER: With that then I will -- I move that
5 this be approved.

6 SPEAKER: Is there a second?

7 SPEAKER: Second.

8 SPEAKER: All in favor?

9 SPEAKERS: Aye.

10 SPEAKER: Opposed? All right, it passes. Let's
11 move on to the next one then.

12 SPEAKER: Number 5, how best should this be done
13 with the stakeholders? How do we begin? So we talked about
14 "develop a forum for" -- you know, that's, you know, for
15 development. How about "develop a forum for evaluation of
16 common priorities"?

17 SPEAKER: Okay.

18 SPEAKER: "Deliberating"?

19 SPEAKER: Or "deliberating," yeah.

20 SPEAKER: May I suggest removing the "evidence-
21 based decision making"? We don't have evidence. We've
22 already said that in the first section.

1 SPEAKER: On the -- okay.

2 SPEAKER: And that is a term of some weight here.

3 SPEAKER: -- "Common priorities".

4 SPEAKER: "For deliberation of common priorities"?

5 SPEAKER: Priorities.

6 SPEAKER: So would --

7 SPEAKER: And just take out "using evidence-based

8 decision making". If we don't have the evidence, we can't

9 base decisions on it. And for a lot of these things, we

10 don't have it.

11 SPEAKER: And I guess -- that last sentence about

12 the overlap, is that necessary?

13 SPEAKER: No.

14 SPEAKER: Not necessary.

15 SPEAKER: I'd also change the word "recipient" to

16 "consumers". It's the more appropriate term.

17 SPEAKER: Okay, then scratch the last two

18 sentences.

19 SPEAKER: The --

20 SPEAKER: Oh, (inaudible).

21 SPEAKER: If we have HRSA, the comparable thing

22 would be FDA or at least CBER.

1 SPEAKER: Yeah.

2 SPEAKER: Oh, we were going to scratch the
3 reference to all of the specifics. Is that fair?

4 SPEAKER: Yeah.

5 SPEAKER: Do you want to say "considerable
6 regulatory overlap"?

7 SPEAKER: Well, the --

8 SPEAKER: Well, and this was -- before we answer
9 that, if I can say forums are great, but there's got to be
10 somebody who's ultimately responsible and takes charge.

11 SPEAKER: I'll do that.

12 SPEAKER: How about "HHS should convene a forum"?

13 SPEAKER: Oh.

14 SPEAKER: "HHS should convene a forum"?

15 SPEAKER: How about this? So "HHS should convene
16 a forum".

17 SPEAKER: -- want me to scratch that?

18 SPEAKER: Scratch that.

19 SPEAKER: You want those two sentences to --

20 SPEAKER: Yes.

21 SPEAKER: Yeah, go ahead.

22 SPEAKER: -- and then just put "HHS" at the top.

1 SPEAKER: Just take out "develop".

2 SPEAKER: Yeah, "HHS should convene a forum for
3 deliberating common priorities".

4 SPEAKER: "And streamlining regulatory overlap"?

5 SPEAKER: No, they're ultimately responsible. Let
6 them sort that out.

7 SPEAKER: And then we'll just have "stakeholders"
8 there.

9 SPEAKER: Okay --

10 SPEAKER: Yeah, Mr. DUBLIN.

11 MR. DUBLIN: Just a slight suggestion. I agree
12 (off mic) Finley switching to consumers. But we've always
13 used consumer/end users because there has been -- sometimes
14 consumers are seen as medical people. So if we say
15 consumer/end users --

16 SPEAKER: Yeah.

17 SPEAKER: -- you know, I was putting it in there
18 arm.

19 SPEAKER: If that's okay.

20 SPEAKER: That's okay with me. Committee?

21 SPEAKER: Good.

22 SPEAKER: That's fine.

1 SPEAKER: Okay, "end users".

2 SPEAKER: Thank you, Mr. Chairman.

3 SPEAKER: Actually, I think earlier in the
4 document we do say "recipients".

5 SPEAKER: Recipients and donors.

6 SPEAKER: Yeah.

7 SPEAKER: Okay, but --

8 SPEAKER: Our --

9 SPEAKER: Marie changed it to "consumers".

10 SPEAKER: I think consumers -- it's not just
11 recipients because there are consumers who are ultimately
12 going to receive this as recipients, but they are not going
13 to have received it at that point.

14 SPEAKER: That would also incorporate the
15 hospitals as well.

16 SPEAKER: Right, and hospitals' organ --

17 SPEAKER: And if you add "end users", you're
18 certainly getting who puts it in their arm.

19 SPEAKER: Right, okay.

20 SPEAKER: Okay.

21 SPEAKER: All right, "HHS should convene a forum
22 for" -- yeah, "for deliberating common priorities.

1 Stakeholders should include regulators, accrediting
2 agencies, manufacturers, clinicians, consumers, and end
3 users." Sound fair?

4 SPEAKER: Yeah.

5 SPEAKER: Now these efforts need to be public-
6 private partnerships with transparent collaboration and data
7 sharing. But the task of biovigilance is inherently a
8 public health mission and government-based origin. That
9 doesn't sound right. And structure of the system should
10 reflect that premise.

11 SPEAKER: I think those two senses are
12 diametrically opposed. It's mandating public-private
13 partnerships which I do not think is the responsibility and
14 authority of this committee. We can say we're cognizant of
15 existing public-private efforts. But we cannot mandate that
16 the department use them as opposed to, you know, rulemaking
17 or anything else. That's really a decision for Dr. Agwunobi
18 and the secretary.

19 SPEAKER: But isn't that all captured within the
20 second clause of the first paragraph?

21 SPEAKER: Oh, right.

22 SPEAKER: No, I think the first statement, these

1 efforts need to be is mandatory. And that's --

2 SPEAKER: No, what I'm saying is I don't know if
3 we need the second paragraph.

4 SPEAKER: Yeah, I agree --

5 SPEAKER: Because we have -- the stakeholders
6 should include, and it says, consumers, end users,
7 clinicians, manufacturers.

8 SPEAKER: Yeah, that's fine with me.

9 SPEAKER: Yeah, that's fine.

10 SPEAKER: (Off mic.)

11 SPEAKER: Yeah, because the two are diametrically
12 opposed. That is true.

13 SPEAKER: Well, regulators are public health
14 agencies.

15 SPEAKER: No, I was talking about in the bottom
16 one says public -- the government does it all and the other
17 says you need to have the private side.

18 SPEAKER: No, but what Ann Marie is saying --

19 SPEAKER: No --

20 SPEAKER: Let's get rid of that.

21 SPEAKER: Yeah.

22 SPEAKER: Get rid of the bottom paragraph?

1 SPEAKER: Get rid of it.

2 SPEAKER: Right, but what also I thought I heard
3 Ann Marie say is that maybe with public health agencies, we
4 don't need the regulators.

5 SPEAKER: Yeah, I mean I'm not going to, you know
6 --

7 SPEAKER: Yeah, you're right, yeah.

8 SPEAKER: -- go to town on that one. But I
9 thought it was redundant.

10 SPEAKER: A little more positive turn.

11 SPEAKER: Right.

12 SPEAKER: Okay, "HHS should convene a forum for
13 deliberating common priorities. Stakeholders should include
14 public health agencies, accrediting agencies, manufacturers,
15 clinicians, consumers, and end users."

16 SPEAKER: Is there a need for HHS to take
17 ownership of the issue or is that implicit?

18 SPEAKER: I think they're --

19 SPEAKER: Or you could say "convene and direct".

20 SPEAKER: Well, I mean "convene", I think that
21 that's fine. But I guess the question is -- it's obviously
22 within statutory purview, but are we meaning to say to the

1 secretary that the department needs to take ownership of
2 this?

3 SPEAKER: Well, but then we can't --

4 SPEAKER: Because all we've said is that the
5 secretary just needs to get a group of people to talk.

6 SPEAKER: Yes, I --

7 SPEAKER: Yeah, but I guess the only thing I'm
8 thinking is we become a bit prescriptive. Why not leave it
9 up to the government to decide what works best?

10 SPEAKER: No, but I think what we're trying to say
11 is that the secretary needs to take ownership of deciding by
12 convening.

13 SPEAKER: So it's not a private sector --

14 SPEAKER: No, it's -- but it's -- it should be
15 having private and just the same stakeholders, but that the
16 secretary is -- needs to take ownership of this issue and
17 not just get people together. We're the ones who are kind
18 of convening and doing that.

19 SPEAKER: I sense that Dr. Sandler is going to get
20 us out of this.

21 DR. SANDLER: Well, I think that this is the whole
22 center of what we're doing here, this point that someone's

1 got to take charge. And I think we're saying it should be
2 the office of the assistant secretary. And where I find
3 that belonging is not buried down in Question 6, but if I
4 understand the document that was distributed, I'm holding it
5 up here, Art.

6 DR. BRACEY: Yes.

7 DR. SANDLER: This is our document. Is that
8 right?

9 DR. BRACEY: That's right.

10 DR. SANDLER: Okay, then the third paragraph, I'll
11 read how weak it is. "Formation of an HHS and PHS
12 biovigilance task force would be an important step for
13 identification of vigilance data." It should participate.
14 There's no teeth in there. And it seems to me we want to
15 say something like that this committee recommends the
16 formation as an important step and so on and so forth. And
17 then at that point, we could put in the point that's being
18 made which is the secretary should take charge or whatever
19 language we want to use. It seems to me that that paragraph
20 is where we want to make the point that's being made, not
21 bury it.

22 DR. BRACEY: Yeah, but the -- but we want -- this

1 is --

2 DR. SANDLER: The preamble, is that correct?

3 DR. BRACEY: This is a done deal.

4 SPEAKER: Okay, but --

5 DR. SANDLER: It's a done deal?

6 DR. BRACEY: Yeah, this is from '06.

7 DR. SANDLER: Oh, this is from '06.

8 DR. BRACEY: Yeah, this --

9 SPEAKER: And this one has '06 as a basis.

10 DR. SANDLER: Okay, that's what my question was,
11 okay.

12 SPEAKER: Okay. Can I make one other suggestion?
13 It was -- you just inserted ACBSA (phonetic), we are not
14 taking charge of this forum, it's the secretary.

15 DR. SANDLER: Yeah, that was my intent.

16 SPEAKER: Right, no, but that was just inserted.
17 We did not agree on that.

18 DR. BRACEY: "The HHS should convene a forum for
19 deliberating common priorities. Stakeholders should
20 include" -- so the issue is whether we should state
21 explicitly the direction.

22 SPEAKER: Right.

1 SPEAKER: What about the FACA rules?

2 DR. BRACEY: It needs to be stronger. I'm hearing
3 the committee members, Ms. Benzinger, Dr. Pierce. "Should
4 convene and" --

5 SPEAKER: I don't like the word --

6 SPEAKER: I'm actually --

7 SPEAKER: -- we know it's a priority.

8 SPEAKER: I'm fine with the way that is.

9 DR. BRACEY: The way this is?

10 SPEAKER: Yeah. If I could take the liberty, I
11 need to go, but for number 6, I think there should be some
12 level of accountability. So in terms of resources needed, I
13 think it's not just funding, but it's designated individuals
14 or departments.

15 DR. BRACEY: Okay.

16 SPEAKER: How about this? How about "HHS should
17 be responsible for developing and implementing a master
18 strategy and shall convene a forum."?

19 SPEAKER: Okay, that's fine.

20 DR. BRACEY: Okay.

21 SPEAKER: That sounds good.

22 DR. BRACEY: Okay.

1 SPEAKER: And then could we strike out the
2 "deliberating common priorities"? I mean we know this is a
3 priority.

4 DR. BRACEY: Yeah, we can -- yeah, right, because
5 -- yeah, right.

6 SPEAKER: Yeah, "a forum for stakeholders".
7 That's fine.

8 DR. BRACEY: Right, okay.

9 SPEAKER: "Responsible for implementing the
10 strategic" --

11 SPEAKER: "Implementing the master strategy".

12 SPEAKER: "A master strategy".

13 DR. BRACEY: "And convening a forum for
14 deliberating" --

15 SPEAKER: No, no.

16 DR. BRACEY: No, no, we got rid of that.

17 SPEAKER: "And convening a forum of stakeholders"
18 --

19 DR. BRACEY: "To include".

20 SPEAKER: -- "to include" --

21 SPEAKER: A forum what?

22 SPEAKER: "Of stakeholders".

1 SPEAKER: "For stakeholders".

2 SPEAKER: "Of" and then go to "stakeholders".

3 DR. BRACEY: So this is fine with you, Dr. Pierce?

4 DR. PIERCE: Except for the point number 6.

5 SPEAKER: "To include".

6 DR. BRACEY: So point number 6, you're looking for

7 --

8 SPEAKER: I think there needs to be some level of
9 designation of personnel. It's not just a question of
10 costs, it's increased appropriations. It is that agencies
11 need to develop plans for specific departments to have
12 responsibility for this. For instance, at the CDC, there is
13 already surveillance mechanisms in place. Those are the
14 ones that need to be amplified.

15 DR. BRACEY: Okay.

16 SPEAKER: What -- and that fallout from number 5,
17 HHS does implement a master strategy.

18 DR. BRACEY: That -- right.

19 SPEAKER: I mean that --

20 DR. BRACEY: Because the master strategy would
21 include having the resources to get it right.

22 SPEAKER: Yeah.

1 SPEAKER: I mean --

2 SPEAKER: Yeah.

3 SPEAKER: Yeah.

4 SPEAKER: That would be incumbent upon the
5 department to identify responsible agencies, parties,
6 funding, the whole nine yards.

7 DR. BRACEY: Right, and so then we could just say
8 "increase appropriations, if needed".

9 SPEAKER: Okay -- no, I'm sorry. You cannot use
10 the word "appropriations". That's a very specific
11 legislative term.

12 DR. BRACEY: Right.

13 SPEAKER: You can say "seek increased resources",
14 what we are recommending as a committee to the secretary.
15 Appropriations come from the Congress.

16 DR. BRACEY: Okay.

17 SPEAKER: We can direct the secretary to seek
18 appropriate funding for -- to accomplish the master strategy
19 and the stakeholders' meeting. But we don't have the
20 authority to compel the Congress to do that, even if we're
21 right.

22 SPEAKER: And to the point, and I don't mean to be

1 derogatory towards the secretary, I think it's an unfair
2 question. I don't think in two days -- we know what
3 resources --

4 SPEAKER: Oh, we --

5 SPEAKER: -- completely mean and what are the
6 estimated costs. I mean --

7 DR. BRACEY: Oh, I have no idea.

8 SPEAKER: Exactly. So -- I mean that's kind of
9 the answer. We have no idea. That we need to figure out.

10 SPEAKER: But could we say up there "implementing
11 a master strategy with appropriate resources"?

12 DR. BRACEY: And then leave it at that.

13 SPEAKER: Right.

14 DR. BRACEY: And then "see number 5".

15 SPEAKER: Right.

16 SPEAKER: Yes.

17 SPEAKER: Yeah.

18 SPEAKER: But with appropriate resources, period.

19 SPEAKER: And then start, you know, the convening
20 of a forum -- maybe another sentence.

21 SPEAKER: No, I think you were saying "with
22 appropriate resources" goes after the word "strategy" in the

1 second line.

2 SPEAKER: Right.

3 SPEAKER: What is appropriate --

4 SPEAKER: -- is appropriate resources.

5 SPEAKER: Right.

6 SPEAKER: "HHS should also convene a forum".

7 SPEAKER: Period.

8 DR. BRACEY: "Should convene a forum for" -- "of
9 stakeholders". Yeah.

10 SPEAKER: I hate to bring this up this late in the
11 day. But the way that that reads, it looks as though we
12 should convene the master strategy, then hold the
13 stakeholders' conference. May I suggest that we just take
14 the stakeholders' conference sentence and put it in front of
15 "HHS should be responsible for implementing a master
16 strategy with appropriate resources." And if you want to
17 link the two, you could say, "with appropriate resources
18 based on input from the stakeholders' conference."

19 DR. BRACEY: "Based on input" --

20 SPEAKER: "From the stakeholders' conference."

21 SPEAKER: Or just "from stakeholders".

22 SPEAKER: Okay, that's fine.

1 DR. BRACEY: Yeah, "from stakeholders". Dr.
2 (inaudible).

3 SPEAKER: Could I suggest we have the word
4 "additional" someplace because what sometimes happens is
5 resources are taken from an existing area and moved into
6 this effort.

7 SPEAKER: New money.

8 SPEAKER: The Peter-Paul principle.

9 SPEAKER: Okay, you're putting that sentence back,
10 right? You're going to put it in front, right, the
11 stakeholders' one?

12 SPEAKER: You want that whole sentence in front --

13 SPEAKER: Yeah, we want it -- save that.

14 SPEAKER: Oops.

15 SPEAKER: Go to Edit.

16 SPEAKER: The only problem is --

17 DR. BRACEY: "Stakeholders" encompasses everybody.

18 SPEAKER: The only --

19 SPEAKER: Right, but we asked for a stakeholders'
20 conference.

21 DR. BRACEY: Okay.

22 SPEAKER: And we didn't take that out. I'm just

1 saying that --

2 DR. BRACEY: Just to identify who the stakeholders
3 --

4 SPEAKER: That should really -- because we said
5 what should be done with the stakeholders. We have to
6 answer that question first. Put the second sentence that
7 you're restoring first and then put "HHS should be
8 responsible", you know, second.

9 SPEAKER: Yeah, that's --

10 SPEAKER: Right there, yeah.

11 SPEAKER: Yeah, and then you've linked the two
12 with that additional phrase you just included.

13 DR. BRACEY: All right, so move the sentence?

14 SPEAKER: Yeah, just move the second sentence to
15 be first.

16 DR. BRACEY: Just switch the order. All right, so
17 "HHS should convene a forum of stakeholders to include
18 public health agencies, accrediting agencies, manufacturers,
19 clinicians, consumers, end users. HHS should be responsible
20 for implementing a master strategy with appropriate
21 resources based on input from stakeholders."

22 SPEAKER: Good.

1 SPEAKER: Wasn't there an additional --

2 SPEAKER: No, but you were saying --

3 DR. BRACEY: Additional.

4 SPEAKER: Well, what we're saying as a suggestion
5 that it's really HHS should be asking for additional
6 resources. The only way to do that is to go through the
7 appropriations process.

8 SPEAKER: Right, but the secretary has to ask for
9 that.

10 SPEAKER: Right.

11 SPEAKER: So we can't -- I mean what we've done is
12 make the recommendation appropriate to what our authority is
13 here. We can't mandate that appropriations come from the
14 Congress.

15 DR. BRACEY: Yeah, so what -- we just get rid of
16 "additional" then. Does -- I mean does that really --

17 SPEAKER: No, I mean I think we -- I could live
18 with --

19 SPEAKER: I mean I understand the folks who are
20 within the agency wanting that. I completely understand and
21 agree. But unless we are going to say that HHS, during the
22 budgetary process, must ask for more resources on this, it's

1 meaningless.

2 SPEAKER: I don't think we can build the case on
3 that at this point.

4 DR. BRACEY: Right. Okay, so is there a motion?

5 SPEAKER: Yeah --

6 SPEAKER: I move.

7 DR. BRACEY: Second --

8 SPEAKER: Second.

9 DR. BRACEY: Okay, all in favor then?

10 SPEAKERS: Aye.

11 DR. BRACEY: Nay? No nays. Now under number 6,
12 should we just simply say "defer to number 5"?

13 SPEAKER: Yes.

14 SPEAKER: Yes.

15 SPEAKER: Yes.

16 DR. BRACEY: Yeah.

17 SPEAKER: So moved.

18 DR. BRACEY: Okay, second?

19 (Laughter)

20 DR. BRACEY: All right. No, defer or refer.
21 People, which one, refer or defer?

22 SPEAKER: We can't --

1 SPEAKER: See.

2 DR. BRACEY: See.

3 SPEAKER: See number 5.

4 SPEAKER: See number 5.

5 SPEAKER: We do have a quorum, I take it.

6 SPEAKER: We had a -- we really can't vote on
7 number 6.

8 SPEAKER: We had all the way through number 5.
9 But we had number 5. Okay.

10 (Whereupon, the PROCEEDINGS, were adjourned)

11 * * * * *