

1 from all four pediatric studies. The results and
2 conclusions were that interpatient variability of
3 oxaliplatin clearance was 41 percent and
4 pharmacokinetic parameters in pediatric patients
5 were similar to those seen in adults.

6 The activity endpoint was the
7 objective response, that is complete response plus
8 partial response, in patients treated for two to
9 17 courses per year. Within the four pediatric
10 exclusivity studies, only one partial response was
11 observed, resulting in a response rate of 0.25
12 percent. Thus, the medical reviewer concluded
13 that oxaliplatin is ineffective in the regimens
14 that were tested in children with refractory solid
15 tumors.

16 For the safety analysis, there were a
17 109 pediatric patient deaths across all four
18 studies, the vast majority of which occurred
19 greater than 28 days after the last oxaliplatin
20 dose.

21 Twenty percent of the patients in the
22 Phase 2 studies had non-fatal serious adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events and 8 percent of patients across all four
2 studies withdrew their study participation due to
3 an adverse event.

4 In the end, the medical reviewer
5 concluded that (1) the adverse event assessment
6 was difficult in such an end-stage population, (2)
7 all deaths were clearly or likely due to disease
8 progression and expected in a population with very
9 advanced and refractory metastatic solid tumors,
10 and (3) oxaliplatin safety profile in the
11 pediatric population is similar to that in adults.

12 With regards to the 109 deaths, all
13 deaths in the Phase 1 studies and in Study ARD5530
14 were due to disease progression. Twenty-one or 78
15 percent of the deaths in Study ARD5021 were due to
16 disease progression with the remaining six deaths
17 being classified as unknown or other causes.

18 You will note that two of these six
19 deaths were really in reality thought to be
20 related to disease progression and the cause of
21 death was unknown for the other four.

22 Moving to non-fatal serious adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events, such events were reported in 18 or 20
2 percent of the patients in the Phase 2 studies
3 which you will recall utilized an oxaliplatin dose
4 of a 130 milligrams per meter squared every three
5 weeks.

6 The most common events reported were
7 headache with four patient reports,
8 hypersensitivity with three patient reports, and
9 convulsions, infection and peripheral sensory
10 neuropathy with two patient reports each.

11 The next slide describes the most
12 frequently reported non-fatal serious adverse
13 events seen in the Phase 1 studies which you will
14 recall utilized a range of oxaliplatin doses.

15 As in the Phase 2 studies, sensory
16 neuropathy was commonly seen in the Phase 1
17 studies, in addition to a variety of other events
18 that are listed on this slide.

19 With regard to patient withdrawals,
20 13 or 8 percent of patients across all four
21 studies withdrew due to an adverse event. The
22 most common events leading to patient withdrawal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 U.S. case, and then there was one death report
2 that was from the United States.

3 Since there were so few pediatric
4 reports during the postexclusivity period, the
5 safety reviewer also assessed the pediatric
6 adverse events since marketing approval. For
7 pediatric patients, there were 15 adverse event
8 reports which comprised 0.3 percent of the total
9 reports. Of these 15 reports, eight were U.S.
10 cases. All of the reports were for serious
11 adverse events and again there were eight U.S.
12 cases.

13 There were two death reports and both
14 of these were U.S. cases.

15 Looking at the 15 crude count
16 pediatric adverse event cases identified since
17 marketing approval, seven of these cases were
18 excluded due to being duplicate or miscoded cases.

19 Of note, the two raw count pediatric death cases
20 were actually miscoded cases that involved
21 patients greater than or equal to 17 years of age.

22 The eight remaining cases were all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Surveillance and Epidemiology, the Division of
2 Drug Oncology Products, and the Office of Clinical
3 Pharmacology.

4 Thank you.

5 Clarification Questions and Question
6 to the Committee

7 DR. RAPPLEY: Thank you. This is
8 open for discussion. Dr. Cnaan?

9 DR. CNAAN: I think this demonstrates
10 the issue that we've raised before. It's not the
11 question before the committee but the language is
12 effectiveness of oxaliplatin in children has not
13 been established.

14 I think here, it's established that
15 there isn't effectiveness, given that it was well
16 designed to get to the MTD and then we had no
17 responses basically. So, it's not before us. We
18 don't need to deal with this, but there is a
19 difference between effectiveness not being
20 established and it is established as non-
21 effective.

22 DR. RAPPLEY: Other thoughts about

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

www.nealrgross.com

1 that? Dr. Newman?

2 DR. NEWMAN: I would echo that, but
3 first I want to just say that the thought that
4 comes after that, the five paragraphs describing
5 the studies, I think, is just right and just what
6 was intended in the BPCAs. I'm really happy that
7 that -- it's different from the last case.

8 Once again, there's no efficacy but
9 here, there's the detail that allows a person to
10 actually look at the label and see the value of
11 the studies that were done. So, I think that's
12 great and I would agree with Dr. Cnaan to just
13 change the first sentence that oxaliplatin appears
14 to be an effective and if you just say in that
15 first sentence or that second sentence, 159
16 patients treated with only one partial response,
17 that's really clear, much more clear than just no
18 significant activity. One partial response out of
19 a 159 patients kind of gives the message pretty
20 clearly.

21 DR. MURPHY: I think that, you know,
22 these are refractory patients. So, we want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point that out.

2 I think that this is an example of
3 where the division felt that it was really
4 important to provide a significant amount of
5 information and not just leave it at, you know, it
6 doesn't work, but here's the dosing we tried,
7 here's how we push it and this is how it didn't
8 work.

9 So, I think if you read beyond the
10 first sentence, you'll understand what they were
11 trying to explain in refractory patients in that
12 population. So, as we said, you're seeing
13 products over time that had issues over time and
14 addressed it over time in different ways, and also
15 whatever the background disease is is going to
16 require somewhat of a different approach, also,
17 and I'm glad you all like this label.

18 DR. RAPPLEY: Other comments? So,
19 does the committee then accept this recommendation
20 that this medication be moved to routine
21 monitoring?

22 (Show of hands.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: Any opposed? Okay.
2 So, unanimous vote to make that recommendation.

3 Thank you. So, we'll switch to the
4 next medication now.

5 Colazal (balsalazide)

6 Standard Review of Adverse Events

7 DR. COLLINS: Okay. Now, I'm pleased
8 to be able to present to you the one-year
9 postexclusivity adverse event review for
10 balsalazide.

11 Colazal or balsalazide is a 5-
12 aminosaliclylate for which Salix Pharmaceuticals is
13 the drug sponsor. Original market approval
14 occurred on July 18th, 2000, and pediatric
15 exclusivity was granted on August 23rd, 2006.

16 Prior to the pediatric exclusivity
17 study, balsalazide was indicated for the treatment
18 of mildly to moderately active ulcerative colitis.

19 The next two slides provide
20 information about the use of balsalazide in
21 outpatient settings. Approximately 360,000
22 balsalazide retail and mail order prescriptions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were dispensed for all age groups during the 12-
2 month postexclusivity period. 2.7 percent of
3 these prescriptions were for the pediatric
4 population.

5 There was a 4 percent increase in
6 retail prescriptions for all age groups between
7 the 12-month pre- and postexclusivity periods and
8 a 9 percent increase for the pediatric population.

9 Gastroenterology was the most
10 frequent prescriber specialty during the 12-month
11 postexclusivity period at 70 percent compared to
12 pediatrics at 2.6 percent.

13 Lastly, there was no mention of
14 balsalazide in association with pediatric visits
15 in an office-based physician practice survey.

16 The balsalazide pediatric exclusivity
17 study consisted of a pharmacokinetic safety and
18 efficacy study. It was a multicenter randomized
19 double-blind parallel group eight-week study of
20 two balsalazide TID dosing regimens. Sixty-eight
21 pediatric patients, 5 to 17 years old, with mildly
22 to moderately active ulcerative colitis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 participated in the study.

2 Thirty-three pediatric patients were
3 in the high-dose group that received 6.75 grams
4 per day and 35 patients were in the low-dose group
5 that received 2.25 grams per day.

6 The PK analysis concluded that
7 pediatric patients 6 to 17 years old had lower
8 systemic exposure to the two key balsalazide
9 metabolites.

10 The primary endpoint was the
11 proportion of patients with clinical improvement.

12 Clinical improvement was defined as a reduction
13 in the Modified Sutherland Ulcerative Colitis
14 Index Total Score by at least three points, from
15 baseline to eight weeks. The MUCAI assessment
16 items include stool frequency, rectal bleeding,
17 mucosal appearance, and physician's rating of
18 disease activity.

19 The efficacy analysis showed that
20 both balsalazide doses resulted in reasonable
21 improvement for the primary efficacy endpoint but
22 there was no statistically significant difference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 between the high and low doses.

2 There was a 45 percent improvement in
3 the high-dose group and a 37 percent improvement
4 in the low-dose group compared to the normal
5 placebo response rate for this class of drugs of
6 approximately 20 percent.

7 In addition, for the secondary
8 endpoints, the high-dose group consistently had
9 better numerical scores compared to the low-dose
10 group, but again there was no statistically
11 significant difference between the high- and low-
12 dose groups.

13 For the safety analysis, there were
14 no deaths, four patients with serious adverse
15 reactions, and four patient withdrawals due to an
16 adverse event.

17 Overall, the conclusion was that the
18 two dose levels were generally safe and well
19 tolerated.

20 Out of the four patients experiencing
21 a serious adverse event during the pediatric
22 exclusivity study, there were two in both the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 high- and low-dose groups.

2 Within the high-dose group, one
3 patient had an ulcerative colitis flare and one
4 patient experienced depression but had a prior
5 history of depression.

6 Within the low-dose group, one
7 patient had an ulcerative colitis flare and one
8 patient had a clostridial infection in the setting
9 of concomitant medications that included
10 prednisone, Imodium, and Levaquin.

11 Out of the four patient withdrawals
12 during the pediatric exclusivity study, there were
13 one in the high-dose group and three in the low-
14 dose group. Within the high-dose group, one
15 patient had abdominal pain and urticaria. Within
16 the low-dose group, one patient had frequent bowel
17 movements, one patient had rectal hemorrhaging,
18 and one patient had an ulcerative colitis flare.

19 Based on the pediatric exclusivity
20 studies, the following seven sections of the drug
21 labeling were changed.

22 To the Indications and Usage Section,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an indication for pediatric patients 5 to 17 years
2 old was added. To the Dosage and Administration
3 Section, the pediatric dosing of three 750
4 milligram capsules TID or one 750 milligram
5 capsule TID was added.

6 To the Warnings and Precautions
7 Section, an exacerbation of Ulcerative Colitis
8 Subsection was added and this section included
9 data from the pediatric exclusivity study.

10 To the Adverse Reaction Section, a
11 Pediatric Ulcerative Colitis Subsection was added
12 that described the adverse events and patient
13 withdrawals seen during the pediatric exclusivity
14 study.

15 The Pediatric Use Subsection was
16 changed to note the other labeling sections that
17 described the pediatric exclusivity studies, to
18 note the pediatric dosing of 6.75 or 2.25 grams
19 per day, and to note that safety and efficacy have
20 not been established in pediatric patients less
21 than 5 years of age.

22 To the Pharmacokinetics Section, a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pediatric Population Subsection was added that
2 describes the PK findings from the pediatric
3 exclusivity studies, and to the Clinical Studies
4 Section, a Pediatric Studies Subsection was added
5 that describes the efficacy findings from the
6 pediatric exclusivity studies.

7 Moving from the exclusivity study to
8 postmarketing reporting, this table describes the
9 adverse event reports during the postexclusivity
10 period. For pediatric patients, there were three
11 adverse event reports which comprised 9 percent of
12 the total reports.

13 Of these three reports, two were U.S.
14 reports. All of the reports were for serious
15 adverse events with two being U.S. reports. There
16 were no death reports.

17 Since there were so few pediatric
18 adverse event reports during the postexclusivity
19 period, the safety reviewer also assessed the
20 pediatric adverse events since marketing approval.

21 For pediatric patients, there were
22 eight adverse event reports which comprised 5

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent of the total reports. Of the eight
2 reports, six were U.S. reports. Of the eight
3 reports, six were for serious adverse events with
4 five being U.S. reports. Again, there were no
5 death reports.

6 Of the eight crude count pediatric
7 adverse event cases identified since marketing
8 approval, three of these cases were excluded due
9 to miscoding of the suspect drug, indirect
10 exposure via maternal use, or the event not being
11 a serious adverse event. The remaining five cases
12 involved serious non-fatal adverse events with
13 direct drug exposure.

14 Out of these five non-fatal serious
15 adverse event cases, there was one case of
16 pericarditis, lower lobe pneumonia, and anemia
17 that resolved after balsalazide was discontinued,
18 one case of pancreatitis that resolved after
19 balsalazide was discontinued, two cases of
20 ulcerative colitis flares, and one case of
21 thrombocytopenia that resolved with discontinuance
22 of unspecified concomitant medications while

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 balsalazide treatment continued.

2 The presence of unlabeled adverse
3 events prompted the safety reviewer to examine the
4 drug labelings for other 5-ASA drugs. Whereas
5 pericarditis, pneumonia and pancreatitis are
6 unlabeled events for balsalazide, these events are
7 included in the drug labelings for the other 5-ASA
8 drugs as shown on this slide.

9 Consequently, the safety reviewer
10 conducted an adult postmarketing safety review for
11 balsalazide. Multiple adverse reactions reported
12 in association with balsalazide were noted not to
13 be included in balsalazide's labeling but were
14 included in the labelings for other 5-ASA drugs.

15 Consequently, based on these
16 pediatric and adult safety reviews, the FDA has
17 requested a change to the Postmarketing Experience
18 Subsection of balsalazide's labeling that would
19 note that "the following adverse events have been
20 identified during postapproval use of balsalazide:
21 myocarditis, pericarditis, vasculitis, pruritis,
22 pleural effusion, pneumonia, alveolitis, renal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 failure, interstitial nephritis, and
2 pancreatitis."

3 This completes the one-year
4 postexclusivity adverse event reporting. The
5 safety reviewer identified postmarketing adverse
6 events in pediatric and adult populations that
7 were not listed in balsalazide's labeling but are
8 listed in other 5-ASA drugs.

9 Therefore, the FDA has requested the
10 addition of identified adverse reactions to the
11 balsalazide labeling and the FDA will send the
12 advisory committee a labeling update via e-mail
13 when the changes are complete.

14 The FDA also recommends routine
15 monitoring of balsalazide for adverse events in
16 all populations and asks whether the advisory
17 committee concurs.

18 And in closing, I just would like to
19 acknowledge the assistance that I received from
20 FDA staff in the Office of Surveillance and
21 Epidemiology, the Division of Gastroenterology
22 Products, and the Office of Clinical Pharmacology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Thank you.

Clarification Questions and Question
to the Committee

DR. RAPPLEY: Thank you. Can you clarify for us if these changes have been made and accepted by the sponsor and already made in the label?

MR. ST. AMAND: Yes. Good morning. My name's Keith St. Amand. I'm the Medical Officer for the Division of Gastroenterology, and yes, the sponsor has submitted a changes-being-effected supplement which is currently under review but essentially accepts the recommendations that we've made.

DR. RAPPLEY: Okay. So, the question then before the committee is that the labeling have additions that include indication, dose, adverse reactions, PK data, and efficacy findings, and that they send to us by e-mail the exact language that would be included in that change, and then the second recommendation is that it move to routine monitoring, am I correct?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 DR. MURPHY: We're recommending that
2 these postmarket events that were noted during the
3 pediatric review and then looked at overall, and
4 actually this has evolved and we had a different
5 question for you in the beginning, it's evolved,
6 is that we -- the division and OSE and everybody
7 over in Review basically came to the conclusion
8 that we felt we should do it and the company has
9 agreed to it.

10 So, the question at first was do you
11 all think we needed to add this, but as I said,
12 over time it's become clear that everybody views
13 we should and so that's why the question now is
14 are you comfortable with us just sending you these
15 recommended changes or is there something else? I
16 guess that's -- you know, if there's something
17 else, we're not saying you can't tell us something
18 else, but for right now, we are at the point where
19 we actually have decided that we think this should
20 be added to the labeling and the company has
21 agreed.

22 So, do you agree with that or not?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 separately identified as pediatric adverse events
2 under Adverse Reactions and then we have a section
3 on the Pediatric Use which just describes --
4 again, if it gets approved, what you're seeing
5 here, if it gets approved, we just note in the
6 Pediatric Use Section, you know, that you need to
7 look at all these other sections because we want
8 to make sure you go and look at the Dosage
9 Section, the Indications Section, and the Adverse
10 Events Section.

11 So that's why this information is
12 spread out throughout the label because it was
13 approved. So, did I help that question at all?

14 DR. WARD: Well, I think there's a
15 Clinical Pharmacology Section where there was more
16 details about the pediatric studies, and I didn't
17 know whether that is proposed to be in the label
18 or not.

19 DR. MURPHY: On Page 57, it's in the
20 label.

21 DR. WARD: Okay.

22 DR. MURPHY: That is already in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 label, what we gave you. We really took to heart
2 last time, we didn't have the most current label.
3 So, we have the most current labels.

4 DR. RAPPLEY: But again, I think that
5 points out the consistency of providing this type
6 of information in the label which is something we
7 strive for.

8 DR. MURPHY: Did you have anything
9 else?

10 MS. VINING: I guess just a question
11 for clarity. I noticed that there is no Phase 4
12 commitment on this drug and yet there is an
13 acknowledgment that there will be a long-term use
14 in pediatric populations of this drug, and I was
15 curious why.

16 Is there any information that we
17 might be able to get for the long-term use of this
18 drug in the pediatric population?

19 MR. ST. AMAND: That's certainly
20 something that we could consider. You know, I
21 think at the time of the review, we were just
22 trying to see if we could gather that information

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through the postmarketing and concentrate on
2 safety, but I don't know if you have any specific
3 requests or if anyone else on the committee would
4 have any recommendations for how to go about doing
5 that.

6 DR. RAPPLEY: Any more thoughts? Dr.
7 Cnaan?

8 DR. CNAAN: On Page 59 that Dr. Ward
9 referred to, it says, it gives the response rates
10 of 45 percent and 37 percent of improvement. What
11 it does not give is the 20 percent placebo rate
12 and in that sense, it gives a bit of a rosier
13 picture than reality, given the placebo rate of
14 improvement is 20 percent.

15 DR. RAPPLEY: I think the question
16 that Mrs. Vining has raised is a serious one,
17 whether or not we would recommend Phase 4 studies.

18 So, I don't know if the committee's ready to
19 think about that or speak to that.

20 Dr. Sandborg, do you have any
21 feelings about that?

22 DR. SANDBORG: No, I was just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concerned. My question was really where did the
2 20 percent placebo rate come from? Was it from
3 pediatric studies or was it from general studies
4 in this population because it can be very
5 different and there are different designs to look
6 at for the future where the placebo rate can be
7 directly measured.

8 MR. ST. AMAND: Yes, actually, you
9 know, there were a lot of concerns, of course,
10 with doing a placebo-controlled study in this
11 particular disease. So that figure was actually
12 taken from just our general experience with other
13 products and some of that was adult studies. So,
14 it wasn't a specific pediatric number. That was
15 just to give us some basis with our review whether
16 we were seeing enough of a treatment difference.

17 So, admittedly, not a data-driven
18 with this study number that we had, so we didn't
19 put that in the label.

20 DR. RAPPLEY: Dr. Newman?

21 DR. NEWMAN: Well, I guess I'm just
22 puzzled and kind of disappointed that the label

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 suggests that efficacy has been established in
2 children 5 to 17 years old when it hasn't, when
3 the efficacy is presumably based on extrapolation
4 from adults, but since there was no placebo group,
5 I guess why does the FDA believe that efficacy was
6 established in these studies?

7 MR. ST. AMAND: I think this was, you
8 know, based on extrapolation. Again, these
9 results were similar to the adult trials which we
10 had and seeing similar response rates, I think,
11 with the, you know, small population that we had
12 to deal with, this was what we were able to come
13 up with and again we don't have placebo-controlled
14 data which would be the most desirable, but I
15 think there were a lot of concerns with doing that
16 type of study in this population.

17 DR. MURPHY: I think what you bring
18 up is actually one of the areas Lisa and I have
19 been talking about saying some more to you all
20 today about extrapolation because there's a lot of
21 interest in the work within the agency right now
22 to bring more data, if you will, to the whole

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 field of when do we extrapolate, when do we not
2 extrapolate, what is it that -- you know, how do
3 we determine?

4 The law says that the course of the
5 disease is the same, response to treatment is
6 expected to be the same. How do you know that?
7 What are the priors that you use to make those
8 conclusions?

9 In this situation, what the division
10 is telling you is that they felt the disease was
11 similar enough and the law allows us to
12 extrapolate efficacy from adults and in those
13 situations, all we need to obtain is the dose and
14 the safety data.

15 Now, we could have a whole discussion
16 on there are different ways to do that. Sometimes
17 the level of certainty as to the extrapolation is
18 very solid. In other situations, they'll actually
19 do exposure response studies first and others will
20 just do PK studies.

21 So, it depends on where they think
22 they are in their data, their evidence pool being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 able to extrapolate, but fundamentally, the
2 reason, the answer is they extrapolated the
3 efficacy and that is a standard you can use.

4 DR. RAPPLEY: Dr. Bier?

5 DR. BIER: I don't think we're
6 arguing about what you can do and what the law
7 allows you to do. It's describing what we
8 actually know and what was done, which is, you
9 know, the data analyzed in this fashion suggests
10 that it may be efficacious or, you know, support
11 it. Saying it is efficacious, there's a -- you
12 know, this carries a different message.

13 DR. MURPHY: Well, what we're saying
14 is you either do or don't. You don't have a
15 different class of it's efficacious or it's not.
16 So, if you extrapolate the efficacy and what
17 you're saying should we put in the label the basis
18 of that extrapolation? Congress has actually
19 asked us to look at that and to report to them on
20 extrapolation, but you don't say that we think
21 it's supported. It's either we think it's
22 efficacious or we don't.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BIER: Well, you know, I think
2 there is this sort of legitimacy to saying
3 something is efficacious and when I heard 20
4 percent placebo rate, I, you know, accepted that
5 that was, you know, the sign of efficacy, but now
6 I honestly don't know.

7 DR. RAPPLEY: Is it reasonable to say
8 that this medication is efficacious based on
9 extrapolation data?

10 DR. MURPHY: Yes.

11 DR. WARD: And is it the nature of
12 the study with respect to the bridge is that we
13 want to demonstrate exposure and that we have a
14 dose in children to produce a percentage or a
15 comparable exposure to that in adults?

16 Could I turn to the issue about the
17 Phase 4 commitment, and I'm way outside my field
18 as a neonatologist, okay, but what is the long-
19 term natural history with respect to, for example,
20 development of tumors or long-term toxicities from
21 UC that might be either modified by treatment or
22 that might be increased by treatment that should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or maybe shouldn't be looked for?

2 MR. ST. AMAND: Yes. I'm not sure
3 that I can answer that question for you at this
4 time. I don't know if, you know, that's something
5 that pediatric gastroenterologists would have to
6 help with, I think.

7 DR. MURPHY: So, I think what we're
8 hearing from the committee -- again, Phase 4, a
9 technical point here, Phase 4 commitments are made
10 at the time of approval. So, this would no longer
11 be a Phase 4 commitment. It would just simply be
12 a postmarketing request for studies.

13 We're hearing from the committee that
14 you are at least -- and we'd like to hear, Marsha,
15 if everybody else in support of that or not, that
16 we should consider, the agency should consider a
17 longer-term follow-up study to look at longer-term
18 safety outcomes from the use of this product in
19 the pediatric population.

20 If I've misstated that, --

21 DR. RAPPLEY: So, would that be
22 extending the postmarketing surveillance? Is that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how you would describe that? No?

2 DR. MURPHY: No, that's passive
3 surveillance. So, I think what I'm hearing, at
4 least Ms. Vining has said that she was looking for
5 some sort of study and they come in all flavors,
6 as you know, from -- we certainly would be looking
7 at really more of a long-term follow-up study, I
8 think, is what you're asking for. Correct me if
9 that's wrong.

10 DR. RAPPLEY: Dr. Ward?

11 DR. WARD: From my perspective,
12 recognizing there's a cost for that request in
13 time and effort and money, I would like to hear
14 from a pediatric gastroenterologist about the
15 importance and need for that, based on natural
16 history of UC, before making that a formal
17 recommendation personally.

18 DR. MATHIS: I would also like to add
19 that none of these medications that are used for
20 ulcerative colitis are cures. This is a lifetime
21 disease and so there are two aspects to this that
22 one has to consider before asking for long-term

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 studies on one particular product.

2 One is, as Dr. Ward just pointed out,
3 the natural history of the disease and whether or
4 not there's been a large study to examine that and
5 whether there's a difference if the disease
6 presents in adolescence versus in adulthood, and
7 then the other aspect is when there are chronic
8 diseases where you have symptomatic care, you're
9 going to have patients who are going to be exposed
10 to multiple medications at different time points
11 in the course of their life.

12 So, it's going to be very difficult
13 to do a clean study on one product over the course
14 of somebody's lifetime to find out what the long-
15 term effects of a particular product are on a
16 particular population.

17 I think Dr. Sachs reminded me that
18 there is, of course, a lot of interest and this is
19 something else that may warrant further discussion
20 by this committee down the road, there's a lot of
21 interest about doing disease-specific registries
22 that would take into account multiple medications

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used for the same underlying condition that may
2 need to be considered by academia or other areas.

3 My point is that I think before we
4 request a long-term study on a specific product,
5 we really need to consider exactly what we would
6 be asking for and whether or not asking for that
7 study would actually address that overall
8 question.

9 DR. WARD: There is a registry that
10 is being undertaken. Our specialist in UC has
11 been in discussion with us about whether to be
12 involved or not. So, I know that there's at least
13 something happening in that front.

14 MS. VINING: I would like to just
15 hear from other people on the committee. When I
16 read that there is going to be a long-term use of
17 this medication for children, I think it's labeled
18 5 to 17 years old, it seemed that the fact that
19 that was even in the materials begged the question
20 what are we doing in the long term to figure out
21 if this is going to have an impact on children
22 into adulthood because they are going to be on it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so much longer than adults will be.

2 So, I would turn to the expertise on
3 the committee to see if or how this might be
4 something we consider. I don't have the answer,
5 but I think that it's something worth considering.

6 DR. RAPPLEY: Dr. Notterman?

7 DR. NOTTERMAN: I think the idea of
8 long-term evaluation of a drug that's going to
9 potentially be used for the rest of the patient's
10 life is a very good one.

11 I do think that, to follow up on
12 Bob's comment about using our resources wisely,
13 that we should learn from an expert what the
14 experience is, if any, with other drugs of this
15 class which may have actually some of the same
16 active derivatives.

17 There may be more experience with
18 this than we understand and so perhaps our
19 recommendation could be that we learn more about
20 this drug before making a formal recommendation.

21 DR. RAPPLEY: Dr. Farrar, did you
22 want to add?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FARRAR: Yes. Does the FDA have
2 the capacity to do long-term studies of a single
3 drug? I mean, earlier when we were talking about
4 esmolol, they looked at sporadic reports and there
5 were none.

6 I mean, I can see something with
7 ulcerative colitis, you know, 20 years on this
8 drug, no one's going to think to turn in an
9 adverse reaction report 20 years later.

10 It seems -- do you all have the
11 resources to even do these kinds of studies or
12 should that be something that should be -- we
13 should try to bring in some other agency or
14 organization to work on?

15 DR. MURPHY: Well, let me be very
16 simplistic about it. Do we have money to do these
17 studies? No. Do we have capability to ask for
18 certain studies? Yes, and it's the two modes that
19 the committee is familiar with which is we can
20 include it in the written request where it's part
21 of the incentive program and one could say, well,
22 why do we not ask for it under a written request,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or we can try to get the sponsor to do it under
2 the PREA, when they come in for the same
3 indication for a drug, and we can try to ask for
4 those studies.

5 Again, the only real leverage we have
6 is with the exclusivity because they don't get it
7 if we don't ask for it. Now in that situation,
8 you're also balancing if you ask for a very long-
9 term study, you may -- you know, you're in the
10 quandary of they never get their exclusivity, so
11 they're not interested in it any way because, you
12 know, it's too long for them to be able to get
13 their exclusivity.

14 We've actually figured out some ways
15 around that by for longer-term studies where we
16 asked for the study to be enrolling and for them
17 to bring in initial data and, you know, after a
18 year or two and then indicate a commitment to the
19 trial and then we would -- you know, we've been
20 able to work through that sort of a conundrum with
21 the exclusivity approach.

22 But otherwise, no, it is really our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ability to get the sponsors to do these studies
2 that is the key to getting most of them done.

3 DR. RAPPLEY: So, can the agency work
4 with the recommendation to seek further
5 consultation about the utility of Phase 4 studies
6 or long-term studies?

7 DR. MURPHY: Yes, I was sitting here
8 trying to figure a way forward for this, and I
9 think the thing to do is for us to do what I think
10 everyone's comment is, not so much that you're
11 saying we absolutely think you should do this but
12 we think you ought to look at this and come back
13 to us with what your thoughts are on what are the
14 issues about a long-term study for children in
15 this area.

16 So, we'll put that on our follow-up
17 list to come back to you with that question. Do I
18 have it now correctly outlined? Okay. Thank you.

19 DR. RAPPLEY: Okay. So, the agency
20 will explore the utility or the reasonableness or
21 desirability of a Phase 4 study around this
22 medication --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Yes, the pros and cons.

2 DR. RAPPLEY: -- and come back to us.

3 DR. MURPHY: We'll look at the
4 reasons for and the reasons not to do it --

5 DR. RAPPLEY: Okay.

6 DR. MURPHY: -- and then we can look
7 at potential ways of -- if there is enough in the
8 pro side of this discussion when we come back, we
9 can then also present what are some of the
10 possible options and have some discussion on
11 that, also.

12 DR. RAPPLEY: Okay. Very good. Then
13 the last remaining question is do we accept the
14 recommendation that this be moved to routine
15 monitoring?

16 (Show of hands.)

17 DR. RAPPLEY: Anyone opposed? So,
18 it's unanimously we accept that recommendation.

19 I would like for us to take our break
20 now because we are moved a little bit -- we've run
21 over our time a bit. So, we'll take a 10-minute
22 break. I have right now it's 10:32. So, we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 come back at 10:42. Try to stay on track and not
2 eat into our lunch, so to speak.

3 (Whereupon, the meeting recessed at
4 10:34 a.m. and reconvened at 10:46 a.m.)

5 DR. RAPPLEY: We've been asked to
6 extend the discussion of our last medication.
7 There are issues that Dr. Newman and Dr. Bier
8 would like to comment on a bit further. So,
9 before we move on to our presentation by Dr.
10 Sachs, we'd like to wrap this up.

11 Dr. Newman, do you want to start,
12 please?

13 DR. NEWMAN: Yes, I just want to
14 reiterate my concern about lack of a placebo
15 group. This is a disease that we know waxes and
16 wanes. We also know children often have a much
17 greater placebo effect than -- than is seen in
18 adults.

19 The endpoint for this study was a 12-
20 point scale which was entirely subjective and so
21 this -- the data from this trial really don't
22 address the issue of efficacy at all. What we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know is, I assume we know is that the medication
2 works in adults and that when you give it to
3 children, sometimes some of them feel like they
4 got better and that really isn't any data about
5 efficacy at all.

6 So, I don't think we can say anything
7 about efficacy in children based on this study.

8 DR. WARD: Can I argue with that? I
9 think the scale used is an index of active
10 ulcerative colitis symptoms and disease, and I
11 recognize there is a 20 percent placebo effect
12 that has been extrapolated, but it looked like
13 during this monitoring phase, there was like a 69
14 percent improvement in those scales.

15 So, I actually think we do know
16 something about it. Unless I've misread the data
17 which is altogether possible.

18 DR. RAPPLEY: So, I would like to
19 just clarify, then, what point we are discussing
20 right now and that we could have perhaps another
21 five minutes to discuss this and that point is
22 that the current language, Dr. Newman suggests

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that, the current language is too strong in
2 indicating efficacy of this medication and needs
3 to be changed. Am I correct? Okay.

4 So that's what the discussion will be
5 about then for the next five minutes. And --
6 other comments?

7 DR. MURPHY: I want to say a couple
8 things first. Number 1. I think that if there is
9 an issue, that this is a division that deals with
10 these products and this class of products and they
11 felt that the endpoint just was an appropriate
12 endpoint and they did do endoscopic I mean --
13 also measurements.

14 So, I think when we present these
15 trials to you, we are trying to make sure that
16 we've reviewed the safety part that's coming out
17 of the trials.

18 What we did not bring to you, and
19 again we're glad to entertain the comments, but I
20 just want to say that we didn't bring to the
21 committee do you think this product was
22 efficacious or not?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This was not a review because we
2 would have brought a whole lot more to you so you
3 would better understand what was done if we were
4 asking you about the efficacy of the trial.

5 So, having said that and having said
6 this not an all-day meeting where we are
7 presenting all that information to you, I'm asking
8 you, I'm telling you in a way that what you saw or
9 what was decided was efficacious was the
10 division's accepted standard of endpoints.

11 Now if you disagree with the
12 endpoints and you think that they need to have
13 different endpoints, then that is a different
14 discussion, but for right now, what we're telling
15 you is that these are the standards that they
16 used.

17 Now, -- and that they thought that
18 they had enough evidence of response that they
19 could extrapolate the efficacy. So, there's two
20 things. Do you disagree with the endpoints? The
21 second thing, do you disagree with the
22 extrapolation? So, those are two different

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions.

2 DR. RAPPLEY: Well, I think we
3 earlier said that we just want to see it
4 acknowledged that it was extrapolated. That would
5 be the important point.

6 Is there more comment on the first?

7 DR. CNAAN: I have less discomfort
8 with endpoint because I've seen several studies in
9 this area with this endpoint and it seems to be
10 reproducible and reliable, despite what it looks
11 like on the face of it. So, I'm not that much
12 concerned with the endpoint.

13 I'm actually more concerned with the
14 design. I understand that it's probably no longer
15 ethical at this point to do a placebo-controlled
16 design in this set-up. However, that might be
17 because there are active controls.

18 So, my only suggestion is again to go
19 back, as was said before, and put some thought
20 into it. Can we get some better data so that we
21 won't have the discomfort that Dr. Newman is
22 expressing and that I share that the statement

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right now appears too strong, at least from what
2 we are seeing?

3 DR. RAPPLEY: Dr. Newman?

4 DR. NEWMAN: I just want to comment
5 on the ethics of doing a placebo-controlled trial.
6 Again, this is a -- the children had mild to
7 moderate ulcerative colitis. It's a disease that
8 waxes and wanes anyway.

9 If we accept that 20 percent
10 historical placebo response rate which I think
11 actually is pretty meaningless because it's a
12 different endpoint and it's adults rather than
13 children, but if we accept that, then the
14 difference between that and the lower dose group
15 was a 17 percent difference, 37 percent versus 20
16 percent, which means that for eight weeks, one
17 patient out of six would have been deprived of
18 that level of 3 point out of 12 benefit, and since
19 we actually don't know at all what the placebo
20 response rate and a 40 percent placebo response
21 rate is entirely plausible for this endpoint in
22 this disease, I think the answer is that we don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really know whether this medication has any
2 efficacy and therefore it is ethical to do a
3 randomized trial.

4 There's only eight weeks in a disease
5 that will be lifelong and they may be on this
6 medicine for years. So, I think it's worth
7 finding out, spending, you know, placebo for eight
8 weeks to find out whether it actually works.

9 DR. MURPHY: Okay. So, now you have
10 another question on the table, which is really --
11 and again, we didn't come to talk about the
12 efficacy design trial, but you are saying that the
13 whole issue of doing a placebo-controlled trial is
14 ethical and I would agree, but you are saying that
15 you think that needs to be reconsidered.

16 So, you are telling the division that
17 you think you need to reconsider the whole trial
18 design as to whether these placebo-controlled
19 trials should be done and also you have to do it
20 in the context of they are saying they don't need
21 to do that.

22 DR. RAPPLEY: So, may I suggest that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 --

2 DR. MURPHY: I think that's something
3 we have to -- is it ethical to do a controlled
4 trial when you don't think you need to do it is
5 another part of the question. So, we have to work
6 through that, too.

7 I'm looking at Skip, but I'm not
8 going to ask him to come up and answer that today.

9 Okay? But that would have to be thrown into this
10 discussion.

11 DR. RAPPLEY: May I suggest that we
12 ask the agency to take these concerns that have
13 been expressed today and to consider them and to
14 report back to us on how that fits in with your
15 context of your usual approaches to these types of
16 medications and then we can comment on whether or
17 not we think that process needs to be adjusted?

18 DR. MURPHY: You are fundamentally
19 asking us to bring the whole issue of how trial
20 designs are conducted in this disease.

21 DR. RAPPLEY: Well, maybe we could --

22 DR. MURPHY: And that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. RAPPLEY: -- put it up a --

2 DR. MURPHY: -- is an advisory
3 committee unto itself.

4 DR. RAPPLEY: Well, but maybe we
5 could put it up a notch and just say, you know, in
6 chronic disease, the committee has raised some
7 concerns about how we do efficacy studies and how
8 we do Phase 4 studies. Is there -- are there
9 other ways that we could think about moving
10 forward that we could begin to look at the special
11 issues that are presented by chronic disorders in
12 children?

13 DR. WARD: This goes back to '94 Rule
14 that allowed extrapolation of efficacy when the
15 disease process is similar, and I really thought
16 UC starting in childhood had a very similar course
17 to that in adults and this would be a discussion
18 almost going back to laws that have been now on
19 the books for quite a long time.

20 DR. RAPPLEY: But maybe that is what
21 we need to be reminded of, is how these approaches
22 came about and then why they are still relevant to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the diseases that we're discussing or how we might
2 shape them in the future.

3 Dr. Bier?

4 DR. MURPHY: We do learn as we go
5 through this process, and I don't mean to say that
6 you shouldn't be having this discussion. I'm just
7 trying to explain the breadth of what that
8 discussion is going to be because it's
9 fundamentally saying that we think we need to
10 discuss whether you can extrapolate, we need to
11 discuss if we think you can't extrapolate because
12 if you can't, it would be very hard ethically to
13 do a trial, okay, that then you're enrolling
14 children into something you don't need to, but if
15 you can't extrapolate, can you do a placebo-
16 controlled trial and what are the endpoints?

17 So, I think there's -- that's a whole
18 discussion -- long discussion that we would need
19 to bring to the committee.

20 DR. BIER: As long as the conclusion
21 is it is efficacious, there is no leverage to
22 doing a study. I mean that's -- if the conclusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is we don't know if it's efficacious, then there
2 is leverage for doing a study.

3 DR. MURPHY: Yes, and I think that is
4 the whole point. We have to go walk through the
5 issue of the division has made the cut that they
6 have extrapolated efficacy. So that is what the
7 committee is saying. They don't know that they
8 agree and if we do that, because once you have
9 made that extrapolation, you don't need to prove
10 efficacy, you are simply proving -- you are
11 defining, excuse me, defining the dose and
12 defining the safety and that is how the law is
13 written.

14 So, what the committee's saying is we
15 don't know that we agree with extrapolation in
16 this issue. So, we have to -- and I guess it
17 might be helpful, Marsha, to have us better
18 understand is that -- is it a consensus of all the
19 members or a lot -- some of the members because we
20 need to put this in our priority list somewhere.
21 So, I'm trying to get a feel for the extent of the
22 concerns from the committee.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. RAPPLEY: So, I think it also
2 needs to be placed in the context that as you --
3 as we as a society have evolved to acknowledge the
4 special conditions and circumstances of children
5 and medications, and we now have made some really
6 important steps forward and are learning some very
7 important information that we previously did not
8 have access to, we have raised the bar ourselves
9 and we are demanding more and more, as you are of
10 yourself as an agency.

11 So, I think it's not surprising that
12 we begin to question the premises on which we
13 have approached these medications historically.
14 We also don't want to send people off track and
15 move away from examining the medications that are
16 important for us to consider as we revisit things
17 over and over again.

18 So, I think your point is well taken.

19 So, is it -- I'd like a sense from this committee
20 then where we would like the agency to go with
21 this concern about both extrapolation and long-
22 term studies for chronic illness.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Is this something you want them to
2 reflect on and come back and recommend or review
3 with us how they address this and then put it out
4 as some kind of special consideration or is this
5 something that you want further two-day
6 conferences on?

7 DR. WARD: Can we separate those two
8 issues? Long-term studies and extrapolation?

9 DR. FRANCIS: Just one small comment.
10 There have been a number of people within FDA
11 looking at those issues, particularly long-term
12 studies and how it fits into the regulatory
13 function, and one of the things that's come up,
14 you may have heard of, is the Reagan-Udall
15 Foundation, should be sort of a related cousin to
16 what the NIH Foundation is to NIH, where issues
17 that normally the particular agency can't do will
18 have a foundation where you can work out how to do
19 some of these studies in collaboration with
20 private industry, investigators like yourself or
21 others to look at some of those issues.

22 So that may be actually a vehicle to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at some of these things in a more systematic
2 way and time.

3 DR. RAPPLEY: And maybe that whole
4 set of opportunities are not well known to us and
5 it would be part of what you could present to us
6 in terms of how to approach these things in the
7 future.

8 DR. MURPHY: And it is a brand-new
9 process. Does it have funding?

10 DR. FRANCIS: No, the concept has
11 been approved, the funding is what they are
12 working on now, and they have the executive
13 board's been appointed and the last I heard,
14 within the next two years, it will start being
15 operational.

16 DR. RAPPLEY: We are familiar with
17 those unfunded mandates.

18 DR. MURPHY: I think Bob asked to
19 separate the issues. I think that would be
20 helpful to us because extrapolation is something
21 that we are struggling with and long-term studies
22 are something that we are struggling with, not --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and mostly to do with some of the chronic diseases
2 but not always, you know. There may be reasons we
3 need long-term studies for other chronic
4 diseases.

5 So, if you would maybe approach this
6 separately for the committee to give us some
7 feedback as to what their concerns are in those
8 two areas?

9 DR. RAPPLEY: Dr. Sandborg?

10 DR. SANDBORG: This is a comment
11 about the extrapolation issue. There may be
12 differences between diseases where you can
13 extrapolate easily or not easily, and I think
14 chronic illness is an area where the actual study
15 in the moment, the study design, how it is
16 actually conducted, has a huge effect on the
17 placebo rate in chronic illness because of the
18 variability in reporting and the -- some of the
19 measures which are more subjective than others and
20 all the aspects of why people do better, even on
21 placebos in controlled trials, is very true in
22 children as it is in adults.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Whereas for other drugs,
2 extrapolation may be much more straightforward and
3 so there's a possibility that you may want to
4 parse that out, the different types of drugs or
5 different types of studies, and then there are
6 different study designs that can have a placebo
7 phase or an active comparator or something which
8 is not as ethically challenging as a full placebo-
9 controlled trial but may be, especially in these
10 chronic illnesses where you really do need that,
11 may be acceptable.

12 DR. RAPPLEY: So, I would like to
13 suggest then that the agency take some time at our
14 next meeting to concisely address how they
15 approach the decisions around extrapolation as one
16 subject and then decisions around chronic
17 conditions of children as a separate subject, and
18 we could at that meeting then, knowing that that
19 information is coming and reflect on it between
20 now and then, give you feedback about whether or
21 not we think that needs to be revisited in some
22 way or discussed further.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Well, this is quite
2 timely, actually. We just have a new working
3 group, Dr. Rodriguez is the -- and Dr. Julia Dunne
4 who, and I didn't plant this, honest. I don't
5 know. I had nothing to do with this. This is
6 actually quite unexpected from the committee
7 today, have actually sent letters to a number of
8 the division directors asking them, particularly
9 those divisions where we know we do do
10 extrapolation, to participate in a working group,
11 and Dr. Dunne is with us for three years from the
12 regulatory -- English Government regulatory agency
13 and so we are also bringing their perspective into
14 this and they will be looking at this issue.

15 So, if we come back this year or at
16 the next meeting, we are not going to have any
17 definitive answers and I actually think that's
18 preferable. I think it would be preferable to
19 bring back to you where we are beginning to
20 outline our thinking on this and get some of your
21 thoughts on some of your issues.

22 So, as you said, it would be a good

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 idea for you all to be thinking about this. We
2 can try, Carlos, to send them in the meantime some
3 information so it's not coming at the -- right
4 before the meeting on the law as far as
5 extrapolation is concerned.

6 We have actually had some
7 presentations where people -- different division
8 directors have talked about extrapolation, why
9 they've done it, why they haven't. We'll see how
10 much of that is public and we'll try to send you
11 some of that information in between for your
12 reading pleasure.

13 DR. RAPPLEY: Is the committee
14 agreeable to that? Okay. I think we do need to
15 move on. We have medications that we have
16 postponed addressing and we need to move to that,
17 and if people feel that it is very important to
18 continue this discussion, would you approach me at
19 lunch and we will make plans thereafter?

20 Thank you.

21 Suprane (desflurane)

22 Standard Review of Adverse Events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SACHS: It's nice to see everyone
2 again.

3 I am Hari Sachs in the Pediatric and
4 Maternal Health Staff.

5 I also want to acknowledge Dr.
6 Schultheis -- Lex Schultheis sitting over at the
7 table from the Division of Anesthesiology, and at
8 the risk of putting you all to sleep after this
9 quite energetic discussion, I'll be discussing the
10 adverse events for desflurane.

11 Just in case you need a reminder,
12 here is an outline of the talk.

13 Desflurane is marketed by Baxter as
14 Suprane and it is a general inhalation anesthetic
15 that was originally approved in September of 1992.

16 Pediatric exclusivity was granted almost 14 years
17 later, on September 13th, 2006, with labeling
18 changes primarily related to safety approved in
19 December of the same year.

20 In adults, desflurane is approved
21 either for induction or maintenance of anesthesia
22 during both in- and outpatient surgery. However,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in pediatric patients, desflurane is only
2 indicated for intubated patients that require
3 maintenance of anesthesia who have been induced by
4 other agents and this is due to the high incidence
5 of respiratory adverse events.

6 The dosage is individualized based on
7 the patient's response and there is dosing in the
8 labeling down to age 2 weeks based on mean
9 relative potencies.

10 Not surprisingly, desflurane is
11 primarily used in the inpatient setting and the
12 majority of use is in adults with desflurane
13 accounting for almost 40 percent of discharges.
14 There is similar use actually for sevoflurane and
15 pediatric use of the product is limited to less
16 than 3 percent which accounts for approximately
17 2,900 unprojected discharges per year. There
18 really haven't been any trends or changes in use
19 in the time frame that we will be talking about.

20 Okay. Let's look at the exclusivity
21 studies. Now before the studies were started,
22 desflurane was already approved for maintenance in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intubated patients and therefore the studies were
2 designed to just look at the safety and
3 tolerability in non-intubated patients and
4 originally the written request asked for studies
5 down to neonates.

6 However, as you will see, there was
7 such a high incidence of respiratory adverse
8 events in the youngest age cohort, that the
9 written request was amended and what ultimately
10 was done was a study -- a single study in 400
11 children, ages 2 to 16, which randomized 3:1 for
12 these patients to receive either desflurane or
13 isoflurane via laryngeal mask airway or face mask.

14 Despite the lower dose of desflurane
15 that was used, there was higher adverse events
16 noted in the desflurane arm, particularly in the 2
17 to 6 year olds, as well as all the earlier
18 discontinuations were noted in that arm, and more
19 patients in the desflurane arm required treatment
20 intervention.

21 So, as a result of these studies, the
22 labeling was changed under the Clinical Trial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 section in Pediatric Surgery to emphasize that
2 desflurane is not approved for maintenance of
3 anesthesia in non-intubated patients and these
4 findings are reiterated in the Indications and Use
5 section as well as the Respiratory Adverse
6 Reactions are really highlighted in the Warnings
7 sections of the labeling.

8 And as you can see under the
9 Pediatric Use section, the percentage of adverse
10 events has been moved and just to put this in a
11 little perspective, I'm not an anesthesiologist,
12 but my anesthesiologist colleagues tell me that
13 the incidence of laryngospasm from many other
14 agents is on the order of 10 percent, but you can
15 see here that the incidence is about 50 percent.

16 In addition, although not shown, the
17 respiratory adverse events are broken down by age
18 for the patients that are non-intubated as a
19 result of the safety study.

20 Now I'd like to just highlight some
21 of the adverse -- I mean the additional safety
22 concerns that are found in labeling. Desflurane

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is contraindicated to patients who are sensitized
2 to halogenated anesthetics because of the risk of
3 hepatitis and warnings include the risk of
4 perioperative hyperkalemia, malignant hypothermia,
5 and the need for desflurane to be administered by
6 skilled personnel in a monitored setting.

7 Note that perioperative hyperkalemia,
8 albeit rare, has led to cardiac arrhythmias and
9 death during the post-op period, particularly in
10 patients with underlying neurovas -- neuromuscular
11 disorders, such as Duchenne muscular dystrophy;
12 concomitant succinicholine has also been noted
13 with many of these patients.

14 And there are features that are
15 suggestive of rhabdomyolysis, that is elevations
16 of CPK and urine myoglobin, which are noted along
17 with the hyperkalemia.

18 The precautions list the potential
19 for dose-dependent hypotension and tachycardia as
20 well as special recommendations for particular
21 patient groups, such as patients undergoing
22 neurosurgery or cardiovascular surgery, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need to adjust the inspired nitrous or air
2 concentrations so that adequate oxygenization is
3 maintained is also outlined as well as the risk of
4 hepatitis if the patient is sensitized.

5 Common adverse events include
6 headache, cardiac rhythm changes or hypertension,
7 gastrointestinal upset, increased salivation and
8 conjunctivitis, as well as all the respiratory
9 adverse events you have seen.

10 Rare hepatic failure can be noted as
11 well as transient elevations in white count and
12 hypoglycemia.

13 Okay. Let's look at the adverse
14 events that were seen and since market approval,
15 you can see there has been almost 630 reports in
16 patients of all ages and most of the events are
17 serious. When you look at children, there's about
18 47 and this is the raw count which is 7 percent
19 and that does slightly exceed the use.

20 Most of these events were serious and
21 five of them, and again this is raw counts, were
22 associated with fatalities, but I do want to point

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 out that when we looked at the amount of
2 unduplicated cases, there is actually 33 cases
3 that relate to pediatrics and three fatalities.

4 Now the details of the fatal events
5 are provided on the next several slides. There is
6 a 9-year-old whose only past history was that of
7 anemia, who was undergoing leg surgery. She was
8 induced with methohexital and isoflurane and
9 switched to desflurane partway through the case,
10 and at some point, and it is actually unclear from
11 the record exactly when, whether she was switched,
12 you know, because she was getting hypoxic or she
13 became hypoxic after she was switched, she became
14 hypoxic and bradycardic and arouse were noted on
15 her exam, pink frothy fluid from the ET tube, and
16 even though she did respond as far as vital signs
17 go, unfortunately six days later, she died from
18 hypoxic brain injury.

19 The -- notably, all the labeling for
20 the agents she received does include respiratory
21 depression, hypoxia and tachycardia.

22 There was also a 5-year-old who had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 meningomyelocele who received desflurane as well
2 as several other anesthetics and died 18 days
3 after surgery, after having rhabdomyolysis, and
4 his CPK was fairly remarkable.

5 Note that the labeling, as I
6 mentioned, for desflurane does describe elevations
7 of CPK and urine myoglobin which are consistent,
8 although the term "rhabdomyolysis" is not used,
9 but the labeling for propofol does specify that
10 rhabdomyolysis may occur.

11 And the last case which did occur in
12 the one-year, five-year -- one-year
13 postexclusivity period describes a 5-month-old who
14 had a fatal respiratory arrest seven hours after
15 receiving anesthesia for an incision and drainage
16 of an abscess that was associated with
17 vaccination.

18 The question was raised about an
19 underlying mitochondrial disorder because on
20 autopsy, the child had an unusual necrotizing
21 myopathy and labeling for all the agents does
22 describe, of course, the need to administer in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 monitored setting and the risk of respiratory
2 suppression, particularly for desflurane.

3 So, speaking of the one-year
4 postexclusivity period, based on crude counts,
5 there were approximately 42 reports in all ages
6 and when we focus in on the pediatric reports, six
7 of these, which is 14 percent, also seems to be a
8 little high, but when we look at the actual hand-
9 on review, there is actually only two unduplicated
10 cases and one of them was this fatality you heard
11 about. It was actually reported three times.

12 So, in addition to that fatality,
13 there was a 2-year-old who was undergoing surgery
14 for an artificial valve and she developed a
15 prolonged coagulation time. Among the medicines
16 she received was an anticoagulant, I won't
17 hesitate to pronounce it, and even though the
18 anticoagulation therapy could have been related,
19 as you know with hepatic dysfunction, you can see
20 abnormalities in coagulation, so it is certainly
21 not clear if the anesthetic could have
22 contributed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Due to the small number of adverse
2 events during the exclusivity period, the safety
3 reviewer, thank you, Peter, went back and looked
4 at all the adverse events in children and they are
5 summarized on this slide.

6 Most of the events, actually the
7 majority, are related to labeled events or are
8 labeled and certainly all of the events did occur
9 in patients who had multiple illnesses or
10 concomitant medications and were highly
11 confounded, but as far as the serious respiratory
12 events, there were cardiac arrests and seizures
13 that occurred in more than one patient and there
14 was one case of pulmonary edema and I will
15 describe those for you.

16 Now three patients experienced a non-
17 fatal cardiac arrest and as you can see, two of
18 the patients, the first two, experienced cardiac
19 arrest that was temporally related to a
20 respiratory adverse event. The first patient had
21 laryngeal spasm, the second patient had hypoxia,
22 and, of course, this is germane because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respiratory arrest in children is the most common
2 cause of cardiac arrest, at least that is what
3 they drummed into me when I take my PALS classes.

4 The last event was an adolescent with
5 Duchenne muscular dystrophy who had a related
6 cardiomyopathy and developed ventricular
7 arrhythmia and cardiac arrest after general
8 anesthesia and that anesthesia did include
9 desflurane.

10 Note that the labeling for desflurane
11 does not explicitly mention cardiac arrest,
12 although there is certainly warnings about
13 respiratory failure and the need to adequately
14 monitor these patients and be prepared to
15 intervene.

16 But, you know, the safety reviewers
17 did look further and they looked at cardiac arrest
18 cases for both pediatrics and adults and there
19 actually seems to be a signal for cardiac arrest
20 in adults as well as kids and also for the whole
21 class. So, there is a suggestion that the
22 labeling here gets revised.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There was another unlabeled event, a
2 case of pulmonary edema in an 8-year-old, although
3 this event does seem to be related to the fact
4 that this child emerged quite rapidly from
5 anesthesia, bit and kinked his airway and then
6 developed the pulmonary edema. They think a
7 vacuum was created.

8 The pulmonary edema, while not
9 described in desflurane labeling, is described in
10 the labeling for the other agent he received.

11 Finally, two patients developed
12 seizures which is also an unlabeled event. One
13 was a 6-week-old who had seizures during a pyl --
14 following a pyloric stenosis repair. He did
15 recover after treatment with an anticonvulsant,
16 and then there was a 16-year-old, male, who had
17 tonic-clonic seizures and transient blindness
18 while receiving multiple agents during
19 arthroscopy.

20 Now, as I said, desflurane is not
21 labeled for seizures but methohexital, which this
22 child received, is and blurred vision is also part

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the fentanyl and alfentanil labeling. So, you
2 are kind of left with one case in a 6-week-old
3 that might be related.

4 The remaining cases, as I mentioned,
5 were really confounded by multiple medications
6 and/or related to -- I mean and were clearly
7 labeled, so I won't discuss them.

8 So, in summary, the labeling does
9 state that desflurane is not recommended for use
10 in induction and that has actually been the case
11 before the exclusivity studies were done. The
12 labeling was updated to reflect that it is not
13 approved for maintenance of anesthesia in non-
14 intubated patients.

15 The adverse events have been
16 incorporated, the respiratory adverse events, and
17 although it is not explicitly described in the
18 labeling, there has been several cases of cardiac
19 arrest that were reported since marketing approval
20 in both adults and pediatric patients and for that
21 reason, FDA is recommending that the labeling be
22 revised to include cardiac arrest as an adverse

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 event.

2 I do not think there has been
3 specific enough discussions that we have proposed
4 labeling, though.

5 FDA recommends routine monitoring of
6 desflurane for adverse events in all populations,
7 if you guys concur, and before I let you all start
8 the discussion, I do want to acknowledge the
9 contributions from a very diverse group of folks
10 and a lot of hard work behind the scenes.

11 Clarification Questions and Question
12 to the Committee

13 DR. RAPPLEY: Thank you. So, two
14 recommendations. One is that the labeling be
15 changed to include cardiac arrest as an adverse
16 event, and then the second recommendation is that
17 this medication be moved to routine monitoring.

18 Discussion on the first
19 recommendation?

20 Does the committee feel this is an
21 appropriate addition to the label? Is anyone
22 opposed? So, unanimous in that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Does the committee feel this should
2 be moved to routine monitoring? Anyone opposed?

3 DR. NEWMAN: I guess I'm puzzled. It
4 seems like this medication is a lot riskier than
5 isoflurane, at least in children, and really high
6 incidence of respiratory adverse effects and no
7 suggestion of any greater efficacy.

8 So, I'm wondering why would it not be
9 contraindicated in children. Is there some reason
10 why one would ever want to give this riskier
11 medication, medication riskier than isoflurane to
12 kids?

13 DR. RAPPLEY: Yes, Dr. Notterman?

14 DR. NOTTERMAN: I was actually going
15 to make the same point. I was going to phrase it
16 differently and ask if we could have some
17 clarification on how the agency decides the
18 balance between non-approval and contraindication.

19 I would have come down, if there was
20 that kind of process, on the side of
21 contraindication in this age group.

22 DR. RAPPLEY: So, currently, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 label is if they do make a distinction between
2 induction of anesthesia and maintenance of
3 anesthesia.

4 DR. SCHULTHEIS: Well, there are
5 differences in risk for isoflurane and desflurane.

6 Desflurane is less metabolized than isoflurane
7 and there may be circumstances when the
8 anesthesiologist would prefer to use a drug that
9 would not be as hepatically changed in children.

10 Certainly the concerns with regard to
11 respiratory adverse events is primarily with
12 regard to non-intubated patients. That's what the
13 labeling changes, the recent labeling changes
14 addressed and so there are certainly times when it
15 would be considered for use in intubated patients
16 as well. So that we wouldn't contraindicate it
17 entirely for that group of patients.

18 There's always a risk-benefit with
19 any combination of drugs and it may come down to
20 such variations in such things as cardiac- loading
21 conditions, metabolism, rate of recovery from
22 anesthesia, that may be important to assess, say,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neurologic function, things like that.

2 DR. RAPPLEY: Dr. Notterman?

3 DR. NOTTERMAN: Thank you for that,
4 and I was actually referring specifically to non-
5 intubated patients and I was wondering if it was
6 possible to contraindicate that particular use but
7 not its use in intubated patients.

8 DR. SCHULTHEIS: Again, the choice
9 may come down to other conditions, other aspects
10 of the risk-benefit profile, like rate of
11 recovery, and even in a non-intubated patient, it
12 may be preferable to have a more rapid emergence
13 for evaluation of neurological function, for
14 example.

15 So, you know, I hesitate to say that
16 we could contraindicate it when there are certain
17 potential advantages in certain patient
18 populations to one drug versus another.

19 DR. RAPPLEY: So, the agency has
20 reviewed the indications --

21 DR. SCHULTHEIS: Yes.

22 DR. RAPPLEY: -- and feel that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are special circumstances --

2 DR. SCHULTHEIS: Yes.

3 DR. RAPPLEY: -- under which the
4 risks may be tolerable?

5 DR. SCHULTHEIS: Right.

6 DR. RAPPLEY: Yes?

7 DR. MATHIS: I would just like to add
8 to that, that remember that the bar for a
9 contraindication is that there would never ever be
10 a situation where the benefit would ever outweigh
11 the risk.

12 So, if there are clinical situations
13 where a physician might think there was benefit to
14 using this product, then a contraindication
15 wouldn't be appropriate in the pediatric
16 population, so that to never ever use this product
17 in pediatrics.

18 DR. RAPPLEY: Okay. So, are we --
19 we've endorsed then both of those motions to --
20 both of those recommendations to add cardiac
21 arrest as an adverse event and to move this to
22 routine monitoring? Anyone opposed?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KOCIS: I'm sorry.

2 DR. RAPPLEY: Dr. Kocis?

3 DR. KOCIS: I just want to continue
4 to follow up. I'm still confused on the exact
5 wording on not approved versus more serious in the
6 non-intubated patients because, as it's labeled
7 here, it's not approved versus --

8 DR. RAPPLEY: What page are you on?

9 DR. KOCIS: 243. I guess I would see
10 it more for during maintenance in intubated
11 patients for -- again, I'm not an
12 anesthesiologist, but I can imagine that there
13 would be reasons that you suggested, but as far
14 as, you know, that risk profile in induction or
15 the maintenance in the unintubated patients seems
16 excessive and to say it's not approved versus
17 contraindicated, I'm still unclear about whether
18 we should change the labeling to make it more
19 strong for the non-intubated patients.

20 DR. MURPHY: Page 237, and see if
21 this is addressing because when you go -- in the
22 beginning, you know, of the label, you come to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Indications and Usage Section and so what you're
2 saying is you think the -- the Indications and
3 Usage Section says right up front it's not
4 recommended for induction in pediatric patients.

5 So, you and to go ahead and see the,
6 you know, different Precaution Sections about
7 that. I'm trying to find the other Precaution
8 Sections.

9 DR. RAPPLEY: It also says after
10 intubation that it's indicated for use. Your
11 question, Dr. Kocis, is should we make that
12 language stronger? Response?

13 DR. SCHULTHEIS: All I can say at
14 this point is we've already had that discussion
15 internally and we determined that there were cases
16 when it might be preferable to use desflurane as
17 opposed to other inhalational agents, even when
18 the patient is non-intubated, and that again may
19 have to do with rapid emergence, ability to assess
20 the patient, hepatic insufficiency, and so forth.

21 DR. KOCIS: And again not being an
22 anesthesiologist, I just would argue I can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 imagine a reason when there are alternative agents
2 to use or to intubate a patient. So, I can't
3 imagine the scenario where I wouldn't intubate the
4 patient for the use of desflurane or you wouldn't
5 use another agent in the unintubated patient, but
6 again I don't do that for a living, but these, you
7 know, adverse events are, in my opinion, very
8 severe in nature and certainly could have been
9 catastrophic. While they may not have been, in
10 many of the circumstances they certainly could
11 have been.

12 DR. RAPPLEY: So, I do think that
13 these are very important questions that we are
14 raising, but we are also then suggesting that we
15 revisit a decision that has been made and that
16 would require a diligent discussion and review of
17 information that would be probably a half-day
18 session, and I think again if someone feels
19 strongly about that, strong enough to think that
20 we need to move to some question of that nature,
21 then we need to bring that to the attention of the
22 agency, but otherwise we need to act on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general pediatrician, you have a child that has an
2 abscess that's bad enough that it needed drainage,
3 I mean one could wonder if the kid simply got
4 septic.

5 There's not any details in the record
6 to support that, but there's certainly no details
7 in the record to say that's not the case.

8 I think that the finding of the
9 necrotizing myopathy is also pretty interesting
10 and the folks that, you know, published the case
11 actually wondered about whether or not this child
12 had an underlying abnormality that may have
13 predisposed him to react funny to the anesthesia.

14 Unfortunately, they also said that,
15 at least at the time, there wasn't the way to
16 check postmortem for that more -- you know,
17 whether -- what mitochondrial defect he might have
18 had. There wasn't a way to check.

19 DR. BIER: If they had tissue that
20 wasn't preserved, they had DNA and they can in
21 fact check mitochondrial DNA abnormalities.

22 DR. SACHS: All I can tell you is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what was in the reports.

2 DR. RAPPLEY: Does that answer your
3 question, Dr. Daum?

4 DR. DAUM: It tells me that there
5 really is no answer to my question.

6 DR. RAPPLEY: It's not the case where
7 we have access to either the patient or the
8 specimens afterwards as an agency or as a
9 committee. So, we really have to rely on what's
10 reported and what decisions are made at that point
11 in time.

12 DR. DAUM: Yes, and my cynical side
13 says at least they didn't blame the vaccination.

14 DR. RAPPLEY: Well, we don't know
15 that. I doubt that, actually.

16 DR. BIER: I realize that it isn't
17 our job to deal with that, but from the
18 perspective of pediatric counseling to the family
19 and their other children and whether they have
20 another child who may, you know, need to undergo
21 anesthesia, I mean, this is a critical issue that,
22 you know, at least some data could have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we understand what it is that you think should be
2 --

3 DR. KOCIS: Right. And it's
4 semantics to some degree, but we've talked about
5 how neutral or negative we can be with changing
6 one word or the other and not approved for kids is
7 like everything and then when we contraindicate
8 something, then we don't use it, and I guess that
9 would be my concern when we say it's not indicated
10 or not approved. It doesn't mean that
11 anesthesiologists wouldn't use it, and again if
12 there was no alternative to not using it, I guess
13 I would leave that more open than when seemingly
14 for somebody who doesn't do this for a living
15 alternatives that seem to have less risk, that why
16 wouldn't we say it should be contraindicated or
17 not -- rather than not approved?

18 So, I guess that's where I'm going
19 with how strong of a negative versus a neutral
20 we're going to be.

21 DR. MURPHY: Okay. So, your question
22 was really not changing the not recommended but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it's the changing the not approved from
2 maintenance in the non-intubated to a
3 contraindicated and you heard the answer to that.

4 So, the answer is that at this point the agency
5 deliberately did not move it into the
6 contraindicated.

7 So, I just want to make sure we're
8 all talking about the same thing.

9 DR. KOCIS: And all I can say is from
10 what data we have, I wasn't at the discussion, I'm
11 sure other people were and could explain that to
12 me, but I couldn't see why -- I would advocate
13 that they -- I at least want to understand why
14 they wouldn't have said something more seriously
15 and I guess to pose the question of would they
16 reconsider their recommendation?

17 DR. RAPPLEY: Dr. Notterman?

18 DR. NOTTERMAN: Is this a case in
19 which additional surveillance and an additional
20 report might be the appropriate response?

21 DR. RAPPLEY: Rather than routine
22 monitoring? Comments from the group?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. WARD: Marsha?

2 DR. RAPPLEY: Sure.

3 DR. WARD: One of the things I think
4 we have to keep in mind is what Dr. Mathis said,
5 that the regulatory hurdle for contraindicated
6 means there is no use for this, and I would -- I
7 think not being again an anesthesiologist, I think
8 I would want to defer to anesthesiologists, that
9 there may be a clinical setting in which rapid
10 emergence is important, more important than the
11 issues around airway irritability, that they are
12 trained to deal with.

13 DR. RAPPLEY: So, does --

14 DR. MURPHY: I guess what we're
15 asking -- can we change our question?

16 DR. RAPPLEY: Certainly.

17 DR. MURPHY: Well, in a way it's the
18 same question, but what we hear is there's concern
19 about this product with the present labeling.
20 Okay?

21 What if we changed our question to we
22 continue to monitor and come back to the committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the present labeling versus taking the
2 recommendation of changing the labeling at this
3 point?

4 Could I pose the question, Marsha,
5 for you to pose to them that way?

6 DR. RAPPLEY: Certainly. So, the
7 question then is do we recommend continued
8 monitoring and a return to this committee then
9 with that new information and the committee's --
10 the agency's recommendation then about whether or
11 not the agency feels the change is then needed,
12 indicated?

13 DR. MURPHY: Yes, thank you, that's
14 the question.

15 DR. RAPPLEY: Okay. Dr. Newman?

16 DR. NEWMAN: I am not sure that
17 continued monitoring and sort of the case reports
18 are going to be that helpful and I guess I just
19 would wonder whether -- I mean, the current
20 labeling under Indications, it just says Suprane
21 is indicated for maintenance of anesthesia and
22 these are after intubation in infants and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 children, and it just seems like that doesn't
2 really capture the data, that it should just be
3 special circumstances.

4 It should be indicated as a second
5 line choice or as when there is some compelling
6 reason not to use a safer alternative, but to just
7 have it just say after intubation, it's indicated,
8 I don't think captures the pretty big difference
9 in safety between it and alternatives.

10 DR. RAPPLEY: Other comments or
11 thoughts? So, Dr. Newman, your position is that
12 no, that's not adequate? You want to see the
13 labeling changed?

14 DR. NEWMAN: Yes.

15 DR. RAPPLEY: Response from the
16 agency?

17 DR. MURPHY: I guess we would say
18 that we just prefer to see how everybody else
19 feels. In other words, I agree, I understand the
20 limitations of what you're saying, but I do think
21 that we have had situations -- because the thing
22 that's striking about these cases is these kids

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were really basically healthy kids, I mean in a
2 couple of these.

3 So that's why you've got such a
4 presentation today. So, I do think that
5 continuing the discussion of the committee, that
6 we think that we'd like to change our question to
7 the way I said it and we'd like to hear if other
8 people fall on the side of doing that or they fall
9 on the side of Dr. Newman's suggestion of no, I
10 want it changed now.

11 DR. RAPPLEY: So, we've heard
12 discussion about would say no, that's not adequate
13 to continue monitoring.

14 Is there discussion about the
15 adequacy of continuing monitoring at this point in
16 time?

17 DR. MURPHY: And again do look at the
18 Indications Section because it does refer you back
19 to all the precautions and stuff.

20 DR. RAPPLEY: Dr. Cnaan?

21 DR. CNAAN: I guess I would favor the
22 suggestion by Dr. Murphy on looking at Page 237,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at the Contraindications, and it does rise to the
2 level of never, and I guess what we've heard is
3 the logic of don't put it in the contraindication
4 unless it's never.

5 So, I would like to see, be another
6 year, I don't know what, but some period before
7 going to the extreme of never.

8 DR. RAPPLEY: Dr. Notterman?

9 DR. NOTTERMAN: I also agree with the
10 suggestion of continued monitoring. I'm reluctant
11 to make a recommendation to move this into
12 contraindicated status, even for a limited
13 circumscribed indication, without more information
14 from expert practitioners and scholars who work
15 with that, and we don't have that expertise.

16 DR. RAPPLEY: Dr. D'Angio?

17 DR. D'ANGIO: I'd agree that
18 continued monitoring sounds reasonable at this
19 point, but it does sound that at some point as if
20 we're going to need to spend a significant amount
21 of time talking about this and perhaps the next
22 time it comes back, it would be reasonable to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 devote a significant amount of time to the
2 reasoning behind the previous decision with the
3 additional data that we'll have at that point.

4 DR. RAPPLEY: Are we ready to take a
5 vote on this? Yes, Dr. Newman?

6 DR. NEWMAN: I just want to clarify.
7 I'm not saying that it should be contraindicated.
8 I'm just saying that the indications should be
9 narrowed somewhat to reflect the data that was
10 done, you know, that's described under Pediatric
11 Labeling, that it's significantly less safe.

12 So, it's not contraindicated but it's
13 only indicated when there's some reason to use it
14 rather than a safer alternative.

15 DR. RAPPLEY: So, there are two
16 suggestions. Well, the first question that has
17 been posed to us is to continue monitoring this
18 medication and to come back to the committee with
19 a recommendation based on information that will
20 come from that further monitoring.

21 So, I'd like to call a vote on those
22 in support of that particular suggestion, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question.

2 What members of the committee support
3 continued monitoring and returning to the
4 committee with the new recommendation for
5 labeling?

6 (Show of hands.)

7 DR. RAPPLEY: Opposed?

8 (Show of hands.)

9 DR. RAPPLEY: So, I see three
10 opposed. Okay. All right.

11 Dr. Pena, can you give us a count?
12 We need to see the hands again.

13 DR. PENA: Yes. Can we see a show of
14 hands again that we can do a count on?

15 DR. RAPPLEY: Those in favor of
16 continuing monitoring and returning to the
17 committee with a recommendation?

18 (Show of hands.)

19 DR. PENA: 10 are in favor of active
20 monitoring, three are not in favor.

21 DR. RAPPLEY: And one abstention.
22 Three are -- well, I think what we have to say is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three are not in favor of that particular motion.

2 Make a further motion that we return
3 to routine monitoring.

4 Okay. Does the agency understand the
5 will or the recommendation of the committee?

6 DR. MURPHY: Okay. So, well, I want
7 to get to the three. One second here.

8 So, the majority of the committee is
9 recommending that we continue to monitor the
10 situation, to bring back to you -- and it may not
11 be a year, if we don't have enough cases or it may
12 be a little longer till we think either it's
13 futile or we think we have enough more normal kids
14 who are having severe problems that we'll come
15 back to you, okay, but we will definitely come
16 back within a couple of years with additional
17 information.

18 In the meantime, you would like us to
19 also take under consideration the minority opinion
20 that you just think that the Indication and Usage
21 Section needs to have additional information about
22 what the limitations are of the use of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 product.

2 Am I getting that closer on that for
3 us to consider, and when we come back to address
4 the issue of if we don't recommend any stronger
5 language, we want to leave it why we want to leave
6 it and bring more information back to you about
7 that.

8 DR. RAPPLEY: Can I ask then that the
9 three who were not supportive of this motion give
10 us a very concise statement about what further
11 they would like to see?

12 DR. MURPHY: Yes, that would be
13 helpful. Thank you.

14 DR. RAPPLEY: Okay. Dr. Kocis?

15 DR. KOCIS: I just feel we have
16 enough information currently to prevent further
17 deaths or further severe adverse events occurring
18 before we change the labeling.

19 I feel whether it's contraindication
20 or not, I don't feel, I don't know enough, as Dr.
21 Daum says, I think professionals, experts need to
22 do this, but you need to understand children are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 given anesthesia by general adult
2 anesthesiologists all the time, that pediatric
3 anesthesiologists are not the only ones who
4 provide that, and it's not clear to me, you know,
5 all the circumstances.

6 Was this a pediatric anesthesiologist
7 on your committee making the recommendation, were
8 adult recommendations made, and what all that
9 information was, but I think we've seen enough
10 high-risk events that this neutral position to me
11 is inadequate and yes, more information, yes,
12 we'll get a better assessment of more adverse
13 events and more catastrophic events, but I think
14 we have enough information now to be stronger
15 about our recommendations in the unintubated
16 patient.

17 DR. RAPPLEY: Dr. Daum?

18 DR. DAUM: I have nothing to add.
19 That's exactly my opinion.

20 DR. NOTTERMAN: Yes, I mean, I would
21 echo Dr. Kocis's comment, that any time you have
22 children going in for totally elective low-risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 surgery and have a severe complication when there
2 is a very similar class drug available that's much
3 lower -- that's lower risk and not express that
4 sentiment in the labeling, I think that we're
5 doing a disservice.

6 DR. RAPPLEY: Okay.

7 DR. MURPHY: That was very helpful.

8 DR. RAPPLEY: Thank you.

9 DR. MURPHY: Thank you.

10 DR. RAPPLEY: I also think we might
11 internally, as we consider our process, think
12 about when we are looking at medications that have
13 a high-risk profile, whether they're used
14 regularly or rarely or whatever.

15 Whenever we as a committee, not being
16 the content experts in the particular discipline
17 or of that particular medication, are reviewing
18 high-risk meds, we probably need to allot more
19 time for discussion and kind of walk through how
20 the approval might have occurred in the first
21 place.

22 Yes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SCHULTHEIS: I just want to make
2 one comment, and it's important to bear in mind
3 that these are not all totally elective low-risk
4 patients, and the way the labeling was worded was
5 intended to compel the anesthesiologists to make a
6 thoughtful decision but not to tie their hands and
7 compel them to follow a course of practice that
8 non-anesthesiologists might find attractive.

9 DR. RAPPLEY: Okay. Thank you. Now,
10 we are bumping up against lunch and our break, but
11 we have an hour and 15 minutes to devote to
12 celecoxib.

13 So, I'd like to suggest that we have
14 our agency presentations first, we break for -- is
15 that going to be workable? Okay. And then we
16 will break for lunch and have sponsor presentation
17 immediately after lunch.

18 Committee agreeable to that? Thank
19 you to the sponsor for agreeing to that. Okay.
20 So that would be Dr. Siegel up next. Thank you.

21 DR. SACHS: From the Department of
22 Arthritis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PENA: Is Dr. Roca available?

2 DR. RAPPLEY: And I'll note this was
3 a handout that should be at your table that was
4 added this morning.

5 Celebrex (celecoxib)

6 Overview of Safety from Clinical Trials

7 for JRA

8 DR. SIEGEL: Good morning. My name's
9 Dr. Jeffrey Siegel. I'm with the Division of
10 Anesthesia, Analgesia, and Rheumatology Products,
11 and I'll be discussing celecoxib which was
12 approved approximately a year ago for patients
13 with juvenile rheumatoid arthritis.

14 Celecoxib, as you all know, is a COX-
15 2 selective non-steroidal anti-inflammatory agent.

16 So, the purpose of my presentation is
17 to give some background with respect to the
18 considerations that were under advisement at the
19 time of the review of celecoxib for approval for
20 children with JRA.

21 As you all know, Celebrex was
22 approved for use in children with JRA in December

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of 2006. The efficacy was based on results of a
2 randomized trial using a non-inferiority design
3 comparing celecoxib at two doses to the active
4 comparator naproxen.

5 The major issue at the advisory
6 committee was potential safety concerns based on
7 gastrointestinal and cardiovascular safety signals
8 that were observed in adults, and I'm not planning
9 to discuss efficacy at all, though if there are
10 any questions, I can address them at the end. We
11 will be discussing primarily safety.

12 So, the approval of celecoxib for
13 children with JRA was based on efficacy and safety
14 in Study 195. This study enrolled 242 children in
15 the randomized portion of the study to receive
16 either celecoxib 6 or 12 milligrams per kilogram
17 per day or naproxen 15 milligrams per kilogram per
18 day for three months.

19 202 children enrolled in a subsequent
20 three-month open label phase to receive celecoxib
21 12 milligrams per kilogram per day for three
22 months.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Safety in this study, at the 6
2 milligram per kilogram dose, showed the most
3 common adverse events were gastrointestinal
4 infections and infestations and nervous system
5 disorders. Overall, the common adverse events
6 were similar in type and frequency to those seen
7 with naproxen.

8 In Study 195, the serious adverse
9 events that were seen more frequently with
10 celecoxib included GI disorders, primarily upper
11 abdominal pain, pyrexia and musculoskeletal,
12 connective tissue and bone disorders.

13 Overall, the serious adverse events
14 and the severe adverse events seen in children
15 receiving celecoxib represented events seen in
16 this patient population and events known to be
17 associated with other non-steroidal anti-
18 inflammatory agents.

19 At the time of the initial review, we
20 also looked at postmarketing reports for off-label
21 use of celecoxib in children. Review of the
22 postmarketing database gave no new safety signals

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701