

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

6847 01 MAR 27 P2 39

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

THURSDAY,

MARCH 8, 2001

+ + + + +

The Advisory Committee met in the Versailles Room, Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, at 10:33 a.m., Robert S. Daum, M.D., Acting Chairman, presiding.

PRESENT:

ROBERT S. DAUM, M.D., Acting Chairman

CLAIRE BROOME, M.D.

JAY BUTLER, M.D.

MICHAEL DECKER, M.D.

PAMELA S. DIAZ, M.D., Member

SCOTT EMERSON, M.D., Ph.D.

WALTER L. FAGGETT, M.D., Member

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

OPEN

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

PRESENT (Continued):

LYDIA FALK, Ph.D.

BARBARA LOE FISHER, Member

G. SCOTT GIEBINK, M.D.

JUDITH D. GOLDBERG, Sc.D., Member

DIANE E. GRIFFIN, M.D., Ph.D., Member

SAMUEL L. KATZ, M.D., Member

KWANG SIK KIM, M.D., Member

STEVE KOHL, M.D., Member

CAROLINE HALL, M.D.

RICHARD INSEL, M.D.

DOLORES LIBERA

PAMELA McINNES, D.D.S.

DAVID S. STEPHENS, M.D., Member

MELINDA WHARTON, M.D., M.P.H.

NANCY CHERRY, Executive Secretary

C-O-N-T-E-N-T-S

	<u>PAGE</u>
Introductions	4
Conflict of Interest Statement	5
Introduction to Topic, Marion Gruber, Ph.D.	9
Workshop on Pneumococcal Conjugate Vaccines:	
Carl Frasch, Ph.D.	33
Dr. Lydia Falk	38
Questions to the Committee and Discussion	74

P-R-O-C-E-E-D-I-N-G-S

(10:33 a.m.)

1
2
3 ACTING CHAIRMAN DAUM: I'd like to call
4 the open session, session seven, of our meeting to
5 order, please.

6 We'll begin by asking each of the
7 committee members seated at the table to introduce
8 themselves, and then we'll turn the floor over to
9 Nancy Cherry for announcements and conflict of
10 interest.

11 DR. KOHL: Steve Kohl, Oregon Health
12 Science University.

13 DR. STEPHENS: David Stephens, Emory
14 University.

15 DR. KIM: Kwang Sik Kim from Johns
16 Hopkins.

17 DR. GRIFFIN: Diane Griffin from Johns
18 Hopkins.

19 DR. DIAZ: Pam Diaz, Chicago Department of
20 Health.

21 DR. KATZ: Sam Katz from Duke University.

22 DR. GOLDBERG: Judy Goldberg, New York
23 University.

24 MS. FISHER: Barbara Loe Fisher, National
25 Vaccine Information Center.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. INSEL: Richard Insel, University of
2 Rochester.

3 DR. WHARTON: Melinda Wharton, CDC.

4 MS. BROOME: Claire Broome, CDC.

5 DR. BUTLER: Jay Butler, CDC.

6 DR. EMERSON: Scott Emerson, University of
7 Washington.

8 MS. LIBERA: Dolores Libera, Allergy and
9 Asthma Network, Mothers of Asthmatics.

10 DR. MCINNES: Pamela McInnes, National
11 Institute of Allergy and Infectious Diseases, NIH.

12 DR. DECKER: Michael Decker, Aventis
13 Pasteur and Vanderbilt University.

14 DR. GIEBINK: Scott Giebink, University of
15 Minnesota.

16 ACTING CHAIRMAN DAUM: Thank you.

17 And I'm Robert Daum from the University of
18 Chicago.

19 Nancy, you're on.

20 MS. CHERRY: Okay. Announcement. It was
21 brought to our attention yesterday that it gets pretty
22 noisy in this room. Not only do we have the sounds of
23 construction, but some of you have laptops, and so I
24 would ask that there be a minimum of whispering among
25 the audience members because it makes it hard for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 everyone else to hear.

2 I also would ask a really big favor, and
3 that's that you turn off your cell phones.

4 I want to call your attention to the front
5 desk that you passed as you came in. If there's
6 anything that we can do to help anyone, contact the
7 FDA staffers at the front desk. Denise Royster is out
8 there. She's the one that has done much of the work
9 to put this meeting together. Also Sheila Langford is
10 out there today.

11 And now I will read the conflict of
12 interest statement.

13 The following announcement addresses
14 conflict of interest issues associated with open
15 session of the Vaccines and Related Biological
16 Products Advisory Committee meeting on March 8th, 2001
17 and is related to the discussions on developing new
18 pneumococcal conjugate vaccines for U.S. licensure.

19 Committee members Snider and Manley are
20 unable to attend this meeting, but no votes are
21 expected today, and no temporary voting privileges
22 have been extended to any consultants.

23 To determine if any conflicts of interest
24 existed, the agency reviewed the submitted agenda and
25 all financial interests reports by meeting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 participants. As a result of this review, the
2 following disclosures are being made related to the
3 discussions today.

4 Drs. Goldberg and Insel have been granted
5 waivers in accordance with 18 USC 208(b)(3), which
6 permits them to participate fully in the discussions.

7 In addition, Dr. Giebink has been granted
8 a limited waiver which permits him to participate in
9 the discussion by sharing his expertise and
10 experience.

11 Drs. Broome, Butler, Daum, Goldberg,
12 Griffin, Hall, Kohl, Stephens, and Ms. Libera have
13 associations with firms that could be or appear to be
14 affected by the committee discussions. However, in
15 accordance with 18 USC 208 and Section 2635.502 of the
16 Standards of Conduct, it has been determined that none
17 of these associations is sufficient to warrant the
18 need for a waiver, a written appearance determination
19 or an exclusion.

20 With regard to FDA's nonvoting invited
21 guests, the agency has determined that the services of
22 Dr. Michael Decker as a non-voting industry
23 representative are essential. He has reported that he
24 is employed by Aventis Pasteur as the Vice President
25 of Medical and Scientific Affairs. He is also a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine researcher who has had previous associations
2 with all U.S. vaccine manufacturers.

3 In addition, he has a financial interest
4 in a firm that could be affected by the committee's
5 discussions.

6 In the event that the discussions involve
7 specific products or firms not on the agenda and for
8 which FDA's participants have a financial interest,
9 the participants are reminded of the need to exclude
10 themselves from the discussions. Their recusals will
11 be noted for the public record.

12 With regard to all other meeting
13 participants, we ask in the interest of fairness that
14 you state your name and affiliation and any current or
15 previous financial involvement with any firm whose
16 products you wish to comment on.

17 Copies of all waivers addressed in this
18 announcement are available by written request from the
19 Freedom of Information Office.

20 ACTING CHAIRMAN DAUM: Thanks very much,
21 Nancy.

22 There's one additional clarifying
23 announcement that I would like to make. Yesterday we
24 had one question that was subjected to committee vote.
25 The question was are the available data adequate to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 support the efficacy of DTPa-HepB-IPV vaccine when
2 given to infants in a primary series at two, four, and
3 six months of age. The correct committee vote for
4 anyone who came away confused -- I apologize -- was
5 five members voted, yes, they were adequate; six
6 members voted, no, they were not adequate; and one
7 member abstained. I just wanted to clarify that.

8 Today we turn to the simpler topic of
9 pneumococcal vaccines, and we will begin with calling
10 on Marion Gruber again to give us an overview from the
11 FDA regarding this topic.

12 DR. GRUBER: Good morning. My name is
13 Marion Gruber. I'm with the FDA Office of Vaccines.

14 And I would like to welcome the members of
15 the committee and all others to the important topic of
16 strategies for licensure of new pneumococcal conjugate
17 vaccines.

18 The committee will be asked today to
19 discuss licensure strategies for new pneumococcal
20 conjugate vaccines that are currently in clinical
21 development. The purpose of this presentation is to
22 summarize the various approaches under consideration
23 for U.S. licensure of these new products and to
24 outline the issues that are pertinent to these
25 approaches.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 As you know, the Wyeth Lederle subvalent
2 pneumococcal conjugate vaccine Prevnar was licensed by
3 FDA in February of 2000. This vaccine is indicated to
4 protect infants and toddlers against invasive
5 pneumococcal disease that are caused by the seven
6 serotypes contained in that vaccine. And this vaccine
7 is administered as a four dose series.

8 The prophylactic efficacy of Prevnar
9 against invasive disease was demonstrated in a large
10 field efficacy study conducted in the United States by
11 Northern California Kaiser Permanente Health Care
12 System, and a high level of efficacy in preventing
13 vaccine serotype invasive pneumococcal disease was
14 demonstrated in the primary analysis and was 100
15 percent.

16 Efficacy in preventing invasive disease
17 due to all pneumococcal serotypes was 90 percent.

18 Next slide, please.

19 Published results by Juan Estola, et al.,
20 in the New England Journal of Medicine of the clinical
21 trial of Prevnar in prevention of acute otitis media
22 that was conducted in Finland showed that the efficacy
23 of this vaccine against any cause of acute otitis
24 media was six percent. Efficacy was 34 percent
25 against all pneumococcal acute otitis media, and was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 57 percent against vaccine serotype acute otitis
2 media.

3 And a supplement for an acute otitis media
4 indication for Prevnar is on file with the agency.

5 Next slide.

6 In order to increase protection provided
7 by pneumococcal conjugate vaccines to other prevented
8 pneumococci in the United States and worldwide,
9 vaccine manufacturers have generated new pneumococcal
10 conjugate vaccines that contain many more serotypes
11 than those contained in Prevnar.

12 And these vaccines differ with regard to
13 the polysaccharide antigen concentration, the protein
14 carrier chosen for conjugation, and vaccine valency.
15 Some of these antigens are combined with vaccine
16 antigens directed against non-pneumococcal pathogens,
17 and Phase 1 and 2 clinical studies for these products
18 are either ongoing or have been completed.

19 CBER has received clinical development
20 plans from vaccine manufacturers for these new
21 pneumococcal conjugate vaccines, and those include
22 alternative approaches for obtaining approval for
23 these products.

24 And under current considerations are to
25 conduct noninferiority studies based on select immune

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 parameters for the seven serotypes common to new
2 vaccine in Prevnar; to conduct clinical endpoint
3 efficacy studies for invasive disease endpoints
4 outside the United States; to submit data from
5 completed controlled efficacy trials for acute otitis
6 media endpoints; and to submit data from completed
7 controlled efficacy trials for pneumonia endpoints
8 and/or combination of these elements are also likely.
9 In some cases, more than one vaccine indication may be
10 sought.

11 If licensure of pneumococcal conjugate
12 vaccine is to be based on noninferiority studies
13 comparing immunologic responses, the parameters which
14 would best predict protection would need to be
15 quantitatively defined.

16 However, a whole lot of protection against
17 invasive disease could not be derived directly from
18 the efficacy trial for Prevnar due to the paucity of
19 vaccine failures. Therefore, immune parameters that
20 are perhaps less clearly associated with vaccine
21 efficacy may need to be considered.

22 And very recently, on February the 26th,
23 an FDA-NIAID sponsored workshop has taken place to
24 discuss various immune parameters that could be used
25 to assess noninferiority of vaccine responses, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 thus potentially serve a basis for a head-to-head
2 comparison of new vaccine product to Prevnar, and a
3 synopsis of the outcome of this workshop will be
4 presented to you following this presentation.

5 Next slide. Thank you.

6 I'd like to take two minutes to briefly
7 review the regulatory approach that was taken by the
8 center during the licensure process of Prevnar. As
9 you may recall, the Advisory Committee meeting of
10 November '99 was dedicated to the discussion of
11 Prevnar and the results from the manufacturing
12 bridging studies were present.

13 And this manufacturing bridging study was
14 conducted to perform an immunological bridge between
15 lots that were prepared at commercial scale and to the
16 pilot scale that was used in the efficacy trial.

17 Anti-pneumococcal responses between groups
18 immunized with vaccine lots prepared at full
19 manufacturing scale compared with those of a group
20 immunized with a single lot prepared at pilot scale,
21 and this comparison was based on the percent of
22 subjects responding with antibody levels above a pre-
23 specified antibody threshold level.

24 And the chosen threshold to antibody
25 levels provided maximal discrimination between naive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and immunized individuals at seven months of age by
2 determining concentrations where the greatest
3 percentage of immunized individuals were above that
4 threshold and the lowest percentage of naive
5 individuals were above that threshold.

6 And now I'd like to briefly show you all
7 this using serotype 6B as an example. In the red
8 curve, you see that's the reverse cumulative
9 distribution curve for the immunized population or the
10 immunized group. The green curve then represents the
11 RCD of the unimmunized group, and the black curve is
12 the difference between these groups.

13 And the antibody threshold level for
14 serotype 6B that maximally discriminated between
15 immunized and unimmunized individuals was .25
16 microgram per mL.

17 Now, conceptually the percentage of
18 individuals with sero-responses above threshold
19 antibody concentrations could be considered a criteria
20 for establishing noninferiority based on a head-to-
21 head comparison of a new pneumococcal conjugate
22 vaccine with Prevnar.

23 And of course, the statistical criteria
24 for comparability to Prevnar would need to be
25 discussed and would need to be defined, and as an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 example, criteria that have previously been used for
2 determining the adequacy of bridging are the ratio of
3 the geometric mean antibody concentrations not less
4 than .5, for noninferiority of the new pneumococcal
5 conjugate vaccine relative to Prevnar, and less than
6 a ten percentage point difference in proportions
7 responding above the predefined antibody threshold
8 barrier or titer.

9 Can I have the next slide?

10 It has also been proposed or it's
11 conceivable to use single antibody concentration
12 cutoffs for all vaccine serotypes, and one might
13 choose for this purpose an antibody concentration at
14 or above the highest threshold level observed for any
15 of the serotypes to assure that more stringent
16 criteria are met for all these serotypes.

17 And then, of course, the additional
18 immunological parameters such as opsonophagocytic
19 activity, measurement of antibody avidity, or a
20 combination of the above that may perhaps be
21 considered as predictors of efficacy, and the
22 relevancy of these parameters in this context were
23 discussed during the recent NIAID-FDA workshop and
24 will be presented to you shortly.

25 I would like to note, however that

1 establishing of noninferiority based on sero-response
2 rates, GMCs and/or additional immune parameters vis-a-
3 vis the licensed product Prevnar could be a difficult
4 standard to meet. With seven serotypes in various
5 sets of endpoint criteria, the statistical analysis
6 complicated by issues of multiplicity due to the
7 various comparisons that would need to be made, as
8 well as issues regarding a level of correlation of
9 these different measures.

10 So the probability of failure to
11 demonstrate noninferiority for one of the parameters
12 will increase with each comparison that is made and
13 could be due to chance alone.

14 And going back to antibody levels for a
15 second, because Prevnar was highly efficacious in
16 preventing invasive disease, the antibody levels
17 attained following Prevnar may be in excess of levels
18 required for protection from invasive disease. That
19 is, other vaccine formulations might still be
20 effective even if the antibody levels achieved are
21 significantly lower than those achieved following
22 immunization of subjects with Prevnar.

23 I'd like to briefly talk about the concept
24 of performing clinical endpoint efficacy studies.
25 Demonstration of preventive efficacy for clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 endpoints remains the gold standard to support
2 licensure of vaccines.

3 However, efficacy data based on clinical
4 endpoints are likely to be difficult to obtain for
5 future pneumococcal conjugate vaccines. As discussed,
6 Prevnar was shown to be highly efficacious in a large
7 trial for the primary endpoint of invasive disease,
8 and as a result, Prevnar is currently recommended for
9 universal immunization of infants in the United
10 States, and this recommendation has been made by the
11 American Academy of Pediatrics, American Academy of
12 Family Physicians, and the Advisory Committee on
13 Immunization Practices.

14 Now, if efficacy studies are required,
15 then to obtain U.S. licensure for a new pneumococcal
16 conjugate vaccine, such studies would need to be
17 designed either as noninferiority studies using
18 Prevnar as a comparator or superiority studies using
19 placebo or an unrelated vaccine in the comparator
20 group, depending on the availability of Prevnar in the
21 host country.

22 In the latter case, if clinical efficacy
23 was demonstrated for a new vaccine in either placebo
24 controlled or non-pneumococcal vaccine controlled
25 studies, one might still question whether the new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 products were as effective as Prevnar, and thus the
2 efficacy estimate was very high.

3 And some would argue that all pneumococcal
4 vaccine studies should be conducted as comparative
5 studies using Prevnar in the control group regardless
6 of availability of Prevnar in the host country, and
7 this is based on ethical concerns.

8 Clearly, the ethical evaluations and
9 considerations of placebo controlled pneumococcal
10 vaccine studies are very difficult and complex, and
11 these are currently being discussed by FDA or between
12 FDA upper management and the Office of Vaccines.

13 Next slide.

14 If efficacy trials conducted in foreign
15 countries are to be used in support of U.S. licensure
16 of new pneumococcal conjugate vaccines, immunological
17 bridging to the U.S. population is likely required.

18 However, age specific disease incidence
19 and population differences in genetics, nutritional
20 status and background infection may affect the
21 efficacy as well as the immune response induced by a
22 particular vaccine.

23 So if efficacy is demonstrated in a non-
24 U.S. population, demonstrating that the immune
25 response is adequate in the U.S. population may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difficult in the absence in a true correlate of
2 protection.

3 Next slide.

4 Studies demonstrating noninferiority
5 clinical endpoint efficacy for invasive disease would
6 be substantially larger than placebo controlled
7 trials, but in order to more fully evaluate the
8 regulatory options on which to base licensure of new
9 pneumococcal vaccines, the Division of Biostatistics
10 within CBER has estimated sample sizes for efficacy
11 trials using noninferiority trial designs.

12 And since future pneumococcal conjugate
13 vaccines will likely contain more than the seven
14 serotypes that are currently contained in Prevnar, it
15 is plausible that fewer cases of all pneumococcal
16 disease would be observed in the group receiving the
17 higher valency vaccine than in the Prevnar group, but
18 serotype specific efficacy in the Prevnar group may
19 still be superior.

20 So, therefore, the more appropriate
21 endpoint for comparative efficacy studies might be
22 disease caused by any pneumococcal serotype, and of
23 course, if studies are conducted in non-U.S.
24 populations, differences in the epidemiology of
25 pneumococcal disease may also affect the efficacy of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccine.

2 So in computing sample sizes for
3 noninferiority efficacy studies for invasive disease
4 due to all pneumococcal serotypes, the statisticians
5 have made various assumptions of vaccine efficacy and
6 pneumococcal disease rates, and these I will show in
7 the next few tables.

8 But I would like to stress that the sample
9 sizes reflect estimates rather than precise numbers,
10 and the computed margins for the acceptable difference
11 in vaccine efficacy between the new vaccine or the new
12 product in Prevnar of ten, 15 and 20 percent that we
13 show do not necessarily reflect CBER's thoughts on
14 what would have constituted an acceptable difference.

15 Now, the first table that shows sample
16 size estimates for invasive disease studies in the low
17 incidence population evaluating noninferiority of new
18 vaccines to Prevnar, and the assumption is made that
19 the invasive disease case rate in the unvaccinated
20 population is about 1.5 in 1,000, and what you can see
21 here in the left column is the Prevnar vaccine
22 efficacy estimate, the point estimate that we have
23 specified to be between .7 and .9, and note that the
24 efficacy for Prevnar in terms of protection against
25 all pneumococcal disease was 90 percent in the Kaiser

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trial.

2 The next column then here is the disease
3 probability in the Pevnar group specified for these
4 different point estimate of vaccine efficacy for
5 Pevnar, and these three columns represent the case
6 rates for the new vaccine group corresponding to a
7 difference in efficacy between Pevnar and the new
8 vaccine of ten, 15, and 20 percent.

9 So, for instance, if the true efficacy for
10 Pevnar were to be .7, the disease probability in the
11 new vaccine group could be no greater than six in
12 10,000 for this vaccine to be considered noninferior
13 over the ten percent margin.

14 But the sample size required to show this
15 would be 250,000 subjects per group. Now, if you
16 assume a vaccine efficacy of .9, the sample size would
17 drop to about 80,000 per group, but the disease
18 probability in the new vaccine group could not be more
19 than three in 10,000.

20 So what this table shows us is the numbers
21 that would be required for such trials are very large,
22 and that they increase as the Pevnar vaccine efficacy
23 estimate decreases and as the acceptable margins
24 between vaccine efficacy of Pevnar and new vaccine
25 decreases.

1 And of course, the sample sizes are so
2 large because the disease case rate in the
3 unvaccinated population is so low.

4 Can you show -- okay. Thank you very
5 much.

6 This slide shows basically the same thing,
7 only here we have assumed that the invasive disease
8 case rate in the unvaccinated population is about five
9 instead of one in 1,000. And so now if you look at
10 the Prevnar vaccine efficacy estimate of .9, you will
11 need about 25,000 subjects per arm to demonstrate
12 noninferiority of the new vaccine group within a ten
13 percent margin.

14 Can I have the next slide, please.

15 Available efficacy estimates for Prevnar
16 in preventing otitis media due to serotype specific
17 pneumococcal disease are substantially lower than for
18 invasive disease, and the level of preventive efficacy
19 that is supportive of an otitis media indication is
20 currently under review by the FDA.

21 If the level of efficacy reported in the
22 Finnish efficacy study is deemed sufficient to support
23 an otitis media indication, an indication for
24 prevention of otitis media based on noninferiority to
25 Prevnar could be requested by manufacturers without

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prior demonstration of protection against invasive
2 disease.

3 And efficacy studies based on otitis media
4 endpoints would likely be conducted in countries like
5 Finland where tympanocentesis as therapy for acute
6 otitis media is standard of care.

7 So in planning noninferiority trials for
8 the efficacy endpoints for otitis media due to all
9 pneumococcal serotypes, our biostatisticians have made
10 assumptions based on data from the Finnish otitis
11 media trial of Prevnar in calculating sample sizes,
12 and this is shown in the next table.

13 Next table.

14 And here we assumed, and these are the
15 data from the Finnish trial, that the true vaccine
16 efficacy point estimate for prevention of cases due to
17 all pneumococcal serotypes is 34 percent, and that was
18 the efficacy for Prevnar.

19 The left column then shows -- this table
20 is set up a little different -- this column shows the
21 acute otitis media case rate in the unvaccinated
22 population per person-year, and this is then the case
23 rate in the prevnar group assuming that the vaccine
24 efficacy is 34 percent.

25 And, for example, using a case rate in the

1 unvaccinated population of .4 and a vaccine efficacy
2 for the new vaccine of 30 percent, you would need
3 about 6,000 subjects per group to demonstrate
4 noninferiority of the new vaccine, and the sample
5 sizes do drastically increase as the case rate in the
6 unvaccinated population decreases and as the
7 acceptable or the vaccine efficacy of the new vaccine
8 compared to Prevnar narrows.

9 Now, recommending bodies, such as the
10 American Academy of Pediatrics or the ACIP, may not be
11 completely assured that vaccines that are licensed
12 based on prevention for otitis media will be as
13 effective as Prevnar in preventing invasive disease.
14 However, neither does demonstration of noninferiority
15 of immune parameters provide this assurance in the
16 absence of a quantitative immune -- for invasive
17 disease.

18 And I would like to conclude here and
19 present you with the following items for discussions
20 for this afternoon.

21 First, please discuss whether
22 noninferiority immune response trials comparing new
23 pneumococcal conjugate vaccines with Prevnar are
24 sufficient for inferring efficacy against invasive
25 disease for the new product, and if so, what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 immunological parameters should be considered?

2 Next slide, please.

3 Please discuss the criteria that should be
4 considered to evaluate the serotypes not contained in
5 Prevnar.

6 And next slide, please.

7 Please discuss the following scenario. An
8 invasive disease efficacy study may be performed in a
9 non-U.S. population with a new pneumococcal conjugate
10 vaccine. If efficacy is demonstrated could data
11 derived from such a trial support licensure of the
12 vaccine in the United States?

13 And if so, what are the immunologic
14 parameters that should be used to establish
15 comparability to Prevnar in a U.S. bridging study?

16 And the next slide.

17 Please discuss if efficacy studies -- if
18 invasive disease efficacy studies cannot be done,
19 please discuss if data demonstrating clinical efficacy
20 against acute otitis media for a new pneumococcal
21 conjugate vaccine can also be used to infer efficacy
22 against invasive pneumococcal disease for this new
23 product.

24 Next slide.

25 And in the last slide now I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 acknowledge the contributions and invaluable help that
2 I received from my colleagues in putting the briefing
3 document and the slides together, and especially Dr.
4 Douglas Pratt and Pamela Getson and Peter Lachenbruch,
5 who were our biostatisticians.

6 Thank you.

7 ACTING CHAIRMAN DAUM: Thank you very
8 much, Dr. Gruber.

9 I would like to ask the committee at this
10 point whether they have questions specifically to
11 clarify items in Dr. Gruber's presentation. We will
12 obviously be addressing the bigger issues beginning
13 after Drs. Frasch and Falk present the synopsis of the
14 workshop.

15 Dr. Kim.

16 DR. KIM: In immunologic parameters, you
17 talked about single antibody concentration curve and
18 opsonophagocytic assays, and antibody avidity assays,
19 and you gave us a sort of a graph utilizing serotype
20 6B and to discriminate vaccinated versus unvaccinated.

21 Are you able to make such discrimination
22 curve using other parameters besides antibody
23 concentrations, such as opsonophagocytic assay and so
24 on?

25 DR. GRUBER: I am actually not sure about

1 this at this time. I don't really know if the data
2 are available. Perhaps this is a question that we
3 could ask the manufacturers who are looking at these
4 assays more closely than we have seen these assays.

5 ACTING CHAIRMAN DAUM: Other clarity
6 questions for Dr. Gruber?

7 Dr. Giebink, Dr. Broome next.

8 DR. GIEBINK: Dr. Gruber, along the same
9 lines, I wonder if in the licensure of the 14 and 23-
10 valent polysaccharide vaccines was this approach of
11 antibody difference between immunized and nonimmunized
12 subjects ever used or discussed?

13 DR. GRUBER: No, it was not used as far as
14 I know. That has not been used, and I doubt that it
15 was discussed. People that have the history at CBER
16 could perhaps comment on this.

17 Dr. Frasch, would you like to make a
18 comment?

19 DR. FRASCH: Yes, I happened to be here
20 during the approval.

21 No, the only thing that they had to
22 demonstrate was that they had a comparable fourfold
23 increase in antibodies -- remember we're talking about
24 adults now -- in antibodies to the types not included
25 in the 14-valent vaccine, and show that each of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 new types induce functional activity, i.e.,
2 opsonophagocytic activity.

3 There was no discussion about thresholds.

4 ACTING CHAIRMAN DAUM: Thank you.

5 Dr. Broome, please.

6 DR. BROOME: Marion, I'm curious in your
7 sample size calculation. Your background rate appears
8 to be invasive pneumococcal disease, but of course,
9 the efficacy of 97 percent is against vaccine type
10 pneumococcal disease.

11 So when you look at the vaccine efficacy
12 estimate, I assume you need to factor in the
13 proportion of types covered by the vaccine, i.e., you
14 have to compare a disease rate that's for the same
15 spread of serotypes as the efficacy rate.

16 DR. GRUBER: Yeah, that point is
17 acknowledged. I think what we've done really
18 purposely is we've said that we wanted to consider
19 really invasive disease against all pneumococcal
20 serotypes, and so, therefore, in computing the sample
21 size calculations we have actually looked at perhaps
22 vaccine efficacy estimate for Prevnar than it was
23 actually demonstrated.

24 But it's clearly true that disease
25 epidemiology and other preventive serotypes then need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be factored in if you want to make precise sample
2 size estimates for such trials in a specific setting.

3 ACTING CHAIRMAN DAUM: I would think the
4 same argument would be extended to the otitis media
5 issue as well.

6 DR. GRUBER: That's right. And this was
7 only to give you really sort of a ball park figure,
8 you know.

9 DR. BROOME: But, yeah, I think what we're
10 saying is the realistic overall efficacy of Prevnar
11 would be more like --

12 DR. GRUBER: Well, more like perhaps 90
13 percent, but then, again, you know, if you look at a
14 Third World -- I don't want to say that. I don't want
15 to --

16 ACTING CHAIRMAN DAUM: Developing country.

17 DR. GRUBER: Right. In developing
18 countries, there may be other pneumococci serotypes,
19 pneumococcal serotypes prevalent, and so the vaccine
20 efficacy for Prevnar may even drop because perhaps
21 other serotypes would be responsible for invasive
22 disease.

23 ACTING CHAIRMAN DAUM: Ms. Fisher, please.

24 MS. FISHER: As natural exposure to
25 pneumococcal organisms is widespread in the U.S. in

1 most populations around the world, will the presence
2 of maternal antibodies or preexisting antibodies from
3 natural disease exposure to any of the vaccine
4 serotypes affect the qualitative and quantitative
5 measurement of post vaccination functional antibodies?

6 In other words, could the vaccine's
7 efficacy using serologic immunologic markers be over
8 or underestimated because of the potential confusion
9 between vaccine and disease induced antibodies?

10 DR. GRUBER: Well, I think you have to --
11 I mean, I'm hearing you actually saying two issues.
12 One is the maternal antibody issue, and the other one
13 is disease induced antibodies. I think these are two
14 different things.

15 In terms of maternal antibodies, since
16 we're looking at -- if we were to look at antibody
17 threshold levels, we would be looking at seven months
18 of age basically where you have completed giving a
19 primary series of vaccine, and at that point, from the
20 data from what we've seen is that the antibody levels
21 really have dropped by six, seven months of age.

22 So I, and other people may comment on this
23 as well, I would not necessarily expect maternal
24 antibodies to be really a significant confounder
25 there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In terms of antibodies due to vaccine,
2 to -- induced by disease, it's difficult. I'm really
3 -- I mean, I don't really see right now how we can
4 really apply this here because if you have an infant
5 that has invasive disease, like at three, four, five
6 months of age, you probably would not really --

7 MS. FISHER: It doesn't have to be
8 invasive disease, does it?

9 DR. GRUBER: No, it doesn't have to be
10 invasive disease.

11 MS. FISHER: Simple exposure to the --

12 DR. GRUBER: That's right. It can be
13 exposure. Well, I guess that's a potential.

14 MS. FISHER: I think it's an important
15 potential.

16 DR. GRUBER: Well, I think we may have to
17 factor this in or comment on this in this afternoon
18 when the committee discusses this issue.

19 ACTING CHAIRMAN DAUM: I think we could
20 return to that issue later should you so wish it, but
21 we're looking for questions to clarify Dr. Gruber's
22 presentation right now.

23 DR. KOHL: I think you alluded to a ten
24 percent difference in terms of acceptability for
25 noninferiority, and yesterday we heard a plea by one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of our statisticians that the FDA join the rest of the
2 world and use a five percent difference. Is there any
3 validity in that or any thoughts on FDA's part about
4 what kind of difference?

5 DR. GRUBER: You know, I do not, yeah,
6 really think that a decision in this regard has been
7 made. The data that I showed was really that we have
8 previously induced in the bridging study that was done
9 for Prevnar. So I think we need to have perhaps
10 further discussions on this issue.

11 But Dr. Lachenbruch would like to make a
12 comment.

13 DR. LACHENBRUCH: Peter Lachenbruch, FDA.
14 I'm one of the statisticians.

15 I believe -- I wasn't here yesterday, but
16 I believe the issue was the confidence level should be
17 95 percent as opposed to 90 percent, not the lower
18 bound of the interval on vaccine efficacy, and that's
19 a little bit different, a lot different.

20 ACTING CHAIRMAN DAUM: Thank you for
21 clarifying that.

22 I think at this point we'll thank Dr.
23 Gruber very much and ask Drs. Frasch and Falk to
24 present a summary of the pneumococcal conjugate
25 vaccine workshop.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 As they get set up to present, I guess I'd
2 like to compliment them in being able to get the
3 synopsis together in near record time, as fast as it
4 took to fly from Washington to Chicago and back it
5 seems.

6 We're going to have both their
7 presentations, and then, again, I would ask committee
8 to offer clarifying questions specifically for the
9 issues raised in their presentation.

10 DR. FRASCH: Okay. You've already heard
11 some mention of the correlates of immunity workshop we
12 held, that was held on February 26th. This was a
13 joint workshop organized between the NIAID and CBER.

14 But first, I would like to give you a
15 little bit of the history how this workshop came
16 about.

17 Next. Okay. We just passed one.

18 All right. This whole thing got started
19 shortly after the hemophilus conjugate vaccines were
20 being developed. In 1986, NIAID, WHO, with WHO
21 support, had a workshop on the NIH campus in which
22 they looked at the need for a pediatric pneumococcal
23 conjugate vaccine, and this workshop was where they
24 actually had some of the experts of the world set up
25 on the blackboard and select what they thought were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the seven most prevalent types.

2 And as it happens, those are the types
3 that are in the licensed vaccine.

4 Next slide, please.

5 Then in 1987, NIAID put out an RFP for
6 production of a clinical lot of seven-valent
7 pneumococcal conjugate vaccine.

8 Next slide.

9 Then in 1988, Praxis Biologics was awarded
10 that contract, and ultimately was able to provide a
11 five-valent vaccine, and you will see a number of
12 publications relating to a five-valent pneumococcal
13 conjugate vaccine, and this all came from the studies
14 sponsored by NIAID.

15 And then finally -- next slide -- in 1994,
16 NIAID held a workshop on the potential uses of a
17 pneumococcal conjugate vaccine, and one of the
18 potentials they saw was for infants, also adults, but
19 also pointing out that the need of a pneumococcal
20 conjugate vaccine may even be greater in other
21 countries than just in the U.S.

22 So with that background -- next slide --
23 I want to give sort of the rationale why we held the
24 workshop a couple of weeks ago.

25 First, as you heard today, there are going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to be immunological comparisons between conjugate
2 vaccines, and therefore, we need to have a
3 scientifically sound basis for considering new
4 conjugate vaccines and clinical evaluation of future
5 pneumococcal conjugate vaccines will certainly include
6 studies of antibody response.

7 Next.

8 Thus, the purpose of the workshop was to
9 discuss our current understanding of the mechanisms of
10 immune protection against invasive pneumococcal
11 disease, and then to identify those in vitro immune
12 measures which can serve well as correlates of
13 immunity in future vaccine trials.

14 Now, next slide.

15 I would like to momentarily take you back
16 a few years and look at the historical perspective
17 gain from the Hib vaccine experience, and I'm sure
18 you're going to hear about hemophilus conjugates
19 against today because the hemophilus conjugate was the
20 first licensed conjugate vaccine.

21 So what we see is that in October and
22 December of 1990, the first two hemophilus conjugate
23 vaccines were licensed. These both were licensed on
24 the basis of randomized controlled efficacy trials
25 conducted at the same time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Next slide.

2 Pasteur Merieux, now Aventis Pasteur
3 conjugate vaccine was actually approved in 1993 over
4 two years after the other two conjugates. Thus, what
5 was the mechanism that the Aventis Pasteur vaccine
6 became licensed, U.S.? I'll go through those.

7 First, they were randomized comparative
8 immunogenicity in infants with a similar currently
9 approved product.

10 Two, the persistence of antibody after the
11 primary immunization series and up to the time of the
12 recommended booster dose was looked at.

13 Third, they were able to show as all
14 conjugate vaccines should that the infants were primed
15 by the conjugates for a subsequent booster response to
16 the native hemophilus polysaccharide given six to nine
17 months after the primary immunization.

18 Why was this important? Because this
19 would simulate natural exposure and demonstrate
20 immunologic memory. The importance here is that
21 antibody levels at seven months is what is critical
22 for protection, but in an older individual, memory
23 also becomes quite important.

24 So next slide.

25 So the last point was they had to show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 functional capacity of the conjugate induced
2 antibodies by either measuring opsonic or bactericidal
3 activity. Well, bactericidal activity was okay for
4 hemophilus. For the pneumococcus, one would have to
5 concentrate on opsonic activity.

6 So the focus on the workshop was invasive
7 disease. Why was that focus? The focus is because --
8 I'm quoting now from the Prevnar package insert --
9 "Prevnar is indicated for active immunization of
10 infants and toddlers against invasive disease caused
11 by pneumococcal types included in the vaccine, and
12 these types are 4, 6B, 9B, 14, 18C, 19F, and 23F, and
13 the routine schedule is a four-dose schedule at two,
14 four, six, and then 12 to 15 months of age."

15 So next slide.

16 Here are some important items that were
17 discussed during the workshop which will be greatly
18 expanded upon very quickly by Dr. Falk.

19 First, the mechanism of protective
20 immunity was discussed.

21 Second, the measures of immunity that
22 correlate best with protection.

23 Next, the immunological parameters that
24 would need to be evaluated in a head-to-head
25 comparison of a pneumococcal conjugate vaccine with a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 currently licensed product.

2 And finally, how to evaluate the immune
3 response to serotypes not contained in seven-valent
4 vaccine. As you know, the newer vaccines will have
5 higher valency. So what to do about those types not
6 in the current vaccine.

7 Thank you.

8 DR. FALK: Well, I want to thank Dr.
9 Frasch for inviting me to share with you some of the
10 highlights of the CBER-NIAID workshop that really
11 specifically dealt with addressing some of the issues
12 of the correlates of immunity as we understand them
13 currently.

14 And as was mentioned, this workshop
15 occurred just about a week ago, and so what I will be
16 presenting to you is really an attempt to just
17 abstract some of the main items and conclusions that
18 were generated from that workshop.

19 And also, as I go through the talk, I'm
20 going to focus on the particular presentations that we
21 had and some of the highlights, following by a summary
22 of what the expert panel and discussees had come up
23 with, some conclusions, and also at the very end,
24 which I'm sure everybody is going to be happy to see,
25 are a list of unresolved issues, and I think that that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will certainly play into -- no comment on how long
2 that is relative to the rest of the talk -- that will
3 certainly play into your discussions this afternoon.

4 Now, if I could just have the next slide,
5 please. Next slide.

6 I'm here today. What we see here is the
7 workshop objectives, and I'm here today on behalf of
8 NIAID serving as a rapporteur for this workshop, and
9 so that was the role that I played, along with Mark
10 Steinhoff was a co-rapporteur.

11 Well, we can see here the objectives of
12 the workshop were really showing a partnership between
13 NIAID and CBER in an attempt to come to grips with a
14 very difficult question that is necessary to deal with
15 in order to advance the public health interest in
16 regarding pneumococcal vaccines and also combination
17 vaccines which include pneumococcal antigens.

18 So what we were dealing with here is a
19 mechanism that we were hoping to move forward that
20 would advance the clinical development of these
21 conjugate vaccines for their use in children, and the
22 main objective was to identify and discuss the immune
23 measures as correlates that we could be taking for
24 clinical studies, which Marion Gruber had highlighted
25 early in her talk, and also hopefully to develop a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 framework for evaluation of pneumococcal conjugate
2 vaccines for their use in children.

3 If I could have the next slide.

4 Just to give you a sense of the make-up of
5 this workshop, there were certainly a panel of experts
6 that had been invited to serve as the main input for
7 the workshop, and a number of those experts had given
8 very brief presentations on a number of specific
9 topics which you'll see later.

10 We had industry representatives there as
11 well. We had NIAID staff, and CBER staff.

12 With regard to the experts, you'll see
13 them mentioned specifically for those who had given
14 presentations, but I also wanted to highlight some
15 additional persons who were there.

16 Dr. Donna Ambrosino; we have Steve Black,
17 you'll see; George Carlone from CDC. Bob Daum had
18 participated. Ron Dagan; Kathy Edwards; David
19 Goldblatt. We had Helena Kayhty, Daniel Musher,
20 Lawrence Moulton, Moon Nahm, Mark Steinhoff, Benjamin
21 Swartz, and Mathuram Santocham, Jeffrey Weiser, just
22 to give you a general overview of who was at the table
23 for these discussions.

24 It was a very interactive session that
25 allowed participants who were not at the table to also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interact.

2 If I could have the next slide, please.

3 The presentations were specifically asked
4 to focus on mechanisms of protection for pneumococcal
5 conjugates and pneumococcal disease, correlates of
6 protection, antibody quantitation focusing on ELISA
7 and opsonophagocytic assays. Also a comparative
8 response from different vaccines was also included in
9 this.

10 Issues of immunologic memory, and the
11 challenges of choosing endpoints for clinical studies
12 based on comparisons to Prevnar.

13 Next slide.

14 This is the beginning of an introduction
15 to you with just abstracting some of the main bullets
16 from each of the individual invited talks that we had
17 had. The first one shown here was by Dr. Musher on
18 the mechanisms of protection against bacterial
19 pneumococcal disease.

20 And what you can see here is that he
21 basically talked to us about what was shown with
22 passive transfer of polysaccharide antibodies in
23 rabbits and how that was used to identify serotype
24 specific protection.

25 He also discussed age related differences

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in protection following polysaccharide vaccine. This
2 is straight polysaccharide. This is not conjugate.
3 And also he highlighted that nonfunctioning
4 antibodies, i.e., non-opsonophagocytic or protective
5 antibodies, may be elicited following infection. So
6 it sets the stage for how complex the immune response
7 can be.

8 Okay. Next slide, please.

9 Our next speaker was Dr. Santosham, and
10 what he was asked to talk about here was what was
11 known about correlates of protection, and this was
12 really lessons learned from passive transfer, and what
13 we have here is he described to us some of the
14 information that was obtained for hemophilus
15 polysaccharide induced antibodies that were shown to
16 demonstrate passive protection.

17 He also had immunologic findings based on
18 the polysaccharide, but also clearly indicated that it
19 may not -- that what we know obtained from data
20 obtained from polysaccharide vaccination may not be
21 relevant actually for consideration for conjugates.

22 He also described for us some information
23 that was obtained using a bacterial polysaccharide
24 immunoglobulin passive transfer for what we know about
25 protection. The BPIG is a complex antibody mixture

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and was used to look at Hib, Haemophilus influenza
2 short-term protection and pneumococcal -- and
3 conjugate following -- I'm sorry. That should be
4 pneumococcal protection following the bacterial
5 polysaccharide immunoglobulin. That's a typographical
6 error.

7 If I could have the next slide.

8 His conclusions were that breakthrough
9 cases suggest that antibody titer may not always been
10 protective. Passive immunization also suggests that
11 there are similar thresholds for pneumo and Hib
12 polysaccharides induced, and this is short-term
13 protection.

14 Next slide, please.

15 The next talk we had was really a synopsis
16 presented to us by Dr. Black which is information that
17 was obtained from the Pevnar efficacy study, sine he
18 was one of the principal investigators from that
19 study.

20 And what he discussed for us and presented
21 for us was type specific protection and also what we
22 might have gained about knowledge about correlates of
23 protection from the Kaiser efficacy study for Pevnar.

24 As summarized here is just a brief
25 overview of what the information was surrounding that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trial. It was a double blind, randomized, controlled
2 trial in approximately 38,000 infants. The efficacy
3 results, you'll see various numbers for efficacy, and
4 it really depends on when the efficacy analysis was
5 calculated based on follow-up time, but the results he
6 presented were that there was 97 percent efficacy from
7 base of disease; 87 percent for pneumonia; eight
8 percent for otitis media visits; and approximately 25
9 percent for ear tube replacement.

10 If I could have the next slide.

11 What he also presented to us was some
12 breakdown on what information was available on type
13 specific protection, and what we see here is serotype
14 specific efficacy, was approximately 100 percent for
15 types 14, 18C, and 23F, and 85 percent for 19F, and it
16 also needs to be noted that there were instances where
17 there were no cases for certain serotypes in the
18 vaccine. So a protective efficacy could not be
19 determined in those cases.

20 Next slide.

21 Dr. Black also presented some of the
22 immunogenicity data post dose three that was derived
23 from a subset of children in the efficacy study, and
24 basically what he tried to do was to focus on looking
25 at two antibody threshold levels and looking at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percentage of responders that were observed in the
2 efficacy study.

3 The first threshold that he evaluated was
4 the percentage of subjects with greater than 0.15
5 micrograms per mL of anti-pneumococcal antibody, and
6 what was shown here is that 100 percent of the
7 subjects that were evaluated for immunogenicity showed
8 a response greater than .15 for all of the serotypes
9 except for 23F.

10 If you then looked at a cutoff value, a
11 threshold value of 0.5 micrograms per mL, you see a
12 slightly different pattern, and there 87 to 90 percent
13 of the children achieved that level of 0.15 for all
14 the serotypes except for serotype 6B, where only 72
15 percent achieved a 0.5 microgram per mL level.

16 He also noted that from the study there
17 appeared to be a GMC range from 1.4 to five micrograms
18 per mL for various vaccine serotypes, and the take
19 home message from this was that the protective levels
20 may differ by serotype and by disease, and when I say
21 disease, I mean might differ for invasive disease
22 versus pneumococcal pneumonia, versus otitis media.

23 If I could have the next slide.

24 We then had the opportunity to hear from
25 Dr. Kayhty from Finland, where she presented data on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies on the immune response to different
2 pneumococcal conjugate vaccines as evaluated in
3 Finnish infants.

4 I view this as a rather important part of
5 our discussion because here it was an evaluation of
6 different types of vaccines, and the different types
7 of vaccines may be impacted by the fact that they
8 might be on very different carriers, and also, they
9 also may have very different conjugation processes,
10 and so this was actually looking at the ability to
11 look across vaccines to look at their immune
12 responses.

13 And some of the summaries from that
14 particular talk were that the immune response to
15 different pneumococcal conjugates could be compared
16 across studies, and the response to serotypes may
17 differ from vaccine to vaccine, but they should
18 actually have an opportunity to look at a number of
19 different populations, as well, from different
20 countries and what was noted, that the populations can
21 show differences in immune responses even to the same
22 vaccine.

23 And also, she had provided some
24 information about a comparison of kinetics across
25 vaccines and how that might actually help in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understanding some of the mechanisms of immunity.

2 Next slide, please.

3 We also wanted to have a discussion of the
4 group regarding the particular assays that are
5 available right now for evaluating pneumococcal
6 responses, and Dr. Nahm presented us with some data
7 and some information about antibody quantitation by
8 ELISA as it compares to opsonophagocytic activity, and
9 you'll see later that one of the conclusions from some
10 of the early animal studies is that opsonophagocytic
11 activity is a very good predictor and correlated with
12 protection, and so that was the reason why we wanted
13 to bring in what is known about the ELISA, which is
14 what most of the particular comparisons that you've
15 heard about today would be focusing on.

16 Dr. Nahm had highlighted that the
17 opsonophagocytic assay is actually very difficult to
18 standardize. Optimizing of the ELISA assay was moving
19 forward, and a lot of discussion was focusing on the
20 fact that there may be a need to absorb sera to get
21 rid of cross-reacting antibodies and substances; and
22 that this cross-reactive antibody issue may actually
23 be more relevant in adults and higher in adults than
24 in infants; and also that depending on a number of
25 different serotypes, that the correlation between

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 opsonophagocytic activity and the ELISA titer
2 actually can vary depending on the particular serotype
3 that's being evaluated.

4 And it was also shown that antibodies with
5 higher avidity are more likely to correlate with the
6 opsonophagocytic activity.

7 And if I could have the next slide.

8 The presentation by Dr. Goldblatt was to
9 address the issue of what we know about immunologic
10 memory and what are the various mechanisms for
11 evaluating immunological memory or on the other flip
12 side of that is also just demonstrate priming followed
13 by conjugate vaccine administration.

14 Dr. Goldblatt for us summarized a number
15 of the features of memory shown here, is that
16 basically you can demonstrate memory by showing that
17 a previous nonresponder now becomes a responder.

18 Memory has a rapid response, which means
19 the kinetic of response is very quick. It's dominated
20 by IgG1 antibody subclass, and that in the induction
21 of memory you have an increased affinity avidity over
22 time, and what was pointed out is that it appears that
23 with conjugate vaccines, and pneumococcal conjugate,
24 in particular, the avidity appears to increase over
25 the course of the primary series.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Normally when people are discussing the
2 issue of priming versus memory, it's in the context of
3 administering a conjugate vaccine in the primary
4 series, and then you follow by a polysaccharide only
5 boost, and then show that you can get an enhanced
6 response, a quicker response or people who were
7 nonresponders are now responders.

8 What was shown here, and personally was
9 very interesting, was that with the conjugate vaccines
10 you actually see some of the hallmarks of memory
11 showing up even over the course of the primary series.

12 And if I can have the next slide.

13 Dr. Dagan was tasked with, I think, a very
14 difficult presentation, and attempting to summarize
15 for us and raise to the table the dilemma of choosing
16 endpoints for future comparative studies. One of the
17 things he had pointed out was that for study design
18 it's most -- you know, most envision that it would be
19 a double blind comparative study to Pevnar.

20 It was also proposed from Dr. Dagan that
21 this is going to be a difficult question and that
22 you're actually going to have a constellation of
23 immunogenicity endpoints to be evaluated, present
24 responders for short and long-term protection, as an
25 example, an evaluation of geometric mean

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 concentration, also an evaluation of functional
2 activity, as well as the concept of avidity maturation
3 and memory.

4 Next slide, please.

5 With regard to some of the additional
6 components of studying a vaccine for licensure,
7 comparing it to Prevnar, we cannot overlook the fact
8 that safety would also be an important part of that
9 characterization and requirement for licensure.

10 He also raised the possibility that an
11 evaluation of the pneumococcal conjugate vaccine
12 effects on carriage might also be important
13 information to highlight that may be able to be
14 factored into the comparative analysis.

15 And it was felt that the demonstration,
16 the bar would be set for noninferiority to Prevnar,
17 and also attempts would be made to try and see if
18 there were new correlates for the new serotypes. What
19 would we be using for those new serotypes because they
20 obviously were not going to -- we can't draw on the
21 Prevnar experience in that case.

22 Next slide.

23 Dr. Dagan raised the very difficult
24 question here of what would be the proposals for how
25 would you evaluate these multiple endpoints, and one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provocative I'll say proposal that he put before us
2 was that you would actually be looking at an
3 accumulation of the total score across all of the new
4 serotypes plus the Pevnar serotypes.

5 He also discussed possibility of weighting
6 responses for the various serotypes based on a number
7 of different parameters. Shown here is the
8 possibility of having a weighted average based on
9 serotypes that might be associated with antibiotic
10 resistance.

11 Also, should we be weighting the average
12 to be based more on its comparisons to the common
13 serotypes with Pevnar, and how do we weight the
14 impact of the new serotypes that are not in Pevnar?

15 Next slide, please.

16 As you can see here, a common theme was
17 focusing on obviously the immunological quantitation
18 of the antibody response, and we were very fortunate
19 to have Dr. Kohlberger provide us with one possibility
20 statistical approach to establishing this threshold.

21 It was clear, as Dr. Gruber had pointed
22 out, that the fact that Pevnar has such a high
23 efficacy rate created a bit of a problem for trying to
24 establish a correlate of protection, and so what we
25 were ending up with is a discussion really of how to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 establish a threshold of comparison.

2 And I'm happy that Dr. Kohlberger is in
3 the audience. So if he has any comments on the
4 slides, he would be the most appropriate person to
5 direct that to.

6 But here was kind of taking some lead on
7 the fact that thresholds had been established for
8 Plevnar for a very different purpose, as Marion Gruber
9 had mentioned earlier. Here we were going to
10 basically be looking at an ability to try and set a
11 threshold that would hopefully be relevant to the
12 level of efficacy seen with Plevnar.

13 And show here is population probability of
14 disease. These are just some of the bullet points
15 form the talk. The population of the probability of
16 disease was relative to the proportion of subjects
17 with an antibody concentration less than the
18 threshold. So as you are below this threshold, your
19 probability of having disease would increase.

20 And that was the premise, and so how did
21 we get to setting this threshold? One proposal was
22 based on the only efficacy data we have, was based on
23 the Kaiser efficacy study, and it was looking at the
24 reverse cumulative distribution curves for the various
25 populations of the Plevnar group and also we also in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 that particular study, we had a meningococcal control
2 group.

3 When we looked at the responses to
4 Pevnar, Dr. Kohlberger had indicated that if you look
5 at the aggregate of the responses for all of the
6 serotypes, it appeared that there was greater than .18
7 micrograms per mL correlated with vaccine efficacy,
8 meaning that as you looked across the reverse
9 cumulative distribution curve, as you got close to the
10 .18 microgram per mL range, you had close to 100
11 percent of your subjects responding, which is relative
12 to the efficacy seen in Pevnar.

13 One of the assumptions is that, in this
14 model, is that there's no difference in serotype
15 specific efficacy.

16 Could I have the next slide, please?

17 And this basic model assumes that all
18 subjects were exposed, but that true exposure rates
19 cancel in vaccine efficacy calculations, and it also
20 assumes that all populations are alike for efficacy
21 and immune response.

22 And one thing that did have to come out
23 from this talk is that there is an impact of assay
24 standardization and also comparability between assays
25 to be able to begin setting your threshold. Of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 course, these special values that we talked about were
2 really from the Wyeth Lederle laboratories, and so
3 another manufacturer's laboratory has an assay that
4 behaves slightly differently. It's very hard to just
5 take an absolute threshold value from that.

6 The next slide I'd just like to share with
7 you what the panel was actually -- the specific
8 questions they were asked to discuss, and the first
9 question was a variety of animal models point toward
10 the pivotal role of anti-polysaccharide antibodies and
11 the protection against invasive pneumococcal disease.

12 What is known of the functional basis for
13 protection?

14 Next.

15 Based on what is known about the
16 mechanisms of antibody mediated protection, what are
17 the characteristics of the antibody response most
18 associated with protection?

19 Next.

20 What in vitro assays are most relevant to
21 measure for these particular immune parameters? If
22 new pneumococcal vaccine conjugates are compared to a
23 licensed conjugate, what critical immunological
24 parameters should be evaluated in the clinical
25 studies?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Next slide.

2 Based upon our present understanding of
3 protection, are the currently available immunological
4 assays adequate to assess parameters that form the
5 basis for immunological bridging to clinical efficacy?

6 Next.

7 How should the immune response to
8 serotypes not included in the licensed vaccine be
9 evaluated? What is the importance of functional
10 assays in this evaluation?

11 And also we invited the panel to discuss
12 any other issues.

13 Now I'd like to get to the summary. I'm
14 not going to address each of these questions
15 specifically. I'm just going to give you an
16 encapsulated version of what the responses were to
17 these questions.

18 The panel felt that the animal data
19 certainly supported the role for functional antibody
20 production as the basis of protection. The caveats
21 though: functional antibodies may be difficult to
22 standardize. Standardization efforts are more
23 advanced for the ELISA method.

24 The next point was that antibody avidity
25 may contribute to protection. Also, antibody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concentration is important for short-term protection
2 and memory for long-term protection.

3 Next slide.

4 The GMCs and percent responders are
5 important parameters. We should focus on threshold
6 level, not a protective level because it was felt that
7 a protective level could not be identified from the
8 Kaiser study.

9 Direct comparison of vaccines head to head
10 is important to help control for assay variability,
11 and there's also a caution against relying too heavily
12 on our Hib experience, and cited here is the fact that
13 we really need to look at pneumococcal conjugates in
14 and of themselves and partially due to the fact that
15 the disease and organism profiles are different for
16 pneumococcal than Hib.

17 The conclusions that the panel had come up
18 with were that ELISA antibody levels are meaningful.
19 A protective level may not be identified from the
20 efficacy study or was not identified. Avidity and
21 functional antibodies may also be important.

22 Highlighted here was that this importance
23 might be weighed perhaps a little differently for new
24 vaccine serotypes, and that it was noted that this
25 particular comparison of the functional antibodies to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ELISA may be appropriate to evaluate in a subset
2 either prior to the pivotal study or during the Phase
3 3 study, and that one of the limitations of measuring
4 avidity and functional antibodies is due to the
5 difficulty in standardizing these assays.

6 Next please.

7 Following much discussion, it appeared
8 that the -- well, the group felt most strongly that
9 the primary endpoint should be the percentage of
10 responders achieving a predefined threshold.

11 They noted, however, that multiple
12 endpoints should also be evaluated.

13 Reverse cumulative distribution curves are
14 also important measures of comparing the different
15 population responses in the comparison.

16 It was also noted that antibody responses
17 post dose three and post dose four are important.
18 Post dose three antibody responses should be
19 considered as primary endpoints partially because that
20 might be the most critical comparison and most
21 sensitive comparison with regard to the quantitation
22 of antibody.

23 It was also noted that the kinetics of the
24 response are also important in this comparison, and
25 also a demonstration of memory was a component that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 they felt was necessary for the pneumococcal conjugate
2 vaccine comparisons.

3 And for new serotypes in particular, the
4 issue of priming versus memory and memory are very
5 important and should be considered as part of the
6 evaluation.

7 The next slide gets to the unresolved
8 issues. Although they agreed that memory was an
9 important component of the antibody profile, how do
10 you test for memory if Prevnar is a four-dose series?
11 What is an appropriate control group? Will it be
12 necessary to compare the historical controls? Should
13 memory also be evaluated for serotypes where field
14 efficacy was not established?

15 Should avidity maturation and carriage
16 also be evaluated?

17 Next slide.

18 With regard to the establishment of a
19 threshold value, should a single threshold value be
20 assigned or should the criteria be serotype specific?
21 Should the aggregate response from the Kaiser efficacy
22 study establish the single threshold? Should a single
23 more conservative threshold be used? Could the lowest
24 RCDC curve from the efficacy study be used as a
25 minimum threshold?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Next.

2 This one our statisticians will probably
3 appreciate. What will the impact of noninferiority
4 criteria -- will what be the impact of noninferiority
5 criteria, given the number of antigens and endpoints
6 to be evaluated?

7 Should the importance of serotypes be
8 weighed for Prevnar versus non-Prevnar serotypes? How
9 do you consider those serotypes for which field
10 efficacy was not demonstrated? How do you weigh the
11 importance of serotype response based on disease
12 prevalence?

13 Next slide.

14 What will be the impact of noninferiority
15 criteria? The same question, but now the last point:
16 how do you weigh the importance of serotypes
17 associated with antimicrobial resistance?

18 I hope that this summary will help you in
19 your discussions this afternoon with regard to the
20 experts' evaluation of what is and is not known with
21 regard to evaluating pneumococcal conjugate vaccine
22 responses.

23 Thank you.

24 ACTING CHAIRMAN DAUM: No, thank you.
25 That was an absolute "tour de force."

1 (Laughter.)

2 ACTING CHAIRMAN DAUM: And I'm sure the
3 committee is very grateful for all that information.

4 What I'd like to do now is to have some
5 committee discussion questions regarding Drs. Frasch's
6 and Falk's presentation for clarity purposes, and then
7 we'll have open public hearing. We'll go to lunch,
8 and then we'll come back and deal with the easy
9 questions that we've been posed by our FDA colleagues.

10 I'm going to start with Dr. Kohl, Dr.
11 Hall, then Dr. Wharton.

12 DR. KOHL: Dr. Falk, thank you, and can I
13 ask you to elaborate on some of the points of Dr.
14 Kayhty's presentation? In particular, tell us a
15 little bit about different immune responses in
16 populations with the same vaccine.

17 DR. FALK: Sure.

18 DR. KOHL: And how that's pertinent to
19 this country, as well.

20 DR. FALK: Okay. What I'm going to do is
21 I'm going to start with a caveat. This meeting
22 happened one week ago, and I am going to be very
23 couched in the specifics in fairness to the presenters
24 until we've had time to actually go over the slides
25 and present them in the correct format, but I will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 give you a very general synopsis though.

2 What was found in her evaluation, she had
3 looked at similar vaccines assayed or evaluated in a
4 number of different countries, such as Finland, the
5 Philippines, and Israel. What she found when she
6 looked at the immune responses was that there were
7 different levels of antibody responses in the various
8 populations, and the Philippines seem to have been
9 pretty much an outlier so to speak because the
10 responses were much higher to the vaccine.

11 And so I think the pertinence of that is
12 to say that when you are evaluating responses, you
13 have to understand that depending on the population
14 you're evaluating them in, they may or may not be
15 readily translatable to, for instance, a U.S.
16 licensure, and that could present a problem.

17 ACTING CHAIRMAN DAUM: Thank you very
18 much.

19 Dr. Hall is next. Then Dr. Wharton, Kim,
20 and Goldberg.

21 DR. HALL: Thank you.

22 I'm wondering if you have more information
23 about the associations with antibiotic resistance in
24 the serotypes, both in the vaccine currently and what
25 would be proposed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In particular, is there a correlation with
2 those serotypes which are more frequent or with
3 particular clinical disease or with immunogenicity?
4 In other words, also what would be the effect, I'm
5 trying to get at, of the vaccine on antibiotic
6 resistance in those serotypes, particularly those that
7 are not included in the vaccine or those for which
8 there was no efficacy shown?

9 DR. FALK: This particular workshop really
10 presented no data as to the antibiotic resistance
11 profile for the serotypes or the impact of vaccination
12 on the generation of resistance. So that was not
13 actually discussed in any detail at the workshop.

14 It was just raised as a possible public
15 health issue that may or may not play into discussions
16 of how you evaluate the importance of meeting
17 noninferiority criteria for a number of different
18 serotypes.

19 But I don't know if Dr. Frasch wants to
20 comment any more outside of the workshop.

21 DR. FRASCH: I would only say that as it
22 turns out, all of the really important antibiotic
23 resistant strains, serotypes are included in the
24 present seven-valent vaccine, and that it's really not
25 an issue if we talk about greater multi-valency versus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 that.

2 ACTING CHAIRMAN DAUM: Okay. As we move
3 on, I'd like to remind committee members that we're
4 asking for clarification for the presentations we have
5 here. We will have time to return to antibiotic
6 resistance and vaccine serotypes if you so wish this
7 afternoon.

8 I have Drs. Wharton, Kim, Goldberg,
9 Faggett, and Broome.

10 Dr. Wharton, please.

11 DR. WHARTON: I just wanted to clarify
12 what was in the presentation from Dr. Santosham about
13 the lessons learned from the bacterial polysaccharide
14 immune globulin. I understood that there was a
15 correction of what was on the slide, and it wasn't
16 clear to me if the lessons were about Hib or
17 pneumococcal disease or both.

18 DR. FALK: His discussion focused on the
19 ability of measure the efficacy for Hib and
20 pneumococcal disease following BPIG administration,
21 and what he had shown is that there appeared to be
22 some degree of similarity with regard to the threshold
23 that was needed to demonstrate short-term protection
24 because, of course, this was given like every three
25 months.

1 ACTING CHAIRMAN DAUM: Dr. Kim, please.

2 Oh, sorry.

3 DR. FRASCH: I should point out that only
4 the Hib data has actually been published.

5 ACTING CHAIRMAN DAUM: Dr. Kim.

6 DR. KIM: Sine this workshop was with
7 experts, I'm just curious to know whether there was
8 any discussion about immunologic responses to a
9 particular serotype, for example, why 19F is a poor
10 immunogen compared to other serotypes.

11 DR. FALK: They did not really delve into
12 the specifics other than trying to acknowledge that
13 there might be something related to the particular
14 organism that might be involved in eliciting lower
15 responses, but it was not really talked about in
16 detail.

17 DR. KIM: And the second issue is that
18 since, as you indicated, immunologic assays may not be
19 standardized, I wonder why there was, you know,
20 emphasis on some in vivo models for looking into the
21 protection, such as animal model, which you briefly
22 indicated in your earlier slide.

23 DR. FALK: I'm not sure I understand the
24 question.

25 DR. KIM: The question is that, again, you

1 say the opsonic assays and the antibody avidity
2 assays, all of these assays, based on, again, your
3 presentation appeared too difficult to standardize.
4 So the question comes up is why not add some more
5 traditional assays to look at the function of
6 antibodies, such as animal protection studies, which
7 you indicated in your earlier slide.

8 DR. FALK: Right, right. The sequence of
9 events there was to actually lead you into -- lead
10 into the understanding that antibodies (a) are
11 important, and that was from the early work with the
12 animals.

13 I think that the animal studies are also
14 difficult to try and standardize and also perhaps are
15 not as amenable to the quantitative comparisons that
16 we would be looking for when we're trying to do the
17 evaluation.

18 And so we stepped from introduction of the
19 work we knew and the information we knew from the
20 animal models to the fact that it appeared that the
21 function -- a functional antibody was the important
22 parameter, and then we had to bridge to how do we
23 incorporate that information into our considerations
24 for licensure.

25 Dr. Frasch, did you want to add anything?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ACTING CHAIRMAN DAUM: Thank you.

2 Drs. Goldberg, Faggett, Broome and Insel.

3 Dr. Goldberg, please.

4 DR. GOLDBERG: On the discussion of
5 choosing endpoints for clinical for the comparative
6 studies, there stuff in here about multiple endpoints,
7 and you're talking about immunogenicity and other
8 parameters. Was there any consideration given to
9 discussion of combination endpoints, what I would call
10 combination endpoints?

11 You know, the first occurrence of one of
12 the illnesses that this vaccine could theoretically
13 prevent, and in combination, you know, was any of that
14 discussed? We can discuss it later as alternate ways
15 of developing clinical trial designs.

16 DR. FALK: Well, this particular
17 discussion focused really on the immunological
18 parameters. So I think you might want to take that
19 back up in the afternoon for possibly expanding that.

20 And Dr. Lachenbruch is, I think, wanting
21 to respond.

22 DR. LACHENBRUCH: Dr. Moulton proposed a
23 weighted sum of scores, and that turned out to be
24 somewhat similar to things that we had been
25 considering in the Division of Biostatistics.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FALK: But, Tony, that's still related
2 to immunogenicity.

3 DR. LACHENBRUCH: Yes.

4 ACTING CHAIRMAN DAUM: Thank you.

5 Dr. Faggett, please.

6 DR. FAGGETT: Yeah. I realize this
7 meeting was designed to look at in vitro immune
8 measures that represent correlate's immunity for use
9 in future in clinical trials. However, Dr. Dagan
10 apparently in discussing the dilemma of choosing
11 endpoints looked at studies for licensure to include
12 safety in carriage.

13 What was talked about there?

14 DR. FALK: With regard to the safety, it
15 was just the acknowledgment that whatever type of
16 comparative study you propose would have to have
17 safety as a component as well, period.

18 Carriage was another issue where in the
19 absence of what was accepted to be a true correlate
20 established for the antibody titer, that perhaps we
21 could gather additional types of more clinical
22 endpoints in the conduct of the study, and that was
23 just a proposal that he had put forth, that that might
24 be something that might weigh into the equation.

25 DR. FAGGETT: So safety would be discussed

1 in another forum. Is that --

2 DR. FALK: Well, it was just assumed that
3 it would be a standard safety evaluation, but Carl
4 would like to expand.

5 DR. FRASCH: As you must know, for a
6 vaccine to be utilized by the FDA it has to be shown
7 to be safe and effective. Okay? So the workshop
8 dealt with the second of those two. Okay?

9 ACTING CHAIRMAN DAUM: Dr. Broome, Insel,
10 Giebink, and I think we'll do open public hearing.

11 DR. BROOME: I was curious about whether
12 you could give us a little more information about the
13 correlation between opsonophagocytic assays and ELISA,
14 and the variability based on serotype. In particular,
15 was this of a magnitude which we really need to factor
16 into our afternoon's discussions, i.e., you cannot
17 make a generic statement about correlation?

18 DR. FALK: Without having reviewed the
19 data before this meeting in such a way to be able to
20 answer that specifically, the general consensus was
21 that for some serotypes there appeared to be a better
22 correlation.

23 There was also some -- but not necessarily
24 -- we didn't have an opportunity to see whether that
25 was true for which particular serotypes. I do not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 recall those data in enough detail to feel comfortable
2 presenting those in this forum, and I think that the
3 fundamental take home message would be that the
4 ability to demonstrate opsonophagocytic activity would
5 be important whether there was -- you know, along the
6 path to going to an ELISA endpoint, that needs to be
7 factored in.

8 And, Carl, did you want to add to that?

9 It needs to be part of your I guess I
10 would say clinical development program to support an
11 ELISA endpoint, is to have this piece of data.

12 DR. FRASCH: I would only add to that in
13 that there is a clear correlation between
14 opsonophagocytic activity and ELISA. Now, these
15 correlations are usually carried in R values, but it's
16 not quite clear how good is good.

17 And the other point I would like to make
18 is that these are two different assays, and the
19 sensitivity of the assays are quite different, and so
20 we cannot hope that the opsonic assay have the same
21 sensitivity. It's simply not going to happen as the
22 ELISA.

23 ACTING CHAIRMAN DAUM: Dr. Insel and then
24 Dr. Giebink.

25 DR. INSEL: Two questions. The first with

1 respect to opsonophagocytic assays. Was there
2 discussion as far as trying to make the assays more
3 sensitive? Because what we heard today is under
4 microgram per mL we're losing sensitivity.

5 Is there a movement on behalf of the
6 community to make assays more sensitive? Was this
7 discussed at the workshop?

8 DR. FALK: Well, on a very superficial
9 level it was mentioned that there are some steps in
10 that direction, such as agreement on using a
11 particular cell line. So, you know, that's the level
12 that they dealt with on that, but acknowledged that
13 that was going -- you know, the ability to standardize
14 that assay was going to be difficult, but there are
15 some attempts.

16 But Carl is more of an expert on the ins
17 and outs of exactly what those steps are.

18 DR. FRASCH: I think all I should add is
19 that even strains within the same serotype vary in
20 their ability to be opsonized. So the opsonic assay
21 itself is reasonably well standardized now based on
22 the publications that are coming out of CDC.

23 The problem is strain selection. There
24 are some problems to be worked out, but we've been
25 working on this for a good number of years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. INSEL: The second question with
2 respect to memory that was discussed at the workshop,
3 it was proposed that there would be an important assay
4 for memory, especially for serotypes where field
5 efficacy has not been established.

6 And a very quick question is: does the
7 polysaccharide, the 23-valent polysaccharide vaccine -
8 - will it suffice for all of the serotypes that the
9 different manufacturers are planning to incorporate in
10 new vaccines? Are they all covered in the 23-valent
11 vaccine?

12 DR. FRASCH: Yes, yes. There's been no
13 proposals to include any types that are not presently
14 in the 23-valent type.

15 ACTING CHAIRMAN DAUM: Thank you.

16 Dr. Giebink, not least.

17 DR. GIEBINK: because the issues of
18 extrapolating from a population outside the U.S. to
19 the U.S. population are so important in the
20 afternoon's discussion, even though we've been
21 cautioned to be careful about extrapolating from Hib
22 experience to pneumococcal experience, there was a lot
23 learned about population differences in the late 1980s
24 with Hib vaccines, and at least two of our committee
25 members and Dr. Frasch have that information.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Was that discussed, Dr. Frasch in the
2 historical portion of the meeting, those population
3 differences?

4 DR. FRASCH: Yes, it was, and in addition,
5 there was data presented on the response of Philippine
6 children to exactly the same batch of pneumococcal
7 conjugate as Finnish children.

8 And as you well known, the case in Chile
9 and Venezuela with the hemophilus conjugate was pretty
10 much what they saw with the pneumococcal conjugate in
11 that there was a substantially higher response for
12 reasons we are not quite clear about to the vaccines
13 in those two populations than in the Finnish
14 population and, I should say, in the U.S. population.

15 So this is one of the very strong caveats
16 we have to consider when we're looking at efficacy
17 trials in another country. Can the data actually be
18 bridged to the United States?

19 ACTING CHAIRMAN DAUM: Thank you very
20 much. I think we've had a very lively discussion and
21 some fine presentations this morning.

22 We now need to move on to the open public
23 hearing. Is there anyone that wishes to address the
24 committee?

25 (No response.)

1 ACTING CHAIRMAN DAUM: In that case, we
2 shall adjourn for lunch. It's 12:02 here in the
3 Eastern time zone, and we will reassemble precisely at
4 one o'clock.

5 Thank you.

6 (Whereupon, at 12:05 p.m., the hearing was
7 recessed for lunch, to reconvene at 1:00 p.m., the
8 same day.)

9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:11 p.m.)

1
2
3 ACTING CHAIRMAN DAUM: Good afternoon and
4 welcome back.

5 I trust everybody had a good lunch, not
6 too big a lunch. We've arranged for that thumping
7 that you heard this morning to occur at irregular
8 intervals this afternoon should anyone nod off. We
9 continue our upgrading to Holiday Inn Select.

10 We'd like to ask Dr. Gruber, please, to
11 first put the items for discussion -- run through them
12 again. Then we'll put the first one on the screen,
13 but then we'll ask the committee to begin talking
14 about whatever issues are of interest to put on the
15 table to them. We'll have some free discussion like
16 that for a while, and then eventually we will start
17 focusing on the questions themselves.

18 So, Dr. Gruber, would you start us off,
19 please?

20 DR. GRUBER: Yeah, thank you.

21 The first question is or the first item
22 for discussion: please discuss whether or
23 noninferiority immune response trials comparing a new
24 pneumococcal conjugate vaccine with Prevnar are
25 sufficient for inferring efficacy against invasive

1 disease for the new product. If so, what
2 immunological parameter should be used?

3 And, number two, please discuss the
4 criteria that should be considered to evaluate the
5 serotypes not contained in Prevnar.

6 Number three, please consider the
7 following scenario. An invasive disease efficacy
8 study may be performed in a non-U.S. population with
9 a new pneumococcal conjugate vaccine. If efficacy is
10 demonstrated, could data derived from such a trial
11 support licensure of the vaccine in the United States?

12 If so, what are the immunologic parameters
13 that should be used to establish comparability to
14 Prevnar in a U.S. bridging study?

15 And question number four, please discuss
16 if data demonstrating clinical efficacy against acute
17 otitis media for a new pneumococcal conjugate vaccine
18 can always be used to infer efficacy against invasive
19 pneumococcal disease for this new product.

20 And go back to slide number 19.

21 ACTING CHAIRMAN DAUM: Thank you very
22 much, Dr. Gruber.

23 I'm going to leave item for discussion
24 number one on the screen. We don't necessarily have
25 to speak to that yet, depending on how the discussion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 goes.

2 So who wants to start off? Dr. Kohl, then
3 Dr. Griffin.

4 DR. KOHL: I have two questions that I'd
5 love anybody in the room to answer. We know from
6 published and maybe some unpublished work that there
7 are otitis media efficacy trials, one that recently
8 appeared in the New England Journal. Are there any
9 serologic data that have emerged or that anyone here
10 has from those trials that can help us in associating
11 efficacy levels, immune correlates versus efficacies
12 since the Prevnar trial for invasive disease has such
13 a high efficacy that there's a very little amount of
14 information we can actually gather from that.

15 ACTING CHAIRMAN DAUM: Anyone from FDA, do
16 you want to tackle that? Dr. Frasch, I was looking
17 for you.

18 DR. FRASCH: I would first caution us in
19 that antibody values that we may get out of otitis
20 media trial may not be directly translatable to
21 invasive disease. So any discussion would have to
22 consider that caveat.

23 ACTING CHAIRMAN DAUM: Having said that,
24 is there information?

25 DR. FRASCH: I think one should ask some

1 of the actual players maybe in the room that worked on
2 these trials.

3 ACTING CHAIRMAN DAUM: Okay. If that's
4 the way we're going to go, then I guess I'd ask
5 audience members to help out here. We will ask you to
6 clarify or provide information about committee
7 questions if there is information available. Is there
8 someone in the audience who has information about
9 this?

10 Dr. Siber.

11 Everybody who does so will have to state
12 who they are and what their affiliation is.

13 DR. SIBER: George Siber, Wyeth.

14 We don't have information, but we can tell
15 you the information that's likely to be forthcoming.
16 In the Finnish trial sera were drawn on half of the
17 cohort after the primary series, and on the other half
18 after the booster dose, and those sera are being
19 assayed or have been assayed, and the antibody levels
20 seen in those sera will be correlated with the
21 subsequent occurrence of type specific otitis media.

22 ACTING CHAIRMAN DAUM: Those data would be
23 most valuable, I would think, in trying to sort out
24 some of the issues here.

25 Dr. Giebink.

1 DR. GIEBINK: The only animal model that
2 looks at both middle ear protection and invasive
3 protection is the chinchilla model, and in that model
4 using two different conjugate vaccines we have
5 consistently seen across serotypes and across vaccines
6 that antibody levels required for protecting the ear
7 are considerably, not logarithmically, but in the
8 neighborhood of two to fourfold higher than those
9 levels required for protecting against bacteremic
10 disease.

11 I don't know how you'd scale that to a
12 human, but I obviously have my bias.

13 ACTING CHAIRMAN DAUM: Well, save it. We
14 might like to hear your bias, but we'll ask Dr.
15 Griffin next for comment.

16 DR. GRIFFIN: Okay. I don't have comments
17 on this, although I'd certainly be interested in the
18 answer.

19 ACTING CHAIRMAN DAUM: We're in free form
20 here.

21 DR. GRIFFIN: Okay.

22 ACTING CHAIRMAN DAUM: For a while.

23 DR. GRIFFIN: Since this is not an area in
24 which I work, I would be -- I would benefit from
25 understanding better how the ELISA test particularly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is done.

2 Being sort of a fan of functional antibody
3 assays and knowing that ELISA is basically going to
4 give you binding antibody and is not going to tell you
5 whether the binding is to the relevant portion of the
6 antigen in question, first of all, I assume that
7 they're done with purified polysaccharide as the
8 antigen. Is there any way of knowing whether the
9 antibody that's being measured as against the relevant
10 part of the polysaccharide, which I assume is the part
11 that's poking out on the surface of the bacterium?

12 ACTING CHAIRMAN DAUM: We will turn to Dr.
13 Frasch first for response to that.

14 DR. FRASCH: Okay. We've been working
15 with the World Health Organization with CDC since 1993
16 in standardization of the ELISA assay. The ELISA
17 assay uses purified pneumococcal polysaccharides that
18 are obtained from the American type culture
19 collection, which obtains vaccine quality
20 polysaccharide from Merck. So, therefore, the
21 polysaccharides used in the assay are the
22 polysaccharides that pass the requirements for vaccine
23 quality polysaccharide.

24 This said, due to the very nature of the
25 pneumococcal polysaccharide, there are some other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 contaminants unavoidably present, very small quantity,
2 and there's an absorbent C-polysaccharide that's
3 normally used by everybody and most everybody uses the
4 same source. So that helps standardize the assay.

5 But, yes, the antibodies measured are
6 antibodies that bind to the polysaccharide, and it is
7 possible that some of those antibodies that bind are
8 not functional.

9 Now, this has not been seen in sera from
10 children, as we're talking about, today, but it has
11 been seen in looking at sera from older individuals,
12 elderly individuals. The ELISA measures quite a bit
13 of nonfunctional antibody in that population, but
14 today's discussion is with young children.

15 DR. GRIFFIN: Okay. One follow-up sort of
16 technical question. When people are talking about
17 measuring avidity or avidity of antibodies to these
18 polysaccharides, are those assays ELISA based assays
19 using urea washes or what, again, are we talking about
20 specifically there?

21 DR. FRASCH: Again, that's essentially the
22 same assay in which a kayotropic agent, usually sodium
23 thiocyanate, but it could be urea, is used to either
24 block initial binding of the antibody or to a loosely
25 bound antibody, and having done the assay in our lab

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 both ways, we get very similar answers by either
2 method, but basically it's using a kayotropic agent in
3 exactly the same assay as used for normal quantitation
4 of the antibodies.

5 ACTING CHAIRMAN DAUM: Thank you.

6 Dr. Kim, please.

7 DR. KIM: I guess knowing that, these
8 immunologic assays have not been standardized and
9 variable, is there in your -- I guess these are two
10 related issues. One, is there an attempt to have a
11 reference serum which can be used by everybody to do
12 everything, do the functional assays and the binding
13 assays, everything, to see the degree of variation if
14 that has not been discussed or has been discussed,
15 then was there any actual performance of such assays
16 being done with a serum which has been shared by all
17 investigators or manufacturers, including CBER?

18 ACTING CHAIRMAN DAUM: Dr. Frasch, would
19 you like to respond again?

20 DR. FRASCH: First of all, I've got to
21 clarify something. It's not that the assays are not
22 standardized with individual laboratories. Our work
23 over these years has been to standardize assays
24 between multiple, multiple laboratories.

25 Number two, there is a standard reference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 serum supplied by the FDA to all interested parties
2 throughout the world. It's called reference serum
3 89SF, and it has assigned values to each of the
4 relevant serotypes, and we're also working on a set of
5 what we will call calibration sera that can be shared
6 among laboratories.

7 DR. KIM: Can I just have one follow-up?

8 ACTING CHAIRMAN DAUM: Sure.

9 DR. KIM: I have one follow-up question.
10 Does that serum contain antibodies against the
11 serotypes that are under discussion or serotypes have
12 been limited?

13 DR. FRASCH: The origin of this serum was
14 BPIG plasma, and if people remember what BPIG plasma
15 is, this is from individuals who are immunized, adults
16 that were immunized with the 23-valent pneumococcal
17 polysaccharide antibody, and we now have antibodies
18 quantitated to all 23 different types of which we're
19 only really interested in about 11 of the types now.

20 ACTING CHAIRMAN DAUM: Thank you.

21 Dr. Stephens.

22 DR. STEPHENS: I'd like to follow up on a
23 question from Dr. Griffin and ask for comments about
24 the avidity ELISA, which has been suggested both here
25 and at the previous meeting as potentially being an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assay that can tell us -- that correlates better with
2 opsonophagocytic activity, as well as also correlating
3 with memory, and I just would like Carl's comments or
4 other comments about the avidity ELISA and their
5 thinking about that.

6 DR. FRASCH: Well, the data that was
7 actually presented during the workshop did not really
8 deal with avidity versus opsonic antibody, but it
9 dealt with looking at something called avidity
10 maturation after immunization with a conjugate versus
11 a polysaccharide.

12 And basically what they found was that if
13 you immunize with a polysaccharide, you really didn't
14 see much increase in the avidity of the antibody over
15 time, whereas with the conjugate just looking at the
16 post dose three versus the pre-booster, one saw with
17 the conjugate an increase in the avidity of the
18 antibody, and some suggested at the workshop that this
19 might be a good surrogate marker for memory.

20 ACTING CHAIRMAN DAUM: That is to say the
21 presence of the high avidity antibody would be the
22 surrogate, not any boosting capability.

23 Dr. Insel.

24 DR. INSEL: What is the basis for making
25 that assumption? It may correlate, but all antibody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 titers, avidity will increase for any T-dependent
2 antigen with time. If you just wait long enough,
3 things do increase, but does that speak directly to
4 the fact that that host will respond to the isolated
5 polysaccharide when presented? Are these just two
6 different findings?

7 Do we know that that assumption is
8 correct? Because avidity increases, you'll see
9 responses to a polysaccharide vaccine in those prime
10 cells?

11 DR. FRASCH: I mean, the problem is the
12 same population you're studying shows the increase or
13 avidity maturation and shows priming or a memory, but
14 where they're one and the same event, the data
15 wouldn't show that.

16 DR. STEPHENS: Just as a comment, I think
17 there's reasonable data, and Carl or others may
18 correct me, in the Haemophilus influenzae literature
19 suggesting that there is a correlation between avidity
20 maturation and memory responses in terms of
21 polysaccharide challenge as another means of assessing
22 memory.

23 I'll let others comment on that.

24 DR. INSEL: With hemophilus, I mean, you
25 can prime probably in the absence of any avidity

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 maturation. They don't have to go hand in hand. I
2 mean just the fact that even with one dose of
3 conjugate vaccine you can prime for a polysaccharide
4 response.

5 In fact, in Jani Eskola's (phonetic) data,
6 where immunized in the newborn period, about 30
7 percent of those infants were primed to respond at
8 four months of age to a dose of polysaccharide
9 vaccine, and that was occurring probably even in the
10 absence of any kind of evidence of avidity maturation
11 per se.

12 I think they can go hand in hand, but I'm
13 not sure that one necessarily follows the other, and
14 the question is whether or not one needs to be looking
15 at -- the question is whether one needs to be
16 challenging with a polysaccharide, especially for the
17 vaccine serotypes that we don't have field efficacy
18 data on as we go forward here. I mean that's the
19 question I'd just like to throw out to the group.

20 ACTING CHAIRMAN DAUM: Dr. Giebink.

21 DR. GIEBINK: Another subject. I'd like
22 to elicit some discussion on the antibody threshold
23 method that has been presented where the antibody
24 concentrations in a vaccinated group are compared with
25 those of an unvaccinated and the difference plotted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You'll remember that graph.

2 I have two concerns with that method. The
3 first is that in the studies I'm familiar with,
4 there's an indirect relationship between the degree of
5 the antibody response after vaccination and the pre-
6 vaccination antibody concentration. The higher the
7 pre-vax concentration, the lower the fold increase.

8 And, secondly, there are differences in
9 antibody concentrations among populations in
10 unvaccinated populations. We've compared, for
11 example, a Minnesota population to a Columbia, South
12 America population and found quite different
13 concentrations to several different serotypes in these
14 unvaccinated groups.

15 So both of those issues would bear on that
16 methodology of drawing the difference, and I wonder.
17 I just want to raise the question and see if others
18 have thoughts.

19 ACTING CHAIRMAN DAUM: A comment on Dr.
20 Giebink's question?

21 Dr. Gruber.

22 DR. GRUBER: Yeah, I would like to comment
23 on that. When I presented this graph, that was really
24 actually providing with a piece of history. So it was
25 really an approach that we have been using to look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the bridging, the manufacturing bridging that Wyeth
2 had to do, looking at their commercial lot versus the
3 pilot lot that was used in the efficacy study.

4 One might question, however, that a method
5 that was used there would be even applicable to what
6 is being discussed today since we may not have the
7 situation that we have, an unimmunized individual
8 there.

9 So what we may have to look at if we
10 compare a new vaccine X with Prevnar is really looking
11 at antibody concentrations induced by one vaccine
12 versus the other, i.e., perhaps looking at reverse
13 cumulative distribution curves.

14 I mean, I'm just throwing this out, but I
15 doubt that the approach that we have used at that time
16 is the exact approach that we will be able to use for
17 the purpose of comparing the new vaccine to Prevnar.

18 ACTING CHAIRMAN DAUM: Scott, do you want
19 to follow up on that?

20 Okay.

21 DR. GRIFFIN: Could I ask another question
22 that's along this line?

23 ACTING CHAIRMAN DAUM: Certainly.

24 DR. GRIFFIN: Can somebody just give me an
25 idea of the order of magnitude we're talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 when we're talking about different baseline levels for
2 Minnesota versus South America or even in the
3 responses like in the Philippine children versus the
4 Finnish children?

5 I don't know if we're talking about
6 twofold, tenfold. You know, I just don't have an idea
7 of the order of magnitude of differences that we're
8 dealing with.

9 DR. GURUNATHAN: The Colombian Minnesota
10 study, the biggest differences that we saw by serotype
11 were in the neighborhood of two to threefold, and we
12 speculated that that may have been due to serotype
13 exposure because type 5 concentrations were quite
14 high --

15 DR. GRIFFIN: That would make the most
16 sense.

17 DR. GURUNATHAN: -- in Colombia and very
18 low in Minnesota. I don't know about vaccine
19 response.

20 ACTING CHAIRMAN DAUM: Before we call on
21 Dr. Kohl and then Dr. Decker, Dr. Falk, do you
22 remember, or Dr. Frasch, from the pneumococcal
23 workshop there were some data presented there from the
24 Philippines which were kind of striking? And do they
25 bear on Dr. Griffin's question? But I can't remember

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them.

2 DR. FALK: I unfortunately would not feel
3 comfortable exactly quoting a fold difference, but I
4 believe that they were striking in that we're looking
5 at I think it was more the two to threefold increase,
6 but I hesitate to say take that as gospel

7 ACTING CHAIRMAN DAUM: Dr. Frasch, do you
8 want to deal with that issue?

9 DR. FRASCH: Well, that's pretty much the
10 range, but the problem there is if we're trying to
11 bridge to a U.S. population, and already the levels
12 are two to threefold and we're allowing much less, so
13 it makes bridging more problematic.

14 DR. GRIFFIN: I think that's sort of my
15 point, you know, that it's very hard -- it becomes
16 hard to sort of compare these populations.

17 DR. FRASCH: Yeah, that's why it's
18 important to know the epidemiology of the population
19 that you intend to do a trial in.

20 ACTING CHAIRMAN DAUM: Dr. Kohl and then
21 Dr. Decker.

22 DR. KOHL: Could someone address these
23 same issues on a more local level? That is to say
24 what do we know about minority urban communities in
25 this country, and can someone refresh my memory on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Native American experience, which there was a
2 considerable amount?

3 ACTING CHAIRMAN DAUM: Did anybody want to
4 take that on, anybody at the table?

5 Is there anybody in the audience that can
6 shed light on that?

7 DR. KIM: The only information that I have
8 been informed of that is a serotype distribution
9 differs in Native Americans compared to rest of the
10 U.S. population. So that, I think, needs to be
11 considered in looking to vaccines.

12 ACTING CHAIRMAN DAUM: Dr. Butler, I was
13 hoping you would.

14 DR. BUTLER: Particularly in Alaska
15 Natives and in the Navajo, serotype 1 is more common
16 compared to non-Native populations in the United
17 States, and I guess the next question then in terms of
18 immune response, there's one study looking at the OMP
19 vaccine that compared Alaska Natives, Navajo, and
20 children in a Southern California HMO, which showed
21 very little in the way of significant differences.

22 I think the response to the first does was
23 somewhat attenuated in the Alaska Natives who had
24 higher pre-vaccination antibody levels, but after
25 completion of a primary series, there was practically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 nothing in the way of significant differences.

2 ACTING CHAIRMAN DAUM: And that's the H.
3 flu. OMP vaccine?

4 DR. BUTLER: No, that's the pneumococcal
5 OMP vaccine. I will have to defer to someone from
6 Merck to tell whether or not protocol 014 -- how that
7 differs from the vaccines that they're most interested
8 in now.

9 ACTING CHAIRMAN DAUM: Does anyone from
10 Merck care to respond to that issue?

11 Okay. While we're doing that, perhaps we
12 could hear from -- does anyone from Wyeth Lederle care
13 to respond to the issue with respect to the Native
14 American trial that is in advanced analysis now?
15 Because that bears on this question also.

16 DR. KOHBERGER: With respect to the Native
17 American pneumococcal data, the database has been
18 clean, locked, and is to be sent to Johns Hopkins
19 within the next two weeks. So the analysis is
20 ongoing. So we really can't say anything about what
21 those levels are yet. It will be several months.

22 ACTING CHAIRMAN DAUM: Several months?
23 Too bad.

24 Okay. Dr. Decker and then Dr. Diaz.

25 Well, are you guys ready? All right.

1 Before Dr. Decker speaks, we will. You need to tell
2 us who you are again.

3 DR. SILBER: Sure. Jeffrey Silber, Merck.

4 Maybe we could let Dr. Decker speak. I
5 don't know how long this is going to take.

6 ACTING CHAIRMAN DAUM: It looks like it's
7 real close.

8 All right. Dr. Decker.

9 DR. SILBER: Oh, here we go. Okay. This
10 was protocol 14, a study conducted by Merck a number
11 of years ago in which we looked at Native American,
12 Native Alaskan, and general U.S. population infants.
13 These are post dose three data. All children received
14 Tetramune concomitantly, and for the purposes of this
15 study, we look at a threshold level of 0.5 micrograms
16 per mL. You see the sample sizes here.

17 And if we just want to focus perhaps on
18 the geometric mean titers or the threshold responses
19 for this particular lot of vaccine, the non-Native
20 races across all serotypes trended toward having lower
21 geometric means and sero-responses.

22 ACTING CHAIRMAN DAUM: And the assay here
23 is?

24 DR. SILBER: This was a binding ELISA.

25 ACTING CHAIRMAN DAUM: Is it one that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 entered into the protocol -- I don't know what the
2 right word is -- but the protocol standardization?

3 DR. SILBER: Oh, yes, our laboratory.

4 ACTING CHAIRMAN DAUM: And is that the
5 same -- is that a vaccine you have in trial currently?

6 DR. SILBER: This formulation is not in --
7 this particular formulation is not in trial presently.

8 ACTING CHAIRMAN DAUM: Okay. Thank you.

9 I think now we will go to Dr. Decker.
10 Thank you very much.

11 If you could, throw the first question
12 back on the screen for us before you run off.

13 Michael.

14 DR. DECKER: You know, we have four
15 questions with multiple sub-questions raising some
16 very complicated issues, and I wonder if we can't
17 simplify our approach a little bit by looking at some
18 practical considerations that might weed out some of
19 the underbrush.

20 For example, I assume that it's
21 sufficiently a given good that this committee and the
22 FDA would like to see other vaccines licensed and
23 would like to see the number of serotypes increased so
24 that we wouldn't adopt a stance that blocks either of
25 those two approaches.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It seems to me also that if we said an
2 efficacy trial had to be conducted for a serotype not
3 in Prevnar, that we would understand we were,
4 therefore, saying we did not expect to see additional
5 serotypes added because if a company could come
6 forward with a seven-valent identical to Prevnar and
7 get licensed without an efficacy trial, but had to do
8 an efficacy trial to license any additional serotypes,
9 we would be putting a monumental barrier to the
10 introduction of these additional serotypes.

11 So I think we're not likely to say that,
12 and given the enormous difficulty of conducting an
13 efficacy trial against Prevnar in terms of sample
14 size, I think the slide earlier made it clear that it
15 was impossible even with the very optimistic
16 assumptions in the FDA slide.

17 Then I think as a practical matter we
18 probably are recognizing that we're going to have to
19 come up with a pathway to licensure other than
20 efficacy trials, with serologic unless we can think of
21 some third alternative.

22 And if we accept that, that there will be
23 a serologic pathway to licensure, then I think that
24 further simplifies things because if there will be a
25 serologic pathway to licensure, then nobody is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 obligated to go the efficacy trial route, although, of
2 course, that would still be an option. And if there's
3 a serologic pathway to licensure, there's no
4 obligation to go into these populations overseas that
5 have very different antibody responses to American
6 kids raising all of those thorny issues.

7 It would seem to me that it would be
8 possible then to do trials Prevnar versus new vaccine
9 in the U.S. and moot a lot of these issues. Now,
10 there may be holes in my chain of reasoning there, but
11 if any of that holds up, then perhaps the practical
12 questions in front of us are much simpler and more
13 answerable than the theoretical questions, which are
14 very difficult.

15 ACTING CHAIRMAN DAUM: Well, that's an
16 interesting comment for us to think about. It really
17 goes to discussion item one, and I'd like to sort of
18 hold it in abeyance and have people consider it as a
19 comment based on this discussion item, but continue
20 some free form discussion until we focus on it, which
21 will be soon.

22 Dr. Diaz, then Dr. Goldberg. Dr. Insel.

23 DR. DIAZ: Just following along on the
24 thoughts that were raised about doing studies abroad,
25 I think it's certainly clear to me that having a basic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 understanding of the epidemiology of pneumococcus in
2 any population that's going to be enrolled into any
3 kind of clinical trials is really critical, especially
4 when you talk about having to bridge perhaps trials
5 overseas to the U.S., knowing for instance just the
6 strains that cause -- that are more prevalent in terms
7 of causing disease in those areas, perhaps even the
8 prevalence of carriage of certain strains and
9 preexisting antibody may all play a role in trying to
10 or in complicating, I guess, any kind of bridging
11 studies that would occur.

12 I know that in the United States there's
13 a lot of data being collected regarding antibiotic
14 resistance for pneumococcus, but I was curious if
15 anyone knows if there's any data being collected
16 perhaps in the ID sites or other places regarding
17 prevalence of carriage of strains or any current
18 epidemiology of pneumococcus other than invasive
19 disease in this country.

20 ACTING CHAIRMAN DAUM: Are you talking
21 about in places where vaccine is in use or --

22 DR. DIAZ: Just talking about in general
23 looking at sort of what's going on with the
24 epidemiology of carriage of strains in this country
25 and those strains that are still causing diseases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 being monitored. But I'm not sure if I have a good
2 feeling of the epidemiology as it exists currently.

3 ACTING CHAIRMAN DAUM: Carl, do you want
4 to respond to that? Are there post marketing things
5 that were put into place with respect to carriage?

6 DR. FRASCH: Well, my interpretation was
7 it wasn't necessarily following vaccine. So,
8 therefore, I would ask Dr. Butler to.

9 DR. BUTLER: I'm not sure how well I can
10 address the question for the country as a whole.
11 We're actually doing quite a bit of that in Alaska in
12 primarily two settings. One is the rural village
13 setting where rates of disease are extremely high,
14 building on a baseline of work that was done in an
15 intervention of judicious antibiotic use, but it has
16 provided three years of baseline data which we are
17 continuing to collect data, basically looking at
18 carriage across all age groups within 17 villages.

19 We also have a project specifically
20 looking at the impact of, post marketing impact of
21 conjugate vaccine in the Anchorage area, and that's
22 really a broad population, Native, non-Native, also a
23 public clinic population, looking primarily at
24 preschool age children.

25 I suspect there are similar studies going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on in other communities in the U.S. thought.

2 ACTING CHAIRMAN DAUM: Scott, do you have
3 data about Dr. Diaz's question?

4 DR. GIEBINK: Just to clarify, there are
5 carriage studies going on at a number of sites in the
6 United States, all related to the efficacy testing of
7 new antimicrobial drugs for acute otitis media, and
8 those actually -- a number of those studies were
9 reported in town here about a month ago at a license
10 application from one of these manufacturers.

11 So I don't have those at my fingertips,
12 but it's pretty well known that resistance rates among
13 pneumococci carried in the upper respiratory tract are
14 considerably higher than the rates of resistance in
15 invasive disease, and there's quite a bit of regional
16 information in the United States available on that.

17 ACTING CHAIRMAN DAUM: Do any of the
18 manufacturers, Wyeth, in particular, want to share any
19 thoughts regarding carriage surveillance and places
20 where the trials have been done?

21 (No response.)

22 ACTING CHAIRMAN DAUM: I take it that
23 means no. Okay. Well, Dr. Diaz, I think that's a
24 very good question. We just don't have a lot of
25 light.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Decker, on this question?

2 DR. DECKER: Yeah.

3 ACTING CHAIRMAN DAUM: Because I have
4 three people ahead of you.

5 DR. DECKER: No, an answer to this
6 question if you want.

7 ACTING CHAIRMAN DAUM: Okay.

8 DR. DECKER: Out of a study reported in
9 IDSA last year, supported by Wyeth Lederle and
10 conducted by Kathy Edwards and colleagues at
11 Vanderbilt looking at children who received Prevnar
12 and who were followed very intensely for surveillance
13 of carriage with an average of nearly a dozen cultures
14 obtained during the first year of life, and let me
15 just give you some key results here.

16 I can give you specific numbers, but in
17 summary -- actually I said Prevnar, but it was the
18 nine-valent vaccine -- carriage rates were extremely
19 high, with carriage rates of, for example,
20 pneumococcal isolates of over 80 percent in both the
21 vaccine and the control group were resistant to
22 penicillin among ill kids. Over 70 percent were
23 resistant to penicillin among all kids.

24 The rates of carriage of vaccine strains
25 were reduced both in well and ill kids, but still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 relatively high, but statistically significantly
2 reduced.

3 And one second here. Vaccine recipients
4 were 19 percent less likely to carry the vaccine
5 strains at well baby visits and 29 percent less likely
6 to do so at sick visits, but there was no overall
7 reduction of the carriage rate of all pneumococci and
8 no reduction in the carriage rates of penicillin
9 resistant strains.

10 I'm not sure if those data answer your
11 question directly. If not, put it to me again because
12 I may have the answer in here.

13 DR. DIAZ: That's fine.

14 ACTING CHAIRMAN DAUM: This is obviously
15 a very complicated area that needs more light shed on
16 it.

17 Dr. Siber, can you shed light?

18 DR. SIBER: Well, I'll tell you there will
19 be some light coming from the Navajo trial which was
20 a trial in which there was community randomization
21 between the pneumococcal conjugate vaccine, Prevnar,
22 and a meningococcal vaccine, and one of the sub-
23 studies by Kate O'Brien in that study, together with
24 CDC investigators, is to look at the herd immune
25 impact of pneumococcal vaccine in a whole community of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701