

1 extracts, which again were presented at the October
2 meeting, some data generated by both Greer
3 Laboratories and Hollister Stier.

4 This one is very busy. I don't expect you
5 to get much from the exact values. However, this was
6 a look-see at all the different extracts that were
7 looked at. They looked at the SDS-PAGE and concluded
8 that before and after removal of precipitate, the
9 profiles were comparable.

10 The resulting phenol content was still
11 within the release limit. pH didn't seem to take any
12 major shifts. PNU -- again, some went up; some went
13 down. Typically, I would say the variability of a NU
14 assay is somewhere in the 15 to 20 percent range. So
15 none of these really feel outside of what you just
16 would see from normal variability.

17 Another company, and again this was
18 Hollister Stier -- took a look at some vial container
19 products that had precipitated. Again, all negative
20 for the presence of microbial contamination. SDS-PAGE
21 profile did find in two products, a bottle brush and
22 an English Plantain, that there was perhaps a loss of
23 a band up at the 180 kiloDalton range there, and then
24 loss of band definition of 40 kiloDalton.

25 Also, they reported on a lot of AP Dog

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that we were able to quantitate the can F I. With the
2 precipitate two, there were 251 units per mil. After
3 the precipitate was removed by filtration, there were
4 253.

5 These are just some examples of the SDS-
6 PAGE profiles that, again, were shown at the October
7 meeting, the p indicating the precipitate was still
8 present, the n meaning it had been removed, no
9 precipitate. Again, removal in this case was done via
10 filtration, sterile filtration for sterilizing
11 membrane.

12 Since that time, since the October
13 meeting, a little bit more data have been presented or
14 provided by manufacturers. This is an example, a
15 company that looked at the protein content before
16 reprocessing and after. Again, you see some -- a
17 couple go up, a couple go down. This one goes up.

18 We will be the first to acknowledge the
19 database are quite limited, but I think the point is
20 that overall everything that we are seeing is
21 suggesting there is no major shift in protein, protein
22 profiles or anything as a result of precip.

23 Some additional data from Greer
24 Laboratories, again looking at some reserve samples,
25 some product lots. In summary, again their conclusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 being that in the overall aggregate, the presence of
2 precipitate doesn't affect the overall characteristics
3 of the extract.

4 Some additional data since October on
5 comparing PNU, pre-filtration, post-filtration:
6 Again, a few higher, no change, a little lower, a
7 little higher, all again within what I would consider
8 the range of normal variability.

9 Again just some more examples of different
10 SDS-PAGE profiles on different products, again
11 visually similar. Again, if you look closely, there
12 may be a few bands that tend to lose definition, but
13 I think your eye will tell you that the precipitated
14 and the nonprecipitated look pretty similar.

15 Just some more examples, some with
16 heavier, more predominant protein bands than others.

17 Then the last one. There were several
18 agreements that came out of the meeting with CBER
19 personnel in October. One of them was that the
20 manufacturers agreed that extracts with any visible
21 precipitate would not be shipped to the customers.

22 The second was that the manufacturers
23 agreed to include common verbiage in their product
24 instructions regarding precipitate.

25 Third, manufacturers and CBER personnel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 agreed that attempts to characterize and look at these
2 precipitates would continue and, fourth, to try to
3 standardize the error and accident reporting, which as
4 you heard earlier, is now the biological product
5 deviation report.

6 So current initiatives by the industry
7 resulting from these agreements that were reached in
8 the October meeting: To inspect the product just
9 prior to shipping to customers, and do not ship the
10 product is precipitate is visible.

11 The effects of this initiative on both the
12 industry and the patients include: There will be
13 product shortages when the manufacturer must discard
14 the product. They've got their product ready to ship.
15 They collect the vials to fill an order. There's
16 precip in it. The lot will be discarded. That
17 patient will not receive that order.

18 So these shortages will result in a
19 disruption of product supply, which will ultimately
20 affect the treatment regimes that the patients are
21 undergoing who are receiving immunotherapy with these
22 products.

23 We all agree, I think, that there will be
24 reduction in product lines as manufacturers will
25 discontinue making these products, the ones that tend

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to precipitate almost all the time.

2 There will be increased cost to the
3 customers to offset the increased losses to the
4 manufacturer, and a move toward the use of
5 glycerinated extracts or more dilute non-glycerinated
6 products.

7 The agreement to add the verbiage
8 regarding the presence of precipitate: There have
9 been, my understanding is, some discussions between
10 some manufacturers and CBER. One of the proposals is
11 to add wording in the dosage administration section of
12 the instruction, and there is verbiage given in 21
13 CFR, Section 201.57 regarding the instructions to look
14 at any product for the presence of discoloration or
15 for particulate or precipitate.

16 This will require submission and approval
17 of each insert used by each manufacturer, although I
18 understand there may be ways to get approval of the
19 wording in one, and then provide annual updates or
20 something. So it may not be every insert needs to be
21 approved, but certainly, the initial one will require
22 preapproval.

23 Continued evaluation of products with the
24 existing technology, again including protein content,
25 protein profiles, potency assays when it's a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standardized product that precipitates. That may
2 ultimately expand to include immunoblot so that we can
3 actually look at the allogenic proteins that are being
4 affected as opposed to just the total protein profile.

5 One of the other things we talked about
6 was attempting to work with CBER personnel to expand
7 use to new technologies. This is really in its
8 preliminary discussion stages, hasn't progressed
9 anywhere.

10 Then the last one, the ultimate goal is to
11 develop alternate manufacturing methods that would
12 prevent the formation of precipitate. I feel this is
13 a very long term project as, number one, we would have
14 to do the extensive studies just to determine what we
15 could do to keep the precipitate from forming.

16 Then once we were able to do that, there
17 would still be the studies to show the equivalency,
18 that we haven't affected the product in any way. In
19 light of the earlier presentation and the number of
20 patients involved in that, that could get quite
21 expensive.

22 That's where we're at. Again, like I said
23 at the outset, I apologize for repeating so much of
24 what Jennifer said, but again I think it demonstrates
25 that we are all pretty much working with the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 knowledge base.

2 So there was a couple of questions. I
3 don't know if they were, in fact, addressed.

4 DR. SAXON: If I was approaching this
5 scientifically, the first thing I would want to know
6 is how common are precipitates that are visible out of
7 the thousands of lots that are made. I still don't
8 understand. Is this one percent, 50 percent? How big
9 is the issue in that regard? Can you give us any
10 idea?

11 DR. WILLIAMSON: Yes. We did a survey --
12 Again, I can speak on behalf of my company. I can't
13 on the total industry, but I don't think we are
14 probably that different.

15 DR. SAXON: What's the number?

16 DR. WILLIAMSON: It was about 7.5 percent
17 of the products.

18 DR. SAXON: So let's say ten percent. Ten
19 percent of extracts have a visible precipitate.

20 Now the second question to ask: What is
21 the amount of that precipitate? That's easy as pie.
22 If you haven't done it, I'm stunned. You take a
23 little capillary tube, and you spin it, and you
24 measure the amount.

25 We don't know -- It looks like 80 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I understand what you're saying. It could be one
2 percent. So someone should take the ten percent of
3 lots, get a little capillary tube, just spin them, and
4 you measure the quantity so we know how frequent it
5 is, the quantity it occurs; because this whole thing
6 may be a non-issue.

7 I mean, the whole thing may be a non-
8 issue. If it turns out that the potency is no
9 different, the quantity turns out to be one percent of
10 material that when you spin it down, or less, and
11 there's no difference, and you could prospectively
12 before you throw this whole thing out -- We don't see
13 short ragweed. We don't have it California. That's
14 why, I guess, I've never seen those.

15 Yes, we don't have short ragweed in
16 California. No. it's another reason to move there,
17 in spite of the earthquakes.

18 What you can say is -- So then before you
19 say you can't send this stuff out -- You know, you
20 have now said, basically, this stuff is bad. May well
21 be. But it would be more interesting as a scientist
22 to say, okay, we know this lot has precipitate. We've
23 measured it. It's two percent. We spun it down, and
24 prospectively look what happens to that lot, versus
25 prospectively to its cousin lot.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ask the manufacturer to do a prospective,
2 active surveillance. You could actually solve the
3 issue. What you're going to do now is say the issue
4 is bad, we must get rid of it. And it's okay, but
5 you're going to add extra expense, and it may be a
6 waste of time. You may be chasing nothing.

7 So I think getting some data would be
8 better than chasing something that may turn out to be
9 irrelevant.

10 DR. SLATER: May I comment? I certainly
11 don't think anyone is going to disagree that we need
12 a considerably larger body of data on this question.
13 I'm not really sure that the point was clearly made,
14 and that is that we are actually rather ignorant about
15 the effect that this might have on potency.

16 The only extracts for which this happens
17 with any frequency at all for which we have any valid
18 potency measure is short ragweed.

19 DR. SAXON: You mean her data is invalid.
20 She just showed us a bunch of data. I just -- I heard
21 what you said, but you just showed me a whole bunch of
22 other data.

23 DR. SLATER: Sure. There is a bunch of
24 other data about PNU's per ml. There is data about pH.

25 DR. SAXON: It was a huge -- It was 20

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent of the protein was precipitating. It should
2 have been going down.

3 DR. SLATER: Well, but we know very well
4 that the correlation between PNUs per ml and potency
5 is extremely poor. In fact, you could have a dramatic
6 decrease in the actual allergen content and preserve
7 PNUs per ml.

8 DR. SAXON: Fine, and you could do that
9 with or without precipitates.

10 DR. SLATER: That's exactly correct. But
11 for a large portion of our extracts that are
12 precipitating, which is, you know, according to
13 industry data, something on the order of one in ten to
14 one in 20 vials, it is actually quite remarkable --
15 It's not remarkable. It is a testimony to the
16 importance of standardization to study problems such
17 as these that we are essentially having a problem
18 because only one of the allergens that is standardized
19 -- only one of the allergens that has this problem is
20 standardized for which we have a measure.

21 Furthermore, Dr. Claman pointed out that,
22 even though as you pool the data you may not have a
23 statistically significant difference, for some
24 extracts it may be more significant than for others.

25 DR. SAXON: Yes, but again, you didn't do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the controls of all the other lots that may have gone
2 down that weren't precipitated. I mean --

3 DR. SLATER: I just must differ with you
4 about the concept of not worrying about extracts that
5 have a relatively small precipitate crit. I actually
6 think that I worry a lot less about that slide that
7 Jennifer showed that two-thirds of the bottle was full
8 of precipitate, because -- let's be honest about it --
9 nobody would inject that into anybody. So that would
10 be one that I'm not worried about patient safety,
11 because I don't think that vial would have ever gotten
12 near a patient.

13 I am much more concerned about the
14 relatively more subtle precipitates, the kinds that we
15 don't really know what the impact is and that might,
16 in fact, be getting injected.

17 One of the things that I would really like
18 to ask the Committee to spend at least a few minutes
19 on is I would really like to find out what the sense
20 is of how often you have actually seen this.

21 I must tell you that, when I first heard
22 about it, having just come from clinical practice a
23 short time before, I had never seen one of these, and
24 I was horrified at the numbers that I was seeing,
25 because it was clear that there were extracts that I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 was not aware that these actually had precipitates in
2 them.

3 So I would really be interested in finding
4 out what the Committee thinks of this.

5 DR. UMETSU: Actually, we don't use
6 ragweed either, because I'm in California. I'm
7 interested in finding out from -- The question is what
8 percent of the ragweed extracts at Hollister Stier
9 have precipitates?

10 You gave us seven percent of all extracts,
11 but what about ragweed? What percent of short ragweed
12 has precipitates?

13 DR. WILLIAMSON: I am trying to think here
14 if I can -- drawing back on what I could say for
15 experience. One of the things with the ragweed is we
16 do have to do stability study on ragweed, and
17 formation of precipitate is one of the parameters that
18 are examined throughout these stability studies.

19 In reviewing that, I was in some ways
20 surprised to note that at least the products that we
21 have been studying on stability -- and we do one lot
22 a year of glycerinated, one lot of non-glyce -- that
23 again the glycerinated in our stability studies we
24 hadn't see any.

25 The non-glyce will, but oftentimes -- Now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the non-glyce short ragweed also has a very short
2 shelf life. It only gets 18 months total from the
3 date the antigen E assay is initiated.

4 So by the time we get the product
5 manufactured, through the process, tested, released by
6 CBER, there is really only several months that we have
7 left to be able to get that product out the door, and
8 then to give the end user at least a year's dating.

9 Now we have seen in our stability studies
10 occasions where that precipitate was present and
11 showed up right at about that 18 month time point, and
12 then beyond. But I was surprised that in our
13 stability studies we didn't see it that often.
14 However, we are now, again as agreed to in that
15 October meeting, doing the visual inspection of all
16 the extracts prior to shipment, and we are seeing a
17 higher incidence of the products right out of our
18 stock.

19 I don't know the exact number, but I don't
20 sense that it is much different than the approximate
21 ten percent that we were talking about.

22 DR. UMETSU: But you mentioned that it's
23 much higher with ragweed versus, say, other types of -
24 - other antigens, or somebody said that.

25 DR. WILLIAMSON: I think again one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 questions that was asked was, well, how many do you
2 see. what we looked at was we looked at all the lots
3 that we had in our inventory at the time we did this
4 survey.

5 We had approximately 1900 lots of product.
6 Now that could have been six lots of short ragweed and
7 ten lots of this and so on. Out of those 1900 lots,
8 that's where we had the 7.5 percent of them showed
9 precipitate, presence of precipitate when we did our
10 survey.

11 So how many lots of short ragweed out of
12 all the lots that we would make in a given time -- and
13 again, the non-glycerinated is the one that is going
14 to precipitate. Jennifer, I don't know. You picture,
15 I assume, was non-glyce, and really our observations
16 of precip in glycerinated short ragweed is virtually
17 none.

18 MS. BRIDGEWATER: Right.

19 DR. SAXON: I want to correct one thing,
20 Jay. I don't care if it's a lot or a little. I'm not
21 worried. What I object to is no data. It's sitting
22 there in the vial. Tell me how much is in there, and
23 then other than spinning it, I bet if you go to a non-
24 visual technique like a big light scatter, you could
25 actually quantitate it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You could quantitate it in another 20
2 percent, because there's things you can't see with
3 your eye. So I'm just saying you should get data.
4 Just looking at vials and guessing -- get data on what
5 percent of vials have it by light scatter. I mean,
6 that's where I would start, with data.

7 I think at the moment it sounds to me like
8 anecdotes and anecdotes.

9 DR. SOTO-AGUILAR: I would like to ask,
10 are they light sensitive or just temperature
11 sensitive? What makes them precipitate?

12 DR. WILLIAMSON: That was one of the
13 things I pointed out. That's a question we just don't
14 yet. For the most part, our extracts, once they are
15 packaged -- and we don't package them unless they are
16 precipitate free -- they are put in the boxes. they
17 are put in shipper boxes. So they are protected from
18 light at that time, but then we do see precip down the
19 road.

20 Temperature: Again, from start to finish
21 there are processing. Our extracts are maintained at
22 one to five except for short durations when they are
23 out actually being filled into final container. So
24 two to five or one to five refrigerated temperature
25 certainly results in the formation of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 precipitate, although some of the studies we have done
2 where we have put product at room temperature as an
3 accelerated condition will precipitate sooner.

4 So I think there's some temperature
5 dependence, but refrigeration certainly doesn't keep
6 it from forming.

7 DR. SOTO-AGUILAR: And how about pH? Is
8 there any optimal pH?

9 DR. WILLIAMSON: Not that I'm aware of
10 that has been developed. That's probably one of the
11 first things that could be looked at, at least in the
12 short term, although I think a couple of manufacturers
13 have done some preliminary studies that haven't
14 suggested that that's the answer.

15 DR. CLAMAN: One would suspect -- As a
16 non-physical chemist, one would suspect that these are
17 like-like aggregates and homodimers or multimers, and
18 that the presence of the glycerine just decreases the
19 chance for two or more molecules to get together.

20 Now what about -- Aside from warming them
21 up, which I suspect would do something to decrease the
22 precipitate -- maybe not eliminate it -- what about
23 the use of detergents? What about doing Western blots
24 on precipitates versus soluble material from the same
25 vial, etcetera, etcetera?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You know, like gamma globulin -- Gamma
2 globulin is a sticky molecule. It sticks to itself.
3 Maybe short ragweed is a sticky molecule; it sticks to
4 itself.

5 DR. WILLIAMSON: And I think those are
6 potential things to look at, but as I had pointed out
7 earlier, one of the biggest difficulties is, even when
8 it looks like you have a lot, by the time you try to
9 get it clean so that you're comfortable you're not
10 getting anything still there from the extract itself,
11 and then try to analyze it, either you've lost it all
12 through the cleaning or it will actually at that
13 concentration redissolve.

14 DR. CLAMAN: That's good news, too.

15 CHAIRMAN OWNBY: Well, maybe the idea
16 shouldn't be to clean it, because if this was really
17 an aggregate of some component, you ought to see merit
18 concentration shift, even if you didn't have -- you
19 know, even if there was still some contamination left
20 from the rest of the extract.

21 I think that we are all pleading with the
22 idea that we need some very basic data. I mean, I
23 think it would be interesting to know just whether
24 this is a time phenomenon, whether now that you are
25 examining all of your lots before you ship them, how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 many of them still come back, because I think most of
2 us, the first thing when you saw a vial that was
3 precipitated, you just called up the company and said,
4 hey, you know, I'm sending you this one back because
5 it's got a precipitate.

6 You know, are you still getting those back
7 that were clear when they shipped, and can you learn
8 anything about the shipping conditions? You know,
9 these all come back for winter shipments or all come
10 back from summer shipments or some of those other
11 basic things. Then just the physicochemical
12 properties of the material that's there.

13 DR. WILLIAMSON: In answer to your
14 question, he said, well, you still get them back. At
15 Hollister-Stier we implemented our visual check at the
16 time of shipment right at the first of the year,
17 January 2. So we haven't been doing this that long,
18 but that is certainly a parameter we will need to
19 examine and see if there is a correlation, if in fact
20 we do see a reduction in returns now that we are
21 sending them out clear or if the level stays the same,
22 which means they are now precipitating once they leave
23 our hands.

24 DR. SAXON: The real issue is safety. I
25 mean, Jay is after safety. Right? One way you look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at that is, if it's lost potency, it's not as good
2 anymore. You can answer that pretty straightforward.
3 You should be able to, by looking at --

4 The other issue which you're going to lose
5 the opportunity to look at is I guess you stop -- you
6 used to send them out when they were -- or has the
7 industry sent them out previously when they had
8 precipitate in them? Okay. Nothing wrong with that,
9 and I'm not horrified. I don't care.

10 My point is it may be no difference. But
11 when you send them out, you still have an opportunity
12 to look prospectively at what happens. The alert
13 system will never work. No one reports allergy
14 adverse events, because they are part of the natural
15 history of the problem. So we don't report every time
16 a patient gets a swollen arm. Right? But if you took
17 a lot that you knew had precipitate in it or had lots
18 of returns, you should have an active surveillance.

19 If it's not a problem, then it's not --
20 That's the only way you're going to get safety data on
21 precipitate versus non-precipitated lots. So you've
22 had lots of it gone out, but you're going to have to
23 actively surveil, not passively surveil.

24 If it was my experiment, I would do the
25 experiment. I would get a lot that had precipitate or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 maybe if you can't do precipitate, you do a light test
2 now, because you know it's got more material that you
3 can't see, but you know it's got more than a cleaner
4 lot. If there's no difference, there's no difference.
5 The data will be the data.

6 DR. UMETSU: Clearly, there needs to be
7 more data. I would also suggest that perhaps the
8 precipitated lots would be actually more efficacious.

9 DR. CLAMAN: Absolutely.

10 DR. UMETSU: So you may actually get a
11 better product when it precipitates.

12 DR. SLATER: I'm sorry. Are you proposing
13 that as a possibility?

14 DR. SAXON: Sure.

15 DR. UMETSU: I think that, if you look at
16 it immunologically, if it's homodimers or
17 homomultimers, those precipitated antigens would be
18 more immunogenic, if that's what you are trying to do.
19 If you're trying to immunize, that's what would
20 happen.

21 DR. CLAMAN: You don't agree?

22 DR. SLATER: I am not saying it is. I'm
23 just saying we need more data.

24 DR. CLAMAN: there's a long history of
25 increased potency of aggregated immunogens versus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 soluble immunogens. This may not be what you're
2 after. We're not really sure. But I agree with Dale
3 100 percent.

4 DR. SAXON: Before you throw the whole
5 thing out --

6 DR. CLAMAN: That's right. That's what
7 I'm saying. You need data.

8 MR. SAUSVILLE: Can I say something here?
9 I'm Bob Sausville with the Office of Compliance at
10 Center for Biologics.

11 I think our concern would be that a
12 particulate is not -- the precipitate is not a
13 particulate. I mean, can you tell by looking at it
14 that it's not microbial contamination and that it's
15 only something that's some out of solution?

16 I mean, you've made reference to the fact
17 that it could be microbial contamination or have
18 microbial contamination with it. I mean, I think our
19 concern would be, just because you have this
20 particulate or -- precipitate -- Anyway, what I'm
21 trying to say is you can't be sure that it's only one
22 thing and it's not a combination of things just by
23 looking at it.

24 DR. WILLIAMSON: And I'll have to
25 acknowledge that's true. Just visually, can a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 nonexperienced -- well, even experienced look at it
2 and say, oh, no, that's normal particulate --
3 precipitate.

4 In some instances, I would venture to say
5 you probably could, but not in all. However, I will
6 also say that from experience I have had the occasion
7 to see a couple of lots that were contaminated
8 microbially that were perfectly clear.

9 So, you know, a clinic could have a
10 perfectly clear extract that is microbially
11 contaminated. So whether or not it's fair to say,
12 well, they have been using these extracts for years --
13 doctors have -- that have this precipitate, and again
14 I am not aware of any instance ever that was reported
15 for a bacterial infection associated with an injection
16 from an allergenic extract and then let alone
17 associated with precip. But that is a point, that
18 visually you wouldn't be able to tell.

19 MR. SAUSVILLE: Well, I think from a
20 safety standpoint, you know, from my perspective,
21 that's one of the most important. If you can't make
22 that distinction, then you can't just send it out
23 without any concern.

24 DR. CLAMAN: I have a really dumb
25 question. You have a bottle of extract, short

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ragweed. If there were some way to --I guess you
2 could on a column -- remove all the immunoreactive
3 material, all the short ragweed antigens on a column
4 and analyze what was left, what's left? What
5 percentage of what's in the bottle are, in fact,
6 bioreactive ragweed antigens, and what percentage in
7 the bottle are plant proteins that have nothing to do
8 with allergenicity?

9 I have no idea. I never thought about it
10 before.

11 DR. SLATER: No, I don't know.

12 CHAIRMAN OWNBY: Please use the
13 microphone, Dr. Pasteur.

14 PARTICIPANT: Yes. We actually do have
15 measurements of the protein content versus the
16 potency, and we've seen that for extracts that have
17 the same relative potency, the protein content can
18 vary by over a factor of ten.

19 So that's not a total answer to your
20 question, but the fact is easily a factor of ten.

21 As long as I'm standing up, a little
22 earlier you had made the point that, if you gave
23 someone the precipitate, that would be sort of super-
24 potent or, you know, extra good, so to speak.

25 Well, the problem is, if it's -- it could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also be super-potent, and in that sense it could
2 actually be a very dangerous thing to actually give.
3 So it doesn't necessarily mean that it's sort of good
4 if it's more potent.

5 DR. SAXON: It's going to be less than ten
6 percent. I mean, at least for things like -- when
7 they looked at Amb a 1 -- Was it Amb a 1? The studies
8 on the graph -- so that's why you get this huge
9 protein change. But if they were aggregated, the
10 allergen were aggregated, it wouldn't be more
11 dangerous. Right, Dale? It would be safer, because
12 it would be aggregated anyway. So it wouldn't make it
13 more dangerous to have aggregated immunogens.

14 I just think you don't know, and I --

15 DR. CLAMAN: So what you are saying is
16 it's likely that 90 percent of what's in the bottle is
17 irrelevant to our subspecialty.

18 DR. SAXON: Yes.

19 DR. CLAMAN: And therefore, all other
20 things being equal, which they never are, the chances
21 are nine out of ten that the precipitate doesn't have
22 anything to do with its immunogenicity either.

23 DR. SAXON: Right. And the data will be
24 the data. But, Henry -- I mean, that's why people
25 wanted to then clone antigens, but then it became,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hell, you got to clone ten antigens or 12 and then
2 reconstitute. It ain't worth the trouble. Use the
3 natural stuff. It has a bunch of carrier protein with
4 it.

5 I just think it's an unknown issue in
6 making any kind of decision. I mean, it looks
7 cleaner. Is it better? Is it worse? I don't know.

8 CHAIRMAN OWNBY: It just seems like
9 there's so many other components in here that we
10 normally don't think about. We think about the
11 allergens as proteins, but there are a lot of
12 pigments. There are a lot of phenols. There are a
13 lot of other compounds that potentially could be part
14 of this.

15 I wonder about some of these secondary
16 effects we don't normally think about, like the pH of
17 the glass that this is going into and the surface
18 properties that you get into play. It seems to me
19 that this would drive a physical chemist wild for a
20 while to try to figure out where these are coming
21 from.

22 DR. SAXON: Wouldn't you be more
23 interested to know if they were even worth worrying
24 about? See, that's my concern, because if they are
25 not worth worrying about, I wouldn't waste my physical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 chemist's time and money worrying about them. If they
2 are a problem, then they shouldn't be used.

3 CHAIRMAN OWNBY: Well, if you are throwing
4 away whole batches of extract, it becomes a pricy
5 problem. It needs a solution.

6 The one thing we haven't touched on is
7 what do physicians do in practice when they get these?
8 I mean, if they see it initially, I think most people
9 send it back. The question is what happens after it's
10 in a treatment set and you see precipitates, what do
11 physicians do?

12 MS. BRIDGEWATER: Shirley, I'm wondering
13 if you could comment on that a little, about
14 percentage of returns you get versus how much product
15 you send out.

16 DR. WILLIAMSON: Again, we track our
17 returns. Anytime we get a return for a precipitate,
18 we have a procedure that we follow. One of them is
19 looking at how many other vials from that lot were
20 manufactured and have been shipped, and especially now
21 with the biological product deviation report that's a
22 part of that report.

23 To be honest, what I've observed in
24 evaluating these reports is we may have had a lot of
25 50 vials from a given extract and gotten two of them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 back for precip, and never heard on the other 48. Yet
2 in my experience, again I have seen that it's very
3 unlikely that out of a lot of 50 you would only have
4 two precipitate. If two precipitate, they have all
5 gone.

6 So to be honest, I think in the majority
7 of the cases -- and I think it's a matter of -- they
8 are used to it. It's a problem, as I said, we as an
9 industry -- and not just us but the medical community
10 also that uses these products -- have seen and
11 recognized.

12 It used to be that, you know, people
13 comment -- or the gal that handled the technical
14 service reports would say, oh, must have a new nurse
15 in Dr. So and So's office, because I'm getting
16 complaints on precip. And it would be -- yes, it
17 would be cyclic. But you kind of, oh, they're not
18 used to seeing this, and so they are returning it.
19 Once they get used to it, we won't hear from them.

20 DR. SLATER: So, Shirley, would you
21 conclude from this that the physicians even know?

22 DR. SAXON: Why don't you ask at the AAI
23 meeting? The FDA can get in touch with people that
24 order them, and find out.

25 See, the other thing that concerns me,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Jay, about this is that the concept at the human eye
2 level of precip means something. I tell you, if you
3 use optical techniques, you'll find 30 or 40 percent.
4 Then what are you to say? Well, we've regulated at
5 the level of the human eye?

6 You know, maybe you should regulate at a
7 much more objective level, but you can set it by
8 flocculation. So before you get into that whole mess,
9 I think you ought to figure out what's really going
10 on.

11 DR. CLAMAN: See, you missed the point,
12 Andy.

13 DR. SAXON: Yes, I always do.

14 DR. CLAMAN: Our Chairman said it and
15 didn't realize that he said it. He said this is
16 something that needs a solution.

17 DR. SAXON: Right.

18 DR. SLATER: If I could just come back to
19 a point that was made a few minutes ago about the
20 aggregates perhaps eliciting better immune responses,
21 just recall that unless the aggregate happens to be
22 made of the target allergen you're interested in, you
23 may now be generating a good antigen for an immune
24 response that is undesirable or perhaps competitive.

25 DR. CLAMAN: I said it mostly to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provocative. I think we ought to find out what these
2 precipitates are made of.

3 DR. SLATER: but I think most critically
4 is that the appearance of the precipitates is an
5 uncontrolled phenomenon. It's a phenomenon on which,
6 even within a given product line at a given
7 manufacturer, may be relatively inconstant in terms of
8 its degree and in terms of its composition.

9 I mean, I think it should be stated
10 explicitly that the precipitate in product A is almost
11 certainly a different molecular entity than the
12 precipitate in product B and, for all we know,
13 precipitates within product A may be variable in terms
14 of their precise composition.

15 So I think it has to get into the record
16 that we can't sort of accept this degree of
17 uncertainty as a neutral event without knowing more
18 about it.

19 DR. CLAMAN; I agree. You could be on
20 very weak ground if you ignored it.

21 DR. UMETSU: Yes, I would agree. When I
22 mentioned that it might be more immunogenic, I'm just
23 saying that we need more data. We need a lot more
24 data. We need to find out what is in the precipitates
25 and what causes them to precipitate in some lots, some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vials, and not others.

2 DR. SAXON: I am uncomfortable. I agree,
3 but it's been five months, October, November,
4 December, January, February, March. This is six
5 months, and this is what we know. It's nothing.

6 I mean, again, you could go and start
7 measuring the amounts of this in ways and have data
8 within a month. It seems like this has been going on
9 for six months. I'll be gone and this will be going
10 on in four years, and you still won't know anything.
11 There's no plan.

12 CHAIRMAN OWNBY: Are you going to propose
13 a plan for us, Andy?

14 DR. SAXON: No. I think Jay raised this
15 thing. They ought to have -- He should come back next
16 time with a plan and some data. I'd tell the
17 manufacturers, spin it down, give me numbers, get some
18 data together that they think is believable, and see
19 if it is an issue or not an issue before they start
20 making decisions based on anecdotes -- anyone makes
21 decisions. It's definitely of concern to all of us.

22 CHAIRMAN OWNBY: Jay, it would also seem
23 not that oppressive to ask the manufacturers at one of
24 the professional meetings to ask physicians and nurses
25 who handle these what they do with them. How many of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them look at their extracts. If they see something,
2 what do make a decision?

3 I think we've heard suggestions that, oh,
4 well, once they are used to it, they just go ahead and
5 use the stuff, even though they see it's a
6 precipitate, because they don't consider it a problem,
7 and others that may well be discarding all of this or
8 sending it back to the manufacturer in some variable
9 level.

10 DR. SAXON: Now you've got the
11 manufacturers throwing it out. Right? So maybe they
12 should send it to you instead of throwing it out, and
13 you can measure the precipitate amounts. I mean, that
14 would be the very first thing to do.

15 DR. CLAMAN: I think this is appropriate
16 both for the Practice Standards Committee or, as far
17 as the Academy goes, for the State and Regional
18 Societies. They love this kind of thing, and it's
19 very practical, and they could come up with some
20 information probably pretty fast.

21 DR. UMETSU: One could also do some mouse
22 studies to compare the precipitate version versus the
23 unprecipitated version to get an idea of
24 immunogenicity.

25 DR. SOTO-AGUILAR: I have just one

1 question. You said that the precipitate might look
2 large, but when you measure it, the volume is
3 negligible or is very small.

4 Is that because the cloudiness is
5 occurring at the glass side, in contact with the
6 glass? So it makes it look larger, but in fact the
7 volume is not that large.

8 DR. WILLIAMSON: I think it may be related
9 to several things. Number one, as you're looking
10 through a volume, even though it looks like there's a
11 lot there, it may be that there's some distortion
12 through the glass, but also especially the flocculent
13 ones are the ones that are the most surprising.

14 You look at them and you think, oh, my
15 goodness, there's a whole bunch of stuff in there.
16 We're going to get, you know, layer when we centrifuge
17 this down. But once you get it compacted down, the
18 liquid is removed from it, it just isn't that much
19 there. And whether it's illusion or just the fact
20 that when it becomes diffuse, scattered throughout the
21 solution, it appears to be more there than there
22 actually is.

23 Just one question, if I may. The
24 suggestion was put forth for the industry to poll
25 physicians such as at the Academy meeting. I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think the industry would have any objection. We could
2 certainly set up something like that. But I guess my
3 mind goes to the next step. What would you do with
4 that information? How would that impact where we're
5 at right now and how we would assess potential impact
6 or what do we do with these products in the future?

7 DR. SAXON: I don't think just polling
8 them like in March is going to help, because it's
9 tremendously biased. I think better is you've stopped
10 sending precipitate out -- precipitated visually in
11 January? I don't know what the -- But if that's
12 happened, then you -- what you want to do is
13 prospectively.

14 You've got to have a good study, not
15 anecdote. You've got to prospectively tell your
16 customers to look for it over the year prospectively
17 and see what you're getting, because otherwise it's
18 what do I remember. But if you're going to actually
19 set it up to prospectively look at it, say we will be
20 sending vials; please notify us if you see this.

21 You don't have to say send it back,
22 because some of them -- you know, you'll find out what
23 their custom is. I mean, tell us what you do with it.
24 Then you'll find out who keeps it and who doesn't.
25 But it needs to be done prospectively. Just sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a questionnaire is anecdote.

2 MS. LIBERA: Do I understand that there's
3 no -- you haven't made any correlation between adverse
4 effects on patients?

5 DR. SLATER: No.

6 DR. SAXON: They don't get enough reports
7 on that to make that meaningful, I understand. Right?
8 People get swollen arms all the time. So who would
9 know.

10 MS. LIBERA: Maybe that's why
11 immunotherapy takes so long.

12 DR. SOTO-AGUILAR: Another possibility:
13 If you use a vial that's been used, is open already,
14 has been interfered at times with needles, could air,
15 entry of air into the vial confer some chemical
16 property that changes into a physical property with
17 flocculation or precipitation?

18 DR. WILLIAMSON: Again, that's always a
19 possibility. That may have some impact on the rate or
20 what exactly forms. However, we see it in vials that
21 we have filled that have been sealed, that have never
22 been entered. In fact, when it's still at our
23 facility, that's the form that's it's been in when we
24 see it, and we'll discard the product at that time.

25 So I don't -- The mere introduction of air

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or the mere reduction of volume within the vial is not
2 the sole cause. As I said earlier, again in the years
3 that I've been involved with this industry and one of
4 my first projects when I started with this company was
5 to look at precip, we have a product that's unique to
6 Hollister-Stier, which is AP Dog.

7 I think the studies on the Can f 1 content
8 and a number of other things have certainly
9 demonstrated it is the most potent, but it is very
10 prone to precipitation.

11 The only study I think I ever found out
12 was that the only way I ever stopped precip from
13 happening was to make an extract just to study the
14 precip. It wouldn't precip. But the
15 characterizations, the differences that you see are so
16 varied.

17 That's why I guess -- and I'll carry a
18 bias with me from the years of experience that I've
19 had, but I truly believe that there's a multitude of
20 factors that are involved of which we haven't
21 identified probably a single one, other than -- I
22 believe concentration is certainly an impact, and in
23 some instances it may be a function of just the
24 solubility. You've literally reached a saturation
25 point, but I don't believe that's the case with all of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 them.

2 So it is really a difficult problem to
3 wrestle with, to try to solve it, when you -- at least
4 I don't believe there is a single causative factor.

5 CHAIRMAN OWNBY: When you say
6 concentration, also whether or not it's in glycerine.

7 DR. WILLIAMSON: Right.

8 CHAIRMAN OWNBY: If it's in glycerine,
9 it's much less likely to do it.

10 DR. WILLIAMSON: Correct. Although there
11 are glycerinated extracts that we will see precip in.

12 CHAIRMAN OWNBY: Okay. We also have a
13 period here for public comment on this issue, and I
14 was wondering if there is anyone else who wanted to
15 make a comment concerning this. No one else wants to
16 speak up on precipitates?

17 Okay, any other questions or comments from
18 members of the Committee?

19 DR. SLATER: If I can just summarize this
20 discussion.

21 DR. SLATER: We've been waiting for this,
22 Jay.

23 DR. SLATER: No, I just want to make sure
24 that I'm getting all the messages loud and clear.

25 It seemed to me that there was some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 consensus that we need more data. Am I correct with
2 that? And among the data that we are lacking are data
3 on the prevalence of the problem, data on the sort of
4 quantitative severity of the problem on an extract to
5 extract basis, perhaps by somehow quantifying the
6 amount of precipitate either by simple centrifugation
7 or perhaps by other light scattering techniques.

8 There is some sense that we could benefit
9 from some animal immunogenicity data comparing
10 extracts that are precipitated and non-precipitated.
11 I think I heard that there was some need for better
12 physicochemical characterization of the precipitates
13 themselves.

14 Then in terms of further data, there was
15 some interest in obtaining at least prospectively some
16 idea of how often physicians encounter this and what
17 they do with it when they do encounter it.

18 DR. SAXON: After January, just because
19 they sort of changed after January, and you might get
20 a confused picture. Right?

21 DR. SLATER: Is there any other message
22 about studies, because I think one of things we were
23 looking for were kinds of studies and the kind of
24 information that we would need.

25 DR. UMETSU: There might be some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 usefulness for safety data that correlates with the
2 precipitate or absence of precipitate in terms of
3 reactions. But how I see the problem is how you're
4 going to get that. But at least that would help.
5 That kind of data would help.

6 DR. SAXON: I have a suggestion of how you
7 could get that. One of the other things you skipped
8 was your work on the potency. So the manufacturers
9 are going to pull lots with precipitate now. Right?
10 So what you've got now, what do you do with them?
11 Throw them out? Send them to Jay. No, no, I'm just
12 kidding.

13 What I meant is the concept is you have
14 very limited data that you were saying about potency
15 changes. I mean, if it doesn't change the potency and
16 doesn't change the safety, then it's not a very great
17 concern. If it does either of those others, it's of
18 great concern. Right?

19 So you have the opportunity to look --
20 continue your data on precipitates. Don't you think
21 that should be done? You didn't have that on your
22 list is why I mentioned that, Jay.

23 DR. SLATER: It's on my list right now.

24 DR. SAXON: Okay. The other is, if you
25 are going to send it out to docs and say, okay, we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sending people out -- or materials -- and did you see
2 a precipitate. Then if you didn't return it, you can
3 then also then go back and look at prospectively the
4 reaction rate in those people versus the people where
5 they, you know, didn't get -- who got another lot that
6 didn't have precipitate or didn't see precipitate.

7 I mean, it's not a simple study, by any
8 means, and it's going to take effort and ascertainment
9 and someone to follow up. It's not a passive system,
10 but that's the only way I could think of.

11 The other way was to send out lots with
12 precipitate and lots without precipitate, which you
13 stopped doing now, and then prospectively just canvas
14 all the doctors and find out, and you'll know which
15 ones got precipitate and not. But you stopped it.

16 CHAIRMAN OWNBY: Shirley, is it my
17 understanding that when you -- Normally, you
18 manufacture lots that are fairly large, several
19 hundred vials at a time. Is that correct?

20 DR. WILLIAMSON: It depends on the
21 manufacturer. In our case, we tend to -- after the
22 product has been sterile filtered -- hold it in what
23 we call a stock concentrate form, which are in larger
24 containers, and then dispense into vials.

25 CHAIRMAN OWNBY: Your stock containers are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what, 500 ml or several liters? I mean, what kind of
2 volume are you talking about?

3 DR. WILLIAMSON: They are either 150 or
4 500 ml bottles that hold the stock concentrate, and
5 our lots size for our final container product will
6 range from 18 to several hundred.

7 CHAIRMAN OWNBY: Okay. And you are saying
8 that usually, if one of those bottles develops a
9 precipitate, all of them will develop a precipitate?

10 DR. WILLIAMSON: Yes.

11 CHAIRMAN OWNBY: So this seems to be a
12 manufacturing lot phenomenon rather than after the
13 extraction, the filling or handling after that point?

14 DR. WILLIAMSON: That's correct.

15 CHAIRMAN OWNBY: Okay. And the one thing
16 you've never been able to figure out is what's
17 different between one lot to the next that allows this
18 lot to precipitate and the previous lot didn't?

19 DR. WILLIAMSON: That's correct.

20 CHAIRMAN OWNBY: And most of the time, at
21 least with large things like grasses or rag where you
22 handle a large volume, you're -- probably blending
23 isn't the right term, but you're using multiple lots
24 of mixed pollen so that you try to maintain some
25 stability of your initial material that you then use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for extraction. Is that correct?

2 DR. WILLIAMSON: Okay.

3 CHAIRMAN OWNBY: Well, I mean, you don't
4 use just ragweed from one field one year to produce a
5 lot of extract. Usually, you have multiple years
6 together. You don't?

7 DR. WILLIAMS: No. Again, generally,
8 we'll hold to the first in, first out. So we will be
9 using up our inventory based on the oldest material
10 first, and collection sizes for pollens can be fairly
11 extensive, and we may get 10,000 grams from a given
12 collection lot. That in itself will make several
13 lots.

14 Then what happens is we get to the point
15 where we have some left over, and it's not enough to
16 make a full size lot. Then we will go to the next
17 oldest lot, combine those to make the extract. But it
18 actually is probably more common to see only one or
19 two raw material sources in a given extract lot than
20 it is to see multiples.

21 DR. SAXON: I have a suggestion for the
22 manufacturers. It will be interesting to take your
23 material and just do an optical density on them, a
24 light scatter. You may be able to predict, you know,
25 before they precipitate. You may have data in there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 when it comes out, you can say this one's got an
2 optical density of this, it looks clear. And you say,
3 hey, this lot is going to precipitate.

4 Then you will at least follow that. So if
5 I was in the business, I would do an optical density
6 as soon as they got off that lot. You may then
7 actually have -- you don't know what's in there yet,
8 but you at least have a predictive test, and it's
9 almost free to run light source through something and
10 measure the light scatter.

11 CHAIRMAN OWNBY: Except you have to
12 document it, keep track of it.

13 DR. SAXON: Just don't tell the FDA.

14 DR. WILLIAMSON: I didn't listen to that.

15 CHAIRMAN OWNBY: Okay. Are there any
16 other more comments? Any other items of business or
17 for discussion today? Jay, did you have anything else
18 you wanted some non-advice on?

19 DR. SLATER: No, I think I've gotten very
20 good advice and lots of it.

21 CHAIRMAN OWNBY: Okay, then I believe we
22 are adjourned.

23 DR. FREAS: I would just like to thank the
24 Committee members again for coming today and, Dr.
25 Ownby, for your brilliant leadership.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We're going to miss our three departing
2 members, and we'll see you at our next meeting. Thank
3 you.

4 (Whereupon, the foregoing matter went off
5 the record at 3:13 p.m.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Meeting of the Allergenic Products
Advisory Committee

Before: DHHS/PHS/FDA/CBER

Date: March 5, 2001

Place: Bethesda, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.

