

1 Then, it gets into a message we are giving donors
2 you can't donate if you have sickle cell trait, and
3 I think that is a problem.

4 MS. ROSS: Sonja Ross from the Sickle Cell
5 Disease Association of America.

6 The targeted screening that you were
7 conducting on a certain population, more so because
8 of the comments that have come out and that you
9 were using sickledex or some sort of solubility
10 testing, and I guess our concern has always been
11 that there would be a uniform way of testing, and
12 electrophoresis, for example, would be that way,
13 and then you wouldn't miss any population.

14 I think we need to get away from
15 solubility testing as the way of screening for
16 sickle trait or for the hemoglobin disorders
17 because it is not going to give us the proper
18 information that you would need. C-trait is also
19 needed. Sickle beta-thal is missed if you get
20 someone that is sickle-positive, but you don't know
21 if it is beta-thal. So, I mean those things are
22 very important.

23 MS. JENNINGS: We are in negotiations
24 right now for hemoglobin electrophoresis instrument
25 and reagents because we are going to move to that.

1 DR. NELSON: Ron.

2 DR. GILCHER: Ron Gilcher, Oklahoma.

3 Kenra Ford will be talking next, so I don't want to
4 steal any of her thunder from our shop, but
5 approximately 25 years ago, when I was at the
6 University of Pittsburgh, and Central Blood Bank of
7 Pittsburgh, we uncovered a total of 7 Caucasian
8 donors inadvertently who were, in fact, sickle
9 trait, and that occurred with our red cell freezing
10 program, because when we attempted to deglycerolize
11 them, we couldn't do that at that time.

12 All 7 of those donors, and that is
13 enumerator, the n was about 70,000 individuals, so
14 it was about 1 per 10,000. Remember, that is
15 Pittsburgh, a very ethnic area or town, and all of
16 those individuals were from the Mediterranean
17 basin. There were some Sicilians, Greeks, and
18 Italians.

19 That is the first comment. The second
20 comment is that I think that sickledex screening is
21 a valid way to screen, but then you need hemoglobin
22 electrophoresis as confirmation, and I think what
23 Kenra will tell you, and I hope so, is that we are
24 doing screening on non-Caucasians, so not isolating
25 it to one particular race.

1 DR. NELSON: Mary.

2 DR. CHAMBERLAND: I am just trying, with
3 each of the talks that have presented data,
4 realizing there are many different variables, just
5 trying to get an overall sense of rates of failure
6 and what proportion of those might be due to the
7 sickle trait, I believe in your introduction, you
8 stated qualitatively that you were having
9 difficulty, that a significant proportion appeared
10 to be due to sickle trait.

11 Do you have any more detailed information
12 that you could give us, like of the number of
13 donations that were filtered, how many failed, and
14 of those that failed, how many were due to sickle
15 trait?

16 If it is in your charts, I apologize, I
17 can't read it, because when they xeroxed, your
18 background blacked out most of the data, so at
19 least I can't read it.

20 MS. JENNINGS: On the one that discusses
21 problem filters, there were 444 problem filters
22 which amounted to 0.2 percent of all units
23 filtered. Again, those are the ones that filtered,
24 that took more than two hours or did not filter at
25 all, so 0.2 percent.

1 DR. CHAMBERLAND: Of all units filtered
2 were due to sickle. Thank you.

3 MS. JENNINGS: 0.2 percent were problem
4 filters, and of that, 34 percent are sickle trait
5 positive.

6 DR. RUTA: You mentioned that there were
7 donors who I think were sickle trait negative, who
8 failed to filter, who leukoreduced properly, is
9 that correct?

10 MS. JENNINGS: Yes.

11 DR. RUTA: Those are 9 donors?

12 MS. JENNINGS: No, there were 47 donors
13 who are sickle trait negative that were slow
14 filters, that had WBCs greater than 5×10^6 . What
15 we found is there are 9 donors who repeatedly fail.
16 They are all sickle trait negative. So, they would
17 be into that 47.

18 DR. RUTA: So, no idea right now.

19 MS. JENNINGS: It is mixed, male and
20 female, young and old. The only thing that may be
21 common is most of them have elevated cholesterols.
22 That is the only thing we can find.

23 DR. NELSON: Thank you.

24 The next speaker is Kenra Ford from the
25 Oklahoma Blood Institute.

Kenra Ford

1
2 MS. FORD: Good afternoon. My name is
3 Kenra Ford. I am Director of Laboratories and
4 Inventory Management at the Oklahoma Blood
5 Institute. I will be presenting to you our
6 leukoreduction failure strategy.

7 [Slide.]

8 Obviously, strict process control, QC
9 monitoring, and determining the potential failures,
10 and as previously mentioned, sickle trait is one of
11 several failures.

12 [Slide.]

13 To give you an overview of our filtration
14 process, we filter red cells that have been
15 precooled for a minimum of two hours. Our
16 filtration is currently 100 percent sterile docked,
17 and we do filter within 24 hours. Any units that
18 do not filter within one hour are quarantined, and
19 all quarantined red cells are investigated.

20 [Slide.]

21 To review with everyone what has happened
22 to the white cell counting in the last couple of
23 years, I want to give you OBI's history on where we
24 were and where we are now.

25 A couple of years ago, we started with

1 Nageotte counting. When IMAGN was available, we
2 implemented the IMAGN. We are probably on the
3 IMAGN for about two years. Somewhere before last
4 December, that all came to a halt and we came up on
5 flow cytometry.

6 [Slide.]

7 The management of our sickle trait
8 positive donors at CBI, and have been since I want
9 to say September of last year, have been in the
10 following. We have informed our donors that they
11 have positive sickle trait results. We offer
12 hemoglobin electrophoresis, and when they are
13 notified they have a positive result, they are also
14 currently redirected to a non-red cell donation.

15 [Slide.]

16 To date, this is our list of what we
17 believe to be potential failures with
18 leukoreduction, and obviously, sickle trait is up
19 at the top, but not necessarily the most important
20 nor by volume the most, the greatest, slow draws.
21 Remember we are now in a 500 ml blood bag, and I
22 believe that somewhere in all of this, going from a
23 450 ml blood bag to a 500 ml with the red cell
24 volume somehow plays into some of our failures.

25 Our increased filter flow time and our

1 cutoff is set at an hour. Again, I mention
2 increased packed red cell volume. This just came
3 to the picture in about the last three months of
4 data. On the higher end of the 500 ml collection,
5 we are seeing more failure than on the lower side,
6 and I just don't have enough information at this
7 time to share except there seems to be something
8 there.

9 [Slide.]

10 In addition, depending on which components
11 that you prepare, may play a role into the residual
12 white cell available in the red cell prior to
13 filtration. That is whether you make a platelet,
14 you don't, or whether you make a buffer or you
15 don't.

16 [Slide.]

17 Our suggestions for in the future and
18 currently what OBI's plan of attack is definitely
19 further studies to determine what we just saw.
20 Investigating the failures to completion and
21 timeliness is critical.

22 Sampling errors are also an opportunity
23 for what you think may be a failure, and we have
24 come full circle with dealing with sampling errors,
25 and while the filtering staff, we have had to deal

1 with ergonomic issues, we have also had the same
2 with the increased number of samples that we are
3 counting in order to hit the 1 percent plus to
4 investigate all of the failures, and that is where
5 time comes into play. It is very difficult on
6 workload to deal with 70 to 80 white cell counts in
7 a laboratory that was not previously used to
8 dealing with that.

9 Continue with random QC and then also I
10 believe that we have got specific donors that
11 continue to fail donation after donation. We have
12 put into place a mechanism to track donors that
13 continue to fail the white cell counting based on
14 their component tracking.

15 [Slide.]

16 Part of our plan of action for dealing
17 with some of our failures, and clotting being
18 probably the most significant reason for failure at
19 OBI, is to implement the shakers during whole blood
20 collection to minimize clotting events, and then
21 also to manage sickle trait positive donors and
22 then obviously the follow-up, as previously
23 mentioned, with identifying based on our flow time.

24 Flow time of one hour seems to--and
25 whether it needs to be 45 minutes or not, I don't

1 know--but seems to help us identify a significant
2 number of failures that we would not have
3 previously identified.

4 Currently, we are achieving less than 5 x
5 10⁶ white cells per product 100 percent of the
6 time, but our outliers are removed from our routine
7 screening and QC statistics, and managed
8 separately.

9 So, that is where we are.

10 DR. NELSON: Thank you.

11 Toby.

12 DR. SIMON: Two questions. What
13 percentage of units are you losing because they
14 don't filter, and you don't have a red cell
15 product?

16 MS. FORD: They don't filter because of
17 clot?

18 DR. SIMON: Whatever.

19 MS. FORD: Our data is a little skewed
20 because we identify sickle trait positive donors
21 and can remove those from filtration, prior to
22 filtration, so we don't have to deal with sickle
23 trait on a repeat donor.

24 So, if the rest is due to clotting, I
25 would say currently, close to 1 percent.

1 DR. SIMON: Same as the Red Cross.

2 Do you screen all your donors?

3 MS. FORD: We screen all non-Caucasian
4 donors as indicated on their donor registration
5 form, and then screen 100 percent of our outliers
6 based on flow time regardless of what is indicated
7 on the DRF. The flow time of greater than an hour
8 necessitates sickle trait as part of the
9 investigation.

10 DR. SIMON: If they are identified as
11 sickle trait, and you offer to redirect them, if
12 they decline to be redirected to another program,
13 and want to donate whole blood, do you still accept
14 them?

15 MS. FORD: Dr. Gilcher?

16 DR. GILCHER: Toby, we don't have an
17 answer to that one yet because currently they are
18 being accepted for repeat whole blood donation,
19 but, in fact, that is not a useful red cell in our
20 system, so our plan is to work very hard, and we
21 have, by the way, been successful in redirecting
22 donors to non-red cell donations.

23 I think one other thing that I will
24 mention, that I think is important here, is that we
25 had to prove the success of the non-red cell

1 donations, that is, can these donors who are sickle
2 trait positive, in fact, donate other products
3 successfully, that is, a leukocytereduced platelet,
4 so we have submitted a paper to the AABB in which
5 we have used all of the three current technologies
6 - Trema, Amicus, and Haemonetics LN 9000, and
7 successfully collected platelets, and they are very
8 easily leukocytereduced, in fact, they are below
9 10^5 many times.

10 So, there is no problem at all with the
11 collection of platelets from these donors. So,
12 that is our attempt, is to redirect them to
13 platelets and to plasma, but definitely not to use
14 them as red cell donors in the future.

15 MS. FORD: Of interest, when reviewing
16 some statistics, we had a significant number of
17 sickle trait positive donors that were already
18 active apheresis donors.

19 DR. SCHMIDT: A question and a comment.
20 Why do you ask them their race?

21 MS. FORD: Dr. Gilcher is going to answer.

22 DR. GILCHER: The reason I want to answer
23 that, Paul, is that as part of the REDS Study,
24 donors are, in fact, asked what their race or
25 ethnic background is, and that is recorded and

1 captured in the computer, so we have that data.

2 DR. SCHMIDT: Now, the reason to ask
3 people their race in the past was, and big
4 movements nationally including the Red Cross, was
5 to identify donors who might then be phenotyped, so
6 you could provide red cells that were Cal and Duffy
7 and E-negative to sickle cell patients, so they
8 would not become immunized. So, you were looking
9 for donors who would be good red cell donors for
10 those patients.

11 Now, if you go into this, you can't use
12 them as red cell donors, and I think somebody has
13 to look at the bigger picture and find out if
14 universal leukocyte reduction is worthwhile if it
15 start causing things like this.

16 DR. GILCHER: Again, I am going to answer
17 that question, Paul. It is a non-issue. First of
18 all, remember that only 10 percent of, let's just
19 take African-Americans, only 10 percent of them
20 are, in fact, sickle trait positives. That still
21 leaves 90 percent.

22 We have adopted red cell apheresis
23 technology specifically the two red cell
24 collection, and that has worked absolutely
25 beautifully in our system to collect two units of

1 red cells from phenotyped donors.

2 We have literally many, many thousands of
3 donors that we have phenotyped, captured that data
4 in the computer, and we had one instance recently
5 where we needed 25 units of red cells for actually
6 a young woman with sickle C disease who was having
7 a hip replacement and had to be exchanged, as well
8 as have blood available for surgery.

9 We did that with 13 donors, 12 donated
10 double red cell. She had 6 antibodies, by the way.
11 Only 1 per about 8,000 units was compatible. So,
12 we collected 25 units, of which 12 donors gave
13 double red cells and 1 donor gave a single red
14 cell. So, it shows how it can be done using the
15 technology that exists. So, this is not a problem
16 losing a very small subset that is 10 percent of
17 the African-American donors. Still, 90 percent are
18 available as phenotype donors.

19 DR. MITCHELL: I think it could be a
20 problem if you tell people we want to know your
21 race because we want to use your red cells for
22 children who need your red cells because you are
23 special, and then you end up really testing them
24 for sickle, when that is not why. I mean that has
25 to be done very carefully.

1 MS. FORD: Actually, our donors are
2 informed that depending on what they request on
3 their DRF, they are offer sickle cell screening and
4 have been for 10 years plus at OBI. That is a very
5 big incentive test for us, and that is not new.
6 Taking this approach is not new for us.

7 DR. KOERPER: When we request
8 phenotypically matched cells for sickle cell
9 patients, we want those cells to be
10 sickledex-negative. So, we are asking for
11 sickledex-negative anyway. So, again, you are not
12 losing a segment by doing the sickledex testing.
13 We don't want to put any more sickle hemoglobin
14 into those sickle cell patients.

15 DR. NELSON: The next is the open public
16 hearing.

17 First, is from Pall Laboratories, Barry
18 Wenz.

19 We have six people, so I just wanted to,
20 if you could, be as brief as possible. Thank you.

21 **Open Public Hearing**

22 MR. WENZ: I am going to restrict the
23 majority of my comments to our experience and the
24 experience of some of our major customers with
25 sickle trait donors and their impact on universal

1 leukocyte reduction blood supply.

2 As the major manufacturer and supplier of
3 global filtration systems, Pall Corporation accepts
4 its obligation and the benefits of enhancing
5 products and processes by working with the
6 regulatory agencies throughout the world.

7 In this regard, Pall was requested by the
8 FDA at the conclusion of the January 2001 BSAC
9 meeting to address the issue of blood obtained from
10 sickle trait donors and the potential effect on the
11 leukocyte reduced blood supply.

12 To accomplish this goal, Pall convened a
13 Technical Advisory Committee, comprised of leaders
14 in blood banking and transfusion medicine, as well
15 as clinical practice, from diverse geographic areas
16 of the United States. A series of questions were
17 developed by some of the Pall participants, which
18 in part were used to guide the discussion of the
19 Advisory Committee. Specifically, these questions
20 were:

21 What is the extent of the problem, that
22 is, what is the percentage of the entire blood
23 supply that is subject to the potential of
24 containing sickle trait red cells?

25 Secondly, is the sickle gene solely

1 confined to one ethnic donor cohort?

2 Is it practical to screen all donors for
3 sickle hemoglobin?

4 What is the correlate between sickle trait
5 blood and white blood cell filter failures?

6 Finally, what is the impact of filter
7 failures on the blood supply that is universally
8 leukocyte reduced?

9 At the conclusion of the meeting, the
10 following was summarized:

11 Firstly, data presented by the Technical
12 Advisory Committee itself indicate that the
13 incidence of sickle trait in the U.S. Afro-American
14 population is 7 to 8 individuals per 100. The
15 incidence of sickle trait in other populations is
16 estimated at 1 in 10 to 1 in 40,000 individuals.

17 A random sampling of our customer base, as
18 well as published literature and data supplied by
19 several committee members, suggest that
20 approximately 650,000 of the 13 million units of
21 blood annually collected in the U.S. are drawn from
22 populations with an appreciable risk factor for
23 sickle trait. Of these, approximately 50,000 in
24 total donors actually carry the sickle hemoglobin
25 gene. This translates to one-half of 1 percent of

1 the U.S. blood supply.

2 These low incidence data support
3 information previously reported to the Pall
4 Corporation, which average an incidence of 3
5 failures per million filtrations which occur
6 concomitantly in the presence of hemoglobin S. We
7 realize that such instances are generally
8 under-reported, however, even allowing for a
9 100-fold error reporting margin, this statistic is
10 extremely low.

11 The question of whether there is a
12 significant frequency of sickle donors for which
13 filtration times are not significantly retarded,
14 but rather, for whom leukocyte removal is reduced,
15 was addressed by use of the Canadian model. Pall
16 is the sole supplier of leukocyte reduction devices
17 to Canada, and has been analyzing their data for
18 approximately three years. These statistics were
19 derived from urban areas where it is recognized
20 there is an appreciable incidence of sickle trait.

21 The Leukotrap systems were evaluated at
22 Pall Corporation while additional data were
23 gathered during routine use from 16 blood centers
24 in Canada that routinely use the system. A summary
25 of the data is attached in the handout that was

1 available to both the members and the audience.

2 The numbers represent a sampling of 1
3 percent of the total number of units processed with
4 the Leukotrap system. All of the red cell units,
5 basically the sampling from 300,000 or a sampling
6 of 3,103 units, processed with the Leukotrap system
7 contained residual leukocytes below the regulatory
8 guideline, the current regulatory guideline, of 5 x
9 10⁶.

10 A majority of the red cell units prepared
11 in these centers consistently attained low levels
12 of leukocytes, typically 2 x 10⁵ leukocytes per
13 unit. Statistical analysis of the data at the 95
14 percent confidence interval shows that greater than
15 95 percent of the units labeled as leukocyte
16 reduced products contained residual leukocyte
17 contents within or below the required range.

18 In summary, the present results
19 demonstrate that the Pall Leukotrap systems
20 consistently produce red blood cell products that
21 meet the most stringent regulatory guidelines.

22 In actual blood bank settings, 99.9
23 percent and 98.7 percent of the red cell units
24 filtered contained residual leukocyte contents that
25 were below 5 x 10⁶ and 1 x 10⁶ respectively.

1 In closing, I would state that the
2 frequency of filter failures involving sickle trait
3 donors, defined as slow filtration or failure to
4 filter reported to Pall Corporation averages 3 per
5 million.

6 Although this is an extremely low figure,
7 and we allow for 100-fold margin of error
8 reporting, the figure is consistent with the
9 recently published information in the May 2001
10 regulatory update, in which the AABB states that
11 the magnitude of the problem is relatively small,
12 and further states that sickle trait testing, as
13 proposed by the FDA draft guidance document, is not
14 warranted based on this relatively small incidence.

15 Filtration which allows completion of the
16 process, but produces unacceptable levels of
17 leukocyte reduction, are also extremely small. The
18 incidence is well below 0.5 percent based on the
19 Canadian statistics analyzed.

20 Problems involving user error, failure to
21 follow instructions, and failure to optimize
22 internal procedures far outweigh the cause for
23 failure to obtain leukocyte reduced blood.

24 ULR is a blood safety precaution and
25 should be addressed similarly to serological

1 screening which accepts a low level incidence rate,
2 of both false positives and false negatives. There
3 are no precautionary measures currently employed to
4 ensure the safety of the blood supply which has a
5 specificity and sensitivity of 100 percent.

6 Finally, with the low level of sickle
7 trait among African-American donors, the
8 phenotypically distinct blood types needed for
9 sensitized recipients are accessible from the
10 greater than 90 percent of the population without
11 sickle cell trait, as well as from a large number
12 of non-Caucasian donors and Caucasian donors alike.

13 Pall will continue to work with its Sickle
14 Trait Advisory Committee and looks forward to
15 working with the regulatory committees in the
16 future.

17 Thank you very much.

18 DR. NELSON: Thank you.

19 Questions?

20 Thank you very much.

21 Next is Steve Binion from Baxter.

22 MR. BINION: My name is Steve Binion from
23 Baxter Health Care Corporation. Actually, I am
24 going to restrict my comments to just a couple of
25 points concerning CBER's response to this issue to

1 date, specifically, concern over leukoreduction of
2 sickle cell trait blood.

3 Device manufacturers who had active
4 product applications under review at CBER as of
5 last December were contacted at that time and given
6 two options. Number one was to perform additional
7 performance studies with sickle cell trait blood or
8 to add precaution statements in the product
9 labeling related to potential failures associated
10 with sickle cell trait blood.

11 We understand and support CBER's efforts
12 in this area and are complying, but a couple of
13 comments I think are in order.

14 Number one. These requirements, to the
15 best of my knowledge, were communicated to
16 manufacturers only in the context of product
17 reviews that were active at that time. So, we
18 would suggest or request that CBER identify a
19 process to consistently apply these requirements
20 across all filter products is this is a significant
21 issue of policy for the agency.

22 Also, we would ask CBER to consider a
23 letter to device manufacturers and the blood
24 banking community to communicate CBER policy and
25 requirements in this area.

1 Thanks.

2 DR. NELSON: Thank you very much.

3 The next is Celso Bianco, America's Blood
4 Centers.

5 DR. BIANCO: I am sorry it is not going to
6 be as short as the TRALI, but I will try.

7 We, ABC, obviously commends CBER for
8 bringing up the issue of leukoreduction filter
9 failures. We have submitted formal comments to
10 FDA's draft leukoreduction guidance issued in
11 January, and hope that FDA will address our
12 concerns.

13 Today, I will address some of the most
14 critical issues and will point out some additional
15 concerns that we identified after we filed our
16 comments. Our comments are a matter of public
17 record. I will be glad to provide a copy to any
18 interested party.

19 ABC members believe that CBER's regulatory
20 approach to leukoreduction is inappropriate.
21 Specifically, ABC members are being asked to
22 implement quality control standards for the
23 leukoreduction process including a new minimum
24 level of residual leukocytes, extensive process
25 validation, and a complex statistical process

1 control that did not exist at the time the devices
2 currently available for leukoreduction were
3 submitted for the 510(k) approval.

4 In our opinion, ABC members and other
5 blood centers are being asked to perform activities
6 that fall within the responsibilities of the filter
7 manufacturers. For instance when the current
8 generation of leukocyte filters were approved, the
9 minimum standard for leukoreduction was less than 5
10 $\times 10^6$.

11 In addition, CBER's previous guidance did
12 not spell out requirements for validation and
13 process control. Filter users have never been
14 provided formal evidence, something like a summary
15 basis of approval indicating that the approved
16 devices meet the newly proposed standards.

17 Since ABC members have no control over the
18 manufacturer of the filters, they believe that they
19 are being unfairly asked to provide mountains of
20 quality control data to document that filters
21 approved under old standards meet the requirements
22 specified by the newly proposed standards.

23 We define a filter failure as a unit of
24 cellular product leukoreduced by filtration, that
25 has a level of residual leukocytes that exceeds the

1 allowable limit established by FDA, currently 5 x
2 10^6 proposed 1×10^6 .

3 BPAC should be aware that due to
4 unavailability of automated cell counters, most
5 leukocyte counts now performed are performed
6 manually using Nageotte chambers. It takes between
7 15 and 20 minutes to visually count each chamber.
8 This is a labor-intensive procedure difficult to
9 perform under CGMP conditions.

10 Until recently we had access to an
11 automated instrument for volumetric cytometry. The
12 company that manufactured this instrument was sold
13 to a manufacturer of flow cytometrists, and these
14 manufacturers decided to withdraw the product from
15 the market.

16 These reasonably priced and easily used
17 instruments now are collecting dust or are being
18 used as door stops in our blood centers.

19 The only semi-automated method currently
20 available is flow cytometry, which is extremely
21 expensive. Only one flow cytometry reagent is
22 currently approved for counting.

23 Over the last month, we performed an
24 extensive survey of our members, attempting to
25 correlate filter failures with conditions of use.

1 We obtained responses from about half of ABC's 75
2 member centers. Those, in aggregate, distribute
3 1.2 million units of red cells a year, 51 percent
4 of which were leukoreduced, and the survey covered
5 the period of November 2000 to April 2001.

6 Eight of those centers are leukoreducing
7 more than 90 percent of their red cells, 16
8 leukoreduced between 20 to 90 percent, and 12
9 centers are at less than 20 percent.

10 We found substantial variability in the
11 results. For instance, when the cutoff was $5 \times$
12 10^6 , all but one center had failure rates of less
13 than 1 percent, consistent with what has been
14 presented today. The outlier had a rate of 2
15 percent.

16 Depending on the geographic location of
17 the center, a small fraction of these failures were
18 associated with sickle cell trait as defined by a
19 solubility test, not a hemoglobin test. Eighteen
20 centers provided data about failures when the
21 cutoff was less than 10^6 .

22 Three had a failure rate of 1 percent or
23 less. Seven had failure rates of between 1 and 3
24 percent, and 8 had failure rates that exceeded 5
25 percent. Among the latter, 3 large, highly

1 sophisticated blood centers, including one that
2 provides 100 percent leukoreduced red blood cells
3 had failure rates over 12 percent at the 10^6
4 cutoff.

5 There was inverse correlation between the
6 use of shakers and filter failures using the
7 current 5×10^6 standard. The average failure of
8 centers that did not use shakers was 0.9 percent,
9 close to 1 percent, while those using shakers, 50
10 percent or more had failures close 0.2 percent,
11 that is, 5 times less.

12 There was no clear correlation of filter
13 failures with temperature of filtration cold room
14 or room temperature, center size, nor with the
15 percentage of African-American donors.

16 Furthermore, some of the 8 centers that
17 perform 100 percent leukoreduction that are
18 presumably skilled in applying the technology had
19 high filter failures when the 10^6 cutoff was used.

20 It is important to note that ABC centers
21 most likely experience far more filter failures
22 than they reported in the survey due to the lack of
23 appropriate instrumentation for leukocyte counting.

24 For instance, the overall failure rate at
25 5×10^6 was 0.7 percent, but the two centers that

1 were still able to use the IMAGN during the survey
2 time had a failure rate of 2.2 percent, and we
3 wonder if this wasn't because they could count
4 better more and more often.

5 A number of papers presented at meetings
6 and in published studies, most of them performed by
7 ABC member centers, showed that many of the red
8 blood cells collected from donors with sickle cell
9 trait failed to meet the old and newly proposed
10 standards upon filtration with currently approved
11 device.

12 However, the literature in our ABC member
13 survey indicate that sickle cell trait is a minor
14 contributor to leukoreduction failures.
15 Unfortunately, the majority of failures are related
16 to the fact that manufacturers have not yet
17 established process conditions that produce
18 consistent results at the lower cutoff for residual
19 leukocytes.

20 Our centers are diligently attempting to
21 define the right conditions. For instance, some
22 have adopted, as you heard today, a time-based
23 approach, units that take longer than an hour to
24 filter are subject to quality control. However,
25 our centers do not have the resources, time, and

1 personnel required to perform the operational
2 research required to bring this 510(k) approved
3 filters and processed into compliance with the
4 proposed guidelines.

5 We believe that this should be done by the
6 filter manufacturers.

7 I would like to make some suggestions. We
8 think that BPAC should consider the issues
9 presented today and in comments to the draft
10 guidance before making final recommendations to FDA
11 regarding levels of leukoreduction, validation,
12 quality control, and other procedures that address
13 leukoreduction failures.

14 Similarly, BPAC should defer
15 recommendations regarding the management and
16 deferral of donors with sickle cell trait until
17 more data becomes available and there is extensive
18 discussion about the implications of screening of
19 blood donors for sickle cell trait.

20 This discussion must include sickle cell
21 researchers, treaters, patients, and their
22 families. FDA should delay publication and
23 enforcement of the draft guidance until the device
24 approval of all filters marketed in the U.S. is
25 reviewed for compliance with the new draft

1 guidelines and filter performance is documented by
2 the manufacturers and automated counting equipment
3 is available.

4 Before enforcement of the guidance, FDA
5 should require new labeling--and I just realized or
6 heard that FDA has approached manufacturers for
7 that, and I am pleased--for approved filters. Our
8 dream is that the label of the filter package will
9 say that this filter will produce more than 95
10 percent of the cellular components will contain
11 less than $X \times 10^6$ residual leukocytes per ml.

12 Detailed manufacturer's instructions and
13 recommendations including process requirements,
14 such as time after collection, temperature of
15 filtration, expected time of filtration, maximal
16 allowable time for filtration, and recommended
17 procedures for investigation of filter failures.

18 Also, a customer complaint system
19 reporting mechanism for manufacturers that includes
20 analysis and corrective actions that they must
21 follow. This process must include timely
22 communication of process changes and events that
23 affect filter performance to its customers.

24 In essence, ABC member centers are asking
25 that filter manufacturers and FDA provide us with

1 the appropriate devices and tools before they ask
2 us to apply burdensome standards akin to those
3 applied to blood donor screening tests, and I am
4 glad that Dr. Wenz mentioned blood screening tests.

5 Can you imagine what would happen if
6 screening tests for infectious disease had such
7 unclear manufacturer's instructions, variability,
8 and rates of failure?

9 We sincerely hope that the information
10 discussed today will guarantee a more rational and
11 balanced approach to the new guidance and to the
12 future of implementation of leukoreduction as
13 recommended previously by this committee and
14 recently by the HHS Committee on Blood Safety and
15 Availability.

16 Thank you.

17 DR. NELSON: Thank you.

18 Next is Loren Acker from SEBRA.

19 MR. ACKER: My name is Loren Acker. We
20 are the developer of the SEBRA shaker, which is
21 part of the Canadian success, and I am here
22 principally as an engineer. I can't help you at
23 all with analysis or discussion about sickle cells,
24 but I can tell you statistically, in our opinion,
25 that most clotting or clogging of filters is not

1 driven by sickle cells. I think you have already
2 heard some of the statistics that will support
3 that.

4 Just a little history. We developed the
5 original shaker in 1978 for Dr. Van Schoenhaven at
6 Blood Systems and Dr. Carlos Ericks at the New York
7 Blood Center. It was widely but not universally
8 adopted except in Canada. Canada put it into place
9 in approximately 1980, and it continues to use it
10 exclusively through today.

11 It has gone through a major renovation or
12 upgrade in 1990, which is being implemented in the
13 last few years. It adds more vigorous mixing,
14 further reducing this problem, as well as flow
15 control, which prevents another cause of clotting
16 and filter clogging, and that problem has to do
17 with bleed time.

18 Bleed time is very, very important. So,
19 if you have bleeding rates that are longer than 12
20 minutes, you probably are going to, even with good
21 mixing, you are probably going to have some
22 clotting problems.

23 In any event, you have to think about this
24 beyond just the discussion on sickle cells. The
25 other issues are mixing, bleed time, and in certain

1 procedures, stripping, where there may be clots
2 accumulating in tubing that is then subsequently
3 stripped into the blood unit.

4 We have done an informal survey with
5 approximately 12 customers, it is fairly random,
6 but definitely not scientific, but I think the
7 results are something you need to duly focus on.

8 Those centers that mix 100 percent of the
9 time have a filter failure rate from all reasons
10 that are less 0.3 percent. That is less than
11 one-half of 1 percent. Based upon our survey, all
12 centers that are doing manual mixing have a failure
13 rate that exceeds 1 percent.

14 Now, you have heard some numbers that are
15 a little bit different than that, but our
16 experience is all but one that we have contacted
17 have a failure rate of greater than 1 percent, and
18 in some instances, up to 4 percent.

19 There was one sharp exception to that,
20 which was at six-tenths of a percent. We
21 personally went and visited that center to try to
22 figure out how they were getting such low results
23 with manual mixing, and what we found was an
24 extremely disciplined management and phlebotomy
25 staff that literally removed the bag at least once

1 a minute from the scale--this is a manual scale
2 now--and mixed the bag by tilting it end to end
3 three times, at least five or six times every
4 donation. That is a very labor-intensive process,
5 but the managed to get their statistics down to 0.6
6 percent.

7 In any event, in summary, keep in mind
8 that sickle cells account for less than half of
9 filtered clotting. We can do a great deal about
10 the other part, and that mostly has to do with
11 mixing, and that is my conclusion.

12 Thank you.

13 DR. NELSON: Next is Dr. Jeanne Smith from
14 the NHLBI Sickle Cell Advisory Committee.

15 DR. SMITH: Good afternoon. I would like
16 to thank the advisory committee for the opportunity
17 to speak and to thank the previous speakers for
18 having covered many of the issues that I covered
19 briefly in my remarks, which have been distributed
20 to the committee members, so that I don't have to
21 touch on them now.

22 Let me say, though, that I have learned a
23 great deal more in the course of the day listening
24 to the various and sundry comments that have been
25 made concerning the problems, first of all, with

1 filtering blood, and the things that we don't know
2 yet concerning the types of filters and the
3 variance in their success, the temperature at which
4 blood is filtered, shakers, the length of time to
5 bleed the donor, user errors, and I could go on,
6 but I won't. I think the message is there.

7 There is a lot that needs to be done. The
8 problem is not just a problem of sickle cell
9 traits. This is a problem, but this is not the
10 only problem.

11 We live in a country where there is always
12 a shortage of blood, and we really cannot afford to
13 lose any more units than we have. I think Dr.
14 Bianco's comments about putting the burden on the
15 blood bank in terms of very rigorous QC which is
16 not backed up by the ability of the manufacturers
17 to provide the equipment, that you need to do this.
18 I don't run a blood bank, I just run a simple
19 hematology lab, but I can understand his pain a
20 great deal.

21 I think, though, the thing that I would
22 like to spend my time, it has been touched on, but
23 I want to put this in a little perspective, is the
24 issues concerning sickle cell trait.

25 I think many of you are aware that since

1 approximately 1972, there has been, on a national
2 level, a sickle cell program. In addition to the
3 sickle cell program, we now have a nationwide
4 genetic disease program, which in some ways has
5 used the sickle cell program as a prototype, since
6 this was one of the first genetic diseases that was
7 really addressed in the country.

8 This involves a great deal, and it is not
9 just the management of the disorder, it involves
10 the screening, the need for informed consent prior
11 to screening, the need for post-screening
12 counseling, the need to do these things in a way
13 which does not allow for any implication of
14 discrimination, and I think, most importantly, the
15 need to run any program in such a way that an
16 erroneous message is not delivered to those
17 individuals who are being tested.

18 What do I mean by this? Very simply, I
19 mean that at no point should we be delivering a
20 message that the individual with trait has any
21 clinical problem which would put them at risk or
22 would put the recipient of blood from them at risk.
23 We just can't do this unless we know what we are
24 saying.

25 I think it is important that we remember

1 that while transfusion of red cells is the present
2 topic, donor status also refers to donation of
3 organs, also refers to donation of bone marrow,
4 both of which are areas in which donations from
5 donors who are HLA-identical and probably family
6 members are most ideal.

7 Anything that this committee does needs to
8 look at what kinds of an effect. I would ask the
9 committee to look carefully at what has been done
10 in the past, what federal policy has been done in
11 the past, and not to attempt to establish a new
12 policy without serious consideration of those
13 policies which already exist.

14 Thank you.

15 DR. NELSON: Thank you.

16 Are there questions or comments?

17 Thank you, Dr. Smith.

18 Jim O'Connor from HemaSure.

19 MR. O'CONNOR: On behalf of

20 Whatman/HemaSure--we are now Whatman/HemaSure--I
21 would like to thank the BPAC Committee for allowing
22 us to address you.

23 Leukoreduction failures are attributed to
24 component processing procedures, characteristics of
25 the blood units, and the filtration device, as we

1 have all heard today.

2 As a manufacturer, we have really the
3 obligation and the responsibility to investigate
4 all the responses and to the feedback that we get
5 on the use of our products.

6 [Slide.]

7 What I would like to do is to sort of
8 describe that process that we go through and then
9 go through two examples that you have heard a lot
10 of today, about what these leukoreduction failures
11 are.

12 Whatman/HemaSure response to experiences
13 with our products is guided by a mission and a
14 strategy that we launch at the time of the product
15 conception. Active monitoring of the product is
16 part of the service that we provide with the
17 product. Information and data is continuously
18 compiled and compared to the product
19 specifications, and requirements are established or
20 reinforced as a means to direct investments in
21 studies, improvements, and in new products.

22 Such studies can include epidemiology,
23 pathophysiology, and various engineering and
24 technology developments. These activities extend
25 the envelope with the knowledge and medical device

1 evaluation and testing. Investments in these areas
2 are part of a manufacturer's commitment to the
3 business and the customer and the patients.

4 The feedback loop depicted in this
5 schematic is business practice. The operating
6 elements of this business practice are contained in
7 our quality system.

8 [Slide.]

9 The key elements of the quality system are
10 listed here. The quality system is derived from
11 the ISO-9000 and the FDA QSR guidelines. This
12 system tracks documents, a product, from conception
13 through the product life cycle.

14 The basis of a filter's performance, its
15 claims are in this documentation system. The
16 conditions for use in testing are documented here,
17 and it is called a Design History File. This
18 documentation is a technical reference for
19 addressing customer complaints or, as we sometimes
20 refer to them, as customer experiences.

21 [Slide.]

22 Our experience in leukoreduction
23 filtration comes from design and testing of filters
24 at our facilities and direct feedback from our
25 users through our Technical Service and Customer

1 Service groups.

2 Laboratories at Whatman/HemaSure procure
3 and process two- to three-day-old red blood cells
4 routinely to monitor product performance. Field
5 personnel work directly with blood centers during a
6 two-day training and implementation program that
7 includes an assurance of a successful validation.
8 Routine follow-up visits and visits following
9 complaints are conducted to monitor the filter's
10 performance.

11 Various types of filtration processes are
12 witnessed, and the feedback is fed through a
13 transfusion safety program.

14 At this point, I would like to focus on a
15 couple of our experiences with stop filtrations in
16 high white blood cell residuals. These, of course,
17 are going to be associated with red cell
18 filtrations.

19 [Slide.]

20 This is a very simple chart. I hope it is
21 graphic enough to represent what we perceive as the
22 leukoreduction failures due to the high white blood
23 cell residuals. These are a small fraction of the
24 experiences that are reported to us.

25 [Slide.]

1 Less than a hundredth of a percent of our
2 observations are associated with high white blood
3 cell residuals. Review of the processes and
4 filters associated with these observations do not
5 always give a reason for the high white blood cell
6 residuals. Issues that we have been able to
7 attribute to these white cell residuals are, as
8 mentioned earlier, clot formation and sickle cell
9 trait positive donors.

10 Sample preparation and counting methods
11 also come into the picture. Awareness of the
12 training usually improves the component process
13 related issues, however, there are still a lot to
14 go in the investigation. We test all filter lots
15 at our company for white blood cell residuals, and
16 we review these records to ensure that the testing
17 does not correlate to the high white blood cell
18 residuals that any customers observe.

19 [Slide.]

20 The next experience I think has been
21 dwelled on a lot today, and it is our experience,
22 as well. It is the largest issue that we see, and
23 it is the stoppage of flow and sometimes called
24 clogging.

25 [Slide.]

1 As seen in the previous slide, this
2 constitutes the largest experience. As you would
3 expect, this can lead to 85 percent recovery
4 issues, but that is a small portion of what we see.

5 We have not been able to relate this issue
6 to high white blood cell residuals in our labs as
7 others have reported earlier, however, we have
8 documented and proved white blood cell residuals
9 coinciding with training and awareness on proper
10 mixing during blood collection, proper mixing
11 during blood component preparation, and proper
12 mixing prior to RBC filtration.

13 This work was presented in an ISBT poster
14 in Vienna last year.

15 [Slide.]

16 I would like to also note that some of the
17 osmolality shift suggested earlier--and today I
18 have learned quite a bit about what the sickle cell
19 trait is--the additive solutions going into the
20 cells, as well as the anticoagulants do create
21 osmolality shifts, and hypertonic situations can
22 change red cell morphology and even red cell
23 rheology, which would affect filtration processes.

24 [Slide.]

25 To conclude, most important lessons that

1 we have learned from our experience with
2 leukoreduction filtration is that the quality of
3 the outcome is dependent on the component process
4 preparation, on the training, and also on the
5 filter.

6 We have also learned that you have to
7 remain committed to the quality improvements and
8 the support of the blood centers to improve the
9 transfusion process.

10 Thank you for your attention.

11 DR. NELSON: Thank you.

12 Questions, comments?

13 [No response.]

14 **Committee Discussion**

15 MS. POINDEXTER: We have four questions
16 for the committee.

17 Do the committee members endorse donor
18 screening for sickle cell trait as a strategy to
19 prevent leukocyte reduction filter failures?

20 DR. NELSON: Comments from the committee?

21 Yes.

22 DR. SIMON: I certainly impressed by Dr.
23 Smith's written comments and her statements, and by
24 the data presented that shows the relatively low
25 percentage, and I would certainly urge that we not

1 do that, and answer that question no. I think that
2 there are just a lot of problems with proceeding
3 with that approach, and it doesn't appear to be a
4 clear answer. It kind of leaves the blood centers
5 ad hoc to figure out what to do with these
6 failures, but I think that is the path we need to
7 take.

8 DR. STRONCEK: I agree that it is not a
9 good path to take to screen blood donors for sickle
10 cell trait. Really, this is a problem that just
11 everybody has become aware of since last fall. So,
12 I think there are things to investigate and things
13 to try to get around this issue without having to
14 test these donors, and it would be worthwhile to
15 give investigators time to try and work through
16 these problems another way.

17 DR. NELSON: I wonder, is there any
18 experience that talked the method of collection,
19 temperature, the shaking, et cetera, would obviate
20 any problems with sickle cell trait?

21 DR. SIMON: The only thing I could hear
22 from the presentations, perhaps going to colder
23 temperature might help or the higher volumes with
24 the lower concentration of anticoagulant is seen
25 possibly to contribute. I don't know, David, you

1 know more about it.

2 DR. STRONCEK: Could that be done
3 routinely without screening, is that what you are
4 saying?

5 DR. SIMON: I guess one of the things that
6 could be looked at is whether refinement of
7 technique reduces that part of the problem. The
8 other problem doesn't relate to sickle cell. So,
9 that is some of the data that needs to emerge as
10 time goes on.

11 DR. STRONCEK: We are collaborating with
12 Connie Noguchi to look at some of the issues she
13 mentioned as maybe having factors involved with
14 polymerization of hemoglobin S in the blood bags,
15 and the results are too really preliminary to
16 comment, but there is plenty of things to try, and
17 we think we can get around the issue.

18 DR. NELSON: I would endorse what Dr.
19 Stroncek has just said. I have been a bit
20 underwhelmed by the lack of involvement of people
21 with sickle cell expertise other than Connie and
22 what was described earlier today. I think that
23 there is a good change that there will be a
24 technical fix that will come out of good studies
25 based on some of what is known about sickle

1 hemoglobin.

2 DR. CHAMBERLAND: I would concur with all
3 of the comments that have been made. I think it is
4 premature to make a recommendation. The data that
5 have been collected, as has been mentioned, have
6 been done under actually in the time allotted for
7 the presentations, it is hard to even get a
8 complete picture of the variables that might be
9 important and impact whatever they would be,
10 temperature, shaking, technique used, type of
11 filter, definition of failure, et cetera. So, it
12 is really impossible from this brief review of the
13 data to even try and pull together any common
14 thread.

15 A couple of things impressed me. One was
16 in the large databases, particularly the Red Cross,
17 seeing what a low proportion of the failures were
18 due to sickle trait when that was evaluated, but I
19 think what really took me aback and is a cause of
20 concern is that the overall rate of filter failures
21 and subsequent loss of red cell units approaching 1
22 percent is really of grave concern particularly as
23 we stand on the threshold of potentially further
24 shortages that may be incurred as a result of
25 expanded CJD deferral criteria.

1 So, there is, I think, a fair amount of
2 urgency to put together the combined efforts of
3 industry, government, and private academia to try
4 and look at this in a fairly short time frame for
5 reasons that I had not originally anticipated.

6 DR. NELSON: I was a little confused, too,
7 and maybe the FDA could clarify, there was talk
8 about two standards, one 5×10^6 , their low, and
9 one is 1×10^6 , and it looked like the more
10 rigorous one was met with much greater failures.

11 What is the FDA's position now and in the
12 future?

13 MS. POINDEXTER: Well, the current
14 standard or the current memo that was out in
15 1995-1996, the goal was to meet 5×10^6 . The
16 current guidance document that is out in draft from
17 is suggesting that the blood centers attempt to
18 meet 1×10^6 with the 95 percent confidence
19 interval.

20 It was our feeling at the time that from
21 the data that we had received from the
22 manufacturers, that those filters should perform to
23 that level and actually could exceed that level
24 quite nicely.

25 What we didn't anticipate were the reports

1 of the AABB where, anecdotal as they are, a number
2 of centers were pursuing the sickle cell trait
3 problem as one that they could identify and test
4 for, whether they test the donor or whether they
5 test the unit as it is failing to come through the
6 filter, it was something that there was already a
7 test available, and we fully realized that there
8 are social problems with that, whether we test just
9 African-American or whether we test everybody.

10 DR. SIMON: I was just going to comment on
11 the standard. I can understand this approach, and
12 I guess I am not suggesting that we prevent people,
13 like to people from Oklahoma or Texas, from
14 pursuing it, but I just don't think that we should
15 make it a national standard.

16 I agree with the urgency. I know that
17 this is not the time or place to reconsider the
18 issue of universal leukoreduction, but with those
19 numbers, I think that Mary pointed to, which I had
20 asked Dr. Haley about, it does bring up the issue
21 of your cost-benefit - are we going to cause more
22 harm with universal leukoreduction than we do help.

23 If possibly in a short period of time, the
24 manufacturers and the blood centers together can
25 get that weighed out, perhaps by the shaking and

1 more attention to some of the detail, then, perhaps
2 that urgency is not as great as we thought. But
3 that is where I think the focus needs to be.

4 DR. LINDEN: I just want to say this is an
5 issue that I am very concerned about, and I am glad
6 it is getting more attention because I think it has
7 been somewhat neglected. I am also concerned about
8 the lack of data. I think we need more data, not
9 only about why this is happening, but the
10 frequency.

11 I am a little concerned about some
12 misleading data. If you don't test all of your
13 filter failure units for sickle, you don't know
14 what the cause is, and in some cases, you know,
15 people haven't done that. So, I think that would
16 be an important step, to identify the frequency,
17 but certainly based on some of the data that we did
18 see in that regard, this is only one component of a
19 much larger problem of filter failure.

20 I think that there is other things
21 certainly that need to be looked at including, you
22 know, the use of shakers and some of the other
23 things that people have talked about, so that it
24 would certainly be premature at this time, but it
25 is an issue that really needs to be looked at

1 closely.

2 DR. NELSON: Could we vote on this then?

3 All of those voting yes?

4 [No response.]

5 DR. NELSON: All of those voting no?

6 [Show of hands.]

7 DR. NELSON: All of those abstaining?

8 [No response.]

9 DR. NELSON: Consumer?

10 MS. KNOWLES: No.

11 DR. NELSON: Industry?

12 DR. SIMON: No.

13 DR. SMALLWOOD: The results of voting on

14 Question No. 1. There were no YES votes, 12 NO

15 votes, no abstentions. Both the Consumer and

16 Industry representatives agreed with the NO vote.

17 MS. POINDEXTER: Question No. 2, please.

18 Please comment on experiments that might

19 be performed to determine conditions that would

20 allow filtration leukoreduction of blood from

21 donors with hemoglobinopathies such as sickle cell

22 trait.

23 DR. NELSON: We have already discussed

24 that to some extent. It is not a yes/no, but you

25 have our comments.

1 DR. NELSON: The third question.

2 MS. POINDEXTER: Please comment on any
3 additional strategies that could be pursued to
4 reduce the incidence of leukocyte reduction filter
5 failures from clots and other causes.

6 DR. NELSON: Comments on that?

7 DR. SIMON: I think we have discussed
8 that.

9 DR. NELSON: And then the fourth?

10 MS. POINDEXTER: Question 4. Should the
11 labels on leukocyte filters be revised to address
12 performance limitations including (a) expected
13 filtration time; and (b) the risk of failure in
14 donors with sickle cell trait?

15 I would like to amend Item (a). There is
16 a section in the Device regs that insist that the
17 labeling include the application time for the
18 filter or for the device that is in use. So, that
19 one really doesn't have to be discussed. That will
20 have to go on the labels, the proposed application
21 time based on the manufacturer's experience.

22 DR. NELSON: I am not sure that the data
23 are all that solid to put a label on with regard to
24 what the expected failure for sickle cell at
25 present. I mean we certainly need more data on

1 that, but not enough to put on the label, I
2 wouldn't think.

3 DR. SIMON: I would agree. I was just
4 going to ask, Betsy, there is a distinction being
5 made here between the label and I guess the more
6 extensive package insert?

7 MS. POINDEXTER: The labels that I am
8 aware of for the filters are really rather
9 abbreviated, and they would be, yes, the
10 instructions for use or directions for use. It is
11 not the label that comes on the filter so much as
12 it is the instructions for use.

13 DR. STRONCEK: I guess I disagree. I feel
14 strongly they should put that on the label because
15 I think Pall basically got up and denied that is a
16 problem. At least in my mind it is. I mean to me
17 they are behaving like cigarette smoking doesn't
18 cause cancer.

19 I think that if we make them put this on
20 the label, then, when they come along with a new
21 filter, they are going to be damn sure they check
22 these filters out in patients with sickle cell
23 trait.

24 Otherwise, they are just going to go on
25 and deny this is a problem. They are going to come

1 out with another filter. They are not going to
2 test it in patients with sickle cell trait. Then,
3 we will be sitting here again with the same
4 problem.

5 DR. SIMON: We are talking about the
6 manufacturer's instructions here.

7 MS. POINDEXTER: Yes, again, the
8 instructions for use. As Dr. Binion stated, we
9 have been asking manufacturers who have currently
10 pending NDAs for 510(k)'s for red cell or whole
11 blood leukoreduction filters to include that
12 labeling unless they have run studies to show that
13 they do successfully filter.

14 DR. SIMON: So, you are asking us
15 basically to support your policy.

16 MS. POINDEXTER: Yes.

17 DR. NELSON: Do you want to vote on this
18 one? Any other comments? John.

19 DR. BOYLE: Just a question, and that is
20 if we vote yes on this, we are requiring it of all
21 manufacturers, but if we vote no, then, new
22 manufacturers who are applying can be required to
23 do it, but it will not apply to all manufacturers,
24 is that correct?

25 MS. POINDEXTER: I think that what we

1 should is probably take Dr. Binion's approach, and
2 that would be have FDA/CBER send a letter to all
3 filter manufacturers requiring this to be on all of
4 their current labeling unless they have performed
5 such studies, at which time they would have to
6 present us with a new NDA or 510(k) submission.

7 DR. HOLLINGER: Along these same lines, it
8 seems to me as I looked at this draft guidance, the
9 one that is not for implementation, they ask for
10 comments, and so on, but the issue is it seems like
11 it places a lot of burden on the blood bank when
12 many times it ought to be placed on the
13 manufacturer in terms of their performance, and so
14 on.

15 It seems like it is very rigid if you have
16 even an unacceptable unit. If I read this
17 correctly, just one unit that is unacceptable, you
18 know, in a three months period of time, if I am
19 reading this correctly, then, they have to go
20 through a whole lot of testing of 60 units and
21 other things, too, and the question is who is
22 paying for those 60 units to do all the testing,
23 and so on.

24 That also seemed very rigid to me in here,
25 and I think from my standpoint it ought to be

1 higher than that if they have more unacceptable
2 units, because what I heard today was the
3 manufacturer speaking to the fact that they don't
4 see that much of a problem, and yet the blood
5 banking community say there seems to be a problem
6 here with a large loss of units.

7 Now, it may be because, number one, they
8 may not be following manufacturer's guidelines or
9 instructions, and if that is correct, then, it
10 ought to be so noted, and the instructions ought to
11 be very clear about how then one can get down to
12 three-tenths percent or four-tenths percent, of
13 something of that nature. That was just a comment
14 about the guidance.

15 DR. CHAMBERLAND: I guess the way the
16 question would now read is that we are only going
17 to vote on it with clause (b), since (a) is a
18 requirement. I guess I am still somewhat bothered
19 about just having that as the sole--it won't be the
20 sole thing, this expected filtration time is still
21 going to be in the package insert, but it seems to
22 me that based on what we heard today, there might
23 be a variety of conditions or situations with
24 shaker, without shaker, 4 degrees, room
25 temperature, or whatever, that you would want to

1 have performance data on, and maybe that is a
2 routine part of these package inserts, I don't
3 know, but I am just sort of struggling with
4 something that just doesn't seem quite right,
5 particularly when the whole sickle cell trait story
6 hasn't really evolved yet.

7 DR. NELSON: I think on voting on the
8 first question, we said more data is needed, and
9 there are issues about shaking and temperature, and
10 all this, and this just isolates one of the issues.

11 It seems to be kind of incomplete and it
12 doesn't certainly tell the whole story, and may not
13 tell the right story.

14 MS. POINDEXTER: Could you suggest a way
15 of modifying the question, should we modify it, for
16 example, that units that filter in greater than so
17 much time must have quality control. I mean we
18 don't want to do that, that QC burden on blood
19 banks would be incredible.

20 DR. CHAMBERLAND: I guess I just
21 personally don't feel at this moment in time, with
22 the information that has been presented, that
23 certainly I could come up with some comprehensive
24 list of conditions that should be included on a
25 package insert.

1 DR. NELSON: This device may fail for a
2 variety of reasons. Smoking is dangerous to your
3 health.

4 [Laughter.]

5 DR. CHAMBERLAND: I mean I think there
6 needs to be a thorough review of the existing data,
7 more thorough than what we might be able to do in
8 this kind of a setting. It seems to me that there
9 has been a fair amount that has been collected, and
10 we have seen a snapshot of it, but more detailed
11 with people with good technical expertise and
12 access to be able to sort of review it in a more
13 systematic and comprehensive fashion might help.

14 MS. POINDEXTER: But what we have also
15 heard is that from the Red Cross experience, they
16 have had 30,000 filter failures, of which virtually
17 none of them have been investigated because of the
18 time involved in the way that the recordkeeping is
19 kept, so that, you know, this wealth of information
20 that you can't get to.

21 DR. CHAMBERLAND: Unknown is the biggest
22 culprit.

23 DR. STRONCEK: I think based on what we
24 have heard today, a blood manufacturer would be
25 crazy not to collect blood without one of these

1 blood mixers. I don't know if it came out, but
2 some centers don't have these rockers, the shakers,
3 and the second issue is I think it is clear that
4 sickle cell trait blood is a problem with
5 filtering. What is kind of murky is what about all
6 the other problems and how to fix that.

7 I think you have to start to fix what you
8 know and what you can fix.

9 DR. LAUCHENBRUCH: My name is Peter
10 Lauchenbruch. I am Director of the Division of
11 Biostatistics at CBER.

12 Just going back to the comment that you
13 made on the rigidity of the requirements, the
14 testing is looking at 60 units, and if one sees
15 zero failures out of 60, the upper limit of the
16 confidence interval for that is 5 percent. So, if
17 you see more than 1, you have a failure rate that
18 clearly does not rule out the 5 percent, so that is
19 why the requirement for looking at a larger sample
20 of continued sample was suggested.

21 DR. McCURDY: It seems to me that we have,
22 as has been discussed, precious little data on what
23 causes this other than clots and perhaps sickle
24 cell trait. Actually, what I heard today, and I
25 have heard in the past, we really can't be sure how

1 many of the clogged filters are sickle cell trait
2 and how many of them might represent a sickle cell
3 hemoglobin C disease or a sickle cell beta plus
4 thalassemia or something like that, because
5 sickledex is not, a solubility test is not an
6 acceptable way of making a diagnosis of sickle cell
7 trait, at least by itself.

8 I think that the biggest recommendation
9 that I would make is to take filter failures and
10 evaluate them for things that might increase the
11 rigidity or decrease the pliability of red cells.
12 For example, hereditary spherocytosis, there are
13 many patients with that who are more yellow than
14 sick, have normal hemoglobins, and the spherocytes
15 almost certainly won't filter.

16 If they have had an splenectomy, then,
17 they won't even have hemolysis anymore, and they
18 won't filter, and Lord knows what other things that
19 might affect the plasticity of red cells might be
20 involved.

21 What we have got is passive surveillance
22 with very limited evaluation following the
23 discovery of a failure with perhaps a few
24 exceptions, but it is really very poorly studied
25 thus far.

1 DR. RUTA: I think what the committee is
2 saying is what we are saying, is that as the
3 country starts implementing leukoreduction, we
4 start to see failures, some of which were
5 unanticipated, and some of which might have been
6 anticipated, and I think as we learn more and as
7 causes are investigated to find other conditions,
8 we can consider additional labeling as it becomes
9 more definitive as to what will cause failures, if
10 that helps the committee.

11 DR. NELSON: Should we vote on this?

12 Voting yes?

13 [Show of hands.]

14 DR. NELSON: Voting no?

15 [Show of hands.]

16 DR. NELSON: Abstentions?

17 [Show of hands.]

18 DR. NELSON: Toby?

19 DR. SIMON: I guess I will go with the
20 yes.

21 DR. NELSON: Results of voting on Question
22 No. 4, and I will read it as it has been modified.

23 Should the labels on leukocyte filters be
24 revised to address performance limitations
25 including risk of failure in donors with sickle

1 trait?

2 There were 2 YES votes, 4 NO votes, 5
3 abstentions, and the Industry representative agreed
4 with the YES votes.

5 DR. NELSON: The next item is Report of
6 the Intramural Site Visit of the Laboratory of
7 Plasma Derivatives, Division of Hematology.

8 **VI. Report of the Intramural Site Visit of the**
9 **Laboratory of Plasma Derivatives, Division of**
10 **Hematology, OBRR**

11 **Neil Goldman, Ph.D.**

12 DR. GOLDMAN: Good afternoon. I am Neil
13 Goldman, the Associate Director for Research at
14 CBER. I would like to thank you for allowing me to
15 present today a brief introduction and overview of
16 the CBER research that goes on and the process for
17 peer review.

18 [Slide.]

19 As you undoubtedly know, the mission of
20 CBER is to protect and enhance the public health
21 through regulation of biological products including
22 blood, vaccines, therapeutics, and related drugs
23 and devices including those that you have heard
24 about today, leukoreduction devices.

25 The regulation of these products is

1 founded on science and law to ensure their purity,
2 potency, safety, efficacy and availability.

3 [Slide.]

4 Hence, we conduct research as an essential
5 element of science-based decisionmaking on
6 regulatory issues, and see research as the linchpin
7 to our other areas of regulatory responsibility,
8 which include review of product submissions,
9 development of regulatory policy, product
10 surveillance, which entails lot release testing,
11 inspections, adverse event monitoring, as well as
12 manufacturing compliance and the enforcement
13 aspects that go along with it.

14 [Slide.]

15 As you are also already aware, product
16 review and approval are among our primary
17 responsibilities, and we have scientists and
18 medical officers who do full-time product and
19 clinical review, but in addition, we have lab-based
20 senior investigators and what we call conversion
21 track fellow, also referred to as tenure-tracked
22 fellows, spending about half of their time on
23 products and clinical review, and half of their
24 time on regulatory research, as well.

25 We refer to these staff as our research

1 reviewers.

2 [Slide.]

3 The research reviewer model ensures that
4 CBER researchers are fully integrated into the
5 regulatory process. Their regulatory duties
6 include review of INDs and BLAs, development and
7 presentation of regulatory policy, meetings with
8 manufacturers, as well as with the Advisory
9 Committee as we are doing today, and they also may
10 be performed by prelicense inspections.

11 This in toto, then, is what we refer to as
12 the researcher/reviewer model, which an external
13 committee for the review of CBER research, which
14 was a blue-ribbon panel that evaluated CBER's
15 entire research program back in 1998.

16 They commented in their report that the
17 researcher/reviewer model is essential to providing
18 CBER with top level expertise in a regulatory
19 culture.

20 I would like to remind you, by the way,
21 that although I have mentioned that they spend
22 roughly half their time doing regulatory work,
23 implying that they spend half their time doing
24 research, probably at this point that is less than
25 accurate. They probably spend certainly more than

1 half their time doing regulatory work.

2 [Slide.]

3 Now, CBER actually has quite a proud
4 tradition particularly in the area of
5 product-related research. In 1955, about 17 years
6 before we became a component of FDA, and while we
7 were still part of NIH, we were mandated by the PHS
8 Order that we shall conduct research on problems
9 related to development, manufacturing, testing and
10 use of vaccines, serums, antitoxins, and analogous
11 products including blood and its derivatives. We
12 shall conduct other studies to assure safety,
13 purity, and potency of biologic products, to
14 improve existing products, and to develop new
15 products.

16 For the last approximately 45 years, we
17 have considered this our charge. Of course, we
18 have broadened the areas to include other products,
19 the new products, such as the cytokines, monoclonal
20 antibodies, cellular and gene therapies, and soon,
21 who knows, it may be human cloning, as well as
22 tissues for grafting and xenotransplanted organs.

23 [Slide.]

24 Currently, at CBER, we have approximately
25 420 lab-based scientists, of which there are about

1 76 who are referred to as permanent career
2 appointment principal investigators, and another 58
3 who are what we refer to as the conversion track
4 investigators. Again, this would be similar to the
5 tenure-track investigator out in academia.

6 We also have approximately 96 contract
7 postdoctoral fellows and about 193 technical
8 support and staff scientists.

9 [Slide.]

10 Now, the types of research performed in
11 this center include: first, research on a specific
12 product including such aspects as mechanism of
13 action, potential toxicity or surrogate measures of
14 efficacy; second, research on a specific policy
15 issue, which may be related to a product class, as
16 you heard today, disease area, or therapeutic
17 modality; and, lastly, and of major importance to a
18 regulatory agency like ours, research associated
19 with the development and validation of methods and
20 standards to maintain product safety and quality.

21 [Slide.]

22 More specifically, the mission-relevant
23 program areas which cover most of the research
24 actually being done at the center include such
25 things as physical/chemical characterization of

1 products, determination of adventitious agents
2 present in products, standards and methods
3 development, determination of mechanisms of
4 immunity, disease, pathogenesis, or toxicity,
5 biological responses and mechanisms of action of
6 biologicals, and lastly, clinical trial design and
7 analysis.

8 [Slide.]

9 The vigilant oversight and quality control
10 of our research programs are maintained through our
11 continuous intramural review of our research. We
12 site visit, review our laboratory research programs
13 and the individuals who guide them every four years
14 by an external Peer Review Committee composed of
15 members of our Advisory Committee, a committee like
16 this, in concert with outside experts, and these
17 would be experts from academia and other research
18 institutions, who are in the particular field of
19 study of the laboratory to be reviewed.

20 Now, for our approximately 30 laboratories
21 in the center, this turns out to be about 6 to 7
22 lab reviews per year. In addition, internally, our
23 office directors for each of the product offices
24 that have research, using criteria for mission
25 relevance and scientific excellence, have been

1 prioritizing their research projects and funding
2 them accordingly.

3 Lastly, as I previously mentioned, we
4 underwent an external review of the center's entire
5 research program, and this was indeed a review of
6 the 12 research divisions that were currently in
7 the center back in 1998. That has since been
8 changed to 10 research divisions.

9 [Slide.]

10 Now, the site visit team, a subgroup of
11 the Advisory Committee, and in this case the
12 subgroup was of BPAC, was charged to assess--and
13 that is both assessing the strengths, as well as
14 the weaknesses--the quality and appropriateness to
15 the regulatory mission of the research that was
16 being conducted, which included the relevant
17 scientific rationale, validity of approaches,
18 creativity of design, and solution and levels of
19 sophistication.

20 [Slide.]

21 And also to evaluate the accomplishments
22 of the individual scientist, that includes
23 demonstration of his or her abilities in
24 experimental design and performance, independence
25 of effort, originality, stature and recognition

1 amongst his or her peers, and productivity.

2 [Slide.]

3 In addition, we asked the site visit team
4 to provide us advice on the current scientific
5 direction of the research program, whether new
6 directions should be considered, any changes in the
7 way the research program is administered or the
8 level, and utilization of resources in that
9 program.

10 And lastly, any advice on promotion or
11 conversion of eligible candidate, particularly the
12 appropriateness at this time for such a personnel
13 action.

14 [Slide.]

15 A final draft report is then prepared by
16 the chair of the site visit team, and we were
17 honored to have Dr. Nelson as the site visit chair,
18 and he was helped with preparing this report from
19 his ad-hoc reviewers.

20 This report then is presented to the full
21 Advisory Committee, in this case the entirety of
22 BPAC.

23 Now, as the parent Advisory Committee,
24 you, BPAC, have the honor and duty to accept,
25 reject, or modify the site visit report in part or

1 in its entirety.

2 Then, lastly, you will provide a final
3 approved report, which is then sent back to our
4 center director, who then passes it back down the
5 chain of command to the particular investigator who
6 was indeed reviewed.

7 If a recommendation is required, one will
8 be prepared and sent back here to BPAC.

9 [Slide.]

10 Now, our internal Promotion and Conversion
11 Evaluation Committee will use the final approved
12 site visit report from BPAC as significant evidence
13 to support either a candidate's conversion to
14 permanent employment status or his or her promotion
15 to the next grade level.

16 [Slide.]

17 So, in conclusion, I would certainly like
18 to thank you very much, particularly Dr. Nelson,
19 for the great job he did in shepherding the site
20 visit review, and I would also like to thank the
21 persons that actually were on his review. Now, I
22 don't see Dr. Kagan, but, in fact, Dr. Kagan was
23 one of the members, and we certainly appreciate
24 that.

25 I would also like to express our deep

1 appreciation to BPAC for supporting our need to
2 peer review our research programs, particularly in
3 these times of fiscal austerity. The programs that
4 you will hear about, and certainly briefly, prior
5 to going to closed session, entail three principal
6 investigators in the Laboratory of Plasma
7 Derivatives, and one investigator in the Laboratory
8 of Cellular Hematology, and they are in the
9 Division of Hematology.

10 In fact, the next speaker, Dr. Finlayson,
11 will give you a more focused view of the needs of
12 the Office of Blood and that particular division.

13 So, I thank you, and if there are any
14 questions, I am willing to take any right now.

15 [Pause.]

16 DR. GOLDMAN: It doesn't seem like it.
17 So, Dr. Nelson, it is yours.

18 DR. NELSON: John. Dr. Finlayson will
19 tell us about the organization.

20 **John Finlayson, Ph.D.**

21 DR. FINLAYSON: Let me begin by thanking
22 you for your stamina and hanging in here, and let
23 me tell you what I would first normally do would be
24 repeat the charge that Dr. Goldman has given you,
25 which is that your duty and privilege is to accept

1 the draft review as written, reject it as written,
2 or modify it and accept the modified version.

3 However, I have been informed by Dr. Smallwood that
4 you do not have a quorum, so we will have to take
5 other steps, so this is for cultural enrichment.

6 DR. NELSON: Actually, we do. If your
7 talk can be brief, we do, depending on how long you
8 talk.

9 DR. FINLAYSON: I can make this as brief
10 as you want, and I think I shall make it
11 extraordinarily brief therefore, because much of it
12 is a summary of what is in the packet that you
13 have.

14 To continue on what we would ordinarily
15 have done was I would give my talk, giving the
16 office overview. Then, Dr. Weinstein would give
17 his talk, giving division overview, and then Dr.
18 Golding would give his talk, giving the laboratory
19 overview, and we would have Dr. Vostal, who is
20 prepared to give his own, because he is a different
21 laboratory from Dr. Golding.

22 It turns that both Dr. Weinstein and Dr.
23 Golding are out of the country, so I am sorry,
24 folks, you are stuck with me, and I am very good at
25 abbreviating things.

1 So, let's just run through these slides.

2 [Slide.]

3 This is CBER in all its glory, and you see
4 there are lots and lots of boxes, but these boxes
5 that represent offices, there are three
6 product-related offices. This is the Office of
7 Blood Research and Review. That is where we live,
8 and we are going to go down this way here, so if I
9 can have the next one.

10 [Slide.]

11 Jay Epstein is the Director, and we are
12 divided up into three divisions: Division of
13 Emerging and Transfusion Transmitted Diseases. You
14 heard from a lot of the folks in that division
15 yesterday. You also heard from Alan Williams both
16 yesterday and today, and Dr. Weinstein, who is not
17 here, is the Division Director of the Division of
18 Hematology.

19 [Slide.]

20 The Division of Hematology, in turn, is
21 divided up into laboratories plus a Clinical Review
22 Branch. This is the laboratory that is being
23 reviewed, and Dr. Vostal is in the Laboratory of
24 Cellular Hematology. See, it says Vacant here,
25 meaning that there is not a permanent laboratory

1 chief, but Dr. Vostal has been serving as the
2 Acting Laboratory Chief ever since the departure of
3 Dr. Liana Harvath.

4 [Slide.]

5 This is the breakdown of the Laboratory of
6 Plasma Derivatives, and Dr. Golding has for working
7 purposes divided it up into five informal sections:
8 Immunology I, headed by himself; Immunology II,
9 headed by Dr. Dorothy Scott; Hemoglobin-Based Blood
10 Substitutes, headed by Dr. Abdul Alayash; Safety
11 and Quality Control, headed by Dr. Mei Ying Yu, and
12 Physical Protein Biochemistry, headed by Dr. Andrew
13 Shrake. Dr. Alayash and Dr. Scott were only
14 tangentially involved in the current review because
15 they were reviewed in depth in a previous cycle.
16 So, the principal investigators we are talking
17 about are these three here plus Dr. Vostal.

18 With your permission, Mr. Chairman, I am
19 going to stop here rather than review the
20 responsibilities of the lab, because they are
21 pretty well spelled out in your package.

22 DR. NELSON: Okay. Thank you.

23 Questions?

24 Dr. Vostal.

25 Jaro Vostal, M.D., Ph.D.

1 DR. VOSTAL: Thank you very much for
2 having the opportunity to describe our laboratory.

3 [Slide.]

4 Basically, our Laboratory of Cellular
5 Hematology deals with cellular blood products,
6 which is red cells, platelets, granulocytes, and
7 stem cells, and so we look at the safety and
8 efficacy of these cellular products.

9 We also review the solutions and the
10 devices that are used for their collection and
11 storage, and also some of the products are derived
12 from these cellular products, such as
13 cellular-derived products or platelet substitutes.

14 Our research is directed at understanding
15 these different cell products, and also in
16 understanding any issues that come up relating to
17 the safety and efficacy of these products. The
18 issue that we are involved in right now is TSE
19 infectivity in blood. We are studying the way that
20 TSE infectivity could be transmitted through blood.

21 Actually, in the interest of time, I will
22 just skip to my last slide.

23 [Slide.]

24 These all deal with prion protein. So, we
25 are trying to figure out how the prion protein

1 participate in the transmission of TSE infectivity
2 in blood. We are trying to identify which blood
3 cells carry TSE infectivity in rodents. We are
4 using the rodents as a model of TSE infectivity,
5 and we have hamsters and mice, and we are trying
6 to determine if PRPC expression by a blood cell
7 plays a role in TSE infectivity transport, and we
8 are comparing the PRPC expression on human and
9 animal blood cells to evaluate the validity of
10 these animal models, because we think that the
11 expression of a PRPC as the prion protein on
12 different cell types may have a role in the way
13 those cell types can transport infectivity.

14 Some of these, much to our surprise, some
15 animals, their expression of prion protein on blood
16 cells varies drastically from what is in the human.

17 There is also a prion protein in plasma
18 and infectivity in plasma, and we are trying to
19 figure out where that prion protein is coming from,
20 is it from the cellular products or blood cells, or
21 is it from endothelial cells.

22 Finally, we have plans or currently doing,
23 we are evaluating intervention methods, such as
24 leukoreduction or detection methods, such as
25 capillary electrophoresis, and we are trying to

1 correlate these--this is intervention or detection
2 of prion protein or resistant prion protein--and we
3 are correlating this with TSE infectivity.

4 In a nutshell, that is our research
5 program in the laboratory.

6 DR. NELSON: Thank you.

7 Dr. Smallwood, could we proceed with the
8 closed session?

9 We are trying to avoid--the issue is that
10 if we don't have a quorum, we will have to have a
11 conference call and discuss the report, and I think
12 it would be preferable not to do that.

13 DR. SMALLWOOD: At this time, our open
14 session is closed, and we are going now into our
15 official closed session. We will need to ask
16 everyone that is not involved in this session to
17 please leave. We will also need to ask that all of
18 the electronic equipment be closed down with the
19 exception of the transcriber. We hope that you can
20 do this quickly and as quietly as possible.

21 Thank you.


22 [Open session adjourned at 4:00 p.m.]

23

- - -

C E R T I F I C A T E

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.



ALICE TOIGO