

1 to say specifically what more data you need a little
2 bit later. So, thank you.

3 Dr. Katz, please.

4 MR. KATZ: I think my colleagues have
5 already expressed a number of the caveats or the
6 concerns. I think the other aspect, of course, is
7 what one considers in the pragmatism of the
8 implementation of recommendations. And I'm very
9 persuaded by Dr. Steinhoff's reminder that even if
10 it's one dose in the first year, you're going to get
11 a dose every year after that; if indeed the
12 recommendation becomes one for universal immunization.
13 And that's another committee or another two committees
14 and their distinctions.

15 I think that we do have in my judgment
16 sufficient data to be very comfortable from 18 months
17 of age up. And the 12 to 18 month I, too, would like
18 to see additional data.

19 I'm very reassured by Dr. Mendelman's
20 comment about the 2,000 youngsters who will be
21 enrolled in the study of concomitant vaccines, MMR or
22 given along with FluMist in the beginning of the
23 second year of life. So I think those data are
24 already beginning to accumulate.

25 I think that we forget sometimes that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have other vaccines where we've targeted different
2 numbers of doses, the most recent being pneumococcal
3 conjugate vaccine where we recommend three in the
4 first year of life and two in the second, and one
5 thereafter. So, I don't think it's a new venture to
6 think of different dosing. But I'm comfortable with
7 one dose at all ages at this point, given the fact
8 that you're going to pick up the others as you go
9 along and the pragmatic aspects of how many visits
10 people are going to make at different times.

11 I'm comfortable with the adult data, so I
12 would vote yes, yes.

13 CHAIRMAN DAUM: And I thank you.

14 Dr. Schild?

15 DR. SCHILD: Thank you. I'm comfortable
16 with the efficacy data. I would vote yes on both
17 issues. However, with a strong recommendation for
18 future work that effort is put into mapping the effect
19 on efficacy of antigenic changes and genetic changes
20 in the viruses. We have only limited information on
21 that for the moment. And also the collection of data
22 for immunological markers for protection.

23 The conventional wisdom for inactivated
24 vaccines is that hemagglutination inhibition titers
25 of equal or greater than 40 relate to protection. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that clearly is not the case for these vaccines. And
2 it's going to be important in terms of future
3 developments in the use of these vaccines to get some
4 much clearer information on markers for immunity.

5 Thank you.

6 CHAIRMAN DAUM: Thank you.

7 Dr. Cox?

8 DR. COX: Yes. That was not a vote.

9 I think that the efficacy data are really
10 quite strong for FluMist vaccine. It would be nice to
11 have additional data in the youngest children. It
12 would be nice to have additional data in adults,
13 particularly in adults 50 to 64 years of age, but the
14 data appeared to be quite solid.

15 So my answer to question one is yes. And
16 to question two is yes.

17 I would like to make a comment about --

18 CHAIRMAN DAUM: 1(a) and 1(b), Dr. Cox.
19 If I could just make sure we're on the same page?

20 DR. COX: Sorry. 1(a) and 1(b), yes,
21 exactly. Sorry.

22 CHAIRMAN DAUM: Thanks.

23 DR. COX: 1(a) and 1(b).

24 With regard to the one dose versus two
25 doses issue, I think that given what we know about

1 inherent differences in different strains to induce
2 antibodies and what we know about different eras of
3 circulation of H1 versus H3, I think two doses for the
4 first vaccination of children would be appropriate to
5 make sure that we maximize the antibody response.
6 There's just a lot of data that would indicate that
7 would be quite prudent.

8 With regard to the interval between doses,
9 clearly we don't have quite as much data as might be
10 nice, but I think 30 days is very reasonable. We've
11 seen data for 30 day intervals that looks very good.
12 And because we already have recommendations for the
13 inactivated vaccine that requires two doses in
14 children under 9, that recommendation would be
15 consistent with what we are already doing in that age
16 group.

17 CHAIRMAN DAUM: Thank you very much, Dr.
18 Cox.

19 Dr. Eickhoff?

20 DR. EICKHOFF: For 1(a) children age 1
21 through 17, I'm quite comfortable with the efficacy
22 data and would certainly vote yes on that issue.

23 The question of dose, number of doses in
24 children under nine, let's say, I believe for now I
25 would vote to recommend two doses in children less

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 than nine years of age, recognizing that that may in
2 a certain sense be overkill and subsequent data may,
3 indeed, confirm that one dose is quite sufficient. But
4 at least for the moment I would sort of go into the
5 same recommendation that currently exists for the
6 inactivated vaccine.

7 Question 1(b) I think I may be the
8 minority report here, because I'm going to vote no on
9 question 1(b) for the following reason. I have no
10 doubt that FluMist will be indeed -- is indeed
11 effective in healthy adults. The question in my mind
12 is how effective.

13 This is also a population in which in the
14 inactivated vaccine is quite effective and FluMist or
15 the live attenuated product may, in fact, be inferior
16 to the inactivated vaccine. I don't believe that's
17 going to be the case, but I don't really see the data
18 that would permit me to make that judgment.

19 So, that's the reason I'm going to vote no
20 on 1(b).

21 Additional data I've talked about a little
22 bit. The H1N1 challenge study is promising, but again
23 I would be much more comfortable with more field trial
24 efficacy data. And, of course, for adults who are
25 other than healthy, a great deal of additional

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information is necessary. But I think licensure is
2 not being sought for such adults at this time.

3 CHAIRMAN DAUM: Thank you very much, Ted.
4 Marty, Dr. Myers, please?

5 DR. MYERS: Well, I'm gold that Dr.
6 Edwards asked the question about the limitation of our
7 responses being defined by the specific studies. It's
8 very difficult to sometimes separate out the
9 application studies from the others.

10 I find the data for the 15 month to 71
11 month very compelling, but I do not think there is
12 sufficient efficacy data to make a recommendation for
13 under 15 months of age. And I'm uncomfortable in the
14 15 to 24 month age group.

15 So, like Dr. Griffin, if the question is
16 1 to 17, my answer would be no. And if the question
17 is 2 to 17, my answer would be yes. That's taking
18 into account also that we do not have any data on
19 concomitant administration of other vaccines,
20 particularly the MRR and vaccine.

21 We have to extrapolate between 72 months
22 and 17 years, because there is no direct efficacy data
23 for that age group, but I'm comfortable doing that
24 because of the established effectiveness both below
25 and above that, although I'd like to see data for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group.

2 I don't -- I'm unable to determine from
3 these data about one versus two doses. I have a
4 prejudice that we'll need two doses for younger
5 children, but I can't tell where that cutoff is. And
6 if you include data from other than those from the
7 pivotal studies, I think it's likely that Dr. Katz is
8 right, that the single dose will be sufficient. But
9 from these data, I just -- I don't think there's
10 sufficient data to be able to address that.

11 On question 1(b) even despite the fact
12 that the nonspecific primary n point wasn't achieved,
13 I think that the more specific n points establish
14 efficacy. I really would like to see data for more
15 seasons, however, than just the one.

16 And then I would, in addition to the data
17 for concurrent schedule vaccines, I'd just like to
18 express my concern about the real world impact of the
19 cold chain on the application of the vaccine efficacy.
20 It's a whole lot different outside of the study
21 circumstances managing cold chain. And the data that
22 was in the briefing book about the frost-free freezers
23 I think is very worrisome and needs to be -- that
24 needs to be addressed. Otherwise the efficacy will be
25 far less than has been shown in the study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then finally, I have reservations,
2 we've been asked to comment on travelers. I have
3 reservations about the use of the vaccine in travels
4 to areas where avian influenza exposure is likely for
5 the reasons that we discussed yesterday.

6 I think we're going to talk about what
7 other data we would like separately.

8 CHAIRMAN DAUM: Dr. Myers, before we leave
9 you, on part 1(b) the adult population, I didn't get
10 your vote.

11 DR. MYERS: Oh, I thought I'd said yes.

12 CHAIRMAN DAUM: Okay. I didn't catch
13 that. Sorry.

14 Dr. Edwards?

15 DR. EDWARDS: I think almost all the wise
16 things have been said, so I would like to comment on,
17 first, the pediatric and adolescent population.

18 I think we do efficacy studies for a very
19 clear reason in defined populations, and that is to
20 determine what the efficacy is. So that I take the
21 age of the pivotal efficacy study very seriously, and
22 so I really think I can't comment or don't feel that
23 there's adequate data between the 12 and 15 month, as
24 a number of people have already said.

25 I do think there is adequate data from 15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 months to 17 years to say that the vaccine will be
2 efficacy for H3N2. I think it also will be efficacy
3 for H1N1, but I don't think that the number or the
4 quality of the challenge trials in either the
5 pediatric or the adult population really affirms that.

6 I do think that previous experience and
7 certainly previous experience that we've had would
8 suggest that it will be efficacious. So I will say
9 yes for the pediatric to adolescent 15 to 17 years.

10 I'm a little bit more concerns, as Dr.
11 Eickhoff is, about the adult population in that I
12 pondered some whether we've ever had an effectiveness
13 trial to be used to license a vaccine, and I couldn't
14 remember that we did. Perhaps I'm wrong.

15 I think that a standard for a trial such
16 as this really is efficacy that is culture confirmed,
17 although I agree that this is likely from -- that the
18 reduction and the effectiveness we see is from a
19 reduction in influenza. But I don't really want to
20 start a precedent of really not looking at efficacy
21 trials in the purest sense.

22 I do think that we don't have data about
23 repeated dosing, particularly in adults. And I think
24 the data that suggests that immunization of adults
25 that have previously seen these wild type viruses, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine may be efficacious and I think whether it is
2 as efficacious as the inactivated is not a question
3 that we're asking, but I think is one that hasn't been
4 answered.

5 I also don't feel -- I'm uncomfortable
6 with the challenge data. It seems like there should
7 have been a higher infectivity rate and we could have
8 gotten a bit more information with larger numbers of
9 individuals in those studies.

10 So, I will say yes for the adult
11 population, but not with a very warm and cuddly
12 feeling, because I don't think it's the most optimal
13 way to get vaccine efficacy.

14 CHAIRMAN DAUM: And, Dr. Edwards, so we
15 can record your preference properly. For the younger
16 children you're --

17 DR. EDWARDS: Fifteen to 17, yes.

18 CHAIRMAN DAUM: Fifteen months to 17
19 years?

20 DR. EDWARDS: Yes. Yes. Right.

21 CHAIRMAN DAUM: So the answer to the
22 question is no, but if the question were reposed to 15
23 months to 17 years, it would be yes.

24 DR. EDWARDS: That's correct. And then I
25 also did want to comment on the two dose. I think,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again, as I mentioned before pivotal efficacy study we
2 have to use the data that was gleaned from that, which
3 is the two dose schedule. That's not to say that I
4 think -- don't think that Dr. Glezen is totally right
5 that we may just need a single dose, but I think that
6 has to be shown.

7 CHAIRMAN DAUM: Thank you very much, Dr.
8 Edwards.

9 Dr. Steinhoff, not least.

10 DR. STEINHOFF: Thanks. I can be brief,
11 because I think many important comments have already
12 been made.

13 1(a), the pediatric adolescent population,
14 I have to agree with a lot of my colleagues that the
15 questions as posed, 1 to 17 is difficult to say yes
16 to. I don't think there is good efficacy data or
17 substantial amount of efficacy data below the 15
18 months.

19 The issue of two doses, the efficacy data
20 did not show that you needed two doses for good
21 efficacy. And so I think that we need more data for
22 the indication that's been applied for.

23 So my recommendation is to have more
24 information about the two doses.

25 At what ages is it necessary for efficacy?

1 Part (b) --

2 CHAIRMAN DAUM: Could you finish with part
3 (a) first? Are the data adequate to support the
4 efficacy of FluMist in pediatric and adolescent
5 population 1 to 17 years of age?

6 DR. STEINHOFF: I said above 15 months
7 yes, but below no.

8 CHAIRMAN DAUM: Thank you. So it's no?

9 DR. STEINHOFF: Yes. And for (b), the
10 adult population, I think the data for efficacy is
11 adequate there. The additional information that
12 everyone seems to have mentioned, and I agree with, is
13 that the H1N1 information, so there should be a
14 recommendation to perhaps design a challenge study to
15 answer that specific question. That's a yes.

16 CHAIRMAN DAUM: Thank you very much.

17 And I guess that it's my turn. And I
18 think since we last heard about this vaccine, that the
19 progress has been marvelous. And I think that the
20 efficacy data, particularly, are pretty persuasive.
21 I think there's real reason to believe that this
22 vaccine prevents influenza. We're not there yet, as
23 my colleagues in the Committee pointed out with their
24 comments. There are issues to be addressed.

25 I think Dr. Edwards' comments are most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 persuasive, that the 15 month cutoff makes more sense
2 given the available data. And I'm concerned mostly
3 about the age chosen for the trial rather than the
4 concern about the concurrent vaccines. I think data
5 must be there regarding concurrent vaccines. But I
6 guess my personal view is that that's unlikely to
7 influence the efficacy.

8 So, I'm also persuaded that I haven't seen
9 anything that really persuaded me that two doses was
10 necessary. I must say, it's an interesting concept
11 and it's one that could be developed more. But there
12 was an improvement in the serology, to be sure, to one
13 of the types but on the other hand, there really
14 wasn't any change in efficacy that I could see. And
15 I don't know what serology means.

16 I was struck by, to borrow a phrase from
17 Ms. Fisher, "our lack of understanding of what the
18 relationship is between immunogenicity and efficacy."
19 So that remains an open question.

20 The H1N1 data, as everybody in the
21 Committee has noted, a real world circulating virus
22 would have been better. But I thought the challenge
23 studies were pretty well done and convinced me that it
24 was pretty likely that H1N1 efficacy was there.

25 If there were two doses, I don't know what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the optimal interval would be between the doses. I
2 must say I saw very little information that persuaded
3 me how to evaluate that critically, and I'm not sure
4 I have a wise recommendation as to how that should be
5 done.

6 And so, I guess I'm in the no for part
7 1(a), but if age were changed to 15 months, I would be
8 in the yes.

9 And for the adult situation, this is
10 efficacy. We're going to talk about safety a little
11 bit later, I think the data are compelling that we
12 saw, that this vaccine is efficacious in adults and
13 I'm in the yes camp there.

14 I hear the concerns about the repeat
15 dosing issue. We don't know much about that, year to
16 year. And adults have lots of diseases that impair
17 their ability to respond to vaccines, and we obviously
18 need to know a great deal more about that than we do.
19 But I believe that the efficacy part of this
20 discussion is solid and a vote yes there.

21 And that concludes our vote on question
22 one.

23 For question 1(a) I believe we have eight
24 Committee members answering yes and seven answering
25 no. Of the seven that answered no, five of them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 qualified that by either saying 15 months or two years
2 of age. If the question were rephrased that way, the
3 answer would have been yes.

4 So you at one hand might say this is a
5 very divided issue, but I think most of the
6 controversy is in the youngest infant, 12 to 15 months
7 or 12 to 23 months depending on which Committee member
8 was speaking.

9 For the adults, question 1(b) in terms of
10 efficacy, the vote was pretty strongly in favor of
11 yes. Twelve in favor and two opposed.

12 We'll have a final check here and make
13 sure that's right.

14 MS. CHERRY: I got 13.

15 CHAIRMAN DAUM: Thirteen. I forgot to
16 count myself. Thirteen yes and two against.

17 So we're done with question one. And I
18 thank the Committee, as always, for their very elegant
19 careful discussion.

20 I want to go right on to question two.
21 And Dr. Mink, I hope, is ready, will remind us what
22 question two is.

23 I believe that the Committee can go
24 through given the extensive discussion we've already
25 had, discussion points 3 and 4 in a more rapid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fashion. And so I think the proper thing to do is
2 question two up there, get that discussion started and
3 make sure we get all the points flushed out that we
4 want to raise there.

5 Thank you, Dr. Mink.

6 DR. MINK: Question two for the Committee
7 is are the safety data adequate to support safety of
8 FluMist in the population in which an indication is
9 being sought (i.e., 1 to 64 years of age)?

10 Please discuss the adequacy of the
11 safety data in subjects less than 2 years of age, in
12 the overall pediatric population, in adolescents, in
13 adults and specifically in adults greater than or
14 equal to 50 years of age.

15 If the data are not adequate for specific
16 age ranges, please discuss what additional data should
17 be requested.

18 And now my slides. The safety conclusions
19 from FDA presentation yesterday were please remember
20 this BLA is on a 10 month clock and was initially
21 submitted to us in October 31, 2000. Much of our
22 review is ongoing.

23 The review of respiratory events including
24 pneumonia, bronchitis and others not yet discussed are
25 not yet complete. A summary of these events have not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been presented in the BLA, and this review has
2 primarily been performed by database searches.

3 Both the FluMist and normal allantoic
4 fluid placebo were reactogenic in all age groups for
5 which we've had data in the BLA which has made it more
6 difficult in evaluating the reactogenicity of the
7 FluMist.

8 Most safety data have been generated in
9 healthy subjects. There is a risk of inadvertent
10 exposure to individuals with underlying illness in the
11 age group being sought, and we've seen a few high risk
12 subjects presented in the briefing document, and there
13 was a suggestion of increased in reactogenicity events
14 for asthmatics.

15 For serious adverse events the CFR
16 definitions of hospitalization, prolonged
17 hospitalizations, death, congenial anomaly, cancers
18 and overdose have been the primary criteria in
19 searching for SAEs, as consistent with all studies in
20 the CFR.

21 Some of the studies presented in the BLA
22 did have active monitoring performed for all SAEs, and
23 when it was performed it was for 28 to 42 days post-
24 dosing.

25 There have been questions about an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 increase in reactogenicity events seen with annual
2 dosing of children. And the studies presented, AV006
3 in year one and year two and safety data in year
4 three, there was no increase in REs noted.

5 There have been few subjects at the either
6 ends of the age spectrum and in the database presented
7 to you the number of subjects less than 24 months was
8 approximately 1250, although we saw an increased size
9 from Aviron this morning. And in subjects from 50 to
10 64.9 years of age, it was around 500 individuals.

11 Since we're going to do the additional
12 concerns, additional concerns include concomitant
13 immunization, and at this time we have no data for
14 efficacy or safety with concomitant immunization.
15 This included pediatric vaccines with additions of
16 Prevnar, MMR, Varicella, DTAP, and I'm probably
17 forgetting some, that can be used in the age group
18 from 12 to 24 months especially.

19 There are also no concomitant
20 immunizations with travelers' vaccine, which may
21 include additional live viral vaccines or in any age
22 group, including the use of CAIV with pneumococcal
23 vaccine.

24 For transmissibility we've seen the
25 preliminary data from the Finish trial in day care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where there was shedding of the CAIV strain in one of
2 99 placebo contacts.

3 And for annual vaccination, we have no
4 data for revaccination in adults.

5 Any questions?

6 CHAIRMAN DAUM: No. Thank you very much
7 for orienting us toward the task at hand.

8 I would now like to have some general
9 committee discussion to clarify issues.

10 Thank you, Dr. Mink.

11 That you feel you want more information on
12 a clarification on before we vote on question 2.

13 George, if you could do your thing and put
14 question 2 back up there for us, I'd be grateful.

15 And, Dr. Kohl, we'll start off with you.

16 DR. KOHL: I have several major issues, at
17 least in my mind, regarding safety. I believe we've
18 heard about three separate studies regarding the
19 possibility of pneumonia. In one of those studies
20 there was an increased risk of pneumonia post-
21 immunization, and I think that was the pivotal study
22 or post-lower respiratory tract infection. And in two
23 other studies, Paul Glezen's study and Steve Black's
24 study, there was not increased risk.

25 I'm still somewhat concerned about that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I don't want to see pneumonia lurking back there the
2 way it did with rotovirus. If there's any other data
3 on that, I'd love to see it. That's number one.

4 Number two, I too felt that there was a
5 hint of an increase reactogenicity in as asthmatics
6 given that small study on pediatric asthmatics. I
7 believe it was about 25 in each group where asthmatics
8 who had an exacerbation of their disease received the
9 FluMist and not the placebo. And although Paul Glezen
10 said in his study there was no increased risk in
11 asthmatics, I think he said that, I'd like to hear
12 Steve Black in particular comment on that, because I
13 presume there were a number of asthmatics immunized in
14 the California study.

15 CHAIRMAN DAUM: Okay. Before we hear
16 responses to those, I'd like to ask FDA folks, Drs.
17 Mink or Geber or anyone else at the table, what is in
18 the BLA with respect to the two issues that Dr. Kohl's
19 asking about.

20 DR. MINK: There are no summarized data
21 for pneumonia presented in the BLA. The data from
22 AV006, as I've mentioned, was from our search of the
23 database, inspection reports, line listings, SAE
24 reports, anything that we could find. So, our
25 relative risk calculations from AV006 are based on our

1 searches with the numbers that have been submitted.

2 For AV019 we have interim analysis which
3 is not the same final total that Aviron was able to
4 present.

5 And from AV012, we don't have that data.
6 The only thing that's been submitted in the BLA for
7 AV012 is year one SEA reports. We do have some line
8 listings from parental reports which there could have
9 been differential reporting because 80 percent of the
10 subjects were in Scott & White HMO, but 20 percent
11 were not. And so some of the line listing reports that
12 we have seem to be from those line listings. So, it's
13 differential. I don't know how much to emphasize that
14 pneumonia is or is not a problem from AV012.

15 DR. GEBER: I think, too, what you're
16 hearing from the FDA and what we're struggling with,
17 and I think perhaps the committee is as well, is that
18 we have received parcel study reports or study
19 synopses for a number of the studies that are being
20 discussed. And where we have completed study reports
21 for the particular event that you're mentioning,
22 pneumonia, that was not summarized for us. And so
23 we've gone back to search the databases, and that's an
24 ongoing process.

25 And so I think that's the difficulty, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we can't come to you with our complete assessment of
2 it at this point. And where we are is what we've
3 presented to you. But that is where the confusion
4 is.

5 We've received updates throughout the
6 period of the review and we haven't --

7 DR. MINK: Nor have we completed the
8 search for bronchitis and bronchiolitis, which could
9 be coded differently based on age.

10 CHAIRMAN DAUM: So what I think we should
11 do is to hear the data that bear on Dr. Kohl's very
12 important questions, but to remember that given the
13 fact that FDA wishes us to consider what's in the BLA,
14 we may not wish or may not be able to get to full
15 closure on question 2. And we'll have to do the best
16 we can. But let's get the information out first and
17 then we'll go from there.

18 Dr. Faggett, is this a procedural
19 question?

20 DR. FAGGETT: Yes, it is. Just to look at
21 the population in Temple, because that's a military
22 town and I'm concerned who are the children? How many
23 of them are military versus civilian, all that. Do
24 you have a breakout on that?

25 DR. MINK: All I can tell you is that we

1 have the SEA reports and an ongoing study report for
2 year one.

3 DR. FAGGETT: Okay. I'll withdraw my
4 question, if they don't have the data.

5 DR. MINK: I can tell you the ages were
6 from 18 months to 18 years.

7 DR. FAGGETT: Yes. My concern is follow-up
8 because if it's a transient population, it could be a
9 -

10 DR. MINK: I understand.

11 DR. FAGGETT: Yes. Thanks.

12 CHAIRMAN DAUM: We're going to have to do
13 some mental agility here, Dr. Faggett. Because I think
14 the proper thing to do is to get all the information
15 out that we can, and I want to do that. And so your
16 question is a good one and an important one, but at
17 the same time we're going to then have to sort of do
18 some tethering in our minds because we're going to
19 have to return to the question 2 as posed by the BLA
20 data.

21 So, with that caveat, let's hear from Dr.
22 Greenberg with regard to pneumonia and asthmatics.
23 And, hopefully, he'll call on Drs. Black and Mendelman
24 as appropriate to comment on those questions.

25 DR. GREENBERG: Thank you, Dr. Daum.

1 Pneumonia was raised yesterday and this
2 morning as an area of analysis by the FDA. And as
3 they noted, the analysis is ongoing. We plan to
4 collaborate with them fully to help them complete the
5 analysis.

6 And I think as part of that helping, I
7 think that some data that Dr. Black present right now
8 will be useful to the Committee, and if more is needed
9 Dr. Mendelman can embroider that further.

10 DR. BLACK: I don't know how Paul's
11 embroidery skills are, so we'll see what we can do
12 here.

13 Yes, this was alluded to yesterday, but
14 here it is in blue or black and white, I guess. The
15 results from the final analysis data set in which
16 there were 28 cases of pneumonia in the FluMist group
17 and 17 in the placebo. This is all utilization
18 settings in all doses and the entire age range.

19 The great rate ratio, as you can see here,
20 is .82 and the p-value is .25. So there's not any
21 suggestion of an increased risk of pneumonia here.

22 And if we go to the next slide, this shows
23 you, again from the final analysis data set, where the
24 cases of pneumonia occurred in the FluMist group in
25 blue versus the, I guess, gray for the placebo. And,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again, you can see that they're is scattered
2 throughout the time period.

3 In the next slide this breaks this down a
4 little bit by different age groups, as you can see
5 here, starting with 1 to 17 overall, which I've shown
6 you, and then breaking it down into the younger age
7 group again where there is not any suggestion. And if
8 we go down further in age, 18 to 35 months or 12 to 17
9 months, again, the numbers get small but there's not
10 any suggestion of increased risk here either.

11 CHAIRMAN DAUM: Thank you very much.

12 DR. BLACK: Do you want me to respond to
13 asthma now as well?

14 CHAIRMAN DAUM: Yes, please.

15 DR. BLACK: With a little help here we'll
16 try that.

17 We, in response to the initial signal that
18 we saw in the data that we presented yesterday, which
19 you can see is 6 cases in the FluMist group and zero
20 in the placebo with a p-value that is significant for
21 increased risk, went back and looked to see how many
22 asthmatics there actually were in our population.
23 And, as you'll see, I think we've demonstrated
24 conclusively that querying parents as to history of
25 asthma is not an especially efficient means of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 excluding asthmatics from trial participation. What
2 you can see here in all ages there's somewhat more
3 than 800 children with a history of an asthma
4 diagnoses by a physician in our databases in the
5 period between January 1st of 1998 and their first
6 dose of vaccine. So we've basically gone back on
7 average about two years prior to first dose of vaccine
8 and identified the subset of children who has a
9 physician diagnoses of asthma in their record.

10 There are several reasons why the parents
11 may not have been up front about the history of
12 asthma, not the least of which is that there was --
13 they knew their children had been recommended in the
14 past to get flu vaccine and last year there was really
15 not any flu vaccine in our population until almost the
16 end of the year.

17 And you can see here for dose one and dose
18 two what we have. And this is for all ages for dose
19 one or dose two. What we see is a rate ratio that's
20 essentially one. And for dose two, again, the point
21 estimate is a little higher, but there's not any
22 suggestion of statistical significance.

23 DR. KOHL: Excuse me, Steve. What is that
24 the rate of, that slide you're showing?

25 DR. BLACK: You want to go back?

1 DR. KOHL: What is that showing?

2 DR. BLACK: What this is, we took this
3 denominator of children and then looked to see how
4 many of them had a visit for asthma in the 42 day
5 window following the receipt of the vaccine. So this
6 is, in essence -- we have to be a little bit careful
7 here because we're using the same outcome event as
8 visits for asthma in the 42-day observation window,
9 but we're using a different denominator. So this is
10 not a confirmatory study. It's not a separate study,
11 but it is a different way of, if you will, zeroing in
12 on the question do children with asthma have an
13 increased risk or not following FluMist vaccine of a
14 visit for asthma.

15 CHAIRMAN DAUM: This tests parental recall
16 then over previous history of asthma.

17 DR. BLACK: No. I don't know how -- no.
18 No. Basically -- well, that may -- I don't know
19 whether you're being cynical or not. But it does
20 parental reliability, which I think in this case, as
21 the question was to parents have you ever been told
22 that your child has asthma. And if they said no, they
23 were enrolled into the trial. And given the
24 prevalence of asthma in our population, that questions
25 eliminated perhaps a third of the asthmatics, but the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 other two-thirds were not identified.

2 But in this age range there was not any
3 suggestion -- in the overall age range, there was not
4 a suggestion of increased risk. However, if we go to
5 the next slide, again, if you remember the original
6 association was in the younger children 18 to 35
7 months of age. And if we look here in clinic and also
8 in the combined setting, you can see here these are
9 the relative risks are either undefined or elevated.
10 And there is a significant increased risk.

11 Now, again, I would caution you that the
12 numerators here are largely the same as in the prior
13 result. Remember, of the six children that we saw
14 before, four of them had a prior history of asthma.
15 So four of them are contributing to this data. And
16 given the high proportion that had a history of asthma
17 before, you would almost anticipate these results. But
18 this answers that question more directly and I thought
19 would inform the Committee.

20 CHAIRMAN DAUM: Thank you, I guess.

21 Okay. Dr. Eickhoff, Dr. Myers, other
22 issues.

23 DR. GREENBERG: Dr. Daum, did you want
24 additional data on Texas in pneumonia?

25 CHAIRMAN DAUM: With regard to pneumonia

1 or asthma?

2 DR. GREENBERG: Which?

3 DR. BLACK: Both.

4 CHAIRMAN DAUM: Yes. Can you hold on a
5 minute, Dr. Eickhoff and Myers.

6 DR. EICKHOFF: This was a question for
7 Steve Black.

8 CHAIRMAN DAUM: Okay. Let's see the Texas
9 data first and then we'll go to that.

10 DR. GREENBERG: Why don't we ask Steve his
11 question while we're waiting to pull up that data.

12 CHAIRMAN DAUM: That's a good idea. Dr.
13 Eickhoff?

14 DR. EICKHOFF: Thank you.

15 Dr. Black, the pneumonia rates that you
16 showed in that very first slide, do you have an idea
17 what comparable rates would be for children in the
18 same general age group absent any inhaled FluMist or
19 inhaled placebo?

20 DR. BLACK: Given the timing of this study
21 and the age range of this study, normally we would
22 compare this to other studies and I could answer your
23 question. But the follow-up period here is
24 exclusively during the respiratory virus season and
25 the age ranges and given the age distribution and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sensitivity of the results to that, that's an
2 answerable question, but I can't answer it right now.

3 CHAIRMAN DAUM: Are we ready or do we see
4 what Dr. Myers wants?

5 DR. MENDELMAN: This is a summary from the
6 AV012 Texas Community Prevention Trial. Medically
7 attended acute respiratory illness based on the Scott
8 & White data tapes, there are over 2000 subjects in
9 year one and 2500 in year two.

10 The relative risk shown here, so with any
11 medically attended acute respiratory illness, which
12 includes URI, sinusitis and LRI, there's no increased
13 relative risk.

14 And then the lower respiratory illness,
15 which includes pneumonia, group, bronchiolitis,
16 etcetera, there's actually a decrease. But again the
17 point estimate is no difference.

18 In the subset on the next slide of
19 children enrolled in study 12 who by parent history or
20 RC09 code were identified with wheezing elements or
21 asthma is shown on this slide.

22 These are the days zero to 14 period.
23 Again, you've heard about compared to the reference
24 period the same child being their own control from day
25 15 on and days prior to vaccination in the data tape.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Looking at medically attended acute
2 respiratory illness, relative risk of 1.1, so there's
3 no difference. And lower respiratory illness in this
4 subset of children I should point out 326 in year one,
5 502 of these children in year two. .7 and .8 reduced
6 risk but no difference. And in asthma wheezing
7 reduced and no difference.

8 DR. GRIFFIN: Can you tell me just what
9 the age of these children is?

10 DR. MENDELMAN: I'm sorry?

11 DR. GRIFFIN: The age range for this?

12 DR. MENDELMAN: These children are 18
13 months to 18 years of age.

14 DR. FAGGETT: Okay. Are those the same
15 children in year one and two? Is that additional
16 children for year two?

17 DR. MENDELMAN: These are additional
18 children in year one, year two.

19 DR. FAGGETT: So that the 502 would be
20 different children?

21 DR. MENDELMAN: Yes.

22 CHAIRMAN DAUM: Dr. Myers, is your issue
23 about this issue, this very thing, or are you going to
24 start --

25 DR. MYERS: It's related to the Houston

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data, but it's a separate question.

2 CHAIRMAN DAUM: Okay. Let's hear from Dr.
3 Goldberg. Is yours about this very thing? Let's hear
4 that first and then Dr. Myers.

5 DR. GOLDBERG: Just one clarification on
6 this slide. The reference period is the 15 day period
7 pre-vaccination? Is that what you said? I'm sorry,
8 I missed it and it's relevant to --

9 DR. MENDELMAN: It's a good question. The
10 reference period in year one starts on the data -- any
11 child being dosed, which is August 17th of '98 and ran
12 through January 2nd of '99. And then for that
13 individual child who was dosed, the 14 day period
14 after their dosing to the time before they were dosed
15 in those dates all the way through to January 2nd,
16 1999.

17 In year two the dosing started in
18 September, so it's September 13th of '99 through
19 January 13th of 2000. And it's presented in person-
20 months.

21 DR. GOLDBERG: Just a question, though. If
22 the child was vaccinated early in that period, so
23 their reference period would be extremely short. So
24 therefore you would have a bias against -- like if a
25 child was having asthma or wheezing in like a two week

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 period right before, they might not be coming in for
2 a vaccination at that point. So that there's a
3 possibility that you've got some funny population --

4 DR. MENDELMAN: Well, the vaccinations --

5 DR. GOLDBERG: Or am I not understanding
6 your data?

7 DR. MENDELMAN: Yes, the vaccination in
8 both years of the trial ended in December. So going
9 through to January would have collected the additional
10 data.

11 DR. GOLDBERG: That's the post-data.

12 DR. MENDELMAN: Sorry?

13 DR. GOLDBERG: That's post-vaccination
14 data. I'm talking about your pre-vaccination period
15 that you're comparing to. Like for a given child, it
16 could be relatively short or up to almost as long as
17 that whole period?

18 DR. MENDELMAN: Right, most of that would
19 be in the post-vaccination period. That's correct.

20 DR. GOLDBERG: All right. Thanks.

21 CHAIRMAN DAUM: Thank you.

22 Dr. Myers?

23 DR. MENDELMAN: Bob, could Dr. Glezen
24 comment on this?

25 DR. GLEZEN: I'd like to clarify that. Of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 course, if the child only got vaccine on the first day
2 of vaccine administration, we wouldn't have any pre-
3 vaccine period. But if the child got vaccinated two
4 months later, we had all their clinical data starting
5 with the first day of vaccination.

6 So there was no bias that you referred to
7 there, as far as the data goes.

8 DR. GOLDBERG: I'm still confused then by
9 your answer. You're saying your post data is within
10 two weeks after vaccination. So are you saying the
11 reference period includes then stuff after the 14th
12 day post-vaccination as well?

13 DR. GLEZEN: That's right, yes.

14 DR. GOLDBERG: So it's a pre and a post?

15 DR. GLEZEN: Pre and a post.

16 DR. GOLDBERG: Okay. That was totally
17 unclear.

18 DR. GLEZEN: Yes. Yes. Yes. So we have
19 the clinical data for the whole period, our vaccine
20 period which would be the day one the first period got
21 vaccine to 42 days after the last person got vaccine.

22 DR. GOLDBERG: But is there implicit --
23 just let me make sure I understand this.

24 Is implicit in this then that a post-
25 vaccination event is only within those two weeks.

1 DR. GLEZEN: Right. Right.

2 DR. GOLDBERG: Supposing there was a
3 longer term relationship with some of these outcomes
4 then, that would be being called their reference
5 period, is that right?

6 DR. GLEZEN: This data refers to events
7 that occurred zero to 14 days. However, we did look at
8 all events over the entire period to see if there was
9 any clustering, and we did not see any. There was
10 random distribution of events throughout that period
11 of observation.

12 DR. GOLDBERG: Okay. Thank you.

13 DR. GLEZEN: And one other point I wanted
14 to make. The year two data did include some kids
15 who'd had their second dose and kids that had just
16 their first dose. It was about half and half. And
17 we've looked at the same parameters in kids who got
18 their second dose versus those that got their first,
19 and there's no difference.

20 DR. GOLDBERG: Thank you.

21 CHAIRMAN DAUM: Dr. Myers?

22 DR. MYERS: We heard yesterday that
23 obtaining cultures during the first part of the study
24 period, I think it's the first ten days, was
25 discouraged. And I presume that's because of --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Before 14, I believe.

2 DR. MYERS: First fourteen. That's
3 because of expected vaccine shedding. But we also
4 heard a suggestion that those children had a
5 clustering of influenza-like illness and 16 of the 17
6 positive cultures came from Houston.

7 So I guess the question I have is there's
8 16 cultures that were positive in Houston, what's the
9 denominator of the number of cultures that were
10 obtained and could we see the data for the culture
11 positive versus the culture negative, what the symptom
12 clusters were for those children?

13 CHAIRMAN DAUM: Thank you. Who wants to
14 take this question on? Harry?

15 DR. GREENBERG: Paul, the question is
16 about the Houston cultures, and here we are. Would
17 you like to come up?

18 There are a couple of responses to this.
19 Paul, why don't you start out?

20 DR. MENDELMAN: We presented this slide
21 yesterday, and I guess you can't see it any better
22 today. But -- sorry. We made a lot of slides last
23 night. We didn't redo this one.

24 The randomized comparison for the 116
25 children who were cultured in 14 days after dosing in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study AV006 are shown on this slide. So this is the
2 randomized comparison. There's 78 FluMist recipients
3 and 38 placebo recipients. And then just moving down
4 the events, the symptom complexes that CBER requested
5 that we provided were if they had any of the three
6 reactogenicity events, 48 percent of these FluMist
7 recipients and 50 percent of the placebo recipients
8 had at least three events on one day.

9 Looking at another definition of
10 temperature greater than 100 degrees, cough with runny
11 nose or nasal congestion, 9.1 percent in the FluMist,
12 7.9 percent in the placebo group.

13 And the CDC-ILI definition 19.5 percent in
14 the FluMist and 21.1 percent in the placebo group.

15 And then these are the actual event that
16 these events were complexed from. And maybe you can't
17 see, I'll just read them.

18 The runny nose, 79.2, 71.1. Sore throat
19 27 percent versus 18 percent. Irritability 36 percent
20 versus 47 percent. Headache 14 percent versus 7.9.
21 Chills 11.7 versus 10.5. Muscle aches 10.4 versus
22 5.3. And decreased activity 31 percent versus 13.2.
23 And temperature greater than 100 33.8 versus 23.7 and
24 a higher set point of 102 7.8 versus 7.9.

25 Now, in the data presented also to you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 yesterday was the culture positives for children who
2 were sick who got cultured compared to the culture
3 negative FluMist recipients who also were sick, but
4 their cultures didn't happen to be positive. And I
5 think it becomes a statistical as well as a clinical
6 issue. And if Dr. Wittes could comment on the
7 statistical, I think that might help.

8 Thank you.

9 DR. WITTES: And I even have a voice
10 today, not my usual voice but one better before.

11 I think what you saw yesterday was a
12 split. The FDA's presentation took the FluMist and
13 split it into two groups; those who had positive
14 shedding and those who had negative, and that was the
15 nature of the comparison you saw.

16 That comparison, of course, is inherently
17 problematic because it doesn't compare the two
18 randomized groups, which is the FluMist versus
19 placebo. And there's no way that you can identify
20 within the group -- if you look at that placebo
21 column, there's no way of being able to tell who in
22 the placebo group would have shed had they been given
23 the vaccine. So there's a selection problem in the
24 comparison, I believe in the comparison that you saw
25 yesterday.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Any variable that is confounded -- that's
2 related to both shedding and to the symptoms will get
3 confounded in the split that you saw yesterday. So we
4 think you need to look at the two groups as they were
5 randomized.

6 CHAIRMAN DAUM: Thank you very much.

7 I'd like to hear from Dr. Mink on this
8 issue as well, and then we'll call on Dr. Edwards.

9 DR. MINK: Okay. I can try to answer both
10 of your questions with our perspective, Dr. Myers.

11 First we mentioned there was this many
12 cultures -- there were 17 FluMist recipients who had
13 18 positive cultures. 16 of those 17 subjects were
14 from Houston. Culturing was discouraged in the first
15 11 days of the protocol. After 11 days it became part
16 of the efficacy surveillance.

17 And kids who had illness, there were
18 illness criteria stated in the protocol to bring them
19 in for evaluation for cultures.

20 So these 16 kids at Houston in the first--
21 it actually turns out to be the first 11 days were
22 brought in for culturing.

23 So we looked at the total number of
24 cultures obtained at Houston. 31 out of 144 FluMist
25 recipients were brought in. Of those 31, 16 were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 culture positive. 31 who were ill FluMist recipients
2 at Houston.

3 There were also 13 ill placebo recipients
4 at Houston. So the culturing rate between the groups
5 was about the same percentage.

6 We presented the data to you like this,
7 acknowledging the statistical possibility of
8 confounding. We weren't looking to compare FluMist
9 and placebo. We were looking to compare who was ill
10 that grew cold-adapted virus and who was ill that
11 could have grown something else.

12 As Dr. Mendelman mentioned yesterday, I
13 think it was -- you don't have data for what the other
14 kids may have grown.

15 To be complete, we presented the placebo
16 data. 36 of 38 subjects were negative. Two are coded
17 in our database as other, but we presumed that's
18 negative for CAIV. These kids shouldn't be shedding
19 CAIV theoretically because they weren't given CAIV.

20 So in looking at who was ill and of those
21 ill people who shed virus and who didn't shed CAIV and
22 what their illness profiles. Okay?

23 Of these kids who were ill with shedding
24 virus, 70 percent of them had at least three RE events
25 on the same day. 41 percent of them compared to 13

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 percent of them met CDC influenza-like illness
2 criteria. 100 percent of them had runny nose. And
3 70.6 percent of them had a 1.6 fever or greater. We
4 have not performed statistical comparisons on these.
5 These are just to present to you the illness profiles
6 of these subjects.

7 With help from Aviron in gathering the
8 data and this analysis is also ongoing, we present the
9 negative subjects and the placebo subjects, and you
10 can see that they're fairly comparable for those who
11 didn't grow a cold-adapted viral strain.

12 Dr. Greenberg, did you want --

13 CHAIRMAN DAUM: Thank you very much.

14 Other Committee comment. Dr. Edwards is
15 first.

16 DR. EDWARDS: I wanted to ask whether
17 those samples may have been saved and whether those
18 samples from all the vaccine recipients and the
19 placebos might be looked at for RSV or PCRed for RSV,
20 because I think it would be helpful?

21 DR. MENDELMAN: The simple answer is, Dr.
22 Edwards, that all 5,000 cultures taken across both
23 years of the trial are in the freezer.

24 DR. EDWARDS: So you can answer that from
25 the original nasal sample?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. MENDELMAN: Correct.

2 CHAIRMAN DAUM: Dr. Edwards, can I flush
3 you out a little bit out that, though? I think it's
4 a very important point.

5 It seems like some children who get this
6 vaccine may get a flu-like illness. And I agree --
7 are you suggesting that maybe it wasn't and it was
8 confounded by some other virus circulating?

9 DR. EDWARDS: Well, I think if you do
10 cultures on patients who have been given cold-adapted
11 vaccine, you're going to grow cold-adapted vaccine.
12 And I think what's confounding this issue,
13 particularly as it relates to pneumonia, is that for
14 those of us who do these flu studies or have in the
15 past, you get the vaccine late, you're hurrying to get
16 all these kids immunized before flu comes. And,
17 unfortunately, RS comes before flu comes. So you have
18 co-circulating viruses. And I think it would be
19 helpful to shed if is there a pneumonia problem from
20 cold-adapted vaccine?

21 I mean, there are going to be kids who
22 have reactions to -- I mean, who have runny nose and
23 some low grade fever from the cold-adapted vaccine.
24 But the issue of whether we have lower tract disease
25 I think is a very important one. And I really think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that it's incumbent that those samples be gotten out
2 and PCR'd to find out if there is a component of
3 pneumonia, it may very well be RSV and not flu.

4 DR. MINK: I'd like to answer. The study
5 AV006 was initiated in August and enrollment was
6 completed in November. The Houston site, it's my
7 understanding and recollection, was primarily done at
8 the end of October and early November. So it was a
9 little bit later than some of the other study sites.
10 But most of the 14 day or 11 day post-vaccination
11 would have been completed for those kids, I would
12 presume, by the end of November at the very latest.

13 DR. EDWARDS: Could we have a comment from
14 Houston about when the RSV season was then? I mean,
15 do you remember that or -- I mean, I think it's
16 basically kind of relevant, though, because I think
17 one big problem is our discomfort with the pneumonia
18 issue.

19 DR. GREENBERG: Bob?

20 CHAIRMAN DAUM: We want to deal with this
21 question.

22 DR. GLEZEN: Okay.

23 CHAIRMAN DAUM: Dr. Glezen, are you able?

24 DR. GLEZEN: I can just speak in general
25 terms about this. Tony Piedra was the PI of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Houston contribution to this, and I don't have any of
2 the data.

3 I can tell you that RSV virus isolated in
4 Houston every month of the year. And the epidemics
5 have been occurring earlier and have been very severe.
6 This past year was the lightest year that we've had in
7 quite a while. But we have surveillance data from
8 Texas Children's Hospital, does a lot of antigenic
9 detection.

10 So RS is definitely regularly present in
11 August. And in October it's going to be very active.

12 Parainfluenza, of course, is every other
13 year, whatever. But we also see parainfluenza at the
14 same time.

15 And my recollection is that there are
16 other viruses isolated from these same specimens, but
17 I don't have the data. So we need to dig that up.

18 CHAIRMAN DAUM: Okay. It's getting close
19 to lunchtime.

20 Harry, did you want to speak to this
21 issue?

22 DR. GREENBERG: The pneumonia issue, which
23 I think Kathy Edwards absolutely and Aviron takes very
24 seriously, I just want to clarify in my own mind. We
25 take great comfort in this very randomized placebo

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 controlled data that Dr. Black just presented to you
2 and the very clear temporal data that he presented
3 showing that both in the FluMist recipients and in the
4 placebo mist recipients pneumonia did not cluster in
5 anyway temporally. It was equally matched and
6 occurred throughout the period giving no indication in
7 a very large trial that pneumonia was not an issue.

8 I may have totally missed the boat here.
9 I don't think Dr. Mink is talking about pneumonia.

10 DR. MINK: The 16 subjects in Houston, I
11 just presented the influenza-like illness profiles of
12 them. There was some subject who had pneumonia who
13 was associated with a positive culture, but only that
14 one subject for pneumonia out of those 16. So I can't
15 make a comment about pneumonia other than that.

16 CHAIRMAN DAUM: Thank you.

17 I'd like to at this moment call on Dr.
18 Goldberg for a final comment. Question about this
19 very issue.

20 DR. GOLDBERG: Not about this issue.
21 Something else.

22 CHAIRMAN DAUM: Can you hold it then?
23 What I'd like to do is take a lunch break at this
24 time. 50 minutes in duration and reassemble at 1:05
25 here and finish this safety discussion. And Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Goldberg we'll start with your comment.

2 (Whereupon, at 12:17 p.m. the Committee
3 adjourned to reconvene this same day at 1:14 p.m.)
4
5
6
7
8

9 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

10 1:14 p.m.

11 CHAIRMAN DAUM: Today is an airplane day,
12 so we're most anxious to get started, lest we not be
13 able to finish.

14 There are a couple of housekeeping issues
15 or leftovers from this morning.

16 The first one is to reiterate the
17 Committee vote on question 1(a). The vote was 8 yes
18 and 7 no. Of the 7 no, 5 qualified their no. Two
19 individuals said they would have voted yes if the
20 question were phrased from 2 to 17 years. And three
21 more individuals would have said yes if the question
22 were phrased from 15 months to 17 years. So that is
23 the correct and checked vote.

24 On the question 1(b) 13 Committee members
25 voted yes and 2 voted no, and there were not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 qualifications in that regard on that issue.

2 So I hope that's helpful and clarifies one
3 thing that people seem confused about.

4 A second thing people seemed confused
5 about were the data regarding asthma. And I'd like to
6 call on Dr. Black to just literally take less than 2
7 minutes, as he's promised, and try and explain to us
8 what I at least misunderstood this morning, and maybe
9 others did as well. Dr. Black?

10 DR. BLACK: Okay. I apologize, but I'll
11 try again.

12 Basically these are the original results
13 that we had with 6 cases in the FluMist group and zero
14 on the placebo group in the initial and then interim
15 analysis dataset.

16 Next slide, please. What we did then is
17 to go back and look using the entire dataset and asked
18 a different question. The original question was for
19 all children in the study how many had a visit for
20 asthma in the FluMist group as compared with the
21 placebo group. The question we then tried to ask is
22 of the children who had a prior diagnoses of asthma in
23 the population, which is a subset of the total
24 population, how many of those had a visit for asthma
25 following receipt of vaccine. And to do that we went

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 back to January 1st of 1998 to the first dose for each
2 child and looked in their electronic medical record
3 and asked did a doctor assign a diagnoses of asthma to
4 this child either in the clinic, the ER or the
5 hospital.

6 In so doing we identified, as you can see
7 here, 852 children out of the total, about 8 percent
8 or so, who actually had a prior-dose diagnoses of
9 asthma in the population.

10 And then we then asked for those children
11 what was the risk of a visit for asthma following
12 receipt of FluMist vaccine for either dose one or dose
13 two. And, as you can see, for dose one for example,
14 the risk of a visit for asthma following receipt of
15 FluMist was essentially equal for the placebo and the
16 FluMist group. And for dose two the point estimate is
17 1.5, but again there was no statistically significant
18 difference.

19 And on the next slide what we then did is
20 go back to the original age group where we had
21 initially identified this problem and again asked how
22 many children had a prior diagnoses of asthma here and
23 then subsequently had a visit for asthma following
24 receipt of FluMist. And I guess the easiest thing to
25 look at here, for example, in the clinic for both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 doses combined you can see that the risk ratio was
2 3.8; that is children who had a prior history of
3 asthma before receipt of FluMist were 3.8 times more
4 likely to have a visit for asthma following receipt of
5 FluMist than the controls. And that was statistically
6 significant.

7 Is that clear?

8 CHAIRMAN DAUM: So can I try and rephrase
9 it and see if it's to your liking? That you didn't
10 intend to enroll any asthmatics in this study, but of
11 those that managed to get in without you really
12 realizing that they had asthma, there was a higher
13 incidence of some kind of asthmatic episode among
14 FluMist recipients?

15 DR. BLACK: Following receipt of vaccine
16 for the 18 to 35 month olds. And we used a different
17 ascertainment method here. For the entry into the
18 trial we asked the parent. To determine this cohort,
19 we actually looked at the electronic data.

20 CHAIRMAN DAUM: Thank you very much.
21 That's extremely helpful and we'll take questions on
22 this now. Dr. Edwards, Dr. Steinhoff, Dr. Katz?

23 DR. EDWARDS: Was that seen in any other
24 age groups or you didn't do that because you didn't
25 notice there was a difference in that age group --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BLACK: Go back one side. I mean, if
2 you look overall, we don't see anything.

3 DR. EDWARDS: Okay.

4 DR. BLACK: And you have to remember that
5 the numerators are very similar to the numerators in
6 the initial analysis, because it's the same 42 day
7 window following receipt of vaccine. The only thing
8 we saw, the initial elevated risk was, and it was in
9 the 18 to 35 month old. And, in fact, some of the
10 events that we're seeing here are the same events that
11 we saw in the prior analysis. So it's not an
12 independent collaboration of the initial observation,
13 but basically it's answering a different question.
14 And I wanted to make sure the Committee understood
15 what that question was.

16 CHAIRMAN DAUM: Thank you so much, Steve.

17 Dr. Steinhoff, is it about this?

18 DR. STEINHOFF: Yes.

19 CHAIRMAN DAUM: Please.

20 DR. STEINHOFF: It's almost the same
21 question that Kathy just asked. The original data you
22 presented that this asthma association in your
23 prospective study was only seen in this age group,
24 correct?

25 DR. BLACK: Correct.

1 DR. STEINHOFF: And that's why you've done
2 all these other analyses in that group?

3 DR. BLACK: Yes. And the reason we
4 focused on this age group for this follow-up analysis
5 is because that's where we saw the -- the only place
6 we saw the observation in the initial analysis.

7 CHAIRMAN DAUM: Okay. And we have Dr.
8 Katz.

9 MR. KATZ: In this notebook that we
10 received in advance, pages 137 to 140, two studies are
11 described in asthmatic children. 7.8.1.3
12 reactogenicity in participants with asthma. And,
13 again, the numbers are small but what it says
14 basically is that two out of 47 asthmatics who got
15 FluMist allegedly had asthma, one out of 37 placebos
16 allegedly had asthma.

17 And then the next study is again somewhat
18 similar. And these are older children, I think, if I
19 understand correctly. It says 9 to 17 years and 16 to
20 24 years.

21 Is this the right book, Nancy?

22 MS. CHERRY: No, I'm just looking at what
23 page you're on.

24 MR. KATZ: I'm on pages 137, 138 and 139
25 and 140. The table numbers are 76, 77, 78. Yes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that's it. And 75:

2 DR. BLACK: Bob, while they're looking for
3 that, can I just comment on thing?

4 We have limited power to assess older ages
5 because by the time the children get older, they're
6 more likely to actually have the parent be aware that
7 they actually do have asthma, and the numbers are
8 smaller.

9 And also, the asthmatics that are here,
10 although we can't verify this, I think are likely to
11 be milder asthmatics. Because, again, if they were
12 sicker I think that both the physician and the parents
13 would be more aware of it as well.

14 MR. KATZ: In these tables I don't think
15 they show what is written in the text, which is a very
16 -- they're small numbers. But there are two with
17 asthma in the FluMist, one in the placebo. And in the
18 other study it's somewhat similar.

19 And I just wondered, are these the same
20 children or are these different studies?

21 DR. MENDELMAN: These are children in --
22 I'm sorry, these are participants in Aviron trials.
23 These are not participants in the Kaiser trial.

24 MR. KATZ: Okay.

25 DR. MENDELMAN: So study 10 was the study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in 9 to 17 year olds, 48 children with moderate to
2 severe asthma based on the NHLBI guidelines.
3 Randomized one to one doing a single dose of FluMist
4 or placebo. And study 9 is the healthy working adult
5 effectiveness trial where 36 of these adults got into
6 the trial because their physicians didn't tell them
7 they should get the flu shots they could be in a
8 placebo controlled trial.

9 And you're right, in this group of 24
10 there were two exacerbations, that's 8 percent, within
11 three days of getting FluMist. In this group there was
12 zero out of 24. The sample size is limited, that's
13 not statistically different, but those are the
14 numbers. They were treated as outpatients.

15 In this group there were two exacerbations
16 of 23, so that's about 10 percent. And there was one
17 exacerbation of this 13 placebo recipients in AV009.

18 CHAIRMAN DAUM: Okay. Thank you very
19 much.

20 I think Dr. Goldberg is first up with new
21 items. So, before you start, I'd like to just ask
22 Committee members to remind them that this is question
23 2, which has to do with safety. And so what we really
24 want are people to pick out issues before we have the
25 question that they need clarification on or want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discuss, or just want to make a comment on. And we
2 will do that.

3 Dr. Goldberg and then Dr. Cox and Kohl.

4 DR. GOLDBERG: Some data were presented on
5 the contacts of FluMist vaccinated subjects, and I
6 can't find them in my notes. Could you put that up
7 again? There was a contact study that was described.
8 Thank you. Pardon?

9 DR. GREENBERG: Are we talking about
10 transmission study, is that correct?

11 DR. GOLDBERG: Yes. Yes. I didn't
12 remember the number and I'm having trouble locating
13 it.

14 DR. GOLDBERG: Yes. And you're asking
15 about the slide that you saw?

16 DR. GOLDBERG: I'd like to see the slide
17 that was shown yesterday. Thank you.

18 DR. GOLDBERG: It's Paul's primary. She
19 asked to put it up again, the slide. Next slide.

20 Is this the one that you were looking for?

21 DR. GOLDBERG: There was a cross
22 tabulation that you presented.

23 DR. GREENBERG: I'm blanking. This is the
24 slide on the transmission study.

25 CHAIRMAN DAUM: Is this a time to have Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Zamb get ready to show us the sequence of the shed
2 virus, which I would like to see?

3 DR. GREENBERG: I think what Dr. Zamb can
4 do is actually, to save time, is to tell you the
5 results very quickly.

6 CHAIRMAN DAUM: Outstanding.

7 DR. GREENBERG: Using words.

8 CHAIRMAN DAUM: Words are good.

9 DR. ZAMB: Good afternoon. My name is Tim
10 Zamb, I'm from Viral Vaccines Research of Wyeth
11 Lederle Vaccines.

12 I have spent a fair bit of time looking at
13 the genetic stability of FluMist by doing extensive
14 genome sequencing analysis. And we focused on the
15 trial that the slide was just presented with respect
16 to the Finish horizontal transmission study that was
17 conducted in the 1999/2000 flu season.

18 CHAIRMAN DAUM: Could you take a minute,
19 Dr. Zamb, and just raise the microphone so we're sure
20 we don't miss your words.

21 DR. ZAMB: So what in fact we did was to
22 evaluate the genetic stability of these vaccines
23 following administration to individuals in this study.
24 And we used three criteria in order to select them.

25 One was, in fact, based on the evaluations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the Finish clinical lab with respect to the
2 presence of influenza virus in the samples. This was
3 done by standard virologic culture.

4 The second criterion we used was that we
5 attempted to look at those that appeared to be single
6 virus, vaxima virus rather than mixtures simply to
7 allow us to do sequencing much more efficiently.

8 And the third is that we were looking for
9 optimized or maximize the potential effect of finding
10 misincorporations. So what we did was to tend to take
11 samples that occurred later after vaccination than
12 earlier.

13 We attempted to sequence 60 independent
14 genomes with respect to having 20 genome
15 representatives for each of the vaxima viruses that
16 were present in the trial in formulation. That's the
17 A/Sydney, A/Beijing and B/Harbin-like virus. And, in
18 fact, we did see, as we would expect a few nucleotide
19 misincorporations in some of these viruses. We saw
20 misincorporations that ranged from zero to 6 per
21 genome with an average of two misincorporations per
22 genome.

23 With respect to that potential
24 transmission case, we in fact did sequence that virus
25 and found, again, three nucleotide misincorporations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in that vaccine virus that was recovered from the
2 placebo recipient.

3 An identical pattern of misincorporation
4 was found in a vaccinal virus from a vaccinee that
5 shed that virus 5 day previously to the potential
6 transmitter -- the vaccinal virus was shed on the 25th
7 of February, the placebo recipient shed that same
8 marked virus on the 1st of March, separating those two
9 events by five days.

10 CHAIRMAN DAUM: And you conclude from
11 that?

12 DR. ZAMB: That the transmission is a
13 likely event and that that B virus was transmitted
14 from that one patient, one subject, that was in the
15 vaccine group and transmitted to one of the placebo
16 recipients. However, I must state that all of this
17 analysis was done on culture amplified virus. In fact,
18 what happened i the Finland in the clinical lab was
19 that the swabs were taken and amplified in an MDCK
20 cells. Those amplified products were then sent to
21 Aviron for further subtyping, and we received those
22 amplification products for sequencing from them.

23 CHAIRMAN DAUM: Thank you very much. Are
24 those data in the BLA?

25 DR. ZAMB: No, they're not. These are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recent data. We're generating them now and we're not
2 finished with our analysis.

3 CHAIRMAN DAUM: Thank you.

4 Dr. Cox?

5 DR. ZAMB: One additional key point is
6 that --

7 CHAIRMAN DAUM: Please be brief.

8 DR. ZAMB: Sure thing.

9 One additional key point is that the
10 phenotypes of all these viruses were as expected,
11 cold-adapted and temperature sensitive.

12 In addition, any of the misincorporations
13 that were found in these clinical isolates were not
14 associated with those loci thought to be the cause of
15 the cold-adapted attenuation and temperature sensitive
16 phenotypes.

17 In addition, that there was no increased
18 pathogenicity associated with any of these viruses in
19 the children that shed them.

20 CHAIRMAN DAUM: Pass received. Dr. Cox?

21 DR. COX: Most of my questions with regard
22 to that particular instance have been answered now.

23 I guess the only additional question would
24 be how many of the three nucleotide changes were also
25 coding changes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ZAMB: They were all coding changes.

2 DR. COX: All coding changes?

3 DR. ZAMB: Yes.

4 DR. COX: Okay. And do I understand it
5 correctly that that virus was put back into ferrets
6 and determined to be attenuated?

7 DR. ZAMB: No, they weren't. They were
8 cold-adapted and temperature sensitive.

9 DR. COX: In tissue culture?

10 DR. ZAMB: That's correct.

11 DR. COX: Okay.

12 DR. ZAMB: And the child who shed that
13 virus did not express any unexpected symptoms. So
14 it's apparently -- I mean, its attenuated phenotype is
15 apparently maintained in the individual who shed that
16 virus.

17 DR. MURPHY: Do you have serological data?

18 DR. ZAMB: There weren't any --

19 CHAIRMAN DAUM: Excuse me. I didn't
20 recognize the speaker. Who spoke? No, I'm sorry, Dr.
21 Murphy. We can't do that.

22 Thank you very much, Dr. Zamb.

23 DR. ZAMB: Sure.

24 CHAIRMAN DAUM: Dr. Kohl, please?

25 DR. KOHL: I could ask Dr. Murphy's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question, couldn't I? Do we have any serology data?

2 CHAIRMAN DAUM: That would be nice.

3 DR. KOHL: That'll be 5 bucks, Dr. Murphy.
4 That's a joke, for the record.

5 So, my first question was was there any
6 serology on those patients. And the second question
7 was does Dr. Black have temporal data on the patients
8 with the asthmatic exacerbations?

9 DR. ZAMB: With respect to the Finish
10 trial, there weren't any blood samples taken. It's
11 rather difficult in Europe now to conduct a clinical
12 trial, especially on children that requires blood
13 sampling.

14 CHAIRMAN DAUM: Second question.

15 DR. GREENBERG: We do and we are calling
16 it up.

17 CHAIRMAN DAUM: George has a lot of
18 helpers in the afternoon.

19 DR. GREENBERG: George has a lot of
20 slides.

21 CHAIRMAN DAUM: While he's calling it up,
22 I'll say that I marvel at the dexterity with which
23 both FDA and sponsor have been able to produce data on
24 demand having no idea what question we're going to ask
25 next. And so we thank you for that. It makes the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 discussion of high quality.

2 DR. BLACK: Yes. It's actually a shame you
3 said that, because we don't have a graph. What we
4 have, this is the graph that I showed you before on
5 the initial data set of the six children who did have
6 asthma following receipt of vaccine. We've not
7 graphed the other children from the other analysis.
8 We could, but we have not yet.

9 CHAIRMAN DAUM: Thank you very much.

10 Dr. Kohl, are you all set? Okay. I have
11 you here.

12 Other Committee discussion? Dr. Edwards
13 and Dr. Stephens, Dr. Schild.

14 DR. EDWARDS: I did want to just go back
15 to the slide number 70 from the FDA yesterday where
16 there appeared a line listing of pediatric pneumonia
17 cases. And granted, this is still a work in progress,
18 but I wondered if there was a possibility to shed any
19 additional light on any of those cases or to give us
20 a frame of reference to compare this study 006 and the
21 one 009 in terms of relative risks of pneumonia.
22 Because I think this is an issue that I'm sort of
23 grappling with. Do we have adequate data that would
24 address the safety?

25 Certainly Steve's study is large, and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have that data. But what about the other cases, and
2 maybe the FDA would like to comment on that.

3 DR. MINK: This is the pediatric pneumonia
4 cases that we've identified so far in our review. As
5 I've discussed, it's ongoing.

6 We have not totaled the cases in this
7 column intentionally. There are varying age groups,
8 varying times of follow-up and varying monitoring. So
9 there is no here number on purpose.

10 What this is to show you is that we have
11 so far identified 37 cases that were pediatric age
12 group, okay? This is not a two to one randomization
13 of FluMist to placebo. These are just the cases that
14 we've identified so far in FluMist and the cases that
15 we've identified so far in placebo.

16 For denominators, we have that for AV006.
17 This is a study that we mentioned before was
18 enrollment began in August and continued through
19 November. These kids are 15 to 71 months of age. In
20 that context less than 21 days the relative risk was
21 1.98 with these confidence intervals of .36 to 24.78.

22 We have also provided relative risk for
23 study AV019, which is similar to those presented by
24 the sponsor. This is children from 1 to 17 years.
25 This study was performed starting in October and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have data through December 31st -- or maybe April
2 30th, I can't remember for sure. But I think it was
3 December 31st in the interim analysis for this.

4 From 1 to 17 year olds who received
5 FluMist from October through the end of December, the
6 relative risk was .83 with the confidence intervals of
7 .3 and 2.28 showing for pneumonia is less than 21
8 days. Okay?

9 We can't give you a percentage here, nor
10 do we mean to imply that there is twice as many in the
11 FluMist group than the placebo groups. These are just
12 the studies in which we've been able to look for
13 cases. And in those studies we found 37 and 12.
14 These don't even have placebo groups, so you can't
15 compare them. Okay?

16 CHAIRMAN DAUM: So what is your conclusion
17 from that?

18 DR. MINK: My conclusion in AV006 is
19 there's a signal and we need to understand more. In
20 AV019 there's not a signal and we need to understand
21 more. But, like I emphasized, it's different follow-
22 up, different analysis -- I'm sorry. Different age
23 groups. And Aviron is working with us to finish this
24 analysis.

25 CHAIRMAN DAUM: Are these data on this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slide regarding pneumonia in the BLA?

2 DR. MINK: That's what I'm showing you.
3 This is all I have in the BLA. But the data for
4 pneumonia wasn't submitted summarized. We've been
5 doing a search for it. And this doesn't include
6 bronchitis and bronchiolitis, which could be coded
7 very differently. We don't have predefined
8 definitions of pneumonia.

9 Like in 019 it's ICD09 codes that the
10 caregiver is giving. In AV112 year one it's line
11 listings from some of the parents. In AV06 there's a
12 combination of how the pneumonia is being identified.

13 This is a lot of differences put together.

14 CHAIRMAN DAUM: Dr. Katz and Dr. Geber.

15 MR. KATZ: I think she just answered my
16 question. It doesn't mean a positive chest film. It
17 doesn't mean a positive blood culture. It just means
18 somebody wrote down pneumonia.

19 DR. MINK: A healthcare provider.

20 CHAIRMAN DAUM: Dr. Geber, please.

21 DR. GEBER: No, I think that that's all.
22 I think that for some of these studies that are listed
23 here, we don't have complete study reports yet. And
24 we did receive some case report forms, I believe, from
25 all of these subjects last week. So while the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information will be available and we will be able to
2 identify -- I think the point that we're trying to
3 make is that in our review of study AV006 for year
4 one, when we looked at pneumonia, these are the cases
5 that we found, although they hadn't been summarized.

6 And then the next point we're trying to
7 make is that there are a lot of outstanding data to
8 us, much that the sponsor has presented, some which
9 have been submitted but have been submitted in
10 subsequent submissions after October and are not
11 completely reviewed by us.

12 So, I think our review is incomplete and
13 we can't draw yet any conclusions one way or the other
14 about pneumonia at this point. And we recognize that
15 the sponsor will work with us to provide additional
16 data, but we don't have those data just yet.

17 CHAIRMAN DAUM: Thank you, Dr. Geber.

18 Dr. Greenberg, you wanted to make a
19 comment about pneumonia.

20 DR. GREENBERG: I totally agree that we
21 will continue to work with the FDA to define these
22 issues with great clarity.

23 I would only take slight issue with saying
24 that they detected a signal when there is no
25 statistical association really of a signal. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think we need to look carefully at all the data and
2 what you have seen is a very large trial from Kaiser,
3 controlled, randomized, double blind placebo control.
4 And I just want to remind you, although you've heard
5 it several times, that there's no increase in
6 pneumonia and there's no clustering temporally of
7 pneumonia. And I won't show you the data, but from
8 the cases AV012 in those two years there is also no
9 time clustering of those cases vis-à-vis the receipt
10 of FluMist.

11 The FDA will evaluate that as they need to
12 independently, but I think that will give them
13 confidence that there isn't a signal there as well.

14 CHAIRMAN DAUM: Thank you very much. I'd
15 like to return to the Committee at this point and see
16 whether here are other safety issues that we want
17 clarified or discussed in some more depth, or data
18 from our FDA or sponsored colleagues.

19 Dr. Stephens?

20 DR. STEPHENS: My question concerns the
21 allantoic fluid "placebo" or diluent. And I don't
22 think we've discussed that enough, at least in my
23 view.

24 Is there any data from the manufacturer on
25 just the "placebo" or the allantoic fluid in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 comparison was saline or a different placebo? Because
2 I guess my one concern I have is the reactogenicity of
3 that material and we're constantly referring to it as
4 a placebo when in essence in may not be.

5 DR. GREENBERG: Let me give you one piece
6 of data which may or may not satisfy your curiosity.
7 So when these questions were raised last night, what
8 we did is look at upper respiratory tract infection in
9 the Kaiser study in the placebos. Why don't we go to
10 that one first.

11 And reasoned that if there was
12 reactogenicity in the upper respiratory tract you
13 would see it early after the receipt placebo and it
14 would fall off. And this is the URI coding by day in
15 the Kaiser study across the 42 day window. And at
16 least by my analysis, there is no -- it does not look
17 like there is increased reactogenicity temporally
18 associated with giving placebo. Now, this isn't
19 controlled, this is just looking at placebo over time;
20 that's the control.

21 DR. MINK: These are kids that seek
22 medical attention or have an SAE?

23 DR. GREENBERG: Yes. No, these are people
24 who in anyway, as Dr. Black mentioned, have an MAE or
25 coded in the Kaiser study for the diagnoses of URI.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: I think you're both saying
2 the same thing.

3 Dr. Myers and then Dr. Snider.

4 DR. MYERS: On the same issue in the adult
5 study runny nose is reported in 26 percent of the
6 allantoic control group. Have you done a similar
7 analysis?

8 DR. GREENBERG: Yes, we have, Dr. Myers.
9 You've never been a straight man for me
10 before so -- and will never be again.

11 So if you look here, this just the 7 day
12 reactogenicity period. The placebo runny nose really
13 doesn't change over time. And, again, my conclusion
14 from this data is that this is not reactogenicity. I
15 would expect reactogenicity due to an irritant, a
16 nonreplicating irritant of some form or another to be
17 higher temporally clustered with the time of
18 administration. And so I respectfully differ with the
19 FDA as to whether there is evidence of reactogenicity
20 with the allantoic fluid.

21 CHAIRMAN DAUM: Thank you, Dr. Greenberg.

22 Dr. Snider, and Ms. Fisher, then Dr. Cox.

23 DR. SNIDER: On that same point, Harry,
24 then I have trouble understanding page 10 of Mr.
25 Mendelman's presentation on safety in children in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which it shows percent with runny nose, nasal
2 congestion day zero running around a little above 10
3 percent. Then both groups having an increase in the
4 next few days and then coming back down.

5 DR. GOLDBERG: Bob Belshe I think did a
6 great job. I am not a pediatrician, I'm an internist,
7 but I am a parent and I do remember -- well, I'm not
8 going to use the aphorism for these kids. But I do
9 remember when my kids were little. But, Bob, say it
10 again because you say it better than I do.

11 DR. BELSHE: Okay. These children, and
12 this is the dataset that includes AV006 and -- all
13 integrated data. The AV006 dataset looked exactly
14 like this that's reported in the New England Journal.

15 On day zero children are enrolled who do
16 not have runny noses at time zero. Now, ten percent
17 of the mothers check runny nose later that day on the
18 case report form. And on day 1 and day 2 and so forth
19 it's around 20 percent and it stays fairly level at 20
20 percent of the duration of the study.

21 So what we're seeing here is a return to
22 the mean of children, a typical child about 20 percent
23 will have a runny nose on any given day. That's what
24 we're showing.

25 CHAIRMAN DAUM: Thank you, Dr. Belshe.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SNIDER: Just to further clarify.
2 Then what you're saying is that the other ten percent
3 were screened out the first day?

4 DR. BELSHE: That's right. If they had
5 significant runny nose -- if they had runny nose
6 detected by the study nurse, they weren't vaccinated.

7 CHAIRMAN DAUM: Thank you very much.

8 Ms. Fisher?

9 MS. FISHER: Yes. Encephalitis and
10 encephalopathy are known rare, although rare reactions
11 after vaccination. And certainly Guillain Barrè
12 Syndrome has been associated with at least swine flu
13 vaccine.

14 I was wondering if you think that the
15 numbers are not large enough to detect the occurrence
16 of encephalitis and encephalopathy, Guillain-Barrè
17 Syndrome, polynephritis after this vaccine? And if
18 you don't think the numbers are large enough, how
19 large they would have to be to perhaps detect that?

20 DR. GREENBERG: I don't have the size
21 calculation on the top of my head, and I'm surrounded
22 by epidemiologists, so I'm anxious about this.

23 Taking Guillain-Barrè as one of the
24 examples, I would imagine you're going to need immense
25 databases to rule out an association of Guillain-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Barrè. I mean, literally the country because the
2 rate of Guillain-Barrè with influenza is small enough.
3 So it's something like that. It's really huge.

4 Dr. Mendelman whose better at this than
5 me, says about one in a million.

6 CHAIRMAN DAUM: Thank you.

7 Dr. Cox?

8 DR. COX: Yes. I wanted to bring up an
9 issue that's related to the transmissibility of the
10 vaccine virus, and it has to do with the inadvertent
11 exposure of immunocompromised individuals, and in
12 particular severely immunocompromised individuals to
13 the vaccine.

14 And I just would like to make a comment to
15 say that influenza can, indeed, be very serious
16 disease in bone marrow transplant recipients and
17 others who are severely immunocompromised. There are
18 fairly high rates of mortality and hospitalization and
19 serious disease. So this is something that we would
20 need to be concerned about.

21 And so I'm just wondering if there is any
22 way to address this to screen people who are receiving
23 the vaccine very carefully, and so on, or if there are
24 any studies that might bare on this particular
25 concern?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Hear from the sponsor
2 and/or FDA on that question.

3 DR. GREENBERG: Dr. Mendelman presented to
4 you the two small studies in HIV patients, patients
5 infected with the HIV virus. And as you're aware, we
6 do not have any studies of safety of FluMist in
7 severely immunocompromised people such as somebody who
8 has just had a bone marrow transplant. I think that's
9 a factual answer.

10 Obviously, prevention of wild-type
11 influenza is of great benefit and prevention of wild-
12 type influenza in the family of people having bone
13 marrow transplantation would of great benefit.

14 And in the one case of transmission that
15 we had, I would remind you that the virus had the
16 phenotype of the original vaccine and was associated
17 with no change in the child and was associated with no
18 illness different than the other people in that study.

19 CHAIRMAN DAUM: Thank you.

20 Dr. Schild, please.

21 DR. SCHILD: Mr. Chairman, I'd like to
22 share with you some thoughts on genetics in
23 relationship to safety and transmissibility.

24 I mean the given information is that the
25 cold-adapted phenotype is conveyed by four of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 genes PA, PB 1 and 2 and M.

2 We've quite a lot of interesting
3 information about the identification of the lesions in
4 those genes related to the phenotype. However, that
5 information is based on sort of consensus sequence
6 data. And there are now new methods of very rapid
7 analysis of viral populations. Polio virus is a very
8 good paradigm for that. There are now routine methods
9 developed greatly in this particular study for
10 analyzing populations of live attenuated polio vaccine
11 which can pick up a very small proportion of the
12 particles which show nucleotide changes that might
13 make them likely to revert to virulence.

14 So the question is how much have
15 population genetics been applied to these vaccines?
16 The sort of question one would like to answer is for
17 any vaccine bulk population, how many of the particles
18 contain all four attenuated lesions. I think that can
19 be answered.

20 And also, we can use those techniques to
21 look at the genetics of viruses excreted from those
22 individuals who have longer term excretion and perhaps
23 have febrile responses and in relation to
24 transmissibility.

25 And I also think there is a need for more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 attention to be paid --- more studies from looking for
2 potential for transmissibility to susceptible
3 individuals.

4 CHAIRMAN DAUM: So the question, as I
5 understand is, at least as a bacteriologist we would
6 ask the question do all members of the bacterial
7 population contain the same phenotype with respect to
8 these mutations? There must be an equivalent to that
9 in virusland.

10 Can someone address that question from the
11 sponsors?

12 DR. GREENBERG: Dr. Zamb, if you're not
13 going to address it, I'm not.

14 What Dr. Zamb said to you I think is the
15 most important thing from the -- outside of polio, one
16 of the most extensive studies of mutations in RNA
17 viruses shed by humans that my colleagues at Wyeth
18 have carried out, and that is in none of the shed
19 viruses were there mutations in any of the sites
20 associated with attenuation of the shed viruses. And,
21 of course, that's interesting and good.

22 DR. ZAMB: That is in fact true.

23 DR. GREENBERG: Do you have any more data
24 to add to what -- I think that's the best data we
25 have.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ZAMB: And it's very comprehensive.

2 I believe you were attempting to suggest
3 that we develop Naprocam analysis for the individual
4 mutations. I think the ideal circumstance is to
5 better characterize, in fact, in specific nucleotides
6 and their actions and call that adaption temperature
7 sensitivity and attenuation. And I think the best way
8 of doing that is by plasma-based rescue where you can
9 alter individual nucleotides and then construct
10 viruses with those individual changes and in specific
11 combinations to evaluate the individual mutations that
12 are thought to be associated with that, and confirm
13 that theory. And I think that's the most efficient
14 way of doing that, and we're beginning to pursue this
15 at this moment.

16 DR. GREENBERG: Both Wyeth and Aviron are
17 pursuing that.

18 CHAIRMAN DAUM: One follow-up question.
19 Go ahead.

20 DR. SCHILD: The first part of the comment
21 was really how genetically homogeneous is your virus--
22 your master virus received or your vaccines pools in
23 relationship to the attenuated lesions in individual
24 infectious units?

25 DR. ZAMB: Again, what we need first to do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is to clearly identify those specific nucleotides that
2 do confer the attenuated phenotype. And then once
3 those are identified we can in fact do clonal analysis
4 on those populations to determine the frequency of
5 nucleotide differences, if there are any at those
6 positions.

7 CHAIRMAN DAUM: Thank you very much.

8 I'd like to press the Committee at this
9 point once more for other issues to clarify before we
10 vote on question 2 regarding safety? I think we're
11 almost there, but there be one or two more issues out
12 there.

13 Dr. Myers?

14 DR. MYERS: To go back to the normal
15 allantoic fluid placebo. Have similar analysis as we
16 just saw been done for GI events including abdominal
17 pain?

18 DR. GREENBERG: Dr. Myers, could you ask
19 that question again because I'm not sure I understood
20 it?

21 DR. MYERS: The number of GI events,
22 including abdominal pain, seem to me to be more
23 frequent than I would expect in both the FluMist and
24 in the placebo groups. And so I was wondering if
25 you'd done the same type of analysis for that?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: I think we're trying to
2 call that up right now. Isn't this what they want?

3 DR. BLACK: This is what we have. This is
4 what I showed you already. We have looked at
5 abdominal pain in the final analysis dataset, and that
6 is still -- still is significantly elevated, as it was
7 before. And the time frame of the cases is still
8 spread out. We didn't make a graph, because we didn't
9 think we really adding any new information because
10 basically the numerators and denominators change, but
11 the rates are still within the same range.

12 Does that answer your question?

13 CHAIRMAN DAUM: Thank you very much.

14 Dr. Griffin?

15 DR. GRIFFIN: I just want to ask one
16 clarifying question, and I just can't find the chart
17 at the moment. And that's if you look at the deaths
18 occurred overall in any of these studies, the majority
19 of them are all in the COPD study group. But in my
20 recollection of that data, you had similar numbers of
21 deaths in those that got FluMist as those that got the
22 placebo.

23 What I couldn't remember is over what
24 period of time and whether there's thought to be any
25 link to just getting this kind of vaccine and COPD.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It just seemed like a lot of people died.

2 DR. MENDELMAN: The trial was conducted by
3 the VA Cooperative Studies Group. And as they
4 submitted it and completed the protocol, they were
5 going to collect all AEs for the entire duration of
6 the trial, including serious adverse events.

7 As the trial moved forward, they continued
8 to collect all the serious adverse events so they
9 continued to report death throughout the trial period.

10 It started in October of 1998 and went
11 through until May -- the spring season.

12 So looking at the temporal relationship of
13 death, there -- well, to reconcile the one number with
14 the FDA's document, but there were 3 deaths within 28
15 days of receipt of inactivated vaccine and FluMist and
16 there were five in the placebo group within 28 days
17 that also got inactivated vaccine within 28 days.

18 The FDA document has four versus four.
19 And the VA Cooperative Studies program study is still
20 undergoing analysis. But those are the numbers that
21 they provided to us.

22 So we believe the temporal relationship is
23 what should be looked at. Some of individuals,
24 obviously, died very far out after there'd be any
25 plausibility.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Thank you, Dr. Mendelman.

2 DR. GRIFFIN: So the majority of people
3 died within -- so it's about a six month follow-up?

4 DR. MENDELMAN: Yes.

5 DR. GRIFFIN: And so I guess I would --
6 what it looks like is that you have about five or six
7 people dying every month along the whole period of
8 time in both groups, is that what you're telling me?

9 DR. MENDELMAN: Sixty-four deaths over
10 that period of time.

11 DR. GREENBERG: Diane, this population had
12 a mean age of 68 and had real COPD, and these were
13 people with significant health issues.

14 DR. GRIFFIN: But they weren't
15 hospitalized at the time. They were entered, they
16 were outpatients and then developed these problems
17 over the next six months?

18 DR. GREENBERG: Yes.

19 CHAIRMAN DAUM: Thank you very much.

20 We're moving toward dealing with the
21 question. I would like to actually start dealing with
22 the question unless there are additional unaddressed
23 issues.

24 Thank you, George, et.al. It's up on the
25 screen again. I don't think we need a refresher as to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 its language, we've heard it a couple of times.

2 Dr. Steinhoff, are you up there? I can't
3 see you. Would you be willing to start the Committee
4 deliberation with regard to your view of this
5 question?

6 DR. STEINHOFF: Yes, I guess I could
7 start.

8 We've seen and discussed just now a lot of
9 data and the question is are the data adequate to
10 support the safety in the population for which an
11 indication is being sought.

12 Overall, my feeling is that we have a lot
13 of data on safety. I have to say that there are still
14 some questions that don't appear to me to have been
15 fully analyzed, and we understand that both groups,
16 the FDA and the sponsor, are working together to
17 provide full information and then undertake an
18 analysis.

19 We've heard different results from
20 different studies which were undertaken with different
21 methodologies, so it's a little hard to compare a
22 finding in one study that didn't show up in another
23 study.

24 The safety data that is of sort of major
25 concern, which is the lower respiratory illness or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 asthma, it's either incomplete in terms of the
2 pneumonia or with the asthma it's in very small age
3 group and doesn't appear elsewhere, at least in the
4 California data.

5 So I guess my feeling is that I'd be
6 willing to say yes to this question with a
7 qualification that the analyses that have been
8 mentioned mostly around the issue of pneumonia, and as
9 the others speak they can remember the other ones,
10 those should be completed.

11 CHAIRMAN DAUM: Thank you very kindly.

12 DR. GRIFFIN: Bob, can I clarify just one
13 thing.

14 DR. STEINHOFF: But, of course.

15 DR. GRIFFIN: So the indication that's
16 being sought for 1 to 64 years of age, that at all
17 qualified by healthy individuals 1 to 64 years of age
18 or is that all individuals 1 to 64 years of age?

19 CHAIRMAN DAUM: Dr. Geber, please.

20 DR. GEBER: So the indication reads -- I
21 mean, we will work with the sponsor, obviously, but
22 we'd appreciate your comments. The indication is 1 to
23 64 years of age. There is a contraindication section
24 which specifies that it counter indicated in subjects
25 with immunosuppression and specifically listed are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those who might immunosuppressed due to therapeutic
2 interventions, and then more broadly those that might
3 be expected to have a lower antibody level to the
4 FluMist vaccine. But specific categories for which,
5 for instance the flu, the influenza vaccine is
6 recommend, you know, that have been mentioned by the
7 Committee as renal dialysis, diabetics, they're not
8 specifically mentioned. They could be inferred to be
9 included in that contraindication section. Any
10 thoughts that you might have on that would be --

11 CHAIRMAN DAUM: Dr. Greenberg, you want to
12 discuss the indication, that's all?

13 DR. GREENBERG: I think we'll work with
14 the FDA, but we are seeking an indication for healthy
15 children. As I said in my introduction and in all of
16 our slides, healthy children and adults 1 to 64 years
17 of age.

18 CHAIRMAN DAUM: Thank you. Dr. Midthun
19 wants to comment on this, and then we'll return to
20 you, Dr. Steinhoff. I do see you.

21 DR. MIDTHUN: Could we clarify how the
22 indication relates to individuals with asthma? Can
23 you hear me? Could you clarify how your indication
24 relates to individuals with asthma and how you would
25 be seeking viewing those right for your current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 indications sought?

2 DR. MENDELMAN: Again, we will obviously
3 work with the FDA, but we believe that the data we
4 have in children and adults with asthma is not
5 sufficient to give FluMist to adults or children with
6 a diagnoses of asthma.

7 CHAIRMAN DAUM: Thank you.

8 Dr. Steinhoff, you wanted to comment on
9 this issue, please.

10 DR. STEINHOFF: The question really is in
11 vote 1 we were talking about a very specific age
12 group, and this question also is confined to a highly
13 specific age group. And I don't know if you want a
14 qualification on that.

15 CHAIRMAN DAUM: One to sixty-four years.

16 DR. STEINHOFF: Yes.

17 CHAIRMAN DAUM: I suppose you're right,
18 but if you want to qualify your answer, you're
19 perfectly welcome to. Everything you say is being
20 recorded and, believe me, played and replayed by many
21 folks with interest in this room.

22 So, you're welcome to make comments or
23 qualify your answer totally at your pleasure.

24 DR. STEINHOFF: Well, I guess the comment
25 I want to make is that there clearly is a substantial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 difference of opinion regarding the efficacy data,
2 especially in that youngest age group. And the safety
3 data may be less crucial in terms of that age group,
4 but obviously if efficacy is not good in a certain age
5 group and safety is, it has different kinds of
6 implications.

7 My own feeling is that the safety data
8 we've seen does appear to be fairly supportive on --

9 CHAIRMAN DAUM: You said so.

10 DR. STEINHOFF: Yes.

11 CHAIRMAN DAUM: Yes. Just to review,
12 there are actually -- maybe we should spend a minute
13 here because I thought everyone had it straight. But
14 let's just go over it for a minute.

15 There's three parts to this question which
16 everyone ought to be reflective about. One is the
17 actual question: Are the data adequate to support the
18 safety of FluMist between 1 and 64 years of age?
19 Yes/no. Comments, of course.

20 Then, secondly, please discuss the
21 adequacy of the data in two groups: Less than 2 and
22 greater or equal to 50.

23 And then the third part applies only if
24 you vote no, I guess, for the first part. If the data
25 are not adequate for specific age ranges, please

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discuss would additional data should be requested.

2 So all comments are welcome, of course,
3 but these issues need to be addressed, and I think
4 that's been done.

5 Dr. Edwards?

6 DR. EDWARDS: I want to be comfortable
7 that the children and adults who are recommended
8 because of high risk conditions to receive inactivated
9 vaccine each year still are recommended to receive
10 inactivated vaccine. And I think that's what you're
11 saying, that the indication will be for those in
12 individuals who are not recommending? Okay.

13 I think that it's hard to give a vaccine
14 to a child. If you aren't comfortable with the
15 efficacy, it's hard to recommend that they be given in
16 that age range. So I think consistent with my
17 previous statements, I feel most comfortable with 15
18 months to 64 years.

19 I do have questions about the pneumonia,
20 and I think that that has to be very, very carefully
21 looked at. Each case has to be dissected and perhaps
22 even reviewed by an expert in pediatric infectious
23 disease to make sure that everyone is comfortable with
24 that, particularly that it relates to the youngest
25 children. And I think additional safety data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 regarding the pneumonia question in the group less
2 than two years is very important.

3 I think there is cause for concern in the
4 asthma data. I don't think it's certainly clear yet
5 that there is a major risk, but I think this is a
6 group who should be immunized and I think that if the
7 vaccine is to be given, that practitioners should very
8 clearly state that if the children have asthma, that
9 this is not the vaccine they should be getting. They
10 should be getting the inactivated vaccine. Although
11 I must parenthetically add that only 30 percent of
12 children with asthma, even in the best situations, get
13 the inactivated vaccine.

14 I think that the data for individuals who
15 are over 50 if they are healthy, and I think for those
16 of us over 50 a number of us think we remain healthy,
17 but if they are in a age group that they would be
18 recommended to receive the inactivated vaccine, they
19 should receive the vaccine that is indicated for them.
20 So I think in that group and barring COPD, which I
21 don't think you're asking for, that with the caveats
22 that the FDA needs to review, particularly the
23 pneumonia data and fully assess the asthma data, I
24 think the data is adequate.

25 CHAIRMAN DAUM: Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Myers?

2 DR. MYERS: I think at this time the data
3 is not adequate to support the safety of FluMist.
4 That said, I think it is likely to prove to be safe,
5 but the data and the data analysis are incomplete.
6 And until those analysis for lower respiratory
7 infection, for asthma and some of the other studies
8 have been completed, which when they're completed my
9 answer will be different. But in the absence of the
10 completion of those analysis, I don't think the data
11 is complete.

12 I don't think the data, for example, is
13 adequate to conclude the safety for children
14 previously diagnosed with asthma. I think we need
15 increased data for children under 24 months of age.

16 I am concerned the data, the
17 recommendation for adults over the age of 50 because
18 we don't have data on those who have underlying
19 medical conditions. Not necessarily that they be
20 immunosuppressed, but those with diabetes, renal
21 disease and so on.

22 So, I guess again I'd like to emphasize,
23 I really think that when these analyses are done, it
24 is likely that I would vote differently.

25 I think that we must have the definition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the flock for endogenous retroviruses, in addition.

2 And then just as a comment, I find it
3 difficult to conclude not significant from a placebo
4 comparison for entities such as conjunctivitis and so
5 on when the comparison is to normal allantoic fluid.
6 Although I think the areas where irritation from
7 normal allantoic fluid may occur may be minor adverse
8 events, and therefore it may not be an issue. I just
9 would say as a caution that that's the placebo.

10 CHAIRMAN DAUM: Thank you very much,
11 Marty.

12 Ted?

13 DR. EICKHOFF: I'm still a little
14 uncertain as to the correct interpretation of the
15 indications. Should I read for use in healthy
16 children and health adults? Thank you.

17 CHAIRMAN DAUM: That's what we're hearing
18 from the sponsor, so I think that people should factor
19 that in, although comments about underlying diseased
20 adults and children are welcome. But the question is
21 about healthy children and adults ages 1 through 64.

22 DR. EICKHOFF: I'm reassured by the safety
23 considerations regarding this product. And my vote is
24 going to be yes, but if the Chair will permit me to do
25 it, it's going to be a provisional yes because there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are issues that are yet to be resolved with regard to
2 pneumonia and with regard to asthma.

3 I look, as we all did, the Kaiser
4 Permanente data in 019 and it looks reassuring, and it
5 appears to be the most cohesive dataset that we deal
6 with. So I'm greatly reassured, at least as far as
7 pneumonia is concerned by that dataset.

8 The FDA analysis suggests some other
9 problems, and we are cautioned that this is an ongoing
10 analysis. And so my provisional yes is given with the
11 anticipation that these issues between FDA and the
12 sponsor will be satisfactorily resolved.

13 The same issue applies to asthma, perhaps
14 even more so. But, again, I'm reassured by the data
15 provided in the Kaiser Permanente study which, again,
16 I think is the most cohesive dataset. But that issue,
17 too, needs resolution between FDA and the sponsor.
18 When those are done, my vote will change from
19 provisional yes to yes, assuming satisfactory
20 resolution of those issues.

21 There is the lingering uncertainty that I
22 have about turning this attenuated vaccine loose on
23 the general population wondering what's going to
24 combine with what. And I'm sure Dr. Schild will have
25 some more to say on that issue, so I'll defer to him.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Thank you.

2 CHAIRMAN DAUM: Thank you.

3 Dr. Cox.

4 DR. COX: I think that the overall safety
5 profile of FluMist is very good, but I have some
6 lingering concerns about what we've seen relative to
7 asthma, pneumonia. And I think that we all know that
8 the data, the analysis are incomplete and we really
9 look forward to seeing a more complete accounting of
10 whether these may be associated with the FluMist.

11 I think that there's no doubt in my mind
12 that there's some real world issues that have to do
13 with safety that need to be dealt with, and one has
14 come up a number of times in our discussions, and we
15 know that we don't have any data on concurrent
16 administration. And I think that's just an absolutely
17 crucial issue for consideration of safety.

18 In addition, I continue to be concerned
19 about inadvertent exposure of immunocompromised
20 individuals because we know that the HIV infected
21 individuals who were in the various trials were
22 relatively healthy. And we have no idea how long this
23 virus could replicate in individuals who are severely
24 immunocompromised; if there might be a greater risk
25 for transmission, reversion and reassortment and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on.

2 I think that what I would like to say is
3 that in my view the question of concurrent
4 administration of other vaccines is a very, very
5 crucial one and so at this moment I would have to say
6 no. But it's -- again, I'd like to emphasize that I
7 feel the overall safety profile is very good, but
8 there are these lingering questions I think that can
9 be resolved, but I have to vote right now.

10 CHAIRMAN DAUM: Thank you very much, Dr.
11 Cox.

12 Dr. Schild, I'm going to ask you to wait
13 one moment, because we're starting to get into
14 airplane time here. So I'm going to ask Dr. Katz to
15 speak next, and then we'll return to you if that's all
16 right.

17 MR. KATZ: Thank you, Geoffrey. My vote
18 is yes, but that I feel that, one, we need to continue
19 the FDA analyses that we've heard about that are
20 currently in progress of pneumonia and asthma.

21 Then, secondly, that it's imperative that
22 post-licensure phase four studies be required in order
23 to capture any further data on rare events inapparent
24 in the numbers that are immunized to date. And I'm
25 thinking especially of central nervous system events

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 which may occur with such rarity. But given what we
2 have now, I would vote yes.

3 And I've left my proxy with Dr. Griffin
4 for the discussion points.

5 CHAIRMAN DAUM: Thank you very much, Dr.
6 Katz. We wish you a safe trip home.

7 And we'll ask Dr. Schild to now speak to
8 us.

9 DR. SCHILD: Thank you, Chairman.

10 I think safety can be considered in
11 relationship to the vaccinee as well as the vaccinee's
12 contacts and certainly in relationship to the general
13 population. I think we're asked to vote only in
14 relationship to the licensee on this occasion, but I
15 would like to make some comments about the broader
16 aspects of safety, particular public health safety
17 which I may be straying into question 4, but
18 nevertheless I'll mention this.

19 On the question of safety in the vaccinee,
20 I think I would give a conditional qualified yes
21 rather along the lines of Dr. Eickhoff; that certainly
22 very careful analysis should be done by FDA of data
23 that is available now and will become available.

24 The particular issues that I think do need
25 more attention are the asthma issue and the pneumonia

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 issue.

2 And also I'm expressing sympathy with Dr.
3 Eickhoff's view. Safety in high risk individual, high
4 risk elderly individuals who I know are not part of
5 the indication, but nevertheless it is something that
6 really needs to be considered. And I really also I
7 must express some sympathy, although I didn't mention
8 it at the time, with the view that maybe inactivated
9 vaccine might be the best way of treating those now,
10 the very high risk elderly individuals.

11 I do believe that we need more genetic
12 analysis in general, not only of the vaccines, but of
13 the shed virus. And I think we ought to have in the
14 long term much more information on the propensity of
15 the vaccine strains to transmit. We've only heard, I
16 think, of one study on that.

17 Safety in the general population we're not
18 asked to vote on. It's a very difficult field, so
19 many unknowns. And I think what we can offer is to
20 mount very careful surveillance in the population for
21 any evidence that the vaccine virus may be mutating to
22 virulence, may be continuing to circulate, and so on.
23 That is not mentioned here in the question, but I do
24 think it's one of the things that could be considered.
25 And I know in this country there is a very good system

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 of strain surveillance and identification both by
2 antigenic means by genetic means.

3 CHAIRMAN DAUM: Thank you very kindly.

4 We move on to Dr. Griffin, please.

5 DR. GRIFFIN: Well, I'm reassured in
6 general about the safety profile of this vaccine,
7 however I do think that we don't have -- I won't say
8 that the data are inadequate, because they may
9 eventually be adequate, but we do not have access to
10 adequate data yet to completely make me feel confident
11 about the safety profile particular in the under 2
12 year age group. Again, with concomitant immunization,
13 questions of pneumonia, asthma; I just think there are
14 quite a few unresolved issues that may become resolved
15 in even the next few months, although the concomitant
16 immunizations study is just under way. So that may
17 take somewhat more time.

18 I have a lingering, perhaps irrational
19 concern about what is a very attractive route of
20 immunization, intranasal immunization that comes from
21 my background as a neurovirologist.

22 Do we have any other vaccines, licensed
23 vaccines that are given by the route? Yes, I mean we
24 just don't have experience. And as I say, it
25 intrinsically is a terrific way to immunize, I just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would want to pay attention to any neurologic
2 complications that could be associated with it. So
3 far it appears there are no indications that that's a
4 particular problem.

5 So, I think that just based on the fact
6 that I think that the data are as yet -- that we have
7 in hand are inadequate, not because I think that the
8 vaccine itself is not going to prove to be safe, I
9 have to vote no on this question.

10 CHAIRMAN DAUM: Dr. Griffin, we thank you.

11 Dr. Stephens?

12 DR. STEPHENS: I share Diane's vote as a
13 provisional no. I think I, like Dr. Cox and Dr. Myers
14 and Diane feel positive that ultimately this vaccine
15 will be shown to be safe, I just think that there is
16 not enough data at this point to convince me that the
17 answer to the question is yes. Certainly under in the
18 younger age groups, certainly the issue of concomitant
19 vaccines, certainly the issue of those over 50 are
20 areas of concern.

21 CHAIRMAN DAUM: Thank you, David.

22 Ms. Fisher?

23 MS. FISHER: I do not think we should
24 license a new live virus flu vaccine that will be
25 given to children as young as one year old without

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adequate pre-licensure safety data in those children.

2 I'm troubled by the lack of adequate
3 safety data for this vaccine on children under 5 years
4 old, particularly under 2 years old. There's an
5 incomplete understanding of the implications of viral
6 shedding on transmissibility to close contacts, which
7 is particularly important for children who are often
8 in close contact with each other.

9 For children and adults there are
10 outstanding questions about why there is more
11 influenza-like illness including fever after
12 vaccination as well as whether or not there is a real
13 increased risk of pneumonia, bronchitis and asthma in
14 healthy individuals after vaccine and an even greater
15 risk for these outcomes in acutely or chronically ill
16 individuals.

17 I believe a practical issue that needs to
18 be resolved is whether variations in the way the
19 vaccine is administered nasally has a significant
20 impact on whether these attenuated viruses can end up
21 being swelled or find their way to the respiratory
22 tract and cause respiratory abdominal or neurological
23 complications.

24 Certainly given the fact that this vaccine
25 will be administered to children who are already

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 receiving 3 dozen doses of other vaccines in the first
2 5 years of life, there can be no confidence in the
3 true safety profile of the vaccine in the real world
4 unless data is generated that includes administration
5 to several thousand children under 5 with genetic
6 diversity over at least 4 years where you measure for
7 all morbidity and mortality outcomes, including
8 evaluation of immunological and genetic integrity and
9 general health and wellness after repeated
10 vaccination.

11 We have very limited experience using
12 inactivate flu vaccine in children under 5, and it is
13 extremely important to be sure that widespread
14 introduction of an new live virus flu vaccination into
15 this child population will not ultimately negatively
16 impact on their long term general health and wellness,
17 even though it may indeed prevent them from getting
18 the flu short term.

19 This is a huge step because we are going
20 to be shifting the entire flu vaccination strategy
21 from targeting adults to targeting children, and we
22 had better be sure we're doing this safety.

23 CHAIRMAN DAUM: Dr. Goldberg, please.

24 DR. GOLDBERG: Well, from the data
25 presented it appears that this vaccine is safe to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 extent that it's been given. I would vote no at this
2 time. I think all of the issues have been raised, and
3 then I think also we're proposing administering this
4 attenuated live vaccine annually. And I think the
5 data with repeat vaccination is totally inadequate to
6 address long term safety.

7 Furthermore, the safety that you follow-up
8 in the children studies for 42 days, that post-
9 vaccination, and then in the adult studies for 28
10 days; that's fine for short term sequelae, but not
11 monitoring for long term sequelae. And with repeat
12 administration you don't know what the cumulative
13 effects will be as well.

14 I do think this vaccine will turn out to
15 be safe, but from the data we've seen here I think we
16 need more information.

17 Thank you.

18 CHAIRMAN DAUM: Steve?

19 DR. KOHL: I basically concur with the
20 majority of my colleagues. I think and hope that
21 eventually this will turn out to be a safe
22 vaccination, but at this point in time because of what
23 I think we've all discussed in absence of confident
24 data regarding pneumonia, asthma, concomitant
25 immunizations and also for immediate licensure, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also in terms of post-licensure studies, I would like
2 to see a fairly large study on rare events and also
3 inadvertent immunization of pregnant women.

4 So for the first number of reasons, I'll
5 have to vote no on this.

6 CHAIRMAN DAUM: Dr. Snider?

7 DR. SNIDER: I'm voting provisional, as
8 others did, and I don't know if it's provisional no or
9 provisional yes.

10 CHAIRMAN DAUM: You know you won't get
11 away with that, Dixie. But let's hear your comments
12 first.

13 DR. SNIDER: Well, I mean, there are still
14 some outstanding questions. I mean, FDA has indicated
15 that review is ongoing for some of the data,
16 particularly with regard to respiratory events. And
17 a number of people around the table have mentioned
18 concerns around pneumonia, bronchitis, bronchiolitis,
19 asthma.

20 I think we've been reassured by the
21 sponsor about a number of these issues, but that
22 reassurance is mostly -- has to do with at what level
23 these things are likely to occur. In other words,
24 they're not occurring so frequently that they're
25 showing up in the size trials we've seen, at least

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it's not clear that they are, although further
2 analysis may bear out that they are showing up here in
3 sufficient numbers to be statistically significant.
4 But at the moment whether there's a signal or not a
5 signal, there is some data that creates some concern.
6 And I think that concerns derives, in large part,
7 because a lot of biological plausibility I won't go
8 into, but which I'm sure everybody around the table
9 understands.

10 There's also the issue of the
11 reactogenicity of the placebo and some disagreement
12 between the sponsor and FDA about that issue. I don't
13 think it's a huge issue, but it seems to me that it's
14 important to try to clarify the difference between how
15 much nasal congestion might be caused by the vaccine
16 versus not having anything put in your nose. And it
17 just is a matter of trying to quantitate for parents
18 accurate information so that it's more of an
19 aggravation of not having really good data on that
20 point.

21 I think it's fairly clear that there is
22 some reactogenicity from the vaccine and we would
23 expect local reactogenicity in the nose from something
24 we put in the nose, just as we get in the arm or in
25 the deltoid or in the thigh; wherever we put vaccines,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701