

1 critical thinking. So critical thinking they are,  
2 sometimes I think that I founded VIRxSYS, I thought  
3 I founded the first debating society because we are  
4 always discussing things.

5 I think that makes for good science so I  
6 really am honored to have each and every one of you  
7 a member of our team.

8 I would also like to thank Rob MacGregor  
9 and Carl June and Bruce Levine and all the team up  
10 at the University at Pennsylvania for their  
11 collaborative efforts. I would also like to thank  
12 Tony Pascorelli, our CEO. He has done a great job  
13 in running the company.

14 That's it. I will leave it now open for  
15 discussion. Thank you.

16 DR. SALOMON: Thank you very much, Dr.  
17 Dropulic.

18 [Applause.]

19 DR. SALOMON: What I would suggest we do  
20 is--I have a couple of announcements. I would like  
21 to introduce a new person who joined us on the  
22 committee and then take a break, come back and  
23 start to discuss this.

24 So I guess first I would like to note the  
25 arrival of Dr. Marvin Reitz. Welcome. Dr. Reitz

1 is from the Medical Biotechnology Center Institute  
2 of Human Virology. Do you want to give us just a  
3 quick two cents for what you are doing there?

4 DR. REITZ: Actually, it is the University  
5 of Maryland Biotechnology Institute, Institute of  
6 Human Virology. We are an institute that is headed  
7 by Bob Gallo and mostly do work related to HIV. I  
8 am a molecular virologist. I have been fiddling  
9 around with retroviruses for about thirty years or  
10 so.

11 DR. SALOMON: Good. I am glad you are  
12 still healthy.

13 Ten minute break?

14 [Break.]

15 DR. SALOMON: I have one additional  
16 administrative duty at the beginning of this next  
17 session, and that is to introduce Marina O'Reilly  
18 from OBA covering for Amy Patterson again this  
19 morning. Welcome, Marina.

20 What I have decided to do here is,  
21 basically, go right to the questions, the specific  
22 questions from the FDA. I think that what we will  
23 do is we will begin a discussion of the questions  
24 which were posed by FDA staff specifically about  
25 the protocol.

1           The discussion that will develop is,  
2 obviously, specific enough to what you have  
3 presented that you will be more than encouraged to  
4 make your responses and comments.

5           I was trying to think of how to do this.  
6 You either go through all the different phases of  
7 this very complex system that you presented--I am  
8 afraid that it would degenerate into sort of an NIH  
9 study section which is not what we are here to do.

10           I think, rather, what I would like to do,  
11 in terms of being responsive to the very, very  
12 important issues that are on the table here would  
13 be to go to the questions and, as the conversation  
14 evolves, I think individuals on the committee can  
15 go to the details. I think, in this way, we will  
16 stay grounded in the specifics of the clinical  
17 trial and the major questions that were developed  
18 yesterday rather than getting lost as can always  
19 happen to a group of academics when they get  
20 presented a lot of interesting data.

21           So that is kind of a chairman's executive  
22 decision. If there is anybody who absolutely  
23 believes that I have gone inappropriately, I would  
24 like to hear it because I don't want to be  
25 tyrannical on this.

1 Is that okay with the FDA staff if I take  
2 that strategy. Okay.

3 **Open Public Hearing**

4 But, before we begin, this is also a time  
5 where we would normally allow public comment.  
6 Again, I don't change what we said yesterday, but  
7 let me repeat it for the record. Anyone in the  
8 audience is welcome to step up to the mike. You  
9 were comfortable doing that yesterday. If you were  
10 not here yesterday, then please note that you  
11 should be encouraged to do so and contribute.

12 But there is also a time for just a formal  
13 public comment before we begin any discussion and  
14 it is my understand that Dr. Susan Kingsman would  
15 like to start.

16 DR. KINGSMAN: Thank you very much. I  
17 think most of the discussion is going to relate to  
18 the very specific questions that have been posed.  
19 The only comment I would like to make is whether  
20 the safety of the trans gene has been adequately  
21 considered because I think there is a lot of focus  
22 on the vector system.

23 I just want to pose the question as to are  
24 we delivering, in this therapeutic strategy, a  
25 potent and specific mutagen of the HIV envelope

1 sequence, something that will change its sequence  
2 at a higher rate than the normal mutation frequency  
3 and that this mutant coding sequence, albeit  
4 partial or with low reproductive fitness, in an  
5 assay developed in the lab can actually be  
6 disseminated in patients with a very high viremia  
7 and it is in a region that is functionally  
8 important for the envelope.

9           Even if it doesn't change tropism, it  
10 could create new immune determinants. So I am not  
11 entirely convinced that there has been  
12 consideration of the trans gene, itself.

13           That is the only comment I would like to  
14 make.

15           DR. SALOMON: Thank you, Dr. Kingsman.

16           **Committee Discussion Of Questions**

17           DR. SALOMON: I am certainly not trying to  
18 restrict the scope of the discussions that now  
19 follow, but I want to try, before lunch--how is  
20 that for optimism--to answer the questions and then  
21 we will just see where this goes.

22           The first question is, "Is the VRX496  
23 vector proposed for use in the clinical trial by  
24 VIRxSYS designed and manufactured in a manner to  
25 sufficiently address safety concerns relevant to

1 generation of replication-competent lentivirus?"  
2 That certainly is something that we discussed at  
3 some length yesterday; what is an RCL assay, what  
4 are alternative assays, what is the sensitivity?

5 "Please consider that the vector will be  
6 used in HIV-positive subjects. How does this use  
7 of a transient transfection system versus a stable  
8 packaging line for vector production affect the  
9 rate of recombination in a manner that would be  
10 sufficiently compensate for the use of one plasmid  
11 to encode all helper functions?"

12 So there are a lot of different pieces we  
13 could start off with, but let's start with Question  
14 1 in general. Does anyone want to jump in and I  
15 will try and guide it.

16 DR. EMERMAN: This is Mike Emerman.

17 DR. SALOMON: Excellent. I didn't know  
18 you were on the phone, Dr. Emerman. But you are  
19 more than welcome to jump in.

20 DR. EMERMAN: Some of these questions are  
21 related with the RCL. We talked a lot about RCLs  
22 which are weird recombinants with VSV-G and things  
23 like that. The RCL that they--well, the lentivirus  
24 that they don't test for is actually the wild type  
25 HIV from their protocol, so they expanding HIV-

1 infected cells. There will be some HIV that comes  
2 out of that.

3 The previous person who spoke spoke to the  
4 real question here. What is coming out at the end  
5 of the transduction, at the end of the expansion,  
6 that is. So I think there has to be some kind of  
7 test for how much HIV is going back into the  
8 patient and what is the nature of that HIV. Is it  
9 a variant or is it what they started out with?

10 DR. SALOMON: Thank you. That came across  
11 very clear, by the way. I am just reminded, Dr.  
12 Cornetta--Ken, are you there as well?

13 DR. CORNETTA: Yes; I am.

14 DR. SALOMON: Excellent. You are also  
15 coming through very clear. So both of you, please  
16 feel free to participate.

17 Dr. Emerman picked up a theme that Dr.  
18 Torbett brought up during the presentation. Dr.  
19 Torbett, do you want to just sort of amplify?

20 DR. TORBETT: I do have a concern with  
21 that, given the length of time that the assay goes  
22 one. Furthermore, the studies earlier didn't  
23 indicate they were looking--in their preliminary  
24 work, it didn't look like they were looking for a  
25 CCR5 using variant.

1 I guess I have a little concern. Maybe it  
2 is out of scope right now, but it comes back to  
3 actually the trans gene, itself, and its ability to  
4 derive a different species. So the question I am  
5 posing is whether something that would come out,  
6 get back into the cells, they would be infused back  
7 into the patients, would create additional problems  
8 above and beyond what the patient has as his viral  
9 load, or her viral load.

10 DR. MULLIGAN: Back to Sue's point. I  
11 think that I would like to see more  
12 characterization of what that virus is, as we were  
13 discussing during the talk. We really need to look  
14 at the entire profile genome, not just the  
15 mutations, because it may well be that, as an  
16 entire package, the virus has replication  
17 competence and may have some unique biological  
18 properties.

19 I think that is very, very key and would  
20 help us further assess whether or not more kinds of  
21 tests are necessary.

22 DR. SALOMON: Just for my clarity, Dr.  
23 Mulligan, what virus did you mean just now needs to  
24 be characterized?

25 DR. MULLIGAN: The genome that had various

1 envelope mutations. I think what I heard was that  
2 the proviral sequences were never tested in  
3 totality. The mutant was taken and moved into an  
4 otherwise wild-type background and that mutant  
5 seemed to severely retard the characteristics of  
6 the virus.

7           The question is can we go from that data  
8 that the background of that particular set of  
9 mutant viruses is the same as wild type or have  
10 you, in fact, generated something, evolved  
11 something, that now has different biological  
12 properties.

13           DR. EMERMAN: Hello. This is Mike Emerman  
14 again.

15           DR. SALOMON: Yes; go ahead, Dr. Emerman.

16           DR. EMERMAN: I am not so worried about  
17 the virus that came out of that Sup-T1 experiment  
18 as much as I am concerned about the virus that  
19 comes out of the T-cell expansion from the patient.  
20 The Sup-T1 is obviously biased because they are  
21 challenging with homologous virus to the antisense.  
22 So they are going to come up with something there  
23 that has to evolve, has to change a lot more.

24           What comes out of the patient is not going  
25 to have to evolve as much because its envelope is

1 different than the envelope that is used in the  
2 antisense which was used from NL4-3. So actually  
3 that virus, the break-through virus in that one  
4 patient, that is the one I would like to see  
5 characterized.

6 In a sense, they have to know, for each  
7 patient, how much is coming out; that is, how much  
8 virus are they going to be putting back into that  
9 person as part of the protocol.

10 DR. SALOMON: Yes; I think that is very  
11 clear, Dr. Emerman.

12 DR. ALLAN: This is in the same line which  
13 is you have done a lot of work on looking at the  
14 escape mutants but only in terms of mutations  
15 within the vector. The issue about, well, it is  
16 NL4-3 so it shouldn't be any worse than the virus  
17 even if you got a recombinant than the wild-type  
18 virus. It also goes to what Susan was saying which  
19 is I don't think you can predict whether it is  
20 going to be better or worse or just as bad or  
21 whatever.

22 The thing is you could get gag/pol  
23 recombinants and then the virus that is coming out  
24 of those patients' T-cells could actually be  
25 replication-competent recombinants because you have

1 intact LTRs. You could have a gag/pol recombinant.

2 The issue with that also is, and this was  
3 alluded to earlier, too, which is the immune  
4 system. CTLs are supposed to very important for  
5 limiting viral replication in HIV-infected  
6 patients. If you introduce a different gag  
7 sequence, a different pol sequence, you may be  
8 generating viruses that are no longer recognized by  
9 those CTLs and so you may actually get an increase  
10 in viral replication in those patients.

11 So I think that is something else you have  
12 to consider, too. So I think, again, which is the  
13 same issue which is you really need to characterize  
14 what is coming out of those T-cells and those HIV-  
15 infected cells.

16 DR. REITZ: One thing that might be done  
17 is to do the Sup-T1 experiment but with cells that  
18 would support the replication of an R5-tropic virus  
19 like PM1 and then look for conversion, and do the  
20 experiment with an R5 input virus and see if you  
21 got conversion to any X4 phenotypes because the  
22 NL4-3 is a X4-tropic virus.

23 I think that would give you an indication  
24 of whether you could possibly change the envelope  
25 tropism of the patients' viruses.

1 DR. SALOMON: I think the other point I  
2 would make directly on that is I am uncomfortable  
3 with an experimental result in which there is some  
4 evidence for a breakthrough in in vitro that, then,  
5 goes and clones 200-and-some clones, which is very  
6 appropriate, picks the absolutely most changed  
7 clone and shows that it seems to be packaged but it  
8 is not very infectious.

9 So my response is okay. That is one. Now  
10 you have got 260 more to go. So not crossing that  
11 fine line that I realized of being ridiculous, I  
12 just am saying that results on a single clone, what  
13 it means to me, thinking about what is going to be  
14 going on in this patient, is that there is going to  
15 be literally hundreds of opportunities in a  
16 relatively short time and then extrapolate that to  
17 potentially months and years for all kinds of  
18 variants to be developed.

19 DR. DROPULIC: Most of the variants that  
20 we saw were deletions in envelope.

21 DR. SALOMON: Right. But I think we would  
22 all agree that the larger amounts of deletions,  
23 you picked the one that had almost 12 percent of  
24 the genome replaced, or deleted.

25 DR. DROPULIC: That wasn't a deletion.

1 That was a mutation, base substitution. That is  
2 the only one I picked because the other ones had  
3 deletions in the envelope.

4 DR. EMERMAN: I really think it is not  
5 that virus that really needs to be characterized.  
6 It is the one from the other experiment where you  
7 actually expanded T-cells from the HIV-infected  
8 person.

9 DR. SALOMON: Yes, Dr. Emerman. I agree.  
10 There are kind of two threads going on. We will  
11 get back to that one in a second. You are  
12 absolutely right. We kind of skirted that for a  
13 moment. I agree.

14 DR. TORBETT: I think there are two parts  
15 to this. One is replication fitness and the other  
16 is resistance. I think Dr. Mulligan made a very  
17 good point that when one inhibits, for example,  
18 envelope, they can pick up other mutations which  
19 compensate and that is very true in the protease  
20 situation. There have been other examples as well,  
21 CTLs, whatever.

22 I think, since this is one of the first  
23 demonstrations, it behooves the investigators to go  
24 that little extra mile and do full sequencing and  
25 find out some of this information. I think that is

1 fairly critical here.

2 DR. SAUSVILLE: So, along the lines of  
3 what seems to have emerged here is issues  
4 ultimately with what we might call characterization  
5 of what comes out of the T-cell passage process.  
6 Is there any evidence or entertainment of the issue  
7 that obviously patients come in very many different  
8 flavors. So CD4 cells are going to be quite  
9 different from patient to patient ranging on  
10 everything from what medications they are on to  
11 what coinfections they may have.

12 So does that enter into some of the  
13 variants that might actually come and be generated  
14 here and should this discussion also consider that  
15 issue. I raise that as a question. It would seem  
16 to me it would be relevant in the clinical sense.

17 DR. SALOMON: Certainly, there is some  
18 expertise around the table to address that  
19 question. Of the population that is being proposed  
20 for this study, it is defined as HAART--I guess the  
21 beginnings of a HAART failure. These are not total  
22 HAART failures because, at that point, I would  
23 think that their CD4 counts would basically  
24 plummet. I guess one of the difficulties here is  
25 defining exactly what is a HAART failure. We will

1 get to that maybe later.

2 So for those of you who are real HIV  
3 experts, what will this patient group be like? Are  
4 there going to be any commonalities for this  
5 particular subset of patients?

6 DR. ZAIA: If you look at the definition  
7 of who is included, it is everyone above 5000. So,  
8 assuming that let's say failure is some other  
9 level, or any level, while on HAART, you can have  
10 anyone from 5000 to 1 million. So the question is  
11 is the person at a million going to be different  
12 than a person at 5000. I would guess they are  
13 different in some ways. Maybe they are different  
14 because you will have a harder time isolating their  
15 T-cells or expanding them.

16 But at least it introduces the idea of  
17 heterogeneity in the population. So when you are  
18 looking at toxicity, you may have a difficult time  
19 comparing strata. If, in one stratum, you had  
20 enrolled three people who had had a million and, in  
21 the other stratum, you enrolled three people who  
22 had had 5000--I mean, that is a design problem.  
23 But it is still important.

24 DR. SALOMON: The specific question, not  
25 withstanding the quality--I mean, those are

1 important comments. I didn't mean to trivialize  
2 them, but the specific question I was asking was do  
3 you think, then, that there would be a similarity?  
4 Would you come down at all the kind of viral  
5 populations that would be present in these patients  
6 because you are defining them as being relative  
7 HAART failures?

8 I guess I could interpret from what you  
9 said that the answer is no, that these would really  
10 be still very heterogenous groups from the point of  
11 the viral species.

12 DR. EMERMAN: There is one point which is  
13 important here which is whether or not they have  
14 drug resistance at the time you are starting the  
15 therapy. So, if they fail HAART because they have  
16 resistance, that is one thing.

17 DR. SALOMON: Wouldn't that be the reason  
18 they would be failing HAART would be drug  
19 resistance of some sort?

20 DR. EMERMAN: They have failed HAART  
21 because their virus is resistant to an antiviral.  
22 That is one different kind of criteria. I think it  
23 was alluded to the fact that one could suppress the  
24 HIV activation when you are expanding if you do it  
25 in the presence of antiviral.

1           That is a way, actually, they can get  
2 around having new variants come up during the  
3 expansion if they include antiviral during the  
4 expansion. But that predicates knowing whether or  
5 not there are resistant viruses present already.

6           DR. SALOMON: I thought about that, too,  
7 when that came up. Exactly.

8           DR. EMERMAN: So that is a strategy to get  
9 around these concerns we have of generating new  
10 viruses is if they can show, out to the expansion,  
11 that there are no viruses because they have  
12 expanded in the presence of antiviral.

13           DR. SALOMON: Dr. Sausville and then Dr.  
14 Allan.

15           DR. SAUSVILLE: So then, to expand on  
16 that, I don't think that we came to a--at least I  
17 didn't think we came to a clear notion of whether  
18 or not there would be a relative advantage to  
19 include the drugs while the T-cells were being  
20 expanded. I think that was alluded to before.

21           But, as I listen to the discussion, if the  
22 goal is to minimize the risk of coming up with yet  
23 a worse flavor of HIV during this process, it would  
24 seem that the preclinical data is a little thin on  
25 considering the merits or demerits of that.

1 DR. ALLAN: I can see individual variation  
2 from patient to patient in terms of when you expand  
3 the T-cells, what percentage of the cells are going  
4 to be expressing HIV. If you have got somebody who  
5 has really failed on HAART and they have a high  
6 viral load or a high viral burden, then you have  
7 got essentially a high multiplicity of infection  
8 circulating into the cells that you are getting the  
9 vector in which may increase your chances of  
10 recombination.

11 The other issue that follows with that is  
12 I didn't see anything where they filed CXCR4 versus  
13 CCR5 expression in those activated T-cells. I  
14 think that might be an indication as to how much  
15 virus breakthrough you are going get, maybe  
16 variability between patients that you have looked  
17 at but you are taking out and how many CCR5-  
18 positive CD4 cells, versus CXCR4.

19 DR. SALOMON: Dr. Zaia?

20 DR. ZAIA: I think one question we have to  
21 ask ourselves is why would you analyze the virus  
22 coming out of the transduction in these patients.  
23 Is it because you want to exclude that lot of cells  
24 or do you want to prove the implication that Dr.  
25 Kingsman started with, that the design of the study

1 is flawed; that is, if you found one patient that  
2 had that, you would accept that premise that you  
3 are selecting for a resistance that may change the  
4 phenotype.

5 So it is a slightly  
6 different question. I don't think we are asking  
7 for release testing. I think we are asking for  
8 proof from the sponsor that this is not a poorly  
9 designed study from its inception; that is, the  
10 target is asking for selection in vivo.

11 DR. REITZ: In addition to the question of  
12 resistance, I think, since this is targeted to  
13 antisense envelope, you also have the question of  
14 the cell tropism or envelope phenotype of the virus  
15 as well because one thing that would be concerning  
16 is if you converted a CCR5 to a X4 tropic virus,  
17 you would generate something that is at least  
18 seemingly more virulent than the R5 populations of  
19 virus.

20 So you might be generating a fitter virus in some  
21 sense in that way.

22 One way I think that you could look at  
23 proof of concept is what I had mentioned before,  
24 which is to see if you do get phenotypes in a  
25 system that allows in vitro testing or growth of  
both R5 and X4 viruses. I think you could look at

1 it using that kind of system.

2 DR. SALOMON: So, trying to capture this.  
3 What I hear here is a couple of threads. One  
4 thread is that if we assume that you are doing your  
5 transductions on patient T-cells that are HIV-  
6 infected, which is, of course correct--that is what  
7 is going to happen--then I think Dr. Torbett, Dr.  
8 Emerman, made a very concrete point that I think we  
9 need to start with because that is just the  
10 simplest point.

11 Dr. Zaia makes it more complicated and we  
12 need to go there, too. But the first point is I  
13 think the committee was saying that you have to  
14 look at the amount of--the number of CD4 T-cells  
15 that are infected in the input and the virus that  
16 those cells carry. And we should go back and say  
17 what does characterizing that virus mean, but  
18 characterize it in some way and, after eight to ten  
19 days of activation with the vector, characterize  
20 the number of CD4 T-cells that are infected and  
21 characterize that population of virus.

22 There is a lot more to go there. But, how  
23 about starting there? What do you guys think of  
24 that statement?

25 DR. ALLAN: I don't know about days. You

1 are saying eight to ten days. It may not be  
2 enough.

3 DR. SALOMON: Eight to ten days was the  
4 period of time that this procedure will be done  
5 after which the cells are taken, washed and frozen  
6 and assays done.

7 DR. MULLIGAN: I think the timing may not  
8 be all that important. It is important relative to  
9 what the clinical protocol will be. But if you  
10 want to see the events that occur, I think what you  
11 are saying is you go further and you try to really  
12 see what happens when you have these cells infected  
13 with vector.

14 DR. SALOMON: Okay. Now, there what I am  
15 doing is I am following what I think Dr. Zaia  
16 articulated beautifully. The first point is what  
17 is a safety request for the protocol as proposed.  
18 The second is getting at the science behind the  
19 strategy which I am not saying, in any way, shape  
20 or form, isn't equally important, but I am, right  
21 now, just trying to start with one point and make a  
22 step forward.

23 So this is just, they do the protocol--at  
24 the end of nine days when they freeze these cells  
25 down, should we do this? Then we will go exactly

1 where you are going, and that is longer culture and  
2 more characterization. But you wouldn't do that  
3 for every single lot; right? If that is what you  
4 are suggesting, then--

5 DR. MULLIGAN: I think we are saying the  
6 same thing, that you would, before you ever do  
7 this, collect much more information on the kinds of  
8 viruses that come. And you would do that for  
9 longer periods of time. Whether it would be ever  
10 meaningful to test at nine days or ten days, once  
11 you decide you are going to do the protocol, I am  
12 not sure.

13 DR. EMERMAN: Can I make a suggestion,  
14 perhaps. You would want to know what percentage of  
15 cells are infected at the beginning of the  
16 expansion and then you want to know what percentage  
17 of cells are infected at the end of the expansion  
18 and had it increased. Then that would be a  
19 criteria for not continuing with that particular  
20 lot.

21 DR. SALOMON: Yes. That is what I wanted  
22 to hear someone say. That is what I was  
23 suggesting, that that would be the minimum.

24 Dr. Sausville and Dr. Rao.

25 DR. SAUSVILLE: At one level, this is

1 maybe a more exotic form of quality control and  
2 release specifications than we are used to  
3 considering but is brought by the nature of this in  
4 that--I would like to see not only what, in  
5 essence, goes in which is easy to define but also  
6 what comes out on several levels, but across a  
7 reasonable spectrum of T-cell populations that  
8 might be expected to emerge from a representative  
9 set of patients because, to me, in terms of  
10 interpreting the outcome of any clinical trial, I  
11 don't have any context in which to judge what comes  
12 out of the clinical trial in terms of what the  
13 product is going in at this point.

14 DR. SALOMON: So we can have the sponsor  
15 determine the percent of infected CD4 in and the  
16 percent CD4-infected out at the end of a nine-day  
17 period. Then the question is what would we request  
18 in terms of characterization of the virus in and  
19 virus out?

20 DR. ALLAN: You are talking about the  
21 virus out--by saying virus out, what you are saying  
22 is--

23 DR. SALOMON: Whatever combination of  
24 wild-type HIV and vector comes out.

25 DR. ALLAN: You are talking about the

1 breakthrough kind of scenario that was shown with  
2 the patient's T-cells.

3 DR. SALOMON: No, no. I think that what  
4 we have heard is that two possible things could  
5 happen. Dr. Emerman and Dr. Torbett, correct me if  
6 I didn't get you guys right, and also Dr. Reitz.  
7 The idea here is that in vitro, during CD3, CD28,  
8 activation in high concentrations of IL2, and you  
9 can play adjective wars here, but in IL2, there  
10 would be a change in the wild-type virus, a  
11 selection, perhaps, of an R5 variant or something.

12 Or there could be recombination with a  
13 vector that could create a different species.

14 DR. REITZ: I think that something like  
15 that could happen in vivo, also. But you can  
16 probably get some kind of indication on the  
17 likelihood of that by looking at it in vitro and  
18 then characterizing the virus that comes out in  
19 vitro more fully.

20 DR. SALOMON: So can we be a little more  
21 specific just because the word "characterize" does  
22 not mean anything to a sponsor or to the FDA.

23 DR. REITZ: In the case I am thinking of,  
24 it would be a relatively simple readout of  
25 recombination with the NL3-4 envelope sequences of

1 just looking to see whether you generate X4  
2 viruses, whether you can show them coming out of  
3 the gemish that you have after doing some kind of  
4 relatively extensive culturing.

5 DR. SAUSVILLE: But, isn't that choosing  
6 one particular marker situation? I am not a  
7 virologist, but when one looks at the efforts to  
8 describe populations of viruses and different  
9 clades, different epidemiologic scenarios, et  
10 cetera, one could potentially imagine a more  
11 detailed characterization, at least at this initial  
12 get-go.

13 DR. REITZ: What this would answer, and I  
14 think is using a relatively simple readout, is it  
15 does this occur.

16 DR. SAUSVILLE: But would that be  
17 sufficient if it were to potentially detect other  
18 types of changes and then that gets back to the  
19 whole issue of--

20 DR. EMERMAN: I think there are other  
21 techniques that could be used. For example, there  
22 is the technique HMA, heteroduplex mobility assay,  
23 which can look at the variability within that  
24 region of envelope before expansion and after  
25 expansion. You can ask, are there different

1 species there after expansion than before  
2 expansion.

3 DR. MULLIGAN: I think there are two types  
4 of things. There are, obviously, these more  
5 important biological characterizations but then  
6 there is also the vector-specific question which  
7 really requires a detailed molecular  
8 characterization, pro-viral DNA, PRC sequence,  
9 whatever you want to do, but to see what variations  
10 you have, what pieces of the vector you pick and  
11 incorporate, not that particularly that is going to  
12 be worse, in any means, than picking up a new  
13 envelope.

14 DR. SALOMON: Dr. Torbett?

15 DR. TORBETT: I guess, for a minimum, I  
16 think what I am hearing is that we would like to  
17 have the same populations going back into the  
18 patient and started and the same number of cells  
19 infected so the individual is not worse at the go.  
20 Otherwise, it will be very difficult to analyze  
21 efficacy of the trial.

22 So, at the minimum, I would think that the  
23 envelope sequences, if they are breakthroughs, and  
24 this is very important because we are using cells  
25 from patients that are presumably resistant to the

1 current protease regime. So there will be high--  
2 there possibly will be replication.

3 So I think, at the minimum, the question  
4 of what is changed in the envelope region by  
5 sequencing and, at the minimum, by heteroduplex  
6 analysis, is something that should be considered as  
7 well as the number of cells going in and out in  
8 terms of bookkeeping infectivity are infected.

9 DR. DELPH: Would it be important to look  
10 as well at viral fitness or replication capacity of  
11 what is coming out?

12 DR. SALOMON: I think that what Dr. Reitz  
13 was saying is that. He is talking about biological  
14 assays of viral fitness. That was picked up as a  
15 theme by others. So, yes.

16 DR. REITZ: Also using that as an index  
17 for the possibility of recombination.

18 DR. ALLAN: I would go another step,  
19 though, because it is not necessary to have a  
20 replication-competent virus coming out that is a  
21 recombinant. I think that all you need to have is  
22 a gag/pol recombinant because, if you are  
23 introducing that into the patient, at some point  
24 later, you may get the recombination occurring. So  
25 it is not even just looking for viruses that have

1 the ability to replicate but you have to start  
2 looking at large sequences. So you have to do  
3 sequencing across a genome.

4 It is not just envelope that you are going  
5 to be worried about. I agree; that is a major  
6 concern. But, also, LTR gag and pol.

7 DR. SALOMON: Okay. So, trying to keep  
8 this in focus. We definitely hear that we need to  
9 know percent CD4 going in, percent CD4 infected  
10 going out. We also appear to be in consensus that  
11 we need some sense of the biological activity of  
12 the virus going in, as a baseline, but more  
13 importantly, of course, the virus coming out to  
14 make sure that just the procedure of transduction  
15 activation and in vitro culture doesn't alter the  
16 characteristics of these viral species that get  
17 returned.

18 But then, as we go to where Dr. Allan have  
19 taken us, and Dr. Mulligan, we need more background  
20 information is what I hear the committee saying, as  
21 well. I think that is where Dr. Zaia began.

22 I think, Dr. Mulligan, why don't you pick  
23 up on that. What additional information does the  
24 committee want that we wouldn't necessarily want  
25 them to do every time they did a patient but that

1 we need now to even think about it as an issue for  
2 the protocol.

3 DR. MULLIGAN: The simple, I think, would  
4 be to, even in vitro, to go through culturing the  
5 cells for a longer period of time because I am not  
6 sure we would ever be able to assess exactly what  
7 the test would be during the actual clinical  
8 procedure until we knew what we were looking at.

9 We didn't really talk about this but my  
10 conclusion is that there is really not a very good  
11 in vivo mobilization assay, from what we have heard  
12 and, therefore, we may be limited to these in vitro  
13 assays. So, carrying on the cells in culture and  
14 just looking for the kinds of things that occur  
15 won't necessarily tell you what is going to occur  
16 in the patient.

17 But things may well go on after the nine  
18 or ten days of culture. Different things might go  
19 on--and just seeing the kinds of variants that you  
20 would get I think would be very important.

21 DR. SALOMON: I agree with that. I was  
22 thinking about the experiment that could be done  
23 here. There is always a flaw in thinking of  
24 experiments on the fly. For that, I apologize to  
25 you because I am sitting here for a day. You guys

1 have done this for a year, probably.

2 But the experiment I was thinking about  
3 was if you took a group, X number of fresh CD4  
4 cells from an HIV-infected individual, activated  
5 them in your protocol, go about nine days, wash  
6 them, then add in new fresh CD4 cells from a  
7 noninfected individual, and sort of do serial  
8 passages each time bringing in some fresh CD4 cells  
9 from an individual and begin to look at--sort of  
10 use that as a real-life sentinel cell, at the same  
11 time doing parallel experiments in a very highly  
12 permissive cell line for R5 and X4 variants, just  
13 to really push the system through a couple of times  
14 to see what kind of viral variants are going to be  
15 produced and selected.

16 I don't think you are going to do those  
17 kinds of experiments in a SCID-mouse model. I  
18 would like to point out that my lab does NOD/SCID-  
19 mouse work. I am not in the anti-SCID-mouse  
20 population. I think it is an extremely useful  
21 model. But I am saying to you my feeling is I  
22 don't think these are experiments that could be  
23 done in that system.

24 DR. ALLAN: The monkey studies. There was  
25 a monkey study that was shown yesterday, and it was

1 nice, a lentiviral vector and it was targeting to  
2 the brain. You ramp up the concern when you  
3 introduce the wild-type virus into the person who  
4 is getting the vector.

5 So my question is, in this particular  
6 protocol, I am not certain that it is--designing a  
7 situation in a monkey-model system is strictly a  
8 basic academic endeavor because the thing you don't  
9 want to do is you don't want to kill an AIDS  
10 patient with the protocol.

11 I am not certain, at this point, whether  
12 or not that might happen. From what I have seen, I  
13 don't know that you are going to make that patient  
14 worse by introducing this vector. So the issue  
15 then is how do you define--we don't have a model  
16 system. The SCID mice aren't so good, while  
17 monkeys you have got to do all this stuff to.

18 But, yes; you do have to finagle a few  
19 things, not huge, but I am just saying if you want  
20 a model system, that is what you are going to have  
21 to do. I am not saying you have to do it. I am  
22 just saying that it is available.

23 DR. SALOMON: Dr. Torbett.

24 DR. TORBETT: I think what we are asking,  
25 I thought we were asking, is whether we could at

1 least look for the minimum number of changes seen  
2 over a certain period of time in culture without--  
3 and I can think of many studies in some of our  
4 mouse models and our other models that are probably  
5 beyond the scope here.

6 But I think, at the minimum, we need a  
7 little bit more information, at least in classic  
8 cultures that people have done in vitro. I think,  
9 at least myself, that would give me a little bit  
10 more reassurance.

11 DR. SALOMON: To Dr. Allan, I think the  
12 point here, to put this in context, is we are  
13 trying to focus on the protocol and we are trying  
14 to do it in the context of the principles we  
15 articulated yesterday. We talked about the  
16 relative value of the monkey model yesterday. I  
17 don't think that, unless the committee wants to  
18 take me otherwise--I don't think that the message  
19 to the FDA or the sponsor now is that these guys  
20 should go and generate a SHIV-modified monkey model  
21 before we would allow a protocol like this to go  
22 forward.

23 Do we agree on that? I mean, there are  
24 problems in the field. We acknowledged those  
25 yesterday. I thought your ideas were really

1 interesting.

2 DR. ALLAN: This is a Chicken Little  
3 thing, obviously. It is not going to create a  
4 public-health nightmare. But still the issue is  
5 the AIDS patient is at risk. The patient may have  
6 600 CD4 counts, may only have 5000 copies per ml of  
7 plasma, so it is not that they are in bad shape at  
8 that point.

9 So they are actually, I would think,  
10 relatively healthy. And you are going to treat  
11 them with this and you could kill them depending on  
12 what happens. I don't know what the risk is. But  
13 it is the same sort of situation when you say,  
14 well, gee; anthrax, we are not going to test postal  
15 workers or make them do this stuff because we don't  
16 believe that it is--and then you go back--you just  
17 don't want to be on a committee where you say,  
18 well, gee, a year ago, we didn't think that was  
19 going to happen and it happened.

20 DR. SALOMON: Okay.

21 DR. ALLAN: I am not saying that you  
22 should do a monkey model. I am just saying that  
23 there is a level of concern and the question is  
24 whether or not you want to take it to the next  
25 level.

1 DR. SALOMON: And I was saying that we  
2 have articulated that concern yesterday, and I am  
3 testing you now to see whether or not you want to  
4 elevate the level of concern higher than we  
5 elevated it yesterday to say that you would put  
6 that as a barrier, basically, before going forward.

7 I am saying that I haven't heard anything  
8 from the rest of the committee that suggests that  
9 this is a requirement to go forward in this area,  
10 but I certainly am not arguing with you about what  
11 you have articulated as an issue for the field.

12 DR. ALLAN: I am not saying that you would  
13 use a monkey model to test a lentiviral vector that  
14 was used for some other reason. But, in this  
15 particular case, you are using a lentiviral vector  
16 in the context of an AIDS patient who has a wild-  
17 type HIV. To me, that is different.

18 DR. SALOMON: Dr. Zaia?

19 DR. ZAIA: We are being unfair to the  
20 sponsor if we don't set the bar, or at least advise  
21 the FDA on how to set the bar. So let's talk about  
22 two bars. A high bar may be evidence in the monkey  
23 that you cannot change the biotype of the virus in  
24 such a way to make the monkey worse.

25 So let's suppose that were done and you

1 actually constructed the vector with antisense to  
2 SIV envelope. You then went through the experiment  
3 and you found that the monkeys did make more virus  
4 and they grew faster and they got AIDS quicker.  
5 You failed the bar. You did not pass the bar. The  
6 bar was set high and now you probably would never  
7 bring this to the clinical trial.

8           That is one bar. That is not an  
9 unreasonable bar if you are concerned about what  
10 let's call it the anthrax level of concern. But  
11 the other bar, then, would be looking at--let's  
12 call it the Torbett bar.

13           DR. TORBETT: It is always a lower bar.

14           DR. ZAIA: You look at what goes in and  
15 ask the question, is there some change in that  
16 virus. If we look at, let's say, 200 clones and we  
17 characterize the mutations. We look at some  
18 fitness and we have this experience. We don't see  
19 any more increased fitness from these three  
20 experiments we did, let's say. So that is the low  
21 bar that you pass.

22           I guess there is no real way to know what  
23 is best. If we are very concerned that the design  
24 of the experiment may lead to worse virus, then I  
25 think it is reasonable to ask for the higher bar.

1 It is not an impossible task to accomplish.

2           Once accomplished, it may give us a lot  
3 more--lessen the anxiety, let's say, of the  
4 scientific community that is arguing in defense of  
5 this study to go forward to advance the field.

6           DR. EMERMAN: A way to get around all  
7 these questions of a worse virus coming out of the  
8 transduction is to--I think they proposed was to do  
9 an expansion in the presence of antiviral drug, or  
10 antiviral drugs, assuming they pretest virus from  
11 the patients knowing that--

12           DR. SALOMON: Dr. Emerman, there is  
13 nothing in the protocol at the moment suggesting  
14 these expansions will be done in the presence of  
15 antiviral drugs, number one. Number two, we have  
16 pointed out that if, indeed, these are patients who  
17 are, at some level, failing HAART, then the  
18 significance of the antiviral drugs is less clear  
19 yet.

20           DR. EMERMAN: But that could be tested  
21 because, if, at the end of your expansion, you  
22 don't see any virus, then that is fine. That means  
23 that they didn't expand a drug-resistant virus.  
24 People fail HAART for a lot of reasons only some of  
25 which are resistance to the drug.

1 DR. SALOMON: That's fair.

2 DR. ALLAN: The thing is, it doesn't fail-  
3 -it doesn't end when you put the cells into the  
4 patient. You put the cells in the patient, you  
5 could continue to get recombinants. You could  
6 continue to get things that happen later on. So it  
7 is not just, this is safe now and we can put it in.

8 DR. CORNETTA: Dan, this is Ken Cornetta.  
9 One of the things that has been disturbing, I  
10 think, for me over yesterday and today is not only-  
11 -I think most of the assays that have been looking  
12 for recombinants have been geared for common  
13 recombinants. But even looking back at the old MLV  
14 data, various cells that can be tested may or may  
15 not express various recombinants very well.

16 It seems most of the people that have  
17 presented have looked at one assay using one or two  
18 cell lines. I think, in just general, I am not  
19 sure folks have spent enough time looking at  
20 assays for trying to detect recombinants that  
21 really make me feel very comfortable that people  
22 have a good handle on being able to analyze both  
23 the product and then the transduced cells.

24 DR. SALOMON: Good.

25 Dr. Sausville and then Dr. Reitz.

1 DR. SAUSVILLE: I just wanted to--with all  
2 due respect to the monkey models, I would counsel  
3 against making that a bar because I think that is  
4 investing what is a biologically very informative  
5 model with a level of ultimate access in the  
6 clinical-trial sense that I just feel very  
7 uncomfortable with. I think that I would rather  
8 characterize well the product that comes out and  
9 address some of these issues of variability in the  
10 transduced cell population rather than the monkey  
11 situation.

12 DR. ALLAN: I understand what you are  
13 saying, too. But what happens is that you don't  
14 know what is going to happen when you put it into a  
15 biological system. You can do tissue culture and  
16 you can passage it, but that is not what happens in  
17 a person that is infected. It is not what happens  
18 in a monkey that gets SHIV or whatever. There are  
19 studies that are done which, if you take a deletion  
20 in nef and you put SIV into a monkey, then you get  
21 nef back.

22 You can put a defective virus in one leg  
23 and another defective virus in another leg, and you  
24 get replication-competent virus.

25 So I don't know that an in vitro tissue

1 culture system is going to be able to tell you  
2 that. I am still wondering whether--because the  
3 monkey-model system is not that difficult to  
4 engineer, really, because you can still use the  
5 env, because if you use the SHIV system, you don't  
6 have to redesign the antisense env. You can use  
7 that.

8           The only thing you have to redesign is  
9 really the gag/pol. So it is not that big a deal.  
10 I am not doing it so I can say that.

11           DR. MULLIGAN: I was just going to say  
12 that we may not want to equate the level of concern  
13 with whether we do the monkey--I think that is  
14 maybe what Ed is saying. I would look at it that  
15 we have the level of concern, I think. the issue  
16 is if we were really to analyze the data that we  
17 would get from the monkey, would it really satisfy,  
18 for sure, our concern one way or the other; that  
19 is, this data could be very, very helpful.

20           I am sure everyone would love someone to  
21 do this and test it. But if you really ask this  
22 question which we always ask is how relevant is the  
23 model system, how meaningful is it, I am not sure,  
24 in this particular case, we would get any clear  
25 consensus, even though we might get a clear

1 consensus that the level of concern we have is very  
2 high.

3 DR. ALLAN: It wouldn't tell you yes or  
4 no, it is safe or it is not safe. What it does do  
5 is it give you a lot more information in terms of  
6 safety in a patient. It gives you a lot more  
7 information.

8 DR. SAUSVILLE: But, on the other hand, if  
9 you are going to pursue the point, if the animal  
10 model were to faithfully replicate the clinical  
11 scenario, you would have to have some sort of  
12 control where the animal is observed for the same  
13 period but with a non-changed virus.

14 You are talking about a scope of an  
15 experiment that we just usually don't require, I  
16 don't think, in any therapeutic area. I am  
17 concerned that that could ultimately block progress  
18 if that were set as a bar for every type of therapy  
19 of this sort.

20 DR. ALLAN: I'm only suggesting it in the  
21 sense of treating an AIDS patient with an HIV  
22 vector. I am not suggesting that in other types of  
23 therapeutic modalities but only in this particular  
24 case.

25 DR. DELPH: I am obviously not competent

1 to make any determination as to whether in vitro  
2 testing is going to give you better information  
3 than monkey models, how much better the information  
4 from monkey models is going to be. But I do think  
5 that there is a level of concern there, which I  
6 share. And I also think that this is a new area.  
7 This is completely new territory as far as we are  
8 concerned in terms of putting lentiviruses into  
9 HIV-positive patients, an HIV-positive patient.

10 I think, for that reason, we need to err  
11 on the side of caution. So I would urge that the  
12 bar be set higher rather than lower. I don't know  
13 what the tests are that you need to get to that bar  
14 and whether they are worth doing. But I would err  
15 on the side of caution.

16 DR. SALOMON: As someone who is  
17 representing the AIDS community for us, my  
18 interactions with the AIDS community in the past  
19 wouldn't have prepared me for that statement. I  
20 just wanted--if you could kind of deal with that.  
21 Do you think that what you have just said would  
22 represent, obviously not every person in the AIDS  
23 community. No one is trying to be that absurd, but  
24 it seems to me there are groups in the AIDS  
25 community that feel that a patient failing HAART is

1 a young person, innocent person, dying.

2 The relative risk here of generating a new  
3 virus and spreading it into the community, which is  
4 what you should be concerned about, would be very  
5 low providing you had patients who adhered to  
6 appropriate behavior during the trial not to bring  
7 this whatever was happening inside them, if  
8 anything bad was happening, to another patient.

9 So I guess I am a little uncomfortable. I  
10 just hope that we think about that for a minute.  
11 The best way to kill an idea is a committee. At  
12 some point here--I don't want to go too--I just  
13 want to make sure that I raise the issue for  
14 discussion here. I think I am being clear.

15 DR. DELPH: Obviously, I cannot speak for  
16 the HIV community and, obviously, there are going  
17 to be very different opinions from mine. But I do  
18 think that if you look at--there are people who  
19 really have virtually no options at the moment and  
20 who are in dire straights.

21 But I have no idea what the relative risk  
22 that you are talking about, how quantifiable that  
23 is. So it is very difficult for me to say at this  
24 point is the relative risk worth it for those  
25 people or for the rest of the HIV community. I

1 guess what I am saying is that, yes, we need to  
2 balance the risks and benefits but this is  
3 completely new territory and whereas, I think, we  
4 have familiarity with antiviral drugs, it is a lot  
5 easier to say what you need to do and to assess  
6 those relative risks.

7 Right now, I have no way of assessing  
8 those risks. And, from what I have heard, I don't  
9 know that many people here can quantify that risk  
10 for me.

11 DR. SALOMON: Just to share with you kind  
12 of where I was from, just to finish the thought. I  
13 went through this over the last five, seven, years  
14 with xenotransplantation. Today, we still don't  
15 have a very specific measure of relative risk and  
16 so this equation of risk and benefit has been as  
17 much a part of those debates as we have now been  
18 having here.

19 I think that one has to think about--one  
20 of the things that came out in our  
21 xenotransplantation considerations was the idea of  
22 individual risk and public risk. Individual risk  
23 can be handled very well by a consent form. The  
24 risk that a more rapidly, more fit, HIV species  
25 could come out in an individual and lead to a more

1 accelerated death can be explained in an informed  
2 consent and put in the context of, we have done our  
3 best to select a patient population with HIV that  
4 really has no option.

5           If I wasn't convinced of that, if we were  
6 talking about relatively healthy people being  
7 pulled off the street, that would be a different  
8 issue. But providing that we would assure  
9 ourselves later that the clinical-trial design  
10 chose an appropriate population, that is individual  
11 risk.

12           So, the only thing that goes to public  
13 risk is that then there would be transmission from  
14 that patient in the trial to the public, anyone  
15 that they would be in contact with in any context.  
16 That is manageable and small if the patient  
17 population is mature and compliant. That is where  
18 things get gray.

19           DR. ALLAN: I am concerned about the  
20 patient. I guess, in contrast to  
21 xenotransplantation, I am little bit more concerned  
22 about the patient here. With xenotransplantation,  
23 the patient is going to die within a week, month,  
24 or whatever. An HIV-infected patient who has got a  
25 600 cell count and 5000 viruses, I don't know how

1 long they are going to be able to live for. Do you  
2 know? A couple of years?

3 DR. DELPH: Longer than that.

4 DR. ALLAN: Maybe longer than that.

5 DR. DELPH: Somebody with a viral load of  
6 5000 and CD4 cells of 600 is really not in dire  
7 straights.

8 DR. ALLAN: No. So you are jeopardizing  
9 the individual health. The other point about  
10 xenotransplantation, just to take the other aspect,  
11 is we do require, in xenotransplantation, that they  
12 do an animal-model study, that they put pig hearts  
13 into baboons. We even set a bar in terms of  
14 primates in xenotransplantation.

15 DR. SALOMON: Okay; but just remember that  
16 was a benefit bar, not a risk bar, in the primate.

17 DR. ALLAN: We are doing the same thing if  
18 you require a macaque model. You would be looking  
19 at efficacy as well.

20 DR. SALOMON: Okay; just the discussion  
21 was on risk.

22 Dr. Zaia and then Dr. Reitz.

23 DR. ZAIA: I think that I am persuaded by  
24 Dr. Sausville's point that a monkey model as the  
25 bar may not be adequate for two reasons; one, it

1 may not answer the question and it may be too  
2 impractical for other studies as they come along in  
3 the future.

4 But I think that there still is an  
5 intermediary situation, that the sponsor carries a  
6 burden to show, and that is the fitness of the  
7 virus. So if there were, say, 100 isolates  
8 analyzed and 100 of them were unfit, or whatever  
9 the word is for that, and there were no better-fit  
10 viruses in that, at least you have assured the  
11 patient who is undergoing the consenting procedure  
12 as well as the FDA that it is a highly unlikely  
13 event to occur.

14 I think maybe that is all we can say.

15 DR. SALOMON: And that was the consensus  
16 earlier

17 DR. SAUSVILLE: I further make the point  
18 that, even if you had a very successful monkey  
19 experiment, you would still have to do that for the  
20 product that you are going to put into the person.  
21 So that is why I see this is a product-  
22 characterization issue at one level.

23 DR. SALOMON: Dr. Reitz?

24 DR. REITZ: I would just like to agree for  
25 a slightly different reason with Dr. Allan about

1 there being--I think you have got to think about  
2 risk to the patient more than the population  
3 because it seems to me the likeliest phenotypic  
4 conversion in this particular situation would be  
5 the an X4-tropic virus which could be fitter within  
6 the patient but it is also a virus that is less  
7 easily transmitted, almost never transmitted from  
8 one person to another. So I would be more  
9 concerned about the patient than the population.

10 DR. DROPULIC: Could I just answer that?

11 DR. SALOMON: Okay; she has been standing  
12 there, too. Go ahead.

13 DR. DROPULIC: I just want to answer that  
14 comment by Dr. Reitz. We will restrict the patient  
15 study population to X4 strains. So we will  
16 demonstrate that the patient has X4.

17 DR. SALZMAN: This is Rachel Salzman, STOP  
18 ALD Foundation. I want to comment about the bar  
19 setting. We talked about the high bar, the low  
20 bar, the in-between bar. When you start talking  
21 about a high bar for just the HIV subject patient  
22 population, that causes a little bit of problem  
23 because there has been so much discussion here that  
24 the non-HIV population may become an HIV-positive  
25 population.

1           So when you start saying, well, the bar  
2 for HIV studies is this and the bar for non-HIV  
3 studies is that, then there is kind of a logic  
4 flaw. So, of course, I am kind of coming more from  
5 the perspective of you invest all this time and  
6 effort into accomplishing and meeting the standards  
7 of the high bar and what you have learned,  
8 ultimately, just doesn't seem like it is of the  
9 value for what you have invested into it.

10           So just remember that the non-HIV  
11 population, technically speaking, could become  
12 potentially HIV and we wouldn't want to exclude  
13 them and make them have to meet these higher bar  
14 standards.

15           DR. SALOMON: I don't necessarily see the  
16 logical flaw, to be honest.

17           DR. SALZMAN: The logical flow is every  
18 time that it has come up saying, well, let's put  
19 the HIV patients in this category, and then the HIV  
20 specialists say, well, just remember that the non-  
21 HIV people can become HIV-positive. So suddenly  
22 you have done--

23           DR. SALOMON: There is nothing illogical  
24 about that. That is real.

25           DR. SALZMAN: Right. Exactly. So my

1 point is that if you are going to say, okay, the  
2 high bar is only for studies that you are going to  
3 treat HIV patients, well, then, by definition, the  
4 high bar has to be for non-HIV patients because  
5 they could become HIV patients.

6 DR. SALOMON: Exactly. I don't think  
7 anyone suggested an illogic on that. That's all.

8 DR. ALLAN: But what I think she is saying  
9 is that she is afraid that what we are going to do  
10 is--

11 DR. EMERMAN: --we are not doing that in  
12 the non-HIV people. If they get infected later,  
13 that is a totally different story. We are talking  
14 about what goes into those people.

15 DR. MULLIGAN: Another point that may make  
16 you feel a little more comfortable is that the  
17 mobilization sorts of things we are talking about  
18 are dependent upon what HIV infects, too. So, in  
19 many of the cases, you may have a particular  
20 interest in. Although it is true that you could be  
21 infected by HIV, you may be doing some cells,  
22 trying to transduce cells, that are not susceptible  
23 to HIV.

24 So it wouldn't necessarily mean that it  
25 would completely cover all kinds of activities. So

1 if you were doing muscle gene transfer, for  
2 instance, even if you were infected by HIV, in  
3 principle, you wouldn't be infecting muscle cells.

4 DR. SALZMAN: Right. I am coming at it  
5 from the other end, just saying--I mean, I think  
6 you see my point. I understand what you are  
7 saying, also. I am just saying I have concern when  
8 you are saying, well, we have these standards for  
9 the HIV population that are strict. What I am  
10 saying I like having the more middle bar and that  
11 then you are saying everyone could potentially be  
12 HIV positive, so potentially all patients should  
13 have to meet those higher standards. That is all.  
14 It just seems to me the value is not necessarily  
15 weighing what you are investing into it.

16 DR. SALOMON: Dr. Wilson?

17 DR. WILSON: I wanted to make one point to  
18 clarify regarding the last comment which is that if  
19 I had been following the train of discussion, I  
20 think Dr. Allan's proposal to raise the bar is  
21 specific to this particular protocol because of the  
22 use of the antisense envelope where there is data  
23 suggesting that that would drive changes in the HIV  
24 envelope.

25 As Dr. Mulligan was also saying, not only

1 is it an issue of different target cells, but  
2 presumably that issue wouldn't arise if you are not  
3 treating HIV with that type of--you wouldn't be  
4 putting into a non-HIV-positive patient population  
5 that type of a vector.

6 MS. KNOWLES: I am hearing the scientific  
7 concerns from others on the committee and I agree  
8 with those concerns. But I have some other safety  
9 concerns in terms of the proposed trial. I think  
10 that the proposed tests in terms of in-process and  
11 QC during manufacturing are vague except for the  
12 RCL assays and that those other tests ought to be  
13 identified and detailed.

14 Also, I am concerned, and this is a little  
15 further down the line, but there is very little  
16 information regarding the dosage schedule after the  
17 initial dose and what parameters are in place for  
18 continued treatment, et cetera.

19 DR. DROPULIC: Could I just answer that?

20 DR. SALOMON: No. Don't answer that  
21 because we will get to that in a second. Those are  
22 very important points so don't let us forget that.  
23 We are getting close to what I think could be a  
24 consensus of this, and this is so important to the  
25 whole field, not just to your protocol.

1           So, trying to capture this here, I think  
2 we have all agreed that we need to know the percent  
3 of CD4 cells going in that are infected, the  
4 percent that are coming out and some  
5 characterization of the virus before and after to  
6 look at what is happening during activation and in  
7 vitro expansion.

8           I think that we also have all agreed--stop  
9 me if I am wrong, here--but we have all agreed that  
10 before you really even go forward in new clinical  
11 protocol, we want to see more data for longer in  
12 vitro culture, naive T-cells, T-cell lines selected  
13 to reflect different sorts of biological tropism,  
14 X4, R5.

15           I think the point came that we should be  
16 more careful about different kinds of cell lines,  
17 not just one kind of cell line. So I think we all  
18 sort of follow that. As for the monkey studies and  
19 where we set the bar, I think that in the final  
20 discussion here, and again I would encourage a  
21 vigorously defended and articulated minority  
22 opinion, Dr. Allan, but I think that the final  
23 sense here is that a monkey study, even for this  
24 protocol, is too high a bar, particularly based on  
25 the fact that I, too, am not convinced, as Dr.

1 Sausville said, that it is clear that the monkey  
2 study will answer the question.

3 I, at the same time, as a scientist, would  
4 look very favorably on such a project had it come  
5 across to me in a different role as a study  
6 section. But I would have to see that one and  
7 really be sure that it would work before I could  
8 come back on a regulatory advisory committee and  
9 suggest that that is where the bar should be set.

10 I think that that was the sense of the  
11 majority of the committee. Did I capture it right?

12 DR. CHAMPLIN: I believe so. I was just  
13 going to make one little point. We talked about  
14 longer-term culture with toxicities in mind, but  
15 efficacy is also dependent upon the lack of  
16 emergence of recombinants that would not be  
17 affected by the antisense. So, if this proved to  
18 something that readily occurred in a high frequency  
19 of samples, that that would suggest that the  
20 strategy was unlikely to be successful in vivo.

21 I am not sure, as a nonvirologist, how  
22 predictive those types of assays could be, but one  
23 would like to see some assessment of long-term  
24 sensitivity to the antisense approach and the lack  
25 of emergency of emergence of resistant virus.

1 DR. SAUSVILLE: I would heartily endorse  
2 that position because I think it gets to the  
3 essence of knowing more about what you have got  
4 coming after the T-cell incubation procedure before  
5 going on.

6 DR. ALLAN: The only thing I am going to  
7 say about the monkey model at this point is that it  
8 is not an academic exercise. It actually goes  
9 right to the heart of efficacy. It goes right to  
10 the heart of safety. It is a straightforward  
11 experiment and, without that, I think you really  
12 have to--and this is something we will probably get  
13 to later which is patient selection.

14 So if you are not going to do the efficacy  
15 or the safety issue in the monkeys, then do we want  
16 to only select patients that we know have a short  
17 life span. In other words, maybe their CD4 counts  
18 are 50 or 100 or something that--or their viral  
19 loads are a million, something that would give you  
20 some sense that they may be in crisis, because you  
21 are actually treating someone is relatively  
22 healthy.

23 So that is my concern here.

24 DR. SALOMON: I think that that was  
25 corroborated by everybody, that patient-selection

1 issues are relevant here.

2 DR. SAUSVILLE: I just had a question for  
3 Dr. Noguchi and the FDA staff in relation to this  
4 issue of efficacy because, again, while efficacy is  
5 certainly a criterion for success--i.e., marketing--  
6 my impression was that efficacy, as any type of  
7 bar to enter a phase I, is not defined as a  
8 regulatory issue. Would you care to address that?

9 DR. NOGUCHI: We would put it slightly  
10 differently, that in many cases the question of  
11 efficacy is certainly not the primary consideration  
12 that we have when evaluating first time in man.  
13 There unusual circumstances such as this one and is  
14 the reason for the extended discussion where the  
15 question of safety in this particular case and the  
16 question of efficacy does become one of those real,  
17 almost beyond FDA balance, because part of the  
18 issue that has been discussed here is is this  
19 experiment just wrong at this time or is it right  
20 at this time, or are there other things that can be  
21 done.

22 Part of that must be in the context of  
23 potential benefit. If there is no chance for  
24 benefit, because of the nature of the vector coming  
25 from a disease-causing agent, one might really want

1 to pose the question, maybe this should not be done  
2 at this time because there is no chance of  
3 efficacy.

4 In the absence of being able to say that,  
5 then I think we need to consider to do these very  
6 careful discussions of the nuances of risks,  
7 possibly future benefits, potentially no benefits  
8 at this time.

9 So the long, roundabout way, is it is not  
10 the primary determinant of whether to go forward at  
11 this time by FDA in terms of that and, for these  
12 early trials, first time in man, it is always  
13 primarily based upon safety. But the questions of  
14 efficacy do enter in the discussion. They may or  
15 may not influence the final decision, but they do  
16 play a part.

17 DR. SALOMON: There are some details in  
18 Question 1 that I would like to go to try and  
19 organize it but not, of course, restrict anyone  
20 from bringing up anything else. I think one issue  
21 we need to deal with is the vector. Implicit here,  
22 Dr. Rao reminded me a moment ago, is we have got to  
23 go back now and say how about this vector.

24 It is a very interesting strategy that has  
25 been proposed and described, I think, very nicely

1 by Dr. Dropulic this morning, to use a single  
2 helper plasmid, obviously, a very distinct strategy  
3 from that employed and described in detail  
4 yesterday by Dr. Verma and Didier Trono and Luigi  
5 Naldini, et cetera, where each generation actually  
6 broke it into more and more so now we are into  
7 four-plasmid systems.

8           That's fine. It is two different  
9 strategies. So one of the things we have got to  
10 talk about is the vector and what safety issues are  
11 there.

12           A second of three things that I want to  
13 talk about is to use a transient-transfection  
14 system versus a stable packaging cell line. We  
15 have heard discussions of that yesterday and we  
16 should talk about whether or not that has major  
17 safety implications and put that in the context of  
18 where the field is today.

19           The third thing we need to talk about, I  
20 think, is we need to come back, at the end here, to  
21 deal with Dr. Cornetta's comments which I take very  
22 seriously, and that is concerns with the RCL assays  
23 because that is something we grappled with  
24 yesterday and I think we all admit that that is far  
25 from perfect.

1 I think Dr. Cornetta could maybe start us  
2 with that in a minute or two. So can we start with  
3 vector, transient versus stable, and then RCL  
4 assays. I think that will kind of get us through  
5 Question 1.

6 Vector; one plasmid helper versus four-  
7 plasmid or three-plasmid systems, transient.

8 DR. MULLIGAN: After the heavy  
9 conversation we just had, I am not sure that this  
10 is all that big an issue since we talked about it  
11 yesterday in great detail. I would weigh in that  
12 this certainly, I would consider, the safest vector  
13 for this clinical trial, as we have mentioned  
14 several times. I think that could be possibly the  
15 case.

16 I think, on the other hand, it is not very  
17 different than the transient transfection approach  
18 that others talked about. So, whether you use  
19 three separated pieces that all go back together  
20 after you transfect them or use one that is already  
21 together I don't think makes a big difference.

22 I guess I would say that looking at the  
23 packaging construct in some detail, it has these  
24 fancy bells and whistles but I didn't see a  
25 characterization that the features that it has

1 actually do what they are intended to do. I am not  
2 sure, in this group, we really want to harp on this  
3 but psychologically the fact that investigators put  
4 three or four poly-A sites and pauses between the  
5 gag/pol and the VSV-G makes me think that they  
6 think that it is important that there isn't  
7 transcriptional read-through.

8 They may want to comment on that, but--

9 DR. DROPULIC: Could I comment on that?

10 DR. MULLIGAN: I am not finished. Let me  
11 just finish. I saw no evidence from any of the  
12 information that anyone ever looked, for instance,  
13 at the RNA species after transient transfection  
14 being a dinosaur in the vector field, and I have  
15 seen everything that can go wrong, not according to  
16 theoretical principle.

17 Certainly, in this case, when you have all  
18 those bells and whistles, all those sequences, you  
19 can have cryptic donors and acceptors, you can  
20 cross poly-A sites so they are not functional.  
21 Transcriptional pauses don't always work when you  
22 put them where they ought to be put.

23 So many things can happen. I just think  
24 it would be nice to actually, for the FDA at some  
25 time--maybe we don't need to discuss it now--to see

1 the information that validates the fanciness of the  
2 vector system. All that being put, it is probably  
3 comparable to the other transient-transfection  
4 systems.

5 Just on the vector side, other than this  
6 issue of whether you want a mobilizable vector or  
7 not, it is a garden-grade variety vector like the  
8 other vectors people have. So I don't think there  
9 is a big issue there.

10 DR. SALOMON: Dr. Dropulic.

11 DR. DROPULIC: I think we could those  
12 studies if you wanted us to do them to validate the  
13 bells and whistles, if you like. But we didn't  
14 have any concern--I mean, actually we got the  
15 vector from a commercial supplier. It came with  
16 those bells and whistles already there.

17 DR. SALOMON: I guess, from my point of  
18 view, I agree with Dr. Mulligan's concerns. I had  
19 the same concerns. I like the idea of this high-  
20 level molecular discussion and we put a pause site  
21 here, we put a poly-A site here, we put an ATG stop  
22 code on.

23 Okay, but, I guess I grew up in the  
24 Midwest. It is sort of like, show me and I will  
25 believe you. I think that the problem is that--I

1 am not quite a dinosaur in that I have come to it  
2 more recently, and what bothers me continually is  
3 that there are all these sort of glib statements  
4 of, this will work, or, this will work.

5 I am not accusing you of being any more  
6 guilty of it than all of us. It is all fine as  
7 long as we are doing stuff in vitro and we are  
8 arguing with each other about whether you like my  
9 work and will publish it. But it is a real issue  
10 when you start talking about doing a human clinical  
11 trial.

12 I just think, as a principle, what I am  
13 defending as a chair, here, is yeah; I think if you  
14 are going to tell us you have got all these bells  
15 and whistles in the vector, then all I want is some  
16 reasonable evidence that those bells and whistles  
17 do what they say. Otherwise, they are irrelevant  
18 to me and I don't need to know about them.

19 DR. MULLIGAN: The other thing is just on  
20 the fancy models and pictures of all the  
21 recombinations that have to occur which, again, I  
22 have lived a life of this, that is all well and  
23 good as long as the original materials are as  
24 advertised.

25 DR. DROPULIC: It is validated, the

1 plasmids.

2 DR. MULLIGAN: I don't exactly mean that.  
3 I mean after you do a transfection and you have  
4 these two constructs, those two constructs will  
5 become one construct. Therefore, your DNA  
6 substrates for the events you are talking about are  
7 very different.

8 So, again, I think I am just echoing your  
9 point that, at the end of the day, it probably  
10 doesn't make much difference and it is probably  
11 just as complicated with three- or four-part  
12 things. But it doesn't give you confidence,  
13 basically, when you don't see the backup for the  
14 features of the vectors and it a difficulty in the  
15 vector field over the years.

16 We probably started this off the worst by  
17 making very fancy vectors and then realizing it is  
18 far better to get rid of things than to add things,  
19 and it is far better to have simplicity. But it is  
20 important that we get a sense of confidence that  
21 people are looking at these issues in a general way  
22 in a critical way.

23 DR. DROPULIC: We have always wanted to do  
24 the right thing. So, if that is what the committee  
25 requests of it, we will be happy to do to satisfy

1 the committee.

2 DR. SALOMON: I think one of the things,  
3 again, that maybe we could note here is that when  
4 the RAC reviewed it, one of their statements was,  
5 wow, this is just a first-generation vector and  
6 then you come back and say, well this is--that is  
7 where I was getting with Dr. Verma yesterday in  
8 trying to articulate the fact that this use of the  
9 word generation--again, it is word problems.

10 So what I am saying is that I agree with  
11 Dr. Mulligan, also. I don't think there is  
12 anything inherently wrong with your strategy. You  
13 guys have got to deal with this. I don't think  
14 that a four-plasmid vector system and a transient  
15 system is necessarily safer than the strategy you  
16 took, necessarily.

17 You got up and said, well, we did this and  
18 we did this because--and that is your argument to  
19 me that it is as safe, or safer, maybe. Dr. Verma  
20 and Didier Trono, these guys did their four-plasmid  
21 system. They did one. And then they did two, and  
22 they did three and four, all based on the premise  
23 that that would be safer.

24 All we are asking is that that all be  
25 backed up scientifically. It is not a

1 responsibility of your company more than anyone  
2 else, is all I am saying..

3 DR. ALLAN: Can I address the other issue  
4 about the vector is that you have an intact LTR. I  
5 know the reasons why you want to use an intact LTR  
6 so that you can get differential expression, so  
7 that you don't get the expression of antisense  
8 unless the cell gets infected with wild type. I  
9 like that. That is pretty cool. I like that.

10 But, on the other hand, if you have an  
11 intact LTR, it gives you a great chance for  
12 recombination because you have got LTRs there. So  
13 it is like you are between a rock and a hard place  
14 because you have increased your chance that you are  
15 going to get recombination, copackaging  
16 recombination, all these things with your vector.

17 So you are trying to balance these two  
18 things and I am a little uncomfortable with that.

19 DR. MULLIGAN: The recombination issue, I  
20 thought this would be dealt with in the  
21 mobilization; that is, if you drew the conclusion,  
22 as we kind of did yesterday, that we didn't want  
23 mobilization, then you are, obviously, in a  
24 quandary relative to the whole concept here.

25 Tracing the logic, it appears that some of

1 this may depend upon how much of a safety feature  
2 it is to have inducible antisense. I know that was  
3 emphasized in the talk. One of the conclusions  
4 that that is important is that you have the LTR  
5 present.

6           There are some other ways you could do it,  
7 but you certainly have to, otherwise, make a brand-  
8 new vector system. I didn't even want to get into  
9 that but I think that is an issue--I don't see  
10 particularly making antisense in an uninfected  
11 cell. I haven't seen, again, any data of how that  
12 would be dangerous. I may be interested to hear  
13 that. But I agree with you, that is the feature  
14 that is totally related to the mobilization  
15 question.

16           DR. DROPULIC: The efficacy of our vector  
17 is not only relative to the antisense payload. As  
18 I mentioned, it is a combination effect. Having  
19 the UTRs helps the tracking, helps competition for  
20 packaging. These are all attributes that help  
21 inhibit HIV.

22           DR. MULLIGAN: As Ronald Reagan would say,  
23 "There you go again." This is the same issue of  
24 there is--and I read the protocol very carefully--  
25 the issue of whether or not antisense worked better

1 when the RNA is localized. The data was not there  
2 for that.

3 Again, if that is the case, we need to see  
4 data that is rigorous and supports that.

5 DR. DROPULIC: I can tell you one example.  
6 We have a collaborator in the Boston area who has  
7 done some challenge experiments with a SHIV. It is  
8 the D12. Now, the SHIV D12 is very interesting  
9 because it contains both the tat and the rev and  
10 the envelope from pNL4-3, and yet it is in an SIV-  
11 type backbone.

12 So what our collaborator had done is  
13 transduced cells with our vector and then  
14 challenged both pNL4-3 and this SHIV onto the  
15 cells. He found three logs inhibition of NL4-3  
16 while two-fold or so inhibition of the SHIV.

17 Now, the SHIV is very interesting because  
18 it has got tat and rev that would also stimulate  
19 the vector LTR; right? There is not much  
20 difference there. When you think about it though,  
21 the level of expression is similar. It should  
22 affect and express from the vector.

23 The only thing that you can think about  
24 that is different between the two is  
25 colocalization. I suppose I am not articulating

1 that very well, but the differences in inhibition  
2 between SHIV and NL4-3 in transduced cells with a  
3 vector is indicative of some sort of differential  
4 effect.

5 DR. SALOMON: What I don't want to do now  
6 is--this is the path into a study section  
7 discussion. I would love to have it, to be honest  
8 with you, but that is not the job today. That  
9 doesn't trivialize the value of the science you  
10 just described or the issues that Dr. Mulligan has  
11 brought up.

12 Transient transfection versus a stable  
13 line; I have not heard anything in the last two  
14 days that makes me more or less comfortable with  
15 one or the other, provided the same sort of safety  
16 characterizations are done with both. In fact, my  
17 sense from everything I have heard from everyone is  
18 that we are probably not quite--the field is  
19 probably not quite ready for stable lines yet, that  
20 everybody sounds like they are working on them but  
21 that there are issues of titer and truncated LTRs  
22 rather than self-inactivating LTRs and different  
23 sorts of strategies.

24 Is there anyone who disagrees, basically,  
25 with the statement that--I don't think that is a

1 go/no-go criteria to use a transient. I think that  
2 the field could be moved forward now relatively  
3 safely with a transient expression system.

4 DR. MULLIGAN: I think so but, in fact, I  
5 think there was a consensus by everyone that the  
6 stable packaging cells did offer theoretical--

7 DR. TORBETT: I tend to agree with that,  
8 but in the absence of data, I think it is an even  
9 call all the way around. I see no data either way,  
10 again, with the one-plasmid versus four. Again,  
11 without the data, it is a personal judgment.

12 DR. SALOMON: All I was trying to get at  
13 was I think the advice that we can give the FDA on  
14 this point is that we wouldn't tell you to refuse a  
15 transient at this point. I just don't think there  
16 is anything here that suggests that is less safe  
17 than a stable.

18 So that brings us to Dr. Cornetta's  
19 comments and our discussion yesterday on RCL. Ken,  
20 do you want to just start us off, sort of restate  
21 your issues and add whatever you want to the RCL  
22 issue so we can get through that?

23 DR. CORNETTA: I just was looking at what  
24 has been presented and what is out there. I am  
25 just not very confident that we have something that

1 has been anywhere close to being validated for  
2 detection. Some of the things that are presented  
3 may not address two issues; one, when you are  
4 talking about amplification, you need to consider  
5 the effects and efficiency of the amplification  
6 cells.

7           Since we are not sure exactly what these  
8 recombinants might be in regard to both what their  
9 LTRs may be and also what the envelope may be, we  
10 need to be thinking about how efficiently might  
11 this recombinant infect the amplification cell and  
12 then, also, if it does infect the amplification  
13 cell, will it really be amplified.

14           That came to some issues, for example,  
15 yesterday talking about the PERT assay and using  
16 MLV as the amplification control. MLV probably  
17 would be amplified very efficiently in 293 cells  
18 and so you may well be setting the bar too high in  
19 trying to figure out what is your positive control.

20           I am not sure I have an answer for these,  
21 but I just don't have a lot of confidence right now  
22 that we can feel that the material that is being  
23 tested has been in a very rigorous way to date.

24           DR. SALOMON: Thank you. I think that  
25 that nicely states, also, the kinds of discussions

1 that we ended up with yesterday where I felt that  
2 the consensus we had reached at the end of  
3 yesterday was that, right now, still the RCL  
4 assays, and I am not contradicting what Dr.  
5 Cornetta just said, still the RCL assays are what  
6 we have.

7           So we have to think about maybe ways to  
8 enhance the quality of the RCL assays, and I think  
9 Dr. Cornetta gives us a couple of suggestions along  
10 that line. The fact that there are alternative  
11 assays, the PERT assay, looking for the gag/pol  
12 recombinants, I think we all agreed that that ought  
13 to be pursued and, yet, the fact that neither had  
14 really been that well validated made us all a  
15 little uncomfortable advising the FDA that that  
16 should be a requirement.

17           Are we sort of all agreeing on that sort  
18 of thing? Ken, are you comfortable with how I said  
19 that?

20           DR. CORNETTA: I think so. Just talking  
21 about your prior point about whether we use  
22 packaging cell lines or using transient  
23 transfection, the way we produce virus in the  
24 vector system is going to change very rapidly. But  
25 one thing that may well be the determinant of where

1 to go with these new systems is what are we really  
2 going to say qualifies that end product for our  
3 clinical use.

4 That, I think, needs to be a major focus  
5 and I am not sure we are there yet.

6 DR. SALOMON: One issue, just to be  
7 specific, is choice of cell lines. Just given that  
8 we are dealing with the VIRxSYS protocol, what  
9 process did you do to decide that the H9 cell line  
10 was your cell line of choice for RCL assays? That  
11 was the only one you tested and you liked it, or  
12 did you test ten others? You didn't show us any  
13 data for validating the use of the H9.

14 DR. LI: NL4-3 is a very classic T-cell-  
15 topic virus. You can infect H9, Sup-T1, whatever  
16 you can choose with all similar sensitivity.  
17 However, the reason we chose H9, FDA requires that  
18 all the reagents that we are using has a CFA. So  
19 we can't just make a virus stock.

20 So we bought a commercially available  
21 pNL4-3 virus stock with identified PCR50. It was  
22 provided by the manufacturer and where the titer  
23 was sensing the H9 cells. So we want to verify  
24 this one virion particle and go back to the  
25 parental cell line where the virus was produced.

1 DR. SALOMON: So that would tell you that  
2 the wild-type virus from which you made the  
3 antisense envelope sequence would be caught if it  
4 flipped, if it reinserted in the right direction,  
5 recombined. Then the H9 would be the ideal. But  
6 that, to me, isn't a very good rationale for its  
7 use for detecting replication-competent lentivirus  
8 emerging from this very complex recombinant-prone  
9 transduction system.

10 So I might argue that you should probably  
11 test a number of cell lines and, in addition,  
12 consider the use of target cell lines that, let's  
13 say, express tat. What was the one, CC--it was one  
14 of the cell lines there. Someone help with me. Is  
15 it rev that is expressed at low levels? Carolyn?

16 DR. WILSON: C8166 that has HTLV1 tax.

17 DR. LI: Can they have a clarification  
18 here? We are talking about two places where we do  
19 the RCL test. One is for viral production. In  
20 there, there is no HIV. There is nothing, except  
21 the--

22 DR. SALOMON: No; I am talking about the  
23 RCL testing of the T-cell-transduced product at the  
24 end of the nine- to ten-day period before infusing  
25 it into a patient.

1 DR. LI: I mean, there we do not use H9  
2 because you can't the H9. The cell already has  
3 HIV. The cell is from an HIV-positive patient.

4 DR. SALOMON: Right; so what do you use to  
5 detect--

6 DR. LI: We use 293-T because--

7 DR. SALOMON: Because that won't be  
8 infected. Okay. I admit to a confusion on my part  
9 on that one.

10 DR. LI: Okay. So we know VSV-G can, if  
11 any recombination happens between the gag and pol  
12 and VSV-G, it can be very productive, in fact, for  
13 the 293T-cells.

14 DR. SALOMON: Any comments from the  
15 committee? Is that going to be sensitive enough,  
16 the way they are going at this? I am still not  
17 totally clear here, because I kind of blew it,  
18 didn't I?

19 DR. KAPPES: I see what that approach does  
20 is it takes us back to the question of you may have  
21 generated a recombinant. We don't know what it is.  
22 We haven't characterized it, but we know it likely  
23 does not contain VSV-G environment. My point is  
24 there still is a void of information as to what  
25 recombination may have generated.

1 DR. ALLAN: Are you on Question 2 and, if  
2 you are on Question 2, where are you on Question 2?

3 DR. SALOMON: You don't have to make it  
4 worse.

5 DR. ALLAN: You are talking about assays  
6 for RCL, and there is the virus production and then  
7 there is the stuff that goes into the patient;  
8 right? If you are talking about, like this one  
9 says LTR gag/pol recombination of media--

10 DR. SALOMON: No; let me clarify. That is  
11 where I blew it. I should be talking about, right  
12 now, virus production in which case my earlier  
13 comments were okay. When I blew it was when I went  
14 and talked about what was coming out of the viral  
15 product which we need to get back to. But you are  
16 right. That is Question 2. I apologize to  
17 everybody. I am just human.

18 DR. BORELLINI: I have another question on  
19 the assay. What is the effect of the vector that  
20 is in large excess and carries the antisense for  
21 HIV on the amplification of the RCL?

22 DR. LI: We did a spiking experiment, used  
23 the bulk harvest which has lots of virus, our viral  
24 production. And the spike positive HIV. Actually,  
25 that is required by FDA. You have to prove your

1 viral production does not inhibit your positive  
2 control. And that was characterized. There is no  
3 difference with the viral production bulk harvest  
4 liquid, solution, whatever you want to call it, or  
5 just simply the H9 cells media. There is no  
6 difference, no comparable difference.

7 DR. SALOMON: So I think we are at the end  
8 of Question 1. I still believe--again before I  
9 made the misstep--I still believe that you should  
10 probably go back and test several cell lines, not  
11 just the H9.

12 DR. MULLIGAN: I think the question was if  
13 you are using a therapeutic vector that is supposed  
14 to suppress the emergence, how does that affect the  
15 detection of HIV. So I am not sure how to  
16 interpret the results you just presented. That is  
17 it doesn't work in this case, or what? Do you get  
18 my point?

19 What HIV you are putting in; could you  
20 maybe go back?

21 DR. LI: For the spike?

22 DR. MULLIGAN: Yes.

23 DR. LI: It is the bulk harvest. The  
24 vector we use for the cell transduction is  
25 processed and concentrated. For the bulk harvest,

1 we just use that as a culture--it is very diluted.  
2 We are not using that for transduction purposes.  
3 It is the very raw first harvest from the producer  
4 cells after DNA transfection. We just want to  
5 demonstrate, because that is the step. FDA  
6 requires you to do the RCL test.

7 DR. SLEPUSHKIN: I am Vladimir Slepushkin.  
8 I am responsible for production so maybe I can  
9 explain better. In the first step of the  
10 production, one of the first steps of the vector  
11 production is collection of the supernatant that  
12 contains vector. That is what is tested in this QC  
13 test for RCL.

14 In this supernatant, vector is in a very  
15 diluted concentration compared to the final  
16 product. Therefore, I think it doesn't impede  
17 sufficiently wild-type replication.

18 DR. SALOMON: Then I believe we are  
19 through Question 1. Do we agree with that?

20 DR. TORBETT: I guess I am a little  
21 confused because if it was expressing, wouldn't you  
22 knock down the number of possible recombinants in  
23 your readout assay? I think that is what Dr.  
24 Mulligan was getting at. The answer probably is  
25 yes? I think I just heard that is an MOI-dependent

1 phenomenon. I think that is what he was saying.

2 Is that correct?

3 DR. HIGH: But the answer we got is that  
4 it is okay because it is done on the bulk harvest  
5 and the concentration is dilute. But it must be  
6 done at the end, also; right? It is not just done  
7 on the bulk harvest, is it?

8 DR. LI: That is not a requirement.

9 DR. SAUSVILLE: That is the issue, the  
10 characterization of the final product is, as we  
11 started all this out, problematic.

12 DR. SALOMON: Right. So our job isn't,  
13 however, to express our angst. Our job is to give  
14 them some sort of specific guidance on what we  
15 should do. I am being a little quiet because this  
16 is getting close to the edges of my experience and  
17 expertise. So I am looking to my colleagues here.  
18 You have got to know when you know and when you  
19 don't.

20 DR. SAUSVILLE: Correct me if I am wrong,  
21 but the point that--the specific issue in the  
22 question was for the manufacture of virus. There,  
23 they are doing what the FDA requires. What was  
24 just articulated though was that the concentrated  
25 material at the end of the day, after it has been

at

1 through T-cells, et cetera, what I, and I think a  
2 number of us had in different ways, have issue with  
3 is that that entity remains somewhat undefined.

4 There, I think your point is quite well  
5 taken, that one would like to know, and one could  
6 imagine infecting different cell types as part of  
7 that. Again, whether this fits into the  
8 requirement guidance, et cetera, I think it would  
9 convey confidence that the product is going to  
10 perform in the way we think it is.

11 There, it would be a dilution issue among  
12 others to ferret out the different variants that  
13 might be present.

14 DR. CONDE: Could I just make a comment?  
15 I am Betty Conde, also with VIRxSYS. I work on QC.  
16 The reason why we test the end-of-production cells  
17 and the bulk harvest for the RCL assay is because  
18 that is what the FDA requires. So we are trying to  
19 meet the requirements as stated by the FDA.

20 The reason why, as Xuexia and Vladimir  
21 mentioned before, it looked like the bulk harvest  
22 wasn't affected is because it is about 100-fold  
23 less concentrated than our final product.

24 DR. SALOMON: I suppose if I understand  
25 it, then you know that you have come to the lowest

1 rung in this particular area. What I get is you  
2 have a viral containing supernatant that you want  
3 to test if you have replication-competent  
4 lentivirus. So you put it on H9 and then you say  
5 the supernatant; right? Very low MOI at this point  
6 which is a big problem because we have already  
7 seen, with your vector, at least, and I am sure it  
8 is really true with everyone's, that the lower the  
9 MOI, you begin to get grayer and grayer.

10 So you do it at a very low MOI and you do  
11 six passages now. So if replication-competent  
12 lentivirus is happening, then, theoretically, it  
13 should be coming out passage to passage and  
14 anything left over from the early inoculum is long  
15 gone by the sixth passage. I am okay with that.  
16 And six passages to amplify it--well, I would again  
17 defer to my colleagues, but some of the stuff we do  
18 with endogenous retrovirus, six passages is pretty  
19 reasonable.

20 So you get amplification. And then you go  
21 back in and you do PCR for VSV-G and for--that is  
22 end. And also gag.

23 DR. CONDE: And also gag; right.

24 DR. SALOMON: You don't detect anything;  
25 right? You get zero signal.

1 DR. CONDE: Right.

2 DR. SALOMON: That is replication-  
3 competent lentivirus-negative.

4 DR. CONDE: Right.

5 DR. SALOMON: So now these guys are going-  
6 -well, wait a minute. Later you are going to take  
7 200 MOI and go to T-cell transduction, do the same  
8 assay at 100 MOI. I think that is what Dr. High  
9 was suggesting.

10 DR. CONDE: Yes. But we also test the  
11 transduced cells at the end, the same way, using an  
12 RCL assay.

13 DR. SALOMON: That's okay. The question  
14 here is knowing that you don't have any detectable  
15 RCL at an MOI that really doesn't represent the MOI  
16 that you are going to go on to your T-cells with an  
17 issue to anybody. It is a test. It is a crude  
18 test. It doesn't convince any of us absolutely  
19 that there is no RCL in that prep, is all I am  
20 saying.

21 Then the question is, okay, but leave us  
22 alone because we do it again after the  
23 transduction. Is everybody okay with that?  
24 Because, after the transduction, of course, life is  
25 different. You are not going on to H9. You are

at

1 going on to 293. You have got a background of  
2 wild-type HIV. There could have been  
3 recommendation. So a premise you are trying to say  
4 here is that your assays are relatively equivalent  
5 so it is okay because we were going to reassure you  
6 after the transduction.

7 I guess my concern here is that this 293  
8 assay after the transduction is more problematic  
9 and less sensitive, but maybe that is wrong. That  
10 is what we need to discuss.

11 DR. ALLAN: The 293 assay is just to  
12 detect whether you have got VSV-G; right? It is  
13 not to detect whether you have got any HIV-related  
14 sequences. So, again, why are they testing bulk  
15 rather than the purified virus prep?

16 DR. WILSON: Perhaps I could clarify that  
17 because I am not exactly sure where that impression  
18 that we only require testing of the bulk harvest  
19 came from. So, just to clarify, that is not  
20 something that we require, recommend. I think that  
21 we would determine that on a case-by-case basis,  
22 what the appropriate stage for testing would be,  
23 whether it be a bulk harvest or a final product.

24 DR. LI: That was the document based on  
25 the murine leukemia virus.

1 DR. BO: Mark Bo from GTI. I may have  
2 missed this. What is the positive control, then,  
3 in the 293 test of the cell?

4 DR. LI: That question has been answered  
5 many, many times because we do not want to create  
6 an HIV VSV-G virus. I don't think anybody wants  
7 that around.

8 DR. BO: So there is no positive control?

9 DR. LI: We do not have RCR-positive--you  
10 can't create a positive control to predict an  
11 unpredictable event.

12 DR. EMERMAN: Hi. This is Mike Emerman.

13 DR. SALOMON: Go ahead, Mike.

14 DR. EMERMAN: One suggestion is, instead  
15 of H9 to use primary CD4 cells which would also  
16 amplify the HIV but then you come back with your  
17 PCR assay for VSV-G. So what you are really  
18 worried about is recombination between I guess  
19 anything that is VSV-G and HIV. Even though you  
20 would be getting replication of HIV in that  
21 culture, your test would be is there any VSV-G  
22 still around.

23 By using 293 cells, you are getting rid of  
24 all of the HIV. That is certainly a fair test, I  
25 think.

1 DR. SALOMON: Dr. Emerman, you are saying  
2 as long as they do the 293 test and maybe do a CD4  
3 test at the end of the transduction that you are  
4 okay with the current strategy?

5 DR. EMERMAN: Yes; I am not sure what the  
6 293 test is actually going to--yes; I am okay with  
7 that.

8 DR. ALLAN: I think the reason for the 293  
9 is because, if you have got  $10^6$  HIV particles and  
10 you have got one particle of potentially  
11 recombinant VSV-G, and you just threw it on CD4  
12 primary cells, you are just going to get--you may  
13 not see VSV.

14 DR. EMERMAN: I think if you had  $10^6$  HIV,  
15 you wouldn't want to be putting that into a person,  
16 anyway.

17 DR. ALLAN: Well, no; this is what is  
18 coming off the transduced cells, so you are going  
19 to get some--you may not get a lot of HIV,  
20 actually, but you would get some probably because  
21 of the breakthrough studies we saw earlier. So I  
22 would expect you would see some HIV.

23 DR. EMERMAN: Right. But, again, that is  
24 what you are worried about, is there something  
25 really, really bad in that stuff. One thing that

1 would be really bad in that stuff is something that  
2 had VSV-G in it.

3 So you are amplifying something that is  
4 actually more realistic. And you are also  
5 amplifying in the same cells which it is going into  
6 in the body, which is primary CD4 cells. Whether  
7 or not something grows in 293 cells is somewhat  
8 irrelevant. Those are not the relevant cell types.

9 DR. MULLIGAN: He is getting at the issue  
10 of how you preamplify before you test for the VSV-  
11 G.

12 DR. EMERMAN: Yes.

13 DR. SALOMON: Right. I heard that. I  
14 guess, trying to synthesize it here, I am still  
15 uncomfortable with the idea of testing the low-MOI  
16 bulk supernatant because I am not convinced by  
17 anything that the 293 VSV-G assay that you are  
18 employing to test the final T-cell-transduced  
19 product and, even accepting Dr. Emerman's excellent  
20 suggestion that you do it with fresh human CD4--I  
21 like to do that and that is something you should be  
22 doing.

23 But I still am not convinced, because of  
24 the sensitivity of the VSV-G assay and some of the  
25 unknowns like the absence of a good positive

1 control, which is now anticipating a little bit  
2 more the discussion in this second question--so I  
3 would still like to know, coming into the high MOI,  
4 200 MOI, bulk, ready-to-go into the T-cell mixture,  
5 that there is no RCL.

6 I would like to know that before it goes  
7 into the human T-cells.

8 DR. SALOMON: Dr. Torbett?

9 DR. TORBETT: I guess it is a question of  
10 the percent they are testing of the sample, whether  
11 it is a low or high MOI. I kind of thought they  
12 were testing 1 percent of the sample of 3 percent,  
13 it shouldn't make a difference. But maybe I am  
14 wrong here.

15 DR. LI: We do test the 5 percent of the  
16 total harvest when the total harvest is 6 liters.  
17 But, above 6 liters, we test 300 ml.

18 DR. WILSON: I need to explain. That 300  
19 ml is really specific for MLV vectors using an RCR  
20 standard that was developed for MLV vectors with an  
21 amphitropic envelope and qualifying your RCR assay  
22 for the sensitivity of detection of that particular  
23 standard.

24 We have not addressed that issue for  
25 lentivirus vectors.

1 DR. LI: So what should we do? That is  
2 the only thing out there we can follow.

3 DR. WILSON: For now, I would go with the  
4 5 percent rule because we really don't have the  
5 tools and we haven't had extensive discussion to go  
6 with a model like we have for the MLV-based vectors  
7 with the 300 ml rule.

8 DR. SALOMON: That 5 percent, I believe,  
9 again looking for confirmation or discussion, that  
10 5 percent should be the concentrated vector to be a  
11 model for what you are going to put on the T-cells  
12 a few minutes later.

13 DR. LI: Let's clarify one more point. I  
14 think that the rationale we do on the bulk harvest,  
15 because it is a transient system, you have a chance  
16 of DNA contamination. Most likely, RCR will happen  
17 in that step than later. You go through  
18 purification. You get rid of all your DNA--most of  
19 your DNA by benzonase treatment. So the cleaner  
20 product.

21 So if RCR will happen, RCL, if you like,  
22 it will happen in the more dirty, like you say,  
23 step. That will be the first step. That is the  
24 rationale behind it.

25 DR. SALOMON: That is an interesting

1 point. Again, I think we have to be very cognizant  
2 of production issues in the kind of advice we give.  
3 So I think that is very good. What I am sitting  
4 here thinking is, okay, fine. Then take the 5  
5 percent, benzonase treat it, purify it and test it.

6 DR. LI: You mean after it goes through  
7 all the purification and test it again, 5 percent.

8 DR. SALOMON: I say that. But everybody  
9 here who knows me knows I have never made a 40-  
10 liter batch of anything and benzonse treated it and  
11 purified it, so it is perfectly okay for someone to  
12 say, easy for you to say but it is hard for me to  
13 do.

14 But I need to hear you tell me that.

15 DR. LI: The other thing is I think we  
16 have to really remember the vector is not our  
17 product. Our product is vector-transduced cells.  
18 That; we are vigorously testing that with DNA PCR,  
19 RT PCR, and biological assay.

20 DR. SALOMON: Dr. Cornetta, you are  
21 someone here that does this all the time. I know  
22 Dr. Mulligan, also, and maybe others. I don't mean  
23 to exclude anyone. Ken, do you have a comment?  
24 How do you come down on this?

25 DR. CORNETTA: Again, I would like to see

1 more data. You are talking about two potentially  
2 different products if you are talking about the  
3 undiluted or unconcentrated material versus the  
4 concentrated material. I would suspect--I guess I  
5 would tend to like to look downstream. It may be  
6 that the initial product, which is not  
7 concentrated, may have inhibitors to transduction  
8 or something else in there, too, that you may also  
9 have some more interfering particles, or something  
10 else, that might change how your readout is for  
11 detecting of RCL.

12           So, again, that can be done fairly quickly  
13 once you are confident of what your RCL assay is.  
14 But, again, those are the kinds of thing that you  
15 would like to look at to see what kind of level of  
16 detection you are getting.

17           It is a very difficult issue since we  
18 don't really have a good positive control to be  
19 able to follow through there. Again, there seems  
20 to be a concentration or a tendency to try to look  
21 at one cell line for detection. I am not sure that  
22 is good. I think, from what Mike Emerman was  
23 saying, looking at CD4-positive cells are probably  
24 good at looking for HIV recombinants that may have  
25 the envelope for CD4.

at

1 But then again, if we are looking for VSV-  
2 G, it may be that other cell lines are better. So  
3 I think people need to be expanding what kinds of  
4 cells they are eventually testing but, again,  
5 looking through the process of where you are  
6 testing, you may get different results depending on  
7 where you test that and at least some initial work  
8 should be to justify where your testing is going to  
9 be.

10 DR. SALOMON: Dr. Mulligan, Dr. Zaia. I  
11 know you guys also have experience.

12 DR. MULLIGAN: I would just say the  
13 concentrated product, with all the other caveats of  
14 how to do the amplification, but the concentrated  
15 product. You should copurify--whatever you had in  
16 the unconcentrated should coconcentrate, I would  
17 think. That is the source of the virus you are  
18 using for the infection.

19 DR. SALOMON: Dr. Zaia, do you have any  
20 comments on that?

21 DR. ZAIA: No comments.

22 DR. LI: Excuse me, because after you guys  
23 say it, I have to go home and do it. So I would  
24 ask you a question. For the concentrate, remember  
25 our product is an anti-HIV product, whatever the

1 positive control will be. After they concentrate  
2 it, if we do on the HIV-permissive cell line, the  
3 positive control won't grow because it will have  
4 anti-HIV activity. What am I going to use? How  
5 will I even validate my assay without creating an  
6 RCR, which nobody wants to see HIV VSV-G RCR.

7 DR. MULLIGAN: I don't know. I think this  
8 is probably being nonproductive to go through this  
9 in any detail, but it may depend upon the eventual  
10 multiplicity of infection at which you test the  
11 product. That could be prohibitive if you have to  
12 test a lot of product and you can't use a high  
13 multiplicity infection.

14 But that would alter, I assume, the  
15 efficacy of the effect of your construct. So,  
16 depending on how you did the infection, you might  
17 have to use more and more cells to do the  
18 infection. But that might be some way to go.

19 DR. LI: You are saying to do very low MOI  
20 transduction to--

21 DR. NOGUCHI: Yes, but these are the kinds  
22 of discussions--

23 DR. LI: So then we go back to the bulk  
24 harvest diluted

25 DR. NOGUCHI: Rather than get into details

at

1 here, these are the precise kinds of interactions  
2 that we invite you to share with our regulatory  
3 staff who will, in fact, work with you to determine  
4 the proper conditions.

5 I will just say that, even if your  
6 anticipated product is going to be the transduced  
7 cells, we require quality control of all components  
8 of that which includes the vectors and  
9 characterization and so forth. But we really would  
10 welcome further interaction directly with our  
11 staff.

12 I think it is unfair and counterproductive  
13 to try to work out the details here.

14 DR. SALOMON: I agree and I think Dr.  
15 Mulligan was starting to get that, too. I guess  
16 the only part that I don't totally understand yet  
17 is at that point all I want to know is if there is  
18 replication-competent lentivirus. I don't care  
19 whether wild-type HIV grows in these or not. There  
20 hasn't been any wild-type HIV yet. This is the  
21 concentrated supernatant. So tested on a number of  
22 different cells would work for me.

23 Let's talk about the VSV-G assay since  
24 that is part of this RCL assay. So now we are  
25 switching--oh; I'm sorry. Please.

1 DR. KHAN: Arifa Khan, FDA. I just wanted  
2 to mention something about the considerations that  
3 were taken when coming up with the 1 percent and  
4 the 5 percent. That really was specific to the  
5 therapeutic vectors that were generated using the  
6 MULV-based vectors.

7 A lot of things were taken into  
8 consideration. Basically, the bottom line was that  
9 the 1 percent really must at least reflect the  
10 volume of the vector virus that is being used in  
11 your transduction. Everything does have to  
12 correlate eventually with the actual dose, with the  
13 volume that is really going to be affecting the  
14 transduced cells going back into the patient.

15 The 5 percent also takes into  
16 consideration the number of cells used to generate  
17 the volume that is going to be used, at least in  
18 one human dose equivalent, used in the  
19 transduction.

20 So the numbers just didn't come out of  
21 thin air. For the MULV-based system, all those  
22 factors were taken into consideration including the  
23 total volume of the lots and the volume used in the  
24 transduction. So I think we will have to take  
25 those things into consideration for these

1 particular situations.

2 DR. SALOMON: Thank you. I think we will  
3 take Dr. Noguchi's and Dr. Wilson's guide here very  
4 literally and that is these are details. This is  
5 not what the committee is really supposed to be  
6 doing. I think we stay on a higher ground. I  
7 appreciate that.

8 So I am going through Question 2 here,  
9 whether we like it or not. By the way, I skipped  
10 a) because I think we answered that yesterday, and  
11 we have answered it earlier today, "Should an in  
12 vitro assay for detection of functional LTR-  
13 gag/pol-LTR recombinant be used as a lot-release  
14 assay?" I think we all agreed that that has not  
15 been a validated assay and shouldn't be a lot  
16 release, unless someone wants to disagree strongly  
17 with that.

18 Nonetheless, I think that we all recognize  
19 the scientific value and encourage that it be done.

20 DR. LAWTON: The only comment I would have  
21 on that if, in this particular case, it is a  
22 sensible assay to develop and validate, then it may  
23 be appropriate.

24 DR. SALOMON: So it brings me to b) which  
25 is the RCL infectivity assay of sufficient

1 sensitivity and is the positive control for the  
2 assay adequate for determining the sensitivity  
3 which then gets back to the comment we got also  
4 from the audience and sort of where I was going a  
5 minute ago.

6           So let me go back there; ten copies in  
7 10,000 cells, by TaqMan PCR--you will have to  
8 correct me here. We do TaqMan PCR all the time in  
9 our lab and we can detect ten copies in a million  
10 cells. So I don't understand an assay--there has  
11 got to be something wrong here. Either I am being  
12 dumb, which is very likely, or you guys need to go  
13 back and work on this assay.

14           DR. LI: The TaqMan assay, each well you  
15 can put 500 nanograms of DNA. More than that, and  
16 the sensitivity gets affected. This is when they  
17 sell the instrument, what it can do, unless you can  
18 do it differently or you have a way to control your  
19 background signal. For ten copies, actually we can  
20 claim one copy. But anybody knows, one copy, you  
21 run into the statistical problem because you have  
22 to run nine, ten, twelve replicates in order to  
23 catch that one, and also you do have a false-  
24 positive possibility.

25           If we use that as a lot release, that will

1 run into a lot of problems. In terms of cell  
2 number, I would like to know how you do the million  
3 cells for one copy in the TaqMan in that one well.  
4 How do you run that? May you have a custom-made  
5 plate or something.

6 DR. SALOMON: No. We use the TaqMan  
7 technology in a somewhat different cycler where we  
8 can go do multiple dilutions going down from 1  
9 microgram of DNA as cells. But I will have to--for  
10 this point, I will just say, fine. If you don't  
11 think you can do it on technical grounds, I am not  
12 a TaqMan, such an expert that I am going to argue  
13 it in this. I will do some of my own homework on  
14 that one as well.

15 DR. WILSON: Perhaps a different way of  
16 framing the question is if the qualification assay  
17 is done on 10,000 cells and the total dose is  $10^9$   
18 cells, is that of adequate sensitivity.

19 DR. SALOMON: No. I am not comfortable  
20 with that at all. So I think that, unless someone  
21 disagrees, you either have to have a more sensitive  
22 TaqMan assay, so I need to go back and figure out  
23 why there is such a disparity between your  
24 sensitivity and the one I am used to, in a  
25 different system, though.

1 Or you need to have a different assay  
2 because--see, that is kind of what scares me  
3 because if it is an insensitive assay, then the  
4 risk of moving RCL into the patient is all that  
5 more great.

6 DR. LI: No. The thing is we also go  
7 through six passages, remember, for the culture.  
8 So, after that, we do TaqMan again. So six  
9 passages of amplification.

10 DR. SALOMON: In this system, now, you are  
11 amplifying HIV, wild-type HIV, very likely, in  
12 addition to your RCL. Therefore, I am concerned  
13 now that there may be issues of competition, for  
14 example.

15 DR. LI: No; 293T is nonpermissive for HIV  
16 replication. We specifically try to catch VSV-G  
17 pseudotype.

18 DR. SALOMON: That's right. You are using  
19 293 in one assay and 293 in another. Our  
20 collaborator and guru on TaqMan just stepped up.

21 DR. LONG: Zhifeng Long from GTI. I just  
22 wanted to clarify one thing. I mean, in TaqMan  
23 PCR, you can easily do 3.2 micrograms DNA per 96  
24 well reaction. We do that all the time. We  
25 actually can do up to 6.6 micrograms. So nanograms

1 is not a limit that you can put into a 96-well PCR.

2 But that is not the point. I think I want  
3 to be fair is that, while in the assay system, they  
4 do a six-passage amplification, so they are not  
5 required to test  $10^6$  cells because you know, if  
6 they can verify or qualify their system in a way  
7 that they can show the original input one virus  
8 somehow a positive control in  $10^9$ , they will six  
9 passage it. At the end, even using 10,000 cells,  
10 they can detect that positive control virus, that  
11 would be an elegant way of showing that the assay  
12 is sensitive enough

13 I don't really see that 1 or 10 copies in  
14 10,000 cells is the limit because they amplify six  
15 passages. What I see is whether your PCR assay is  
16 an adequate assay. Actually, the PERT assay is  
17 more sensitive because it detects all spectrum of  
18 retrovirus. Or you may want to think of other  
19 assays like P24. But, in your case, if you mix  
20 with the HIV virus, you probably don't want to use  
21 it. So I think you want to think of some other way  
22 to verify your system separately with a positive  
23 control virus that is distinguished from your wild  
24 type.

25 DR. SALOMON: Okay. There are a bunch of

at

1 different things there. Thank you, Dr. Long. One  
2 of the issues is to use a control virus. I think  
3 that all the sponsors, not just VIRxSYS, have  
4 stated that they are not comfortable making a VSV-G  
5 control virus. I am okay with that. I don't think  
6 we need to go there unless someone on the committee  
7 feels really strongly about it.

8 DR. ZAIA: I don't feel strongly, but we  
9 saw an example yesterday that it can be done with  
10 the VSV, with the mutated accessory protein virus.  
11 If it is good enough for a cell genesis, why isn't  
12 it good enough--

13 DR. VERES: I have to qualify that that  
14 was pseudotyped. That was not HIV with a VSV  
15 envelope cloned into the virus. That was a  
16 chimeric attenuated HIV which was pseudotyped. I  
17 had both the HIV envelope and the VSV envelope on  
18 it. So it is only for a first round of infection.

19 DR. ZAIA: But it is still a virus that  
20 has VSV-G envelope. It may not be exactly what the  
21 virus would be like--

22 DR. SALOMON: But it doesn't have VSV-G  
23 DNA or RNA.

24 DR. ZAIA: No, but--

25 DR. SALOMON: That is what they would need

1 as the positive control for this assay.

2 DR. ZAIA: To further spreading. If you  
3 don't have an amplification step, you don't need to  
4 have it spread.

5 DR. SALOMON: I guess I would be okay  
6 with, at this point, using VSV-G RNA and VSV-G DNA.  
7 I guess the idea in the amplification is they can  
8 do it all with DNA. You don't need RNA. That  
9 probably is reasonable. So the question, then,  
10 would be Dr. Long's comments--I didn't totally  
11 follow this part, Dr. Long, is that you felt,  
12 because of the amplification, the sensitivity of 10  
13 copies in 10,000 was okay because, after six rounds  
14 of amplification, you really have dramatically  
15 gone--you could have detected 1 in a million, is  
16 what you were trying to say; right?

17 DR. LONG: Yes; that's right. I think we  
18 have experienced six amplifications is ample to  
19 amplify a single virus from the beginning. The  
20 fact is that here it is a different system, that  
21 you need to validate whether the cell line, like  
22 Dr. Khan, I think, mentioned about whether you use  
23 appropriate cell lines to show, demonstrate, your  
24 assay system is sensitive enough to detect RCL. I  
25 think that is the key question.

1           The PCR part, you are right. You can put  
2 more than 10,000 cells in a well. But no limit  
3 here. You can put 1 million there. But I think  
4 the assay is okay in terms of using the final  
5 endpoint detection after six passages. More key is  
6 whether the assay is capable of detecting RCL  
7 without a positive control.

8           DR. SALOMON: Okay. I think that is  
9 definitely some progress on this.

10          Dr. Rao?

11          DR RAO: I just had an additional question  
12 here. All of this is only detecting RCL which has  
13 VSV-G in it; right? None of the other possible  
14 recombinants which might be because of the wild-  
15 type HIV where there is stress, where you are  
16 selecting the envelope, none of those will be  
17 deleted at all so you have no detection for what  
18 else might be happening other than the VSV-G.

19          DR. SALOMON: I think that is the point  
20 that Dr. Long made, Dr. Kingsman, Dr. Kappes and  
21 Dr. Cornetta. So the question to the committee is  
22 that is a general area of discomfort. I think we  
23 all share that. VIRxSYS shares it. They have come  
24 up with their strategy. CellGenesis, Oxford  
25 Biomedica, GTI, for that matter.