

1           Can we do more substantively? We have  
2 identified the issue. I don't think that any of us  
3 have got any more of an answer yet, though. I  
4 think Dr. Cornetta put it as well as anyone could.

5           DR. WILSON: I think you are right that  
6 this is a difficult issue which we probably won't  
7 be able to come to a definitive answer on today,  
8 and a number of important points have been raised  
9 for our own internal consideration and discussion.  
10 Probably, for the sake of time, we should go ahead  
11 and move on for now.

12           DR. SALOMON: Dr. Cornetta, I don't get  
13 any physical input because I can't see you. Are  
14 you okay with that? Again, I want to respect the  
15 fact that you brought it up.

16           DR. CORNETTA: No; I don't think it is a  
17 thing that you are going to be able to answer today  
18 and I think the overall point, and I think that was  
19 probably Carolyn, was that I think it is something  
20 the FDA is going to have to think hard about and,  
21 just as they went through with the retroviruses and  
22 coming up with guidelines for testing, this is  
23 going to be something that they are going to have  
24 to struggle with.

25           I think all the appropriate comments have

1 been made.

2 DR. SALOMON: Then we will move on. I  
3 think it would be fair to say, since I have been  
4 sort of giving you guys a hard time about this  
5 particular one, that I do think that you have--as  
6 all the other sponsors appropriately acknowledged,  
7 that this is an area of difficulty and you have  
8 used what are available guidances to try and help  
9 you to figure it out.

10 So I do commend you for what I think was a  
11 good-faith effort, very much so, in this and it is  
12 not your fault that we haven't solved it yet.

13 Are there additional in vivo studies that  
14 need to be performed is c), regarding now the  
15 safety testing of VRX. I think we have gone over  
16 that, too.

17 DR. WILSON: I think we have really  
18 covered c) and d) already.

19 DR. SALOMON: Good. I was going to try  
20 and agree with that.

21 Question 3. "Please discuss whether  
22 vector mobilization is considered an advantage or a  
23 safety concern for the proposed clinical trial and  
24 consider. Please consider the following,  
25 specifically." Now we clearly had a discussion of

1 mobilization yesterday. I know Dr. Mulligan had to  
2 leave to the airport, so we will try and remember  
3 and be faithful to some of the comments that he  
4 made yesterday.

5 "Are the data available from the assays to  
6 assess vector mobilization by wild-type HIV  
7 sufficient? Are there additional preclinical  
8 studies to assess vector mobilization that should  
9 be performed and, if some, discuss the optimal  
10 study design."

11 Dr. Allan, we have discussed the monkey  
12 study; right? So that is on the record. I make a  
13 joke of it just not to take myself too seriously  
14 but not to trivialize it. That was a good  
15 discussion.

16 So, are there additional studies? Do we  
17 think that the data here was sufficient? So I will  
18 jump in there and say, yes; it was sufficient to  
19 demonstrate to me that there was a lot of  
20 mobilization. Again, now, we are doing adjectives;  
21 low mobilization, high mobilization.

22 To me, what I calculated out in the in  
23 vitro culture system, which is reasonably what has  
24 been studied, was about 1000 copies per ml. I  
25 wrote that down. Yes; 1000 copies of

1 packaged/mobilized, depending on which terminology  
2 you want to use, per ml is occurring.

3 To me, that is a lot when one copy of HIV  
4 theoretically can infect a cell and set off an  
5 infection of a patient.

6 The studies in the mouse show that there  
7 is mobilization to CD4 cells. The studies of  
8 failure to mobilize to the B-cells, I would  
9 suggest, are uninterpretable because there were not  
10 enough CD19-positive cells, when you are looking at  
11 1 or 2 percent, to find it. So I think those, you  
12 would have to go back, if you want to sell those  
13 studies, and do much higher injury levels of CD19  
14 cells.

15 DR. ALLAN: Do other target cells need to  
16 be considered there? Is there tropism,  
17 necessarily, uniformly to all non-CD4-positive  
18 cells so should macrophages or some other cell type  
19 be considered?

20 DR. TORBETT: And that issue is relevant  
21 because, as was mentioned before, you are changing  
22 or stressing the envelope nature of this. If you  
23 would think about it in antibody terms where an  
24 antibody has to be qualified against as panel of  
25 cell types, it would seem reasonable, since we are

1 trying to detect the nonprobable but bad thing to  
2 happen, that that issue be explored a bit further.

3 DR. SALOMON: Yes; it suggests  
4 experiments. Again, I don't want to get down into  
5 the detail, but there are a lot of other things  
6 that could be relatively simply done; for example,  
7 putting EGFP into H9 and putting H9 into the--or  
8 Jerkit or Mold4, different cell lines into the SCID  
9 mice to see whether it was mobilization to  
10 noninfected T-cells, for example.

11 Again, the details of that; all I am  
12 saying is that, from my point of view, just to  
13 start this, I think that you have demonstrated that  
14 there is mobilization. I think the question now  
15 is, unless someone says no, I disagree with you and  
16 that is what I am waiting for, but if you agree  
17 that there is mobilization, whether it is a little  
18 mobilization or a lot of mobilization, I don't know  
19 what that means in terms of the biological  
20 significance of it, and therefore is that an issue  
21 now?

22 Is that a problem? Are we going to accept  
23 mobilization in this system.

24 DR. ALLAN: What struck me as the fact  
25 that it wasn't clear to me what was being mobilized

1 and whether they are actually recombinants or not,  
2 because the data was presented, which was good,  
3 which was it directly looked at either the  
4 antisense vector or--let me see; what else was  
5 looked at. My consideration is whether you have  
6 got some sort of recombinant in there, not that it  
7 is replication-competent, but which could be, at  
8 some point later, which is like a gag/pol  
9 intermediate or something else, that has been  
10 mobilized.

11 So not just that the vector has been  
12 mobilized but anything else.

13 DR. TORBETT: I think the question is is  
14 the vector getting mobilized and you are asking is  
15 the vector now becoming infectious; is that right?

16 DR. ALLAN: Not necessarily infectious but  
17 that, beyond the vector being mobilized, which they  
18 have demonstrated, are there other things being  
19 mobilized. It is just a question of terms. I  
20 think it is terminology.

21 DR. TORBETT: I guess it is two different  
22 questions, really. I mean, the question of vector  
23 mobilization was very hard to assess in any of  
24 their animal models. All I can say is it occurred.  
25 To what degree, how much, is unclear to me and what

1 is coming out is unclear.

2 DR. SALOMON: So I think the suggestion  
3 would be to better characterize--I think, as an  
4 overall safety concern, is the idea that what is  
5 happening is mixing, matching and evolution of the  
6 species in the system as a model for what might  
7 happen in humans.

8 I think that, to understand better the  
9 mixing and matching, we go back to a discussion we  
10 have already had, that we are going to need to see  
11 more data in longer-term in vitro cultures with  
12 both characterization--I don't want to restate what  
13 we have already agreed on and restated.

14 I don't know whether I want to go into  
15 saying--get into the details now because I think we  
16 are then going into the study-section mode again,  
17 whether you should do it in the NOD, or the NOD-  
18 SCID, or how you should do it. Again, I would love  
19 to have those conversations, but I don't think that  
20 is appropriate for today.

21 DR. NOGUCHI: Some of this is sounding, to  
22 get back into that, "We would like to see something  
23 else like a nonreplicative recombinant, and an  
24 assay for that." Yet there has been a discussion  
25 as well, maybe that is too much to ask at this

1 time.

2 DR. SALOMON: I don't think that is too  
3 much to ask. I don't think anybody told you that  
4 was too much to ask. I think everybody here is  
5 saying that we want evidence that there is not a  
6 real evolution, if it is non-replicative, of viral  
7 sequences in this kind of a system and, if there  
8 is, I think we need to know about it and try and  
9 regulate on that and consider its safety  
10 intelligently.

11 DR. TORBETT: I think this is an important  
12 point because we are using not a self-enacting  
13 vector but something that has an active LTR which  
14 can make a full-length transcript which increases  
15 the chance for recombination.

16 So I think these are serious  
17 considerations. I don't know which vector is  
18 better, whether it is a SIN or an active, but I  
19 think if you are using it as a full-length  
20 transcript, then some of this information needs to  
21 come out for safety concerns.

22 DR. EMERMAN: This is Mike Emerman, again.  
23 It was alluded to that SIN vector wouldn't work.  
24 It would be interesting to note that was actually  
25 tested. I guess my sense of this is that the



1 antiviral effect depends on copackaging. If it  
2 does depend on copackaging, it is hard for us to  
3 ban vector mobilization except to have it  
4 characterized.

5 DR. SALOMON: I think, in terms of an  
6 assay design for assessing mobilization, I think  
7 what we all agree on is that it should be done in  
8 long-term, more complex, multicellular in vitro  
9 cultures.

10 Now, whether or not you should also  
11 include animal studies, sort of building on--you do  
12 have some expertise and have been pretty successful  
13 with your SCID studies. I am, again, trying to  
14 articulate a decision here, or a recommendation. I  
15 think that would be a positive.

16 I am not certain, though, as I said, that  
17 I would insist that you do that because I think  
18 that--I am not sure how that--that is science.  
19 That is not necessarily simple testing.

20 DR. DROPULIC: It is an extreme amount of  
21 work to do that.

22 DR. SALOMON: As I said, as my laboratory  
23 does it, I absolutely agree. It is a lot of work.

24 Are we done with Question 3?

25 DR. WILSON: Part b) of Question 3 is more

1 specific to the study subjects.

2 DR. SALOMON: Yes; I missed that right  
3 now. But you are right. So what should we do--we  
4 have gotten so focussed on this first part that we  
5 haven't talked enough about what is happening in  
6 the study subjects which is what we are being  
7 reminded of now.

8 So what should be done on the study  
9 subjects in terms of looking for vector  
10 mobilization?

11 DR. TORBETT: Many of the things that we  
12 discussed, the technology is very similar, whether  
13 it is before you put the cells in, do it in culture  
14 or coming out of the patients. That is very  
15 similar.

16 So I think that the same kinds of studies  
17 we have discussed in the past need to be applied to  
18 the patients as well. I am opening that up for  
19 discussion, I guess.

20 DR. SAUSVILLE: I would echo that. I  
21 think that is the logical follow-through, the issue  
22 about the evolution of this virus or viruses into  
23 something that--we don't know exactly what we are  
24 going to see in the clinical situation.

25 I would just note that, in the long list

1 of things that are going to be looked for in the  
2 clinical trial, I didn't go back and look, but this  
3 issue of variants that emerge, I think, is very  
4 important and should be captured. That would  
5 include whether you mobilize some aspect of the  
6 vector or whether you change the population of the  
7 HIV that is running around.

8 DR. SALOMON: Dr. Zaia?

9 DR. ZAIA: I just want to say that within  
10 the clinical-trial groups, AIDS clinical-trial  
11 groups, that are looking at fitness of virus  
12 relative to new drug additions, that technology is  
13 well worked out so you are going to very rapidly  
14 detect changes in fitness in this population of  
15 patients who clearly will have virus in their  
16 blood.

17 DR. REITZ: I think, also, you want to  
18 follow the virus populations with some sort of  
19 genotype analysis over a period of time. You will  
20 learn stuff from it.

21 DR. SALOMON: I think that there is  
22 nothing but logical follow-through here in terms of  
23 saying that the same concerns we have of mixing and  
24 matching viral parts leading to evolution of the  
25 species is as big a concern after the treatment as

1 before. I think everyone has said that.  
2 Therefore, if you agree, the advice would be that  
3 it should be characterized biologically, on  
4 multiple cell lines with different tropisms but,  
5 also, some of it has to be done molecularly either  
6 through genotyping or through specific--I think  
7 what Dr. Zaia is saying is interesting because it  
8 also implies that some of the things could be just  
9 looking at changes in drug resistance which could  
10 be done more rapidly than full sequencing and  
11 trying to imply changes on that basis.

12 Is that right, Dr. Zaia?

13 DR. ZAIA: Yes, but I was just thinking  
14 about fitness of the virus, per se. If we are most  
15 concerned about that, we could easily do that.

16 DR. SALOMON: So fitness would be like a  
17 quantitative assay that demonstrated just an  
18 increase in the rate of spread through a given  
19 indicator cell line. Okay; I stand corrected.

20 Dr. Long?

21 DR. LONG: I would just like to ask the  
22 panel to consider what kind of assay would be  
23 appropriate given the fact that they only detect  
24 one variant when the screen 240-some clones  
25 sequencing.

1 DR. SALOMON: No; you didn't get that  
2 right. There were hundreds of variants. About 80  
3 percent of them were changed. That is a rough  
4 calculation. It was 264 clones. 240 had various  
5 mutations.

6 DR. DROPULIC: No. They had all  
7 deletions. Most of them were deletions. There  
8 were relatively few that had the base substitutions  
9 that we are seeing. 91 percent were deletions.

10 DR. COHEN: I am Ruben Cohen. I manage  
11 the clean room for VIRxSYS. I would like to just  
12 offer kind of a global perspective in terms of  
13 patient safety. I have graduated from GTI so I  
14 know a little about the patient trials there having  
15 been involved in training the medical centers that  
16 were involved in collaboration.

17 I also would like to say that one of the  
18 reasons I am happy with the way VIRxSYS is handling  
19 this is because I have also come through the  
20 agricultural world. The fact that I consider this  
21 vector is under a sentinel control, its expression  
22 is limited to the cells that basically have some  
23 activity going on with relationship to what the  
24 problem is.

25 One of the lessons I would like the FDA to

1 be thinking about is that, having come out of the  
2 agricultural world and working in molecular biology  
3 there, the antisense tomato is not something we buy  
4 in the market. The reason, I think, for its  
5 failure is because it was under a constitutive  
6 promotor, it was always expressed. It was  
7 everywhere and everybody was afraid of that.

8           The fact that this has a kind of a  
9 sentinel function, it only works where it is  
10 needed, I think is both expressing something  
11 towards both the safety and the appeal of the way  
12 this product is being handled.

13           DR. NOGUCHI: I think the point is well  
14 taken. However, I think that the reason that we  
15 are actually having this extensive discussion is  
16 that the absence of evidence is not evidence of  
17 absence. The fact that this is to be a sentinel-  
18 only function is, in fact, the question.

19           We agree that the scientific basis for  
20 this is to have it work only in that which is  
21 affected, but what biology teaches us, and, from  
22 GTI, you know this as well, what we believe today  
23 is not what we know to be true tomorrow and it is  
24 very likely not to be what will be approved.

25           So we need to be able to go through this

1 in an open a fashion as we possibly can. I think  
2 the fact that we have spent such a grueling set of  
3 time here really going over all the pluses and  
4 minuses and the pros and cons really does  
5 illustrate the intense interest in being able to  
6 develop something that does have this type of  
7 specificity.

8 As is often said, now we are getting down  
9 to the details and both god and the devil are  
10 always in that. So your point it absolutely well  
11 taken, but we need to be assured that, in fact, the  
12 sentinel remains specific for only one particular  
13 cell.

14 DR. DELPH: I have a question. If  
15 mobilization occurs into other tissues, is it  
16 necessary to look at viral reservoirs and  
17 characterize HIV species there. I am asking that  
18 because the species that you find in viral  
19 reservoirs may be very different from what you find  
20 in blood.

21 DR. SALOMON: I think that is fair. One  
22 of the difficulties, of course, is sampling and  
23 characterizing viral reservoirs. So, again, I  
24 would defer to my colleagues who do HIV as their  
25 primary business.

1 Dr. Zaia?

2 DR. ZAIA: I would suggest that that is a  
3 question for the phase II study.

4 DR. ALLAN: You could actually look at  
5 macrophages and see if you get mobilization of the  
6 macrophages and, if you do, what effect that might  
7 have on function. So I think there are some things  
8 you can do even within the blood compartment.

9 DR. DELPH: You can look at semen.

10 DR. SALOMON: Yes. You can. That is a  
11 point. I think we should let it stand and I think  
12 Dr. Zaia's comment about whether that should come  
13 in in a phase I or a phase II is also well taken.

14 I think we are done in the sense that,  
15 what I was going to say is Question 4, we have  
16 really covered. I think we all agree on the basic  
17 principles here. I think we have also articulated  
18 for you up to the edge how far we can go without it  
19 getting gray.

20 Yes? Dr. Zaia?

21 DR. ZAIA: There is one aspect, though, of  
22 Question 4 that I think needs to be discussed and  
23 that is the safety assessment is linked to the  
24 rules of escalation. The rules say that we will  
25 look at 28 days and look for toxicity. If the



1 toxicity is not there, we will escalate.

2 I just have a problem with that. I don't  
3 think 28 days convinces me that you can see some of  
4 the things that we have been talking about. But,  
5 more importantly, I don't even think this study  
6 needs to be a dose escalation, unless you want to  
7 dose escalate the transducing agent. But that is  
8 not the research agent, or the investigational  
9 agent.

10 So I would really encourage the sponsors  
11 to rethink the design of this study because they  
12 are not asking how to get T-cells expanded and  
13 infused safely. I mean, there are several other  
14 studies I am sure the FDA knows more about than I  
15 do where they are infusing cells around the same  
16 level, 3 times  $10^{10}$ , and we all know how safe that  
17 is.

18 I don't think there is anything about  
19 these cells that make them more dangerous in  
20 regards to infusion-related toxicity. What is  
21 different about these cells is these other  
22 toxicities that we are talking about which are  
23 more, I guess, virological or less easy to  
24 elucidate within the normal observation periods  
25 that we look at in the standard trial.

1           So I think that is a critical question  
2 that the sponsor has to contend with, I think, is  
3 how can they use this dose-escalation rule for the  
4 kinds of toxicities that we are most concerned  
5 with.

6           DR. SALOMON: Actually, that is perfect.  
7 What I was going to say is I think we are kind of  
8 done with the questions. What I would like to do  
9 now, in coming to a close, is for people to weigh  
10 in with other things here because I have got a  
11 list, too, and I wonder if some of you don't.

12           We have addressed the questions. I would  
13 say that there were a couple of things that  
14 bothered me. That was one. I don't think it is  
15 very clear to me whether the dose escalation--and  
16 the way I get it is the dose escalation is in  
17 different patients. Part of me is going, well, why  
18 not--you could do a dose escalation in the same  
19 patient.

20           Certainly, I would echo Dr. Zaia's  
21 comments that a 28-day--the things that are really  
22 safety concerns to me; 28 days? No; I don't think  
23 so. I would say more like three to six months.

24           DR. CHAMPLIN: Although these are not  
25 dose-related issues so much.

1 DR. SALOMON: No.

2 DR. CHAMPLIN: So the issue of infusion  
3 toxicity is sort of all you are looking for  
4 realistically in the short term toxicity analysis  
5 and the emergence of resistant virus, of course,  
6 over a longer period of time and is probably going  
7 to be dose independent. So you could sort of  
8 minimize the importance of the dose escalation  
9 because that is just to establish the tolerance to  
10 the infusions. But, really, you are looking at the  
11 sort of long-term phase-I aspects of the biology  
12 of the whole approach.

13 DR. SALOMON: That is good point. But,  
14 then, I might say, that you don't need 28 days to  
15 find out. I guess I am a little confused on the  
16 premises here. There is toxicity from infusion.  
17 You know that in two or three days.

18 DR. HIGH: But if what we are talking  
19 about is doing better characterization of what is  
20 happening to HIV variants, you would like to at  
21 least wait 28 days, probably, before you even drew  
22 that blood. Then, once you have got it, it is  
23 going to take a little while to characterize it.

24 So you might not want to enroll the second  
25 patient before you have analyzed at least, at 28

1 days, what is going on with the first one.

2 DR. SALOMON: Yes. I guess what I am  
3 saying is I agree with you, Dr. High, and that is  
4 the conundrum. I think the way Dr. Zaia and I were  
5 coming at it was 28 days is not long enough to see  
6 the safety issues that we are concerned. But Dr.  
7 Champlin said, but the safety issues concerned  
8 with the dose escalation is simply a dose effect of  
9 the infusion.

10 My response to that is, okay, good point,  
11 but that is three to five days. We have got to be  
12 consistent here, logically. If the concern is an  
13 evolution of the viral species present, then I  
14 think a couple of months is probably appropriate.  
15 But that should be discussed.

16 DR. SAUSVILLE: Recognizing that that gets  
17 into the issue of why the dose escalation. It does  
18 lead to the protocol-design issue as to what are  
19 you going to consider your endpoint here.  
20 Certainly, matters related to the infusion, while  
21 we don't think they are going to be a big issue,  
22 they are formally something that does have to be  
23 captured and scoped out.

24 Certainly, if we are looking at the  
25 incidence of variants mobilization et cetera, a

1 dose response relationship to input would be  
2 certainly of interest to also capture. So I guess  
3 I sort of come down in the middle, but I agree 28  
4 days is probably a little soon. I do believe there  
5 is a role for dose escalation here and I do believe  
6 that there is a role for longer periods of  
7 observation before doing the dose escalation.  
8 Let's put it that way.

9 DR. SALOMON: Dr. High, did that capture  
10 kind of where you were going?

11 DR. HIGH: Yes.

12 DR. SALOMON: Dr. Allan and then Dr.  
13 Torbett.

14 DR. ALLAN: Safety is also--you are hoping  
15 to get some efficacy with this or you wouldn't be  
16 doing it. But you know there is always the  
17 possibility that it is not going to have a good  
18 outcome. That outcome might not happen for six  
19 months or longer.

20 So my question, then, is do you need to  
21 rush doing dose escalation anyway. What is the  
22 need to have to do it after 28 days. If this is  
23 the first patient or the first three patients who  
24 get this, do we want to sit back and go, okay, what  
25 is going to happen, let's see what happens before

1 we do anymore and let's see if we get a--not to  
2 look at efficacy but to look at is there an outside  
3 possibility that it may not be a good outcome.

4 So I would say you want to wait.

5 DR. CHAMPLIN: You need well defined early  
6 stopping criteria, what events would make you not  
7 enter another patient. You need to define exactly  
8 what those things are and then work out your  
9 accrual of patients accordingly. So, obviously,  
10 the faster you do the initial process that allows  
11 additional accrual, the faster they can complete  
12 the trial.

13 If you ask for a year follow up for every  
14 patient before you enter another one, it is going  
15 to take forever. So there has to be some sort of  
16 middle ground where you have an accrual of a  
17 reasonable number of patients that you can observe  
18 and then make it a go/no-go kind of determination  
19 should you continue the trial.

20 DR. SALOMON: Dr. Torbett and then Dr.  
21 Sausville.

22 DR. TORBETT: I guess I have a little bit  
23 more fundamental question. In some of the earlier  
24 data, it was shown that, depending on the number of  
25 integrins per cell--that is, the number of hits per

at

1 cell--you can or can't select quickly the variants  
2 coming out. Am I correct?

3 DR. DROPULIC: Can you repeat that?

4 DR. TORBETT: The question is, I think you  
5 showed us originally that, if you had one integrin  
6 per cell, or an MOI of 10 or 5, 10 and 20, the  
7 breakthrough came slower or faster.

8 DR. DROPULIC: Right. So, as you dose  
9 down the vector--I am not so sure faster.

10 DR. TORBETT: I am sorry about that word.

11 DR. DROPULIC: We saw breakthrough at a  
12 transduction MOI of 5 and a challenge MOI of 0.1 in  
13 that Sup-T1-cell experiment.

14 DR. TORBETT: The reason I am bringing  
15 this up, it comes to the whole question--and it  
16 wasn't clear from the document that I had what the  
17 MOI is per number of cells. But depending on the  
18 number MOI per cells, even if you can get 100  
19 percent and you increase the number of integrins,  
20 then the time period to look for variants coming  
21 out would vary. Is that logical?

22 DR. DROPULIC: At the optimally transduced  
23 cells, we haven't see any.

24 DR. TORBETT: Maybe it is the way I am--it  
25 is late in the day and I haven't had any more

1 sugar, so maybe that is part of the problem here.  
2 But if you had one integrin per cell and, at a  
3 certain time, you had a turnover virus, say you had  
4 more integrins, less, and you had 10 integrins per  
5 cell--I am just making these numbers up--and you  
6 had pretty good control but it took a longer time,  
7 the length of time that you would want to look  
8 could very well be longer and the time that you  
9 would want to sample each time would vary.

10 DR. SLEPUSHKIN: I just would like to  
11 answer it. In any case, we have specification for  
12 copy number per cell. That won't change during  
13 escalation. So there will be about the same amount  
14 of integrins on all steps of escalation. So you  
15 are just changing the amount of cell injury.

16 DR. TORBETT: So the MOI is going to be  
17 constant.

18 DR. SLEPUSHKIN: Yes.

19 DR. TORBETT: Just, out of curiosity, what  
20 is it?

21 DR. SLEPUSHKIN: I probably will be about  
22 200 as it was in the animal or the clinical  
23 experiment.

24 DR. TORBETT: So it is going to be an MOI  
25 of 200.



1 DR. DROPULIC: That is not copy number.

2 DR. SLEPUSHKIN: Not copy number. It is  
3 MOI; yes.

4 DR. TORBETT: I understand, per X number  
5 of cells. Okay.

6 DR. SLEPUSHKIN: Copy number  
7 specifications; copy number per cell should be  
8 between 1 and 10. And, in the clinical animal  
9 experiments, it was 6.

10 DR. TORBETT: So is there any data  
11 suggesting--well, I guess you can't control with  
12 the variability. Maybe that is something that  
13 shouldn't be brought up here.

14 DR. SALOMON: Unless you guys want me to,  
15 I am not going to try and come to consensus on each  
16 of these points. I think we have done our job.  
17 But I would like to, in this kind of concluding  
18 process, have everyone share with you, and with the  
19 FDA staff, additional things that were not in your  
20 question list.

21 So I believe my job as chair has suddenly  
22 now to just making sure that everybody gets a  
23 comment and everyone gets heard. So unless someone  
24 is insisting that I come to consensus, I am not  
25 going to.

1 Dr. Torbett?

2 DR. TORBETT: This is a first trial and I  
3 would like to commend the company for going  
4 forward. It was a pretty brave step and I think,  
5 without too many boundaries, they done a fairly  
6 admirable job of starting up the stairway.

7 DR. DELPH: I would like to echo that. I  
8 would also like to express some concern about the  
9 patient population that has been selected for this  
10 trial. I really think a CD4 count of 600 as an  
11 upper limit is way to high. right now, even the  
12 DHHS guidelines for treatment are really not coming  
13 out in favor of recommending treatment until CD4  
14 counts drop below 350.

15 In the European guidelines, they are even  
16 dropping them as low as 200. Generally speaking  
17 and, of course, there are exceptions, patients with  
18 CD4 counts of over 200 are not that ill. So I  
19 would certainly--I understand the difficulties that  
20 the company says that they may have in getting T-  
21 cells from patients who have CD4 counts of under  
22 200, but I think we need to also need to balance  
23 that with the need for the patient population and  
24 what is safe for them.

25 The other thing, and I don't know what

1 your actual inclusion criteria are for "failing or  
2 discontinued HAART therapy." But I think that  
3 needs to be far more clearly defined and I would  
4 recommend that you define it in terms of the number  
5 of drug classes that someone is resistant to. I  
6 would recommend that someone be resistant to at  
7 least two of the drug classes currently on the  
8 market and possibly even three, but at least two  
9 protease inhibitors.

10 You are going to look at people who really  
11 have few, if any, drug options left. So those are  
12 my major comments.

13 DR. DROPULIC: I appreciate those comments  
14 and I just want to assure you that we want to work  
15 with the FDA to finalize these patient criteria.  
16 It is not set in stone now and your comments are  
17 well taken.

18 DR. DELPH: I also suggest that you work  
19 with the HIV community on that.

20 DR. DROPULIC: Okay. Yes. Thank you.  
21 Yes.

22 DR. LEVINE: Let me address the issue of  
23 the cell number, if I could. Once you get below  
24 200 cells, there are increasing difficulties with  
25 the transduction and the culture. I think if we

at

1 could make an analogy about immunologic treatment  
2 in a different setting, with Cliff Lane's studies,  
3 where he has given IL2 to the patients, there was a  
4 real dichotomy with the effectiveness of raising  
5 the CD4 count with patients who came in with a  
6 count above 200 versus those that came in with a  
7 count below 200; that is, there was not an  
8 effective of the IL2 once you had patients coming  
9 in below 200.

10 So I think, at that point, the immune  
11 system has suffered what may be irreparable injury  
12 and would make any trial safety and feasibility  
13 more difficult.

14 DR. NOGUCHI: The question is not simply  
15 one of difficulty. I will point out that  
16 interleukin 2 has been actually approved for the  
17 treatment of renal-cell carcinoma so that the  
18 parameters of experience are far different than  
19 what we are talking about here. But we do  
20 understand the technical aspects of this particular  
21 approach which is trying to take cells and expand  
22 them. There very well may be areas that we will  
23 need to really fine tune.

24 DR. CHAMPLIN: They are few and far  
25 between, but the availability of identical twins

1 sometimes can give you sort of an opportunity to  
2 treat a seriously ill patient who now has a normal  
3 twin that you can get unlimited numbers of  
4 leukocytes from. So, for proof of principle kinds  
5 of things, that offers, sometimes, unique  
6 opportunities.

7 DR. SALOMON: Dr. Golding.

8 DR. GOLDING: I just to bring a little  
9 perspective from the Office of Vaccines. Our group  
10 is responsible for a lot of HIV vaccine including  
11 therapeutic vaccines. Most of the therapeutic  
12 vaccines, as you know, are done in the context of  
13 antiviral therapies. So what are sort of  
14 guidelines that we are using in terms of the safety  
15 monitoring of the patient.

16 Of course, just like in this case, when an  
17 outcome can be either no effect or worse disease  
18 progression, is the same thing we have to deal with  
19 when we deal with when you deal with therapeutic  
20 vaccine, that even though you gave something that  
21 is supposed to help to control viremia, you  
22 actually have a negative effect.

23 I think that can be seen relatively  
24 quickly by measuring viral-load changes in the  
25 patient. So I think it is important, once the

1 cells have been transduced into the individuals is  
2 to really take multiple measurements of viral loads  
3 over this first period, whether it is one month or  
4 six weeks, and to actually have a sense of the  
5 slope of viral load measurement as well, of course,  
6 of CD4 counts because you are talking about all  
7 kinds of toxicities.

8           There is the infusion toxicity. There is  
9 potential emergence of more fit viruses that, down  
10 the road, can dominate the patients. But I think  
11 if you have a really adverse reaction, you have  
12 something really bad in your product, what you are  
13 going to see is much more rapid increase in viral  
14 load.

15           For that, you have to have patients that  
16 are not in the millions of viral loads to start  
17 with. You have to have a window that would really  
18 allow you to see some really enhanced increased.

19           DR. SALOMON: Right. The logic to what  
20 you have said, Dr. Golding, though, is that you are  
21 probably going to want to choose a patient  
22 population that is not all that far gone and wildly  
23 rising HIV viral loads on the day that treatment is  
24 initiated.

25           DR. GOLDING: I wouldn't say total failure

1 of anti-HAART with a million copies because I  
2 really don't think you will be able to see this  
3 type of adverse reaction that you want to use to  
4 allow you to go to the next patient.

5 DR. SALOMON: So we have to put that in  
6 the context with what Dr. Delph shared with us in  
7 terms of the patient selection.

8 I had some other concerns I wanted to put  
9 on the table. One would be, in terms of the CD3,  
10 CD28, beads, you have less than 100 beads per 3  
11 million cells which means you could literally be  
12 putting in thousands of beads into someone. I do  
13 not buy that one at all. I think you would cause a  
14 pulmonary embolus. But maybe you have experience  
15 to answer that.

16 DR. LEVINE: That number was developed in  
17 consultation with the FDA. There have been  
18 toxicity studies done by what used to be Baxter  
19 Immunotherapy infusing a large number of beads into  
20 rats looking for that sort of thing. There were no  
21 toxic effects at levels of beads very much higher  
22 than what we are infusing.

23 We are also very much below that number of  
24 100 beads per 3 million cells. What we are able to  
25 achieve currently is a depletion of greater than

1 six logs to what we start with. So if we were to  
2 stimulate 50 million cells, 100 million cells, a  
3 billion cells, and have, say, a 3-bead-to-cell  
4 ratio of, let's say, up to 2 to 3 billion, we would  
5 anticipate easily being able to deplete six logs of  
6 those beads.

7 DR. SALOMON: Another issue; I think I  
8 understand why you want to activate these cells is  
9 because you think you are going to have very low  
10 numbers and you want to get up to these higher  
11 numbers of  $10^{10}$  and higher in your infusion.

12 Pheresis, even--I am not getting into the  
13 state of the T-cells when you are really getting  
14 down to 150, 200 CD4 cells. Your comments stand on  
15 that. But I don't understand that. I don't  
16 understand why everyone wants to ignore the biology  
17 of T-cells and activate them and culture them in  
18 nonphysiological concentrations of interleukin 2,  
19 inject them back in the patients.

20 I mean, the whole purpose of a lentiviral  
21 vector is it is incorporated into non-replicating  
22 cells. So my murine Moloney leukemia virus  
23 backbone, I have to activate my T-cells and I am  
24 not happy about it. But you don't. For studies  
25 that you are trying to maintain a normal immune



1 repertoire yet you are doing these things that I  
2 don't think there is any data here demonstrating  
3 what the immune repertoire is that is left in these  
4 cells after you do this. I just don't understand  
5 why you want you to go there.

6 DR. LEVINE: I can tell you that the  
7 maintenance of the repertoire after 60 days in  
8 culture is published in 1996 in the Journal of  
9 Immunology showing that we do maintain the entire  
10 repertoire.

11 DR. SALOMON: Defining repertoire as the  
12 CD4/CD8 ratio?

13 DR. LEVINE: As 24V beta families as  
14 analyzed by the CDR3.

15 DR. SALOMON: Okay. I guess, again, this  
16 is not a comment coming from the chair. We are not  
17 going to try and get consensus, so just a comment  
18 to you. I just don't believe that these assays  
19 maintain the normal T-cell phenotype. To go into  
20 these initial studies at the early low-dose effects  
21 where you don't have to activate and you don't have  
22 to treat with interleukin 2 just seems to me you  
23 are adding another variable to prepare yourself for  
24 a later thing based on an assumption that you have  
25 maintained your repertoire.

1 DR. LEVINE: I would say that we have  
2 experience with these T-cell infusions, with CD4-  
3 cell infusions and with bulk T-cell infusions in  
4 HIV patients and in cancer patients. We,  
5 ourselves, have done 51 infusions in HIV patients.  
6 CellGenesis has done with CD3-28-stimulated cells I  
7 am guessing 60 or 80 infusions and, in cancer  
8 patients, even more.

9 So I think we just have to agree to  
10 disagree.

11 DR. SALOMON: Right. That's fine. That  
12 is perfectly fine.

13 MS. KNOWLES: I would like to take Dr.  
14 Delph's comments one step further and caution--in  
15 terms of her comments about the other  
16 pharmacological agents in the research pipeline  
17 because she is right. There are more things coming  
18 down the pipeline. As such, I would like to  
19 caution the sponsor to not put the message forward  
20 that your proposed clinical trial is going to be a  
21 last-ditch treatment effort for people with HIV  
22 because it is one potential of the armamentarium.

23 DR. DELPH: I have another question  
24 because it wasn't clear from what you have given us  
25 about the protocol. Are these subjects going to be

1 on antiretrovirals or not?

2 DR. DROPULIC: They will be failing HAART  
3 and, if they are not on a therapy, then they are  
4 not on. But we are not going to require them to  
5 come off therapy. We think that that is unethical.  
6 So, if they are on one or two drugs and they are  
7 failing therapy, then they can enroll in the study.  
8 That is how we have defined it so far but, again,  
9 we can negotiate this with the FDA to see how we  
10 approach this. That is how we have characterized  
11 it presently.

12 MS. KNOWLES: If they go on study drugs,  
13 are you going to pay for them? Who is going to pay  
14 for the drugs?

15 DR. DROPULIC: I hadn't thought of that.  
16 We will think about that one.

17 DR. TORBETT: You propose in your set of  
18 criteria that you follow these individuals for  
19 life. Who would pay for those, assuming that the  
20 company had problems?

21 DR. DROPULIC: We plan to be around a long  
22 time.

23 DR. TORBETT: In any event that you don't,  
24 is there going to be--I am just curious. Would you  
25 take out insurance to make sure that that is done?

1 This is a serious consideration. It has been  
2 discussed before. I am just curious.

3 DR. DROPULIC: If that is a requirement,  
4 we can do that.

5 DR. TORBETT: I just wanted your thoughts  
6 on that.

7 DR. DROPULIC: Haven't thought about it,  
8 quite frankly, because we expect to be around a  
9 long time.

10 DR. SALOMON: I think one of the comments  
11 I have, and this is not specific to VIRxSYS, but  
12 that I think the focus of these discussions in the  
13 last two days have, and perhaps very appropriately,  
14 focused on the biggest risk, the low-hanging fruit,  
15 if you will, of the replication-competent  
16 lentivirus and shuffling of the DNA species, et  
17 cetera, which is fine.

18 I guess I still feel like, as part of this  
19 sort of last number of comments here--it continues  
20 to bother me what is happening also to the trans  
21 gene that is being delivered, the payload, if you  
22 will. That, to me, is as much a part of the  
23 product as the issues of safety.

24 Here, you get close to this gray area of  
25 "okay." But remember this is phase I and we want

1 safety not efficacy. But, as Dr. Noguchi said,  
2 when there is significant risk and unclear benefit,  
3 it is very hard to construct risk/benefit ratios  
4 and I think the rules change.

5 We have been through that with  
6 xenotransplantation. So, going back to that, I  
7 just think that--one of my personal comments here  
8 is that, at some point, we need to also consider  
9 how we are characterizing the quality and the  
10 integrity of the payload through all these changes  
11 because everything we have talked about, up to now,  
12 has not really dealt with that.

13 DR. NOGUCHI: I would actually disagree.  
14 I think there has been a lot of very good  
15 discussion on that, and you note that Dr. Wilson  
16 and Takefman are diligently noting these things.  
17 It is actually central to some of the evaluation  
18 because it does appear as though the payload may  
19 actually push the virus to recombine and do  
20 different mutations, deletions and so forth which  
21 clearly is an activity we need to be monitoring  
22 even from just the safety aspect.

23 So I think we have actually gotten very  
24 good advice on that.

25 DR. CORNETTA: This is Ken Cornetta. Can

1 I make a comment?

2 DR. SALOMON: Yes; go ahead.

3 DR. CORNETTA: I guess just maybe to pick  
4 up a little bit of what you were saying about the  
5 T-cell function after transduction and the  
6 stimulation process. That bothered me, too, as I  
7 was reading through. While a lot of cancer  
8 patients have gotten T-cells that have been  
9 manipulated and given back, our experience,  
10 although limited, has been that those cells don't  
11 function very well, at least after allogeneic  
12 transplantation.

13 So, in the process, their ability to do  
14 what the T-cell initially was designed to do seems  
15 to be lacking. So one of the real advantages I saw  
16 to lentiviruses was that you might be able to avoid  
17 this in vitro stimulation. It bothered me a little  
18 bit that there seemed to be a fair amount of  
19 stimulation that would occur in these cells and  
20 that, again, the concern that their ability to  
21 function, once they got back into the patient,  
22 would be not as we hoped.

23 DR. SALOMON: Do you want to comment on  
24 that?

25 DR. LEVINE: Yes. We have several lines

at

1 of evidence, and I could spend an hour talking  
2 about them, that, by stimulating by CD3 and 28, we  
3 reverse defects of the T-cells as they are removed  
4 from both cancer patients and HIV patients.

5 We have recently completed at phase I  
6 study in lymphoma where we have looked at  
7 intracellular cytokine response following TMA and  
8 antimycin stimulation at Day 0 and at Day 12 of in  
9 vitro culture and showed that we can reverse what  
10 is a substantially diminished response at Day 0  
11 that is increased at Day 12.

12 In the HIV setting, we have looked at  
13 response to allogeneic mixed lymphocyte reaction  
14 and show that we can increase in the study  
15 population that we did several years ago--we  
16 increased that allo-MLR response.

17 With respect to a CCR5 population that is  
18 different from the population with this study, we  
19 looked at CCR5 in vivo expressed on CD4 patients,  
20 both before and after infusions, dose-escalating  
21 infusions of 3, 10 and 30 times  $10^9$  and could show,  
22 specifically on the CD4 cells, that we have reduced  
23 the CCR5 levels.

24 Also, in vitro, we have looked at cytokine  
25 production in the HIV patients both before and

1 after stimulation and it is very much higher. So I  
2 think there is a wide spectrum of T-cell functions  
3 that are improved following CD3-28 stimulation.

4 I think the point also is that it may be  
5 nonphysiologic but that might be better. So, by  
6 not stimulating CHLA4, by stimulating CD28  
7 specifically, you are upregulating BCL2 protecting  
8 against apoptosis. So there really is a wide  
9 spectrum of things that are improved following CD28  
10 stimulation.

11 DR. SAUSVILLE: I guess I would offer  
12 that, certainly if this is successful to the extent  
13 that we work through some of these issues, or the  
14 sponsor works through these issues and gets into  
15 the clinic, one can imagine many different flavors  
16 of mix and match. That would be the subject for  
17 future clinical investigations.

18 I certainly would agree that, being vested  
19 in this particular way of doing it, this is one way  
20 to do. And I would leave it at that.

21 DR. SALOMON: Fine. Again, as I said, we  
22 had agreed to disagree a bit ago and nothing has  
23 changed.

24 Any other comments or can we come to a  
25 close?



1 DR. GAYLOR: I haven't said anything for  
2 the last two days and feel compelled to earn my way  
3 here somewhat. But, as a statistician, I need data  
4 to work with. There is obviously a paucity of data  
5 here for understandable reasons. It is a brand-new  
6 area. So my role, I think, has changed from being  
7 one that could have any scientific input to really  
8 how does the man on the street feel about this,  
9 somebody that not really been terribly involved in  
10 this.

11 I feel very comfortable with the  
12 discussions I have heard. There has been a lot of  
13 thought. A lot of questions have been raised.  
14 There has been a lot of good discussion and I  
15 guess, again, it is a theoretical comfort because I  
16 don't have a lot of data to look at.

17 But I think the committee and the people  
18 involved, the research that has been done, makes me  
19 feel like everything is being done that could be  
20 done at this point.

21 DR. SALOMON: Again, anyone else have any  
22 final comments that they would like to make?

23 DR. NOGUCHI: On behalf of the FDA, I hope  
24 I could take this liberty to really thank the  
25 committee, VIRxSYS especially, for being so bold as

1 to come here and face the stings and arrows, I  
2 guess, as best we can put it.

3 The committee, especially, for this round,  
4 has been exceptional both in its civility as well  
5 as rigor in pursuing obvious and not-so-obvious  
6 questions. I would especially like to thank our  
7 chair for keeping us on keel and getting us through  
8 this very difficult set of questions.

9 I think that, on our side, we can say  
10 that, with this advice, we are confident we will be  
11 able to make the appropriate decisions to move the  
12 entire field forward and we thank you for that.

13 DR. SALOMON: Thank you, Phil. Then, as  
14 chair, let me speak for everyone. I think that  
15 VIRxSYS, you guys did a really good job. I have  
16 always said, going back a couple of years now, that  
17 this committee functions the best when a sponsor  
18 can step up and provide us a real protocol to look  
19 at. That is when we can really deal with the kinds  
20 of specifics that allow the fields to move forward.

21 You guys have done that and I respect  
22 that. I also thank the sponsors who presented  
23 yesterday for doing the exact same thing in a  
24 situation that they even have more to lose, if you  
25 will, because we were taking them on on some of

1 their things that they hadn't even brought quite as  
2 far as you guys have. Again, I thank them.

3 I think everyone from the committee for  
4 two to three days, depending on which group you are  
5 in, hanging in there with us. To Rosanna Harvey  
6 and Gail Depolito and the rest of the FDA staff who  
7 worked so hard to put all this together, to get us  
8 here, to take us to dinner, to move us around in  
9 hotel rooms, and to the audiovisual staff and  
10 everyone else involved.


11 Thank you very much. Everyone travel  
12 safe.

13 [Whereupon, at 1:50 p.m., the meeting was  
14 adjourned.]

15

## CERTIFICATE

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script that reads "Alice Toigo". The signature is written in black ink and is positioned above a horizontal line.

ALICE TOIGO