

UNITED STATES OF AMERICA  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH  
VACCINES AND RELATED BIOLOGICAL PRODUCTS

369 RESEARCH  
02 FEB 20 09 23

ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

TUESDAY,

JANUARY 30, 2001

The meeting was held at 9:00 a.m. in the Versailles Rooms I, II, and III of the Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, DR. ROBERT DAUM, Acting Chair, presiding.

PRESENT:

- MARY K. ESTES Ph.D.
- STEVE KOHL, M.D.
- KWANG SIK KIM, M.D.
- ALICE S. HUANG, Ph.D.
- ROBERT S. DAUM, M.D.
- DIXIE E. SNIDER JR., M.D., M.P.H.
- SAMUEL L. KATZ, M.D.
- DAVID STEPHENS, M.D.
- DIANE E. GRIFFIN, M.D., Ph.D.
- AUDREY F. MANLEY, M.D., M.P.H.
- PAMELA DIAZ, M.D.
- BARBARA LOE FISHER
- JUDITH D. GOLDBERG, D., S.C.D
- WALTER L. FAGGET, M.D.

**OPEN**

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy  
www.nealrgross.com

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

PRESENT: (cont.)

NANCY CHERRY  
Executive Secretary

DENISE ROYSTER  
Committee Management Specialist

CONSULTANTS PRESENT (voting):

PATRICIA FERRIERI, M.D.  
EDWIN KILBOURNE, M.D.  
MARTIN MYERS, M.D.

GUESTS PRESENT:

NANCY COX, Ph.D.  
COLONEL BENEDICT DINIEGA, M.D.  
MICHAEL DECKER, M.D., M.P.H.  
DR. LANCE RODEWALD, CDC  
DR. WENDY KEITEL  
DR. KEIJI FUKUDA, CDC  
DR. ALEXANDER KLIMOV, CDC  
MS. LINDA CANAS, DOD  
MR. ALAN HAMPSON  
DR. JOANNA ELLIS

FDA REPRESENTATIVES PRESENT:

DR. KATHRYN ZOON  
DR. KAREN MIDTHUN  
MR. ZHIPING YE  
DR. ROLAND LEVANDOWSKI

MANUFACTURER REPRESENTATIVES:

DR. GREG SLUSAW, PhRMA  
MR. JOHN O'BRYAN, EVANS VACCINE  
MR. RICHARD HJORTH, WYETH

## I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Call to Order/Welcome . . . . .	4
Dr. Robert Daum, Acting Chair	
Introduction of Committee . . . . .	4
Conflict of Interest Statement . . . . .	6
Tara P. Turner, Pharm.D. Executive Secretary, AVAC	
Introduction and Updates	
Dr. Roland Levandowski, FDA . . . . .	8
Dr. Lance Rodewald, CDC . . . . .	30
Dr. Wendy Keitel, Baylor . . . . .	60
Presentation to Retiring Members	
Dr. Katherine Zoon . . . . .	81
U. S. Surveillance . . . . .	85
Dr. Keiji Fukuda, CDC	
World Surveillance and Strain Characterization	
Dr. Nancy Cox, CDC . . . . .	95
Molecular Characterization of Strains	
Dr. Alexander Klimov, CDC . . . . .	108
Additional reports	
Ms. Linda Canas, DOD . . . . .	117
Mr. Alan Hampson, WHO . . . . .	134
Dr. Joanna Ellis . . . . .	148
Vaccine Responses	
Dr. Roland Levandowski, FDA . . . . .	156
Availability of Strains and Reagents	
Mr. Zhiping Ye, FDA . . . . .	189
Comments from Manufacturers	
Mr. Greg Slusaw . . . . .	201
Options for Strain Selection	
Dr. Nancy Cox . . . . .	220
Committee Discussions and Recommendations . . . . .	240

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## P-R-O-C-E-E-D-I-N-G-S

(9:05 a.m.)

1  
2  
3 CHAIR DAUM: Good morning, the meeting is  
4 officially in session. For people that don't like  
5 surprises, Dr. Zoon will not come at 8:15 to give  
6 plaques to retiring VRBPAC members, but will rather  
7 come about 9 o'clock.

8 So we will proceed with the Agenda and  
9 begin hearing about influenza issues, and then take a  
10 break before Dr. Zoon's presentation, and a photo-op,  
11 if you will, of the VRBPAC committee at the same time.

12 We will begin with the usual introductions  
13 of the committee. And, Dr. Snider, I can barely see  
14 you out there. Maybe it is my glasses, but we will  
15 maybe ask you to start, and we will go around the  
16 table and introduce ourselves.

17 DR. SNIDER: Dixie Snider, Associate  
18 Director for Science, Centers for Disease Control and  
19 Prevention.

20 DR. STEPHENS: David Stephens, Emory  
21 University, Atlanta.

22 DR. KIM: Kwang Sik Kim, Johns Hopkins.

23 DR. GRIFFIN: Diane Griffin, Johns  
24 Hopkins.

25 DR. HUANG: Alice Huang, California

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Institute of Technology.

2 DR. KOHL: Steve Kohl, Oregon Health  
3 Sciences University.

4 DR. MANLEY: Audrey Manley, Spellman  
5 College.

6 DR. DIAZ: Pamela Diaz, Chicago Department  
7 of Public Health.

8 MS. FISHER: Barbara Loe Fisher, National  
9 Vaccine information center.

10 DR. ESTES: Mary Estes, Baylor College of  
11 Medicine.

12 DR. FERRIERI: Patricia Ferrieri,  
13 University of Minnesota Medical School, Minneapolis.

14 DR. MYERS: Martin Myers, National Vaccine  
15 Program Office.

16 DR. GOLDBERG: Judith Goldberg, NYU School  
17 of Medicine.

18 DR. KILBOURNE: Ed Kilbourne, New York  
19 Medical College.

20 DR. DINIEGA: Ben Diniega, Department of  
21 Defense health Affairs.

22 DR. COX: Nancy Cox, CDC Atlanta.

23 DR. DECKER: Michael Decker, Aventis  
24 Pasteur in Vanderbilt University.

25 DR. LEVANDOWSKI: Roland Levandowski,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Center for Biologics.

2 CHAIR DAUM: And I'm Robert Daum from the  
3 University of Chicago. Thank you.

4 We will now move on to Nancy Cherry, who  
5 will advise us of conflicts of interest.

6 MS. CHERRY: Well, first of all I will  
7 comment that we are happy to have Dr. Daum as Acting  
8 Chair today. Also, you may or may not know that FDA  
9 is in the process of appointing industry  
10 representatives to each of the committees.

11 And, today, we have Dr. Decker acting as  
12 a guest, but in that capacity for our Committee.

13 My final announcement is for any of you  
14 that are parked at the public parking lots across the  
15 street where you feed the meters with many quarters,  
16 please be vigilant, because the Montgomery County's  
17 finest are also vigilant.

18 The following announcement addresses  
19 conflict of interest issues associated with the  
20 meeting of the Vaccines and Related Biological  
21 Products Advisory Committee of January 30th, 2001.

22 Based on the agenda made available, it has  
23 been determined that the committee discussions for the  
24 influenza virus vaccine formulation present no  
25 potential for a conflict of interest.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

The Director of the Center for Biologics Evaluation and Research has appointed Drs. Theodore Eickhoff, Patricia Ferrieri, Edwin Kilbourne and Martin Myers, as temporary voting members for the discussion on the selection of strains to be included in the influenza virus vaccine for the 2001-2002 season.

And I would add that we are sorry that Dr. Eickhoff could not be with us today.

In the event that the discussions involve specific products or firms not on the agenda, and for which FDA's participants have a financial interest, the participants are reminded of the need to exclude themselves from the discussions. Their recusals will be noted for the public record.

With respect to all other meeting participants we ask, in the interest of fairness, that you state your name and affiliation, and any current or previous financial involvement with any firm whose products you wish to comment on.

And I will now turn it back to Dr. Daum.

CHAIR DAUM: Thank you, Nancy. I think we will move, without further ado, right into the topic of the day, the strain selection for influenza virus vaccine.

1 And we will begin with a trilogy of  
2 presentations that may be broken, as I mentioned by  
3 plaque presentations and photo ops. And we will call  
4 on Dr. Levandowski of the FDA to introduce us to the  
5 topic, and present us some information about what has  
6 happened since last year.

7 DR. LEVANDOWSKI: Thank you, Dr. Daum. I  
8 would like to welcome everybody here to this meeting.  
9 And, as usual, there is lots of excitement, not the  
10 least of which is getting all of this together.

11 We are trying to present, or use, some new  
12 technology here, and hope that this is going to work.  
13 However, if our power point doesn't work I think  
14 everybody is prepared with either slides or overheads  
15 to back this up, so we will just dive in and get  
16 started.

17 As everybody knows we are here today to  
18 begin the process of selecting the influenza virus  
19 strains that are going to be included in the vaccines  
20 prepared for 2001-2002 in the United States.

21 The question to be answered by the  
22 committee is shown on this slide, and it is the same  
23 one we ask every year, and that is, what strain should  
24 be recommended for inclusion in the inactivated  
25 vaccine for the coming year.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 In formulating an answer to that question  
2 I think it is helpful to review a few facts about the  
3 currently approved inactivated influenza virus  
4 vaccines. Inactivated influenza vaccines act  
5 primarily to induce the production of antibodies.

6 The hemagglutinins and the neuraminidases  
7 of the incorporated influenza virus in current  
8 vaccines are concentrated, and partially purified, to  
9 remove extraneous material derived from the eggs in  
10 which the vaccines are produced.

11 Although antibodies to both the  
12 hemagglutinin and the neuraminidases may be  
13 protective, influenza virus vaccines are standardized  
14 currently only for the content of hemagglutinin.

15 And, therefore, the greatest emphasis is  
16 placed on the viral hemagglutinin and in the  
17 selection. However, the neuraminidase receives  
18 consideration since it, too, may add to the protective  
19 efficacy of vaccines.

20 Since the use of the first inactivated  
21 vaccines in the 1940s, it has been very clear that one  
22 of the most important predictors of vaccine efficacy  
23 is the match of the vaccine virus with the influenza  
24 viruses that are causing infections.

25 What has also been made clear, with yearly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 epidemics and pandemics, is that influenza virus have  
2 great scope for antigenic diversification.

3 Ongoing random mutations of the  
4 hemagglutinin and the neuraminidase, which we refer to  
5 as antigenic drift, and exchange of entire genes with  
6 other influenza viruses that we refer to as antigenic  
7 shift, both participate in influenza virus evolution.

8 It may also be helpful to the committee's  
9 deliberations to consider answers to the questions  
10 shown on this slide. Most importantly it is necessary  
11 to know if new influenza virus is revolving in nature.

12 An extensive global network exists to  
13 collect and analyze information, throughout the year,  
14 as we are going to hear shortly, from colleagues at  
15 CDC and other national and international institutions,  
16 this morning.

17 When new viruses are identified, and they  
18 almost always are, the extent of geographic  
19 distribution helps to judge the urgency in changing  
20 the composition of the vaccine. Often antigenic  
21 variants appear, but sometimes they are dead end  
22 branches on the evolutionary tree.

23 As we've seen in the case of some  
24 influenza B viruses in Asia, in the recent past, they  
25 may even be spread in a geographic location without

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 subsequent globalization of those strains.

2 Of course we have seen, also, just the  
3 opposite with influenza A viruses transported freely  
4 and rapidly across hemispheres by modern travel  
5 habits.

6 If new strains can disseminate widely it  
7 is useful to know whether current vaccines are likely  
8 to produce some measure of protection. If it appears  
9 that current vaccines could be suboptimal, then it is  
10 still necessary to consider whether there is a strain  
11 that is suitable to permit large scale manufacture of  
12 vaccine within the perennial constraints of time.

13 We are prepared to assist, this morning,  
14 by supplying information in each of these areas.  
15 Customarily there is a brief review of the previous  
16 year's experience.

17 However, this year we are going to expand  
18 on the review of the production year just past. As  
19 everyone is, undoubtedly, aware there was a serious  
20 and unprecedented delay in distribution of influenza  
21 virus vaccines in the United States during the  
22 production season that is just ending.

23 It is now possible to state with certainty  
24 that the amount of vaccine produced for distribution  
25 in the United States during 2000 was similar to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 amount produced and distributed in 1999.

2           However, by all reports the disruption to  
3 the accustomed schedule for use of influenza virus  
4 vaccines in the fall months has been severe. Even  
5 though there appears to be sufficient vaccine to  
6 supply the existing demand, the lack of vaccine at the  
7 time it was expected for use, in effect, was perceived  
8 as a shortage.

9           And just as it takes months of planning  
10 and effort to make the vaccine, it also takes a huge  
11 effort, and many weeks, to administer more than 70  
12 million doses of vaccine in this country.

13           This slide helps to demonstrate the  
14 magnitude of the delay. And to give some perspective,  
15 here, the data are included for 1998 and 1999 when  
16 similar total amounts of vaccine were produced.

17           The data are presented here as the  
18 cumulative percent of the total amount of influenza  
19 virus vaccine that was submitted to the Center for  
20 Biologics Evaluation and Research for testing and  
21 release.

22           The green bars here are information for  
23 1998. The blue bars are information for 1999, and the  
24 red ones are the information for 2000.

25           What you can see is that in all three

1 years, in June there was some vaccine that was  
2 produced for release, or it was prepared for release  
3 by that time.

4 However, more than 50 percent of the  
5 vaccine was prepared by August in both 1998 and 1999.  
6 While the 50 percent point was not reached until  
7 October in the year 2000.

8 You will note that October is also the  
9 month when nearly one hundred percent of the vaccine  
10 had been prepared in 1998 and 1999. That one hundred  
11 percent point was not reached until the end of  
12 November, to the beginning of December in this year,  
13 in the 2000 season.

14 In effect it took about six to eight weeks  
15 longer to prepare vaccine. And nearly 50 percent of  
16 the vaccine was ready for market only after October  
17 and November when most practitioners and recipients  
18 are now very well accustomed to using vaccine in  
19 accordance with recommendations from the Advisory  
20 Committee for Immunization Practices at CDC.

21 The causes of the delay have been reported  
22 previously, and I've listed them here. Although there  
23 have been several other instances in which one or  
24 another vaccine manufacturer experienced an event that  
25 delayed manufacturing, there has never been an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 occurrence when three of the four licensed  
2 manufacturers were delayed at the same time.

3 I think that is the real answer to what  
4 happened during this past year.

5 At two of the manufacturers, Parkdale  
6 Pharmaceuticals and Wyeth deviations from good  
7 manufacturing practices were discovered during FDA  
8 inspections of facilities.

9 One of those manufacturers, Wyeth, was  
10 able to make corrections in time to permit production  
11 of vaccine. Although the vaccine distribution began  
12 late in 2000.

13 The other manufacturer, Parkdale, was not  
14 able to complete their corrections in a timely manner,  
15 and they withdrew from further production.

16 Another manufacturer, Aventis Pasteur,  
17 experienced early difficulties with one of the two new  
18 viruses included in the vaccine. And I want to  
19 emphasize that there were two new strains that were  
20 recommended for the past year.

21 I think that sometimes has been missed in  
22 some of the reports, or some of the conversations.

23 Although the A/Panama/2007/99 strain grew  
24 quite well in eggs, the early yield through the  
25 process, as is often true for new strains, was low.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 However, as is usually true for all manufacturers of  
2 influenza vaccines adjustments were made in handling  
3 the virus, and eventually satisfactory yields were  
4 obtained.

5 Unfortunately manufacturing is not only  
6 labor, but it is also time intensive. And time lost  
7 is just simply not regained. During the months that  
8 followed the recognition of the situation, FDA, CDC,  
9 NIH, and the manufacturers all worked together to  
10 develop strategies to minimize the impact of the  
11 delay, and to maximize the production and use of  
12 vaccine.

13 In order to give a further explanation of  
14 the public health service activities that went on, I'm  
15 first going to present some additional data on  
16 production.

17 Following that, Dr. Lance Rodewald, from  
18 the National Immunization Program, will discuss some  
19 of the CDC activities related to vaccine supply and  
20 distribution during 2000.

21 And, finally, Dr. Wendy Keitel of Baylor  
22 College of Medicine in Houston will discuss clinical  
23 studies that were sponsored by the National Institutes  
24 of Allergy and Infectious Diseases during the past  
25 year, to re-evaluate dose response of inactivated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 influenza virus vaccines.

2 This slide shows an abbreviated version of  
3 the influenza vaccine production cycle. And here I've  
4 placed the vaccine use at the top of this little  
5 pyramid, since that is what most people see from the  
6 production effort.

7 What is not always obvious for everybody  
8 is that there is a continuous effort and a lot of work  
9 that goes on to support the preparation and use of the  
10 vaccine. And that is what is shown in blue and in  
11 black at the bottom of the slide, here.

12 Working down from the top, the vaccine  
13 can't be distributed until it is produced, obviously.  
14 Trivalent vaccine is formulated, however, from  
15 monovalent components that are produced individually  
16 from virus strains having different optimal conditions  
17 for growth and purification.

18 The amount of trivalent vaccine is limited  
19 by the poorest yielding strain, as is often pointed  
20 out to us by manufacturers. So a great deal of their  
21 effort goes into development of seed viruses.

22 The seed viruses are proprietary for each  
23 manufacturer, and they are produced by carefully  
24 controlled consecutive passage and eggs. Although  
25 each seed virus is unique, all seed viruses are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 antigenically identical to the referenced strains from  
2 which they are derived.

3 Those referenced strains are recommended  
4 by the actions we are undertaking here today. And  
5 although the recommendations occur somewhat point  
6 events, they really are supported by all that shown  
7 below on the slide, here, underneath the  
8 recommendations occur at specific time intervals.

9 But the activities to support that are  
10 going on, basically, continuously.

11 Manufacturers use only strains consistent  
12 with the recommendations. But it is sometimes  
13 possible to have more than one choice, either from  
14 different appropriate wild type viruses, or from  
15 multiple high-growth reassortment viruses that are  
16 produced specifically to support manufacturing of  
17 vaccine at large scale.

18 The global activities needed to prepare  
19 for the recommendations in northern hemisphere  
20 countries in January through March, and in the souther  
21 hemisphere countries in September through November,  
22 help to focus attention and to smooth out the vaccine  
23 preparation in many ways, mainly by forcing us to get  
24 busy.

25 Well before the recommendations are made,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 however, surveillance by CDC and other WHO  
2 laboratories identify potential new reference  
3 influenza viruses, and it is possible to explore the  
4 potential of those new strains for use in producing  
5 vaccines well before any of the committee meetings  
6 occur.

7 This slide shows the most recent  
8 recommendations. The recommendations on the left are  
9 the ones that were made by this committee for the 2000  
10 production year. And the recommendations on the right  
11 are those that were made by the World Health  
12 Organization for the 2001 production year in the  
13 southern hemisphere.

14 Please note that the recommendations for  
15 the H3N2 strain, and the H1N1 strain, which were new  
16 for the 2000 vaccine in the United States, are the  
17 same as those recommended for 2001 in the southern  
18 hemisphere.

19 In fact the WHO recommendations for the  
20 southern hemisphere in 1999 that preceded our 2000  
21 recommendations also included an A/Moscow-like and an  
22 A/New Caledonia-like strain.

23 The current effective recommendations  
24 differ only in the B strain, which has been updated in  
25 the southern hemisphere to include a newer strain, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 B/Sichuan/3799 strain, and the actual strains that are  
2 being used for manufacturing right now are the  
3 B/Johannesburg 599, and the B/Victoria 504/2000  
4 strain.

5 This slide shows the timing by month of  
6 the year of distribution of strains for the last five  
7 new strains that were recommended by this committee  
8 since 1998.

9 The blue filled squares denote reference  
10 viruses that were distributed to manufacturers, and  
11 the red filled squares denote potency reagents that  
12 were distributed for vaccine manufacturing.

13 The little yellow bars in between indicate  
14 the months during which strain recommendations are mad  
15 in the United States, just for reference.

16 What you can see from this slide by  
17 Gestalt is that for the two new strains that are  
18 recommended for 2000, distribution of the referenced  
19 viruses and the potency reagents was as early, or  
20 earlier than for previous new strains.

21 For the A/Panama/2007/99 recommendation  
22 four newly prepared high growth reassortants with  
23 hemagglutinin and neuraminidase from the  
24 A/Panama/2000/799 virus were distributed to  
25 manufacturers by the end of January.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The reassortant viruses that were named  
2           NIB41, NIB42, Resvir 16 and Resvir 17, were examined  
3           carefully by manufacturers, and the strains selected  
4           for use, which is called Resvir 17, was chosen by  
5           manufacturers in the United States and Europe as the  
6           best one of the four available for manufacturing on  
7           the basis of the growth and the yield in small scale  
8           purification.

9           However, it should be noted that  
10          manufacturers can get an accurate forecast of yield  
11          only when the specific potency reagents are made  
12          available. And in the case of the A/Panama/2007/99  
13          strains, the reagents were not available until May of  
14          2000.

15          This slide shows some of the intensity of  
16          the work in developing new seed viruses for current  
17          vaccine strains during the first year the strains were  
18          included in the vaccine.

19          So for the A/Panama, and A/New Caledonia  
20          strain, those were first used in the year 2000, and  
21          the B/Yamanashi strain was first used in 1999.

22          What I'm showing is an overlap of those  
23          years just for comparison as to what happened during  
24          the actual calendar years.

25          The red bars here indicate when the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 A/Panama/2007/99 seed viruses were submitted to the  
2 Center for Biologics for release. And what can be  
3 seen is that work to develop the A/Panama seed viruses  
4 was completed earlier, and over a shorter time  
5 interval as compared to the either the A/New  
6 Caledonia, or the B/Yamanashi strains.

7 What this suggests is that overall  
8 optimization of the A/Panama seed virus was not  
9 unusual difficult over all, it just takes time, as it  
10 always does for these things.

11 And I think it is important for people to  
12 recognize that this also doesn't happen just at one  
13 time point, it occurs over a period of time that there  
14 is work going on to make improvements continuously.

15 This slide provides information on the  
16 production of monovalent vaccine components during  
17 1998, 1999, and 2000. The results are presented as a  
18 percent. Each monovalent type represented out of the  
19 total number of monovalent lots that were submitted  
20 for the particular calendar year to the Center for  
21 Biologics.

22 The results that are shown in light blue,  
23 you probably can't see it at the back, indicate the  
24 strains that were new within the given calendar year.

25 So in 1998 A/Beijing and A/Sydney were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 new, and in 1999 B/Yamanashi was new, and in 2000  
2 A/New Caledonia and A/Panama are new strains.

3 Although there might have been some early  
4 difficulty with the A/Panama strain, the data overall  
5 did not suggest an unusual difficulty with this  
6 A/Panama strain, as compared to other strains, either  
7 within the same year, or compared to the previous two  
8 years experiences with another H3N2 strain, the  
9 A/Sydney/597 strain.

10 In fact, if you look at it, in all three  
11 years more effort, that is, more total lots of vaccine  
12 manufactured ultimately went into producing either the  
13 H1N1 influenza strain, or the influenza B strain that  
14 was needed for producing the influenza H3N2 strain.

15 This isn't to minimize that there are  
16 difficulties with all these things, but it does show  
17 that some of the time, here, was not really -- it was  
18 not universal for all of the manufacturers.

19 This slide shows the number of trivalent  
20 lots that were submitted for release to the Center for  
21 Biologics over the past decade. And what is obvious  
22 is that vaccine production has been increasing by  
23 approximately two-fold over the decade.

24 In 1990 it was probably equivalent to  
25 approximately 40 million doses. And more recently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that equates to about 80 million doses per year that  
2 have been manufactured.

3 More directly relevant for today's  
4 discussion, now that manufacturing has been completed  
5 for the 2000 year, the number of lots of vaccine  
6 produced for 2000 compares very favorably with the  
7 total produced for the year before.

8 So, in summary, I think what we can say  
9 from this experience is that we can expect that there  
10 are going to be delays of shortages of production,  
11 delays occur at multiple manufacturers at one time.

12 And this really points out the need for  
13 having multiple parallel streams of product. The  
14 constraints of time and the need for all events to  
15 fall into place make production of influenza virus  
16 vaccine a delicately balanced system that requires  
17 great collaboration between the government and  
18 industry.

19 Temporary problems with the new vaccine  
20 strain and time needed to implement good manufacturing  
21 practices both contributed to the delay and  
22 distribution of vaccine in 2000.

23 And significantly these events have led to  
24 one of the affected manufacturers to withdraw from  
25 producing influenza vaccine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE. N.W.  
WASHINGTON, D.C. 20005-3701

1 In most other ways, however, the  
2 experience in 2000 was really pretty typical of  
3 influenza manufacturing, generally, with all of the  
4 usual kinds of stresses.

5 And I will stop there and ask if you have  
6 any questions or comments.

7 CHAIR DAUM: We have something unusual, on  
8 my experience on this committee, is we have the luxury  
9 of some time.

10 Would anybody like to ask some questions  
11 of Dr. Levandowski before we go on? Dr. Kohl?

12 DR. KOHL: Could you specify what you mean  
13 by problems with good manufacturing practices?

14 DR. LEVANDOWSKI: Well, there are  
15 procedures and processes that are put into place that  
16 are, if they are used, will guarantee that there will  
17 be consistency in manufacturing, and that the product  
18 that is manufactured is wholesome and meets all the  
19 requirements of a product under licensing requirements  
20 in the United States.

21 DR. KOHL: That is not quite the answer I  
22 was looking for.

23 This is a process of producing influenza  
24 vaccine, is not a new process, it is something that  
25 has been going on for many years. And I presume it is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 roughly the same process every year.

2 What was special about this year that two  
3 companies had problems that were severe enough to stop  
4 their production?

5 DR. LEVANDOWSKI: Well, what I can say is  
6 what I had stated before, I think. That there are --  
7 there were deviations from procedures that are put  
8 into place to ensure that the product is made in a  
9 consistent manner, and that it does meet all the  
10 standards for purity, potency, and so on.

11 I am afraid that is probably all I can  
12 say.

13 CHAIR DAUM: Dr. Katz, welcome Dr. Katz,  
14 you didn't get to introduce yourself earlier.

15 DR. KATZ: Well, I was at the meeting  
16 yesterday, and I mistook the beginning of time this  
17 morning.

18 I wondered what efforts or progress have  
19 been made in getting away from production in ovo, and  
20 getting into an in vitro system for production of  
21 virus and vaccine?

22 DR. LEVANDOWSKI: Globally there has been  
23 quite a lot of interest in production of vaccines and  
24 tissue cultures, and also by methods that would avoid,  
25 or would be more similar to making a purified protein.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           Those are in development.    When those  
2 might become realities is unclear.  There are a whole  
3 set of issues that are related to cell substrates,  
4 issues that are related to safety parameters, and  
5 issues that are related to having a setup that will,  
6 in terms of the viruses that are required to make the  
7 vaccine, they still need to have seed viruses.

8           For example, the tissue culture system for  
9 making vaccine, all of those things need to be put  
10 into place and worked out.

11           And there is, I guess what I can say in  
12 the general sense, is that there is an awful lot of  
13 work going on looking at that, to see whether that has  
14 any advantages, either in terms of efficacy of  
15 vaccine, or in smoothing out production of vaccine.  
16 And it is going on around the world.

17           CHAIR DAUM:  Dr. Snider?

18           DR. SNIDER:  Yes.  Roland, could you tell  
19 us if Parkedale has made public their intentions with  
20 regard to producing influenza vaccine in the coming  
21 year, and the amount of doses that they normally  
22 produce?

23           Or if you can't, is there a company  
24 representative who could tell us that?

25           DR. LEVANDOWSKI:  I don't know if there

1 are any company representatives in the audience this  
2 morning. But what I can say is that Parkedale has  
3 made press releases that indicate their intention is  
4 not to produce influenza virus vaccine.

5 And I believe I've also seen press  
6 releases discussing what actions they would take to  
7 discontinue all of their activities in that regard.

8 CHAIR DAUM: I guess the follow-up  
9 question that is sort of implicit in what Dr. Kohl and  
10 Snider are hinting at, is how do you see what the  
11 occurrences this year as impacting long term issues of  
12 vaccine supply, and having enough manufacturers to  
13 ensure an adequate flow of product in a timely way?

14 DR. LEVANDOWSKI: Well, I think that it  
15 points out what we already knew about the system. And  
16 we use the term fragile, it really is a very fragile  
17 system.

18 We ask these manufacturers to do what is  
19 really a very difficult task. They basically have to  
20 make a new vaccine every year. And this product has  
21 become very widely available, and really very  
22 relatively inexpensive.

23 Quite honestly it doesn't make a lot of  
24 money for manufacturers. And in that sort of  
25 situation I think what we've seen for pharmaceutical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 products, generally, is that if they are not  
2 profitable, the companies really have other incentives  
3 to move on to something else.

4 I think that is the concern. And this is  
5 not new in terms of companies making decisions to  
6 remove themselves from manufacturing inactivated  
7 influenza vaccines.

8 The technology is old, but it still is a  
9 fairly expensive activity, or venture to get into the  
10 market, and to have to start up and meet all of the  
11 requirements that we expect for modern vaccines.

12 And just because of all those  
13 difficulties, the relatively low profitability, as  
14 compared to other things, other exhibits of companies  
15 that left influenza vaccine production are really  
16 numerous.

17 There are probably more manufacturers that  
18 have quit making influenza virus vaccines than are  
19 still making those vaccines. And I can name some  
20 other companies like Merck, Letterly, Lilly, and there  
21 are probably a few more. Merrill National was a  
22 company that eventually became a company that is still  
23 in existence, but this is really quite an important  
24 issue that needs to be addressed.

25 And I hope that we can address it fully

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 here this morning, to tell you the truth.

2 CHAIR DAUM: Other questions?

3 DR. KILBOURNE: Could I make a comment?  
4 Maybe it is appropriate for later rather than now.  
5 But I think we may be becoming a little obsessive  
6 about how good an antigenic match we have to have.

7 After all we are talking about drift. And  
8 if we look at some of the data I have seen in this  
9 material furnished, if you compare the AN1 strains,  
10 the New Caledonia, and the Beijing 262, which was used  
11 for about four years, the coverage as reflected by  
12 vaccine response is not all that different.

13 I wonder whether one of the things we  
14 should consider is whether in a year where it is  
15 obvious, early on, that there are production  
16 difficulties, we might relax a little bit on the  
17 strictness of the antigenic demands here.

18 CHAIR DAUM: Do you want to comment on  
19 that?

20 DR. LEVANDOWSKI: Well, I think I would  
21 just restate what I stated to begin with, and I think  
22 Dr. Kilbourne was maybe involved with the FM1 strain  
23 was the strain that led to initiating all of these  
24 activities, recognizing that antigenic drift could  
25 make vaccines relatively ineffective.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KILBOURNE: Well, that is a unique  
2 situation, as far as I've been able to tell, and I'm  
3 putting together a paper on that right now, in that  
4 the magnitude of change in four years, there was  
5 something greater than we've see since.

6 Whether Nancy would argue with that or not  
7 I don't know.

8 CHAIR DAUM: Thank you, Dr. Kilbourne,  
9 thank you Dr. Levandowski. I think we will move on at  
10 this point, and hear from Dr. Lance Rodewald, Director  
11 of the Immunization Services Division, the National  
12 Immunization Program at CDC. Welcome.

13 DR. RODEWALD: Thank you, and thank you  
14 for the invitation to come and speak about some of the  
15 programmatic responses that we had towards the flu  
16 supply problems this year.

17 I'm in the Immunization Services Division  
18 at the National Immunization Program at CDC, and we  
19 are the main programmatic arm of the Immunization  
20 Program. And so we do the lion's share of our work is  
21 with routine childhood vaccination, so this is a  
22 little bit different.

23 The scope of my talk will be to talk a  
24 little bit about what we were worried about, what was  
25 done by Public Health Service and CDC, and others, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then what has happened so far, from our perspective,  
2 and some of the programmatic lessons that we've  
3 learned, and continue to learn.

4 The basic chronology we had is that if you  
5 look at the one year time line from January 1st  
6 through December 31st of this year, is the  
7 notification in mid-March of CDC possible enforcement  
8 actions, leading to the recognition that there may  
9 very well be not only a delay, but also a severe  
10 shortfall in the number of doses that will be  
11 produced.

12 There was an MMR, MMWR, announcing the  
13 delay with the possible sever shortage of vaccine  
14 production. And then there was the ACIP  
15 recommendations for the delay scenario.

16 So it was recognized that there would not  
17 be a major shortfall between the middle MMWR and the  
18 ACIP recommendations, but that the delay would  
19 definitely occur.

20 What we were mainly worried about, of  
21 course, are death and disease, and hospitalizations  
22 from influenza. For each million doses that were not  
23 given to elderly patients, this would translate into  
24 900 deaths and 1,300 hospitalizations.

25 The estimates of supply from the FDA were

1 not reassuring, as I mentioned earlier, and as Roland  
2 had mentioned. And we are also worried that the  
3 vaccine supply is a bit dependent on the manufacturer,  
4 because they had different timings of when they came  
5 to market.

6 And so if I was in a nursing home, for  
7 example, depending on which manufacturer, I may have  
8 my vaccine earlier or later in the season. And, of  
9 course, the other thing is that this is primarily, and  
10 almost entirely, a private sector distribution,  
11 manufacturing and distribution system.

12 The other thing that we are worried about  
13 is how do we target vaccine in case there is a  
14 shortage, how do we really make sure that vaccine is  
15 given to those at highest risk of death and  
16 hospitalization.

17 I would like to talk a little bit about  
18 what was done, and I would like to go over six points.  
19 Number one is after, and basically remember that there  
20 is not a large adult vaccination infrastructure,  
21 public health infrastructure, it is largely a private  
22 system.

23 One of the things that we did is to  
24 communicate with our partners, the federal agencies,  
25 of course, and Dr. Levandowski had weekly conference

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 calls with the CDC where he indicated what the most  
2 recent and current information was about the vaccine  
3 supply.

4 We had conference calls with public health  
5 and private provider organizations. For example, the  
6 Association of State and Territorial Health Offices,  
7 the American College of Physicians, and other provider  
8 organizations.

9 We purchased a guarantee of a production  
10 of more vaccine, and I will get into that in a moment.  
11 This is the nine million doses of vaccine that we  
12 guaranteed production of.

13 We developed a website, I will get into  
14 that in a little bit, for exchange of information, and  
15 possible exchange, facilitating exchange of vaccine.  
16 We had some new knowledge generation to help with this  
17 season.

18 We created, based on the new knowledge,  
19 some good practices material, and we conducted a media  
20 campaign.

21 The federal contract for influenza vaccine  
22 production, I will talk a little bit about the time  
23 line for that, we contracted for the production of  
24 nine million doses of influenza vaccine, and these  
25 were doses that would not have been made available

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 without the contract.

2 This was, in other words, doses of vaccine  
3 that were produced in excess of what was planned by  
4 the companies.

5 The availability, we could not get vaccine  
6 that would be available prior to mid-December in 2000,  
7 so this is really late season vaccine, and safety net  
8 vaccine in case there was a sever shortage.

9 The prices turned out to be, through the  
10 contract, three dollars for public sector, five  
11 dollars for private sector. And, significantly, there  
12 was a public health priority on the purchase of this  
13 vaccine.

14 The purpose of the public health priority  
15 was to implement the AICP's targeting policy, and the  
16 purchase was done by application only. The  
17 applications were reviewed, ranked and prioritized by  
18 an algorithm that basically discussed, of this  
19 purchase, what percentage do you think will go to high  
20 risk patients, those at greatest risk of death, and  
21 hospitalization. The applications were made to  
22 Aventis.

23 The chronology here, if you take a look at  
24 the basic chronology that is on the bottom, and then  
25 the yellow bars here indicate where the funds were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 certified to procure the production of the nine  
2 million doses.

3 And between that and the red bar, later on  
4 in the season, the red arrow later on in the season,  
5 at the time the funds were certified, there was still  
6 the distinct possibility that there could be a serious  
7 shortfall in the number of doses of vaccine.

8 And this prompted the purchase, and really  
9 sort of forced the purchase of the safety net vaccine,  
10 in case there was a serious shortage.

11 Between that yellow bar and the next red  
12 arrow, it turned out that there would not be a serious  
13 shortfall if you add in the addition of the nine  
14 million doses.

15 The website started taking orders, where  
16 you see the middle yellow bar, and vaccine began  
17 shipping on time in mid-December.

18 The website that we developed really  
19 indicated several things. One of them was -- the  
20 purpose was to indicate vaccine availability as the  
21 season progressed. The intent was to link providers  
22 with vaccine, to those without vaccine, knowing that  
23 there was going to be an unevenness in distribution.

24 The website was for information only,  
25 because this was not a site where we would sell

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vaccine. Vaccine was available from either  
2 manufacturers, or wholesalers. This vaccine was put  
3 on the website.

4 There were also links to states that were  
5 willing to redistribute vaccine within their state.  
6 And we were pleased that all states agreed to provide  
7 contacts for redistribution of vaccine, in case that  
8 became necessary.

9 Initially when the site went up there was  
10 no vaccine on the website, and then later on the  
11 vaccine from the nine million doses went up there. We  
12 had anticipated that the website would become more  
13 valuable as the season would progress.

14 The second component of the website was  
15 information, links to the ACIP, and MMWR statements,  
16 links to news, surveillance information, and other  
17 things.

18 And then the third part was to provide  
19 helpful material for providers. For example,  
20 brochures to discuss flu vaccination with their  
21 Petitioners, which I will get into in a moment.

22 There were two pieces of new knowledge  
23 that we worked on generating this year. There were  
24 provider based studies conducted by Gary Freed and his  
25 colleagues at the University of Michigan, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 included focus groups of family physicians, and  
2 internists.

3 And this was followed by the focus group  
4 driven quantitative survey of the same two groups. We  
5 wanted to find out what it was that providers could  
6 look to CDC for during this flu season, and also to  
7 look a little bit at their capacity for targeting  
8 vaccination.

9 One of the things that we found out, from  
10 many of the providers that this survey was conducted,  
11 right around October 1st was the midpoint of the  
12 quantitative survey.

13 And what we found out is that many of the  
14 providers who had gotten limited shipments of their  
15 vaccine, had implemented a targeting policy, although  
16 this was challenging.

17 Also we found out that only one-fourth of  
18 the physicians, with no difference between family  
19 physicians and internist, really had ability to target  
20 vaccination through reminder and recall systems.

21 We also did studies, these are focus group  
22 studies, targeted at the general public. And the  
23 intent on this was to understand some of the barriers  
24 to vaccination, and some of the motivating factors for  
25 vaccination.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And one of the things we found out, we  
2 found out several things, that in the public's eye  
3 there is a very discreet vaccination season, and if  
4 that is missed, that is going to be problematic.

5           There was a real non-perception of self-  
6 identification of high risk. A 70 year old would say,  
7 that vaccine can't be for me, I'm healthy, it is  
8 really for the frail elderly, and I think there is a  
9 lot of merit to that, to feeling healthy, and not  
10 feeling like I'm a frail person.

11           There was a real willingness for all  
12 patients, adults, young adults and elderly adults, to  
13 protect others through vaccination of themselves. So  
14 if they said, well I'm not really particularly at high  
15 risk, because I'm healthy, but I'm willing to be  
16 vaccinated in order to prevent me from catching the  
17 disease and transmitting it to somebody who is at high  
18 risk.

19           We developed several one page brochures  
20 for physician use. These fliers, as Gary Freed told  
21 us, were very desirable, according to the physicians  
22 in the focus group in the surveys.

23           The messages for these were developed  
24 through the public focus groups about barriers to get  
25 vaccinated, how to overcome them, what were some of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the motivating factors.

2 Three brochures were finalized and  
3 distributed it. And they identified, number one, how  
4 can we identify, am I at high risk and do I need to be  
5 vaccinated, either for medical reasons, or for age  
6 related reasons.

7 The second brochure was a reminder not to  
8 delay getting vaccinated if a patient is at high risk.  
9 And the third was to reinforce the idea that one  
10 individual's vaccination protects not only him or  
11 herself, but also protects others who need to be  
12 protected.

13 The brochures were made widely available  
14 through HCFA's peer review organizations, provider  
15 organizations, and internet distribution.

16 The media campaign was conducted by  
17 Harrison, Maldonado and Associates. The target  
18 audiences are listed here, African-American  
19 individuals, Hispanic-American individuals, and the  
20 general population.

21 The outlets were through TV, radio, and  
22 transit ads. The materials that were developed were  
23 made available to partner groups through the same  
24 channels that we had the brochures made available, and  
25 there was a two phase campaign that was conducted.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 In mid-November the message was to help  
2 identify those that were at risk of serious disease,  
3 to self-identify, and to make sure that they seek  
4 vaccination.

5 And the second part, that was conducted in  
6 December, was a remainder that it is not too late to  
7 be vaccinated, keeping in mind that there will be a  
8 distribution of vaccine, and that the delay doesn't  
9 mean that you don't want to have vaccination conducted  
10 later into the season.

11 I would like to go into a little bit of  
12 what happened so far. Of course, as the FDA  
13 predicted, and with -- I think their timing was  
14 practically down to the nanosecond, the delay was very  
15 much as they predicted.

16 The media campaigns were conducted, and  
17 they were conducted on time. As Roland had mentioned,  
18 the total vaccine supply was similar to last year.  
19 This time related shortage really occurred, and time  
20 related shortage really occurred.

21 And time related shortage is, if I need  
22 the vaccine today, and I don't have it, a delay is a  
23 very uncomfortable feeling, it is really a shortage in  
24 time.

25 The variation on timing and order



1 fulfillment was very problematic this year. One of  
2 the common complaints that we had heard is that there  
3 is a grocery store, or a drugstore that is conducting  
4 a campaign over here, yet I'm a pulmonologist, and I  
5 can't get influenza vaccine for my patients.

6 This was brought home several times, and  
7 in several different ways to us, this variation in  
8 timing of order fulfillment was very problematic, and  
9 this led to many upset immunization providers.

10 Many vaccination campaigns, as Roland had  
11 mentioned, were delayed and some were canceled. And  
12 the spot vaccine prices rose and fell. Third party  
13 redistributors of vaccine charged higher prices in the  
14 midseason, and then these prices fell, again, as  
15 vaccine became available when the delay was being  
16 resolved.

17 The vaccine that we procured production  
18 of, with Aventis, was available on schedule, but it  
19 did not sell well. And I would like to indicate this  
20 a little bit here. One of the things between the  
21 extremes of the yellow arrows here, is an indication  
22 of the inelasticity of the pipeline, where it takes a  
23 certain amount of time that really can't be shortened,  
24 between procurement of production, and actual shipping  
25 of vaccine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           And so even though this was safety net  
2 vaccine, it would have been more valuable had it been  
3 available early in the season, but that is really not  
4 biologically possible.

5           Again, the CDC procured vaccine was safety  
6 net vaccine. There were many orders of intent to  
7 purchase when the website went up. These, of course,  
8 were prioritized by the algorithm, and the peak  
9 ordering was 4.5 million doses of an intent to  
10 purchase.

11           But those who purchased were allowed to  
12 not follow through on the order if, for example, we  
13 had discouraged people from double ordering vaccine,  
14 or ordering sort of a security or safety net vaccine,  
15 in case their order didn't come through from the  
16 delay.

17           But we think that really happened a fair  
18 amount this year, because most of the 4.5 million  
19 doses that were ordered were canceled. Purchasers  
20 could withdraw intent. The total that we have sold  
21 and distributed so far is 1.5 million doses, or 16  
22 percent of the nine million doses.

23           There are a large number of programmatic  
24 lessons that we have learned, and are learning, and  
25 I'm sure that we are going to continue to learn, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I suspect I'm going to learn a fair amount today,  
2 also.

3 Number one, this is something that you all  
4 know, and know very well, and we are learning not only  
5 for this vaccine, but perhaps for other vaccines, is  
6 how fragile the vaccine supply really is.

7 The second lesson is that vaccine must be  
8 available on time in the public's eye, and the  
9 tremendous amount of time it takes to plan campaigns,  
10 and plan immunization events of delayed vaccine is  
11 very problematic to deal with.

12 The third major lesson is just how  
13 completely private the system really is. I mean, if  
14 you take a look in contrast with, for example, CDC's  
15 childhood immunization program, where approximately 50  
16 or so percent of the vaccine goes to federal  
17 contracts, a very small amount of the vaccine for  
18 influenza probably one or two percent goes through  
19 federal contracts.

20 The distribution itself is also private.  
21 Third party distributors are very prominent in there,  
22 and they develop clientele lists, and usual customers,  
23 for who gets their vaccine.

24 Many of the distributors, and some  
25 providers, have early contracts, contracts may be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 being made this month, next month, and into March.  
2 These early contracts sometimes have penalty clauses  
3 for failure to deliver the vaccine on time.

4 And if the -- for example, if the vaccine  
5 is going to be delayed, if it is not going to be  
6 delayed, usually the penalty clause is not going to be  
7 an issue.

8 But in a delay it makes it very difficult  
9 to consider trying to redistribute vaccine to those in  
10 greatest need.

11 Physician ordering behavior is probably  
12 going to be difficult to change. Again, there is sort  
13 of a routine ordering, going back to the same  
14 distributor, and it may be difficult to really change  
15 habits to order earlier, or to have more influenza  
16 immunization providers. I think there is going to be  
17 a lot of challenges there.

18 We had very limited ability to influence  
19 a private market. And we think that one of the other  
20 lessons is that we need to engage private sector much  
21 earlier, and as early as possible, as we can do that.

22 Vaccine demand, of course, is time  
23 sensitive, and that is sort of the theme of this talk.  
24 And I think in Roland's talk, also.

25 Matching supply and demand is very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 difficult. For example, right now, there is a surplus  
2 of vaccine.

3 Another lesson that we are learning is  
4 that targeting vaccine is difficult, and requires  
5 change in behavior on provider parts. It is also  
6 going to, probably, require state and local public  
7 health infrastructure to help target vaccination  
8 efforts to steer vaccine to get more involved in  
9 immunization programs, and to help create the demand  
10 in the right time, for the season.

11 Private sector capabilities that are  
12 currently not available will also be required. With  
13 only 15 percent of physicians being able to implement,  
14 identify patients at high risk of, and recommended for  
15 vaccination, that leaves 75 percent of the providers  
16 without that capability.

17 And, of course, that is very problematic  
18 for targeting efforts. And a major lesson that is  
19 learned, and I think was not a surprise lesson, is  
20 that effective communications are critical.

21 I would like to leave you with one last  
22 set of thoughts. And we were fortunate, this year,  
23 for several reasons. Number one is that we did not  
24 have an early influenza season.

25 Of the last 18 seasons four of them peaked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 in December. And so we are lucky that that didn't  
2 happen this year. The time sensitive shortage, if  
3 there was an early season, would have had much more  
4 impact on hospitalizations and death.

5 The total supply this year, we are  
6 fortunate because the total supply this year was  
7 similar to last year. Had we had a severe shortage  
8 there would have been much, much more difficulty.

9 And, of course, we are fortunate because  
10 this was not a pandemic year. I think you can imagine  
11 what would have happened if this was a pandemic year.

12 And with that I would like to stop and I  
13 would be happy to try and answer questions, if  
14 possible.

15 CHAIR DAUM: Thank you very much, Dr.  
16 Rodewald, for an informative presentation. We will  
17 take a few questions. Dr. Fagget, welcome. You  
18 didn't get to introduce yourself.

19 DR. FAGGET: Walter Fagget, private  
20 practice here in Washington.

21 Lance, really an outstanding report. And  
22 I just want to say, from the private practice sector,  
23 that we really appreciated the outstanding job that  
24 CDC did.

25 And I think it points out, as you say, how

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important effective communication is in a timely  
2 fashion. Your information did help us get the word  
3 out to colleagues and patients very well.

4 My question, you mentioned the private --  
5 the public health and infrastructure, state  
6 infrastructure. How responsive were they in terms of,  
7 and how helpful were they in getting the information  
8 out, and how much was available to you nationally?

9 DR. RODEWALD: That is a very good  
10 question. One of the -- if you take a look at, for  
11 example, our 317 grants program to states, a very  
12 small percentage, it is by and large a childhood  
13 program. Very small percentage of that goes for adult  
14 vaccination program.

15 The state immunization programs try to  
16 help, as much as possible, and do as much as they  
17 could do with the limited resources that they had.  
18 For example, all states really provided a contact  
19 information, and telephone coverage for redistribution  
20 of vaccine, should that become necessary.

21 But the real work of communicating with  
22 providers, making lists of all the nursing homes,  
23 calling all the nursing homes, did you get your  
24 vaccine, is it on time, which manufacturer did you, or  
25 not which manufacturer, but in case there was a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 manufacturer that dropped out, for example, did you  
2 order from that manufacturer.

3 Doing all of that legwork, that capacity  
4 really wasn't there. I think communicating with  
5 providers, individual providers, and state level  
6 provider organizations is something that we would like  
7 to see happen if the capacity was there at the state  
8 and local level.

9 It is not so much the actual delivery of  
10 the vaccines. For example, the childhood vaccination  
11 program is largely private, also, in terms of the  
12 delivery side of it.

13 The public health department delivery is  
14 only about 15 to 20 percent. However, it is the  
15 assurance role that public health has to make sure  
16 that vaccine goes to those individuals in greatest  
17 need.

18 That is, I think, the part that needs to  
19 happen. And I think people did as well as they could,  
20 but the resources were limited.

21 CHAIR DAUM: Dr. Stephens, then Dr. Kohl.

22 DR. STEPHENS: Some of this sounds like  
23 the California power shortage.

24 Do you have any data on who got the  
25 vaccine first, and in what order groups received it?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. RODEWALD: We don't, yet. That is a  
2 good question. We don't yet, but the National Vaccine  
3 Program Office funded an evaluation so that we can  
4 take a look.

5 There was an agreement with the  
6 manufacturer to try to help us trace down who got the  
7 doses of vaccine and the nine million doses, so that  
8 we can try to understand that better.

9 Now, one of the questions is, is how --  
10 that information is going to be very helpful in the  
11 future, and it may help us target vaccination efforts,  
12 or help to understand distribution efforts in the  
13 future.

14 But because the -- I think the results  
15 would probably be very different if there was a  
16 serious shortage. All nine million doses got snapped  
17 up right away, because there wasn't enough vaccine.

18 So I think one of the things we need to  
19 learn, and one of the things we realize, is that we  
20 have to understand sort of the epidemiology of the  
21 influenza vaccine distribution system much better than  
22 we do.

23 So I think your question is good. We are  
24 fortunate that we have some studies that will try to  
25 look at that. And, of course, it is too early now,

1 because the vaccine is still for sale.

2 In fact, it stops being for sale tomorrow,  
3 and the evaluation will be for this spring.

4 CHAIR DAUM: Dr. Kohl, and then Dr. Diaz.

5 DR. KOHL: I find myself in a high risk  
6 group, because I have grey hair. And I went to my  
7 private practitioner and he said, sorry, we don't have  
8 any, go to the shopping center, which is where I was  
9 immunized, and my wife.

10 And I guess my question is, yes, we dodged  
11 the big bullet this year, and it was kind of scary.  
12 If this had been a bad flu year we wouldn't be  
13 discussing this as impassionately as we are, and I  
14 suspect there would be blood on the floor.

15 Taking advantage of that, what is going to  
16 change so that a profit motivated distribution system  
17 can respond to serious shortfalls, which sounds like  
18 they will occur predictively in the future as well.

19 DR. RODEWALD: Obviously that is a very  
20 good and key question. One of the things that is  
21 interesting is that sort of these non-traditional  
22 sites have really become quite prominent in the  
23 vaccination system for adults.

24 And when we talk to the -- to companies  
25 that put on large vaccination efforts, they say that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they really do try very hard to target vaccination to  
2 the high risk patients, and give figures up in the 60  
3 percent.

4 I see you shaking your head, and I think  
5 that your skepticism is appropriate, because we don't  
6 really know how well you can target this.

7 One example, this gets back to  
8 communicating early, and trying to understand the  
9 distribution system a little bit better. The American  
10 Medical Association has proposed to bring the  
11 manufacturers and distributors together in a  
12 conference to take a very hard look at this season,  
13 how we can improve things next season.

14 There are a number of ideas that will be  
15 developed. I think that these early contracts with  
16 penalty clauses are kind of challenging, because that  
17 really locks in a system that if one manufacturer  
18 drops out, or can't produce, or has a very delayed  
19 production, it is very difficult to re-steer vaccine.

20 And so whether or not we could develop  
21 example contract specifications that might provide a  
22 way out of a penalty clause, or something like that,  
23 I don't know.

24 I think that a variety of ideas need to be  
25 explored. And so we are looking to, looking forward

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to the American Medical Association taking some of  
2 that on in terms of bringing the private sector  
3 together.

4 There are a number of discussions on what  
5 is the proper role of public health, how much of an  
6 infrastructure really is needed. I think your  
7 question also gets to Dr. Fagget's question, in terms  
8 of, you know, what is it that the public health would  
9 do, are there authorities that exist that would need  
10 to be used, or not used in these situations?

11 And these discussions are happening and  
12 ongoing. And I think that this season, I like how you  
13 put it, we dodged a bullet, or perhaps the bullet was  
14 mis-aimed, and we are lucky that we didn't have to  
15 dodge too much, because we are not that nimble, I  
16 guess, over here.

17 And we are very worried about this  
18 happening again in the future.

19 CHAIR DAUM: Pam?

20 DR. DIAZ: Lance, I wanted to make a  
21 couple of comments. One, in particular, you mentioned  
22 the effect of communications. And I really wanted to  
23 comment that I thought the CDC did a superb job this  
24 year of communicating, as has already been mentioned.

25 What was going on with flu season, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 what kinds of things should be done, we were able to  
2 take that and then relay that forward. So I applaud  
3 you for that, for those efforts.

4 Likewise my comments have been --  
5 regarding distribution I think have already been  
6 heralded by other members. But certainly some kind of  
7 a targeted distribution versus a redistribution seems  
8 inherently more stable in the sense that there is not  
9 a third party involved in the redistribution.

10 There will always be some redistribution,  
11 I'm sure, of vaccine based on need, selective targeted  
12 need in various areas. But, nonetheless, I think some  
13 of the problems we experienced were very much  
14 associated with difficulties in getting the vaccine  
15 once it was available, from the manufacturer, actually  
16 into our hands.

17 And, finally, I was curious about your  
18 comments about contracts with clauses in them.  
19 Because I'm aware of quite the opposite situation,  
20 such as that of ordering and being bound to a contract  
21 that has no clause, and henceforth really unable to  
22 take advantage of the nine million doses, or a parcel  
23 of that, due to being bound to paying for vaccine that  
24 was already ordered.

25 DR. RODEWALD: That is interesting, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is a helpful comment, because we hadn't really, you  
2 know, I don't think we've really discussed it from  
3 sort of that end of the beneficial side of the lock-  
4 in, in there. That is interesting.

5 DR. DIAZ: And it was limited funds, and  
6 only a certain amount of funds, once one gets locked  
7 into a contract, regardless of the delay, and perhaps  
8 availability elsewhere.

9 About the only thing that can be done in  
10 that sense is swap, give me some now, as soon as we  
11 get ours we will give it back, which is exactly what  
12 we put in place.

13 DR. RODEWALD: Right. And swapping is  
14 problematic because you have to be able to pay  
15 attention to the cold chain, and all of the --

16 DR. DIAZ: Exactly.

17 CHAIR DAUM: Take a comment from Dr.  
18 Estes, Dr. Decker, and then we have to move on.

19 DR. ESTES: My comment was triggered by  
20 Dr. Kilbourne's earlier statement, and I have a  
21 question about what is the shelf life of these  
22 vaccines, and since we now are in a situation where we  
23 have surplus, has anyone been discussing the  
24 possibility that should we face a situation like this  
25 again, even though it may not be the best match, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 perhaps we could have vaccine set aside from the  
2 previous year, that it could at least begin to be used  
3 for the highest risked population?

4 DR. RODEWALD: Yes, the latter part, these  
5 discussions are going on. And what I would like to  
6 turn it to, your first question, to somebody that has  
7 more of the technical knowledge of what the shelf life  
8 of the vaccine would be, and looking to Nancy, Keiji,  
9 or Roland.

10 CHAIR DAUM: Anybody sitting at the table  
11 want to comment on that?

12 DR. LEVANDOWSKI: I will take a stab at  
13 it. Influenza vaccines have an expiration date on  
14 them that is artificial, right now. I think everybody  
15 knows that. The date that is put on vaccines for  
16 expiration for non-military use is June 30th.

17 And the reason for that is to try to avoid  
18 confusion when new vaccines become available. We  
19 could debate whether the changes are always necessary,  
20 as Dr. Kilbourne was raising earlier.

21 But if there is a change that is a  
22 significant one in the vaccine, I think we would  
23 prefer to see the most current antigens being used.

24 And so that is, I believe, the rationale,  
25 the best rationale for the expiration date of June

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 30th. But we do know, and manufacturers can provide  
2 information on that, probably that the vaccines have  
3 stability for quite a bit longer time.

4 It is not a perfect vaccine, it is not  
5 stable forever, by any means. But during the period  
6 of time that the vaccine is in use, by and large, it  
7 is stable.

8 And probably for at least six months or  
9 maybe even longer afterward, according to the way the  
10 vaccines are produced now. I would be quick to point  
11 out that there have been some unexpected difficulties  
12 with specific vaccines, and you may recall that we had  
13 a product recall of the Parke Davis vaccine in 1996.

14 And that was because of a stability  
15 problem that was recognized, very early, with one of  
16 the components. But that is being monitored  
17 continuously, so it would be possible to have  
18 information that could be useful in trying to support  
19 any kind of policy that might be developed for use of  
20 a vaccine longer than the current expiration date.

21 CHAIR DAUM: Dr. Decker, and then I think  
22 we are going to move on.

23 DR. KILBOURNE: I just want to comment  
24 that even if there is no vaccine left, you still have  
25 the seed which you know is operative under production

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 conditions.

2 So that even if it is necessary to start  
3 over again, and rush in 94 strain, or something like  
4 that, it is still a potential advantage.

5 I wouldn't minimize the importance of the  
6 antigenic match, I don't mean to do that. But I think  
7 we may have reached a time in an emergency situation  
8 in which we have to make that kind of a trade off.

9 And I think the shelf life is probably far  
10 longer than is allowable. We've extracted antigens,  
11 potent antigens, from leftover bulk stocks of vaccine  
12 manufacturers, years afterwards, five years later to  
13 get antigens for biochemical studies.

14 CHAIR DAUM: Dr. Decker, please.

15 DR. DECKER: I would like to just follow  
16 up on a couple of comments with respect to the most  
17 recent issue, another alternative that can be  
18 considered, that I haven't heard mention is CDC could  
19 elect to release the unused portion of the CDC's  
20 component for use elsewhere in the world.

21 In the southern hemisphere, for example,  
22 where we don't run into issues of it being expired,  
23 and where the investment can be recouped, and the  
24 vaccine can do some good.

25 Coming back now to the issues Steve

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 raised, because they are obviously pressing on  
2 everybody's mind, although this was an unprecedented  
3 situation, and the particular constellation of  
4 circumstances one hopes won't arise again, companies  
5 falling out at the same time there is a difficult to  
6 grow strain.

7 Still, it could happen, we want to be  
8 prepared. In that regard a couple of things that I  
9 wanted to take note of.

10 The first is I had a clear sense, as the  
11 flu season evolved, that the system was adapting.  
12 Just as the manufacturers were learning how to make  
13 the vaccine, the distribution system, and private  
14 practitioners were learning how to deal with this  
15 delayed arrival of vaccine.

16 And I noticed it seemed to be much more  
17 common in the latter months, than in the earlier  
18 months, that those third party distributors, who did  
19 have supplies of vaccine because of their locked-in  
20 contracts, and so on, were shifting their  
21 distribution, and in many cases handing vaccine over  
22 to the public system, or to nearby hospitals for  
23 distribution, rather than through the systems they  
24 originally planned.

25 So one thing I think that we should not

1 lose sight of is that there are multiple elements of  
2 our current distribution system, that have been  
3 learning how to handle this, and we need to keep  
4 working on that, and training them how to deal with  
5 this. I think that will improve things.

6 Another thing that I know that Aventis is  
7 doing, in order to dramatically reduce the likelihood  
8 of vaccine not being able to get the high risk  
9 persons, is that henceforth there will be a new  
10 distribution system in which everyone who seeks  
11 vaccine will get only part of their order in the first  
12 part of the season, which is specifically flagged as  
13 being for use for high risk persons.

14 And then as the pipeline fills with  
15 available product everybody's orders will be filled.  
16 So we won't have the situation that happened this  
17 year, where those who happened to have the earliest  
18 orders got everything, and then those who got in line  
19 late got nothing until supply caught up.

20 That was, I think, one of the major  
21 problems. And it happened that way because no one had  
22 ever faced this situation before, and we didn't know  
23 it was going to happen.

24 But I think this is a major step towards  
25 avoiding this type of situation in the future.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: Thank you, Michael. I would  
2 like to move on at this point and introduce Dr. Wendy  
3 Keitel, Associate Professor of molecular virology and  
4 microbiology, at Baylor, who will share some new,  
5 interesting information with us.

6 DR. KEITEL: Good morning, excuse me for  
7 must a moment while I get this straightened out.

8 Thank you very much for giving me this  
9 opportunity to present the results of a clinical trial  
10 that we conducted this summer in response to the delay  
11 and potential shortage of influenza vaccine.

12 I think Drs. Levandowski and Rodewald have  
13 painted a picture of the environment in which plans  
14 for this study were made.

15 The title is shown here, Evaluation of  
16 Immunogenicity of a Half Dose of Trivalent Inactivated  
17 Influenza Virus Vaccine in Healthy Adults. And I will  
18 refer to this as the half dose study.

19 By way of introduction, we stand on some  
20 very broad shoulders with regard to the evaluation of  
21 dose response to influenza virus vaccines. And going  
22 back 40 or 50 years it is very clear that increasing  
23 the dose of vaccine will increase the immune response  
24 to influenza virus vaccine.

25 Some of the earlier studies are more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 difficult to evaluate because of the method for  
2 determining the antigenic content. But since SRID, or  
3 radio immunodiffusion was introduced for assessing  
4 antigenic content, studies that evaluate a broad  
5 enough range of dose have clearly shown that  
6 increasing the dose of vaccine will increase the  
7 immune response.

8 So over the last 30 years, or so, a number  
9 of studies have done evaluating doses between two and  
10 a half micrograms of influenza virus hemagglutinin, up  
11 to 405 micrograms of hemagglutinin.

12 There has been some discussions that doses  
13 differing as little as two-fold do not result in  
14 enhanced immunogenicity, but I think the bottom line  
15 is that with a large enough sample size, with two to  
16 three fold increase dose you would be able to show a  
17 difference in immune response.

18 But the question then becomes, would the  
19 reduction in immunogenicity be significant, and could  
20 one actually user a lower dose of influenza virus  
21 vaccines in a circumstance where there is a clear  
22 shortage of vaccine.

23 Before I proceed I would like to  
24 acknowledge the participants in the study. As you can  
25 see, a large number of people, as well as agencies,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 made contributions to this effort. The six vaccine  
2 and treatment evaluation units, and the respiratory  
3 pathogens research unit of the Baylor College of  
4 Medicine, sponsored by the NIH enrolled the clinical  
5 subjects.

6 Evans provided the Medeva vaccine, and  
7 statistical support was provided by EMMES, the FDA,  
8 CDC also made valuable contributions to the design and  
9 analysis of the trial.

10 Notably lacking on this slide is the  
11 project officer who oversaw this entire effort, Lind  
12 Lambert, and I would like to make a special  
13 acknowledgement of her contribution, as well as that  
14 of John Trainer, who was the principal investigator  
15 for the trial, but unfortunately was unable to present  
16 the results of the trial today.

17 We enrolled subjects between the ages of  
18 18 and 49 who had no medical indication to receive an  
19 influenza vaccine. For this reason pregnant women,  
20 now recommended to receive vaccine, were excluded from  
21 participation.

22 The upper age limit for inclusion in the  
23 trial was set at 49 because of the recent decision to  
24 target individuals between the ages of 50 and 64.

25 The vaccine was commercial subvirion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 trivalent inactivated vaccine containing this year's  
2 antigens, and each one half mil dose, or full dose,  
3 standard dose of vaccine contained approximately 15  
4 micrograms of hemagglutinin of each of the three  
5 strains contained in the vaccine.

6 The study was a multicenter, open label,  
7 blinded clinical trial. That is, the subjects were  
8 not informed of the magnitude of the dose they were  
9 receiving, but the vaccine administrator and  
10 investigators were aware of half and full dose  
11 administration.

12 Participants were stratified according to  
13 their receipt of trivalent vaccine within the  
14 preceding three years. And the reason for this was  
15 because it is very clear that immune responses to  
16 influenza vaccine will differ depending on receipt of  
17 recent vaccine.

18 After stratification they were randomized  
19 to receive a single full dose containing the 15  
20 microgram per dose, or half dose, containing  
21 approximately seven and a half micrograms of each  
22 strain per dose, into the deltoid muscle.

23 Although primary end point of the trial  
24 was not to asses differences in reactogenicity between  
25 a full dose and a half dose, we did have an interest

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in collecting some type of information about how well  
2 the vaccine was tolerated.

3 So subjects were asked to complete a diary  
4 card asking them about questions in the injection  
5 site, and overall systemic reactions.

6 Blood samples were collected immediately  
7 prior to immunization, and three weeks after  
8 immunization, for determination of HAI antibody  
9 levels, and these assays were conducted in both the  
10 CDC and the FDA labs on the samples.

11 For the rest of the presentation I will  
12 use the CDC data to display the results. However, I  
13 would like to emphasize that, as has been shown,  
14 frequently there are very strong correlations between  
15 CDC and FDA results.

16 The end points of the trial were to assess  
17 immune responses, and the following parameters were  
18 assessed. The percent of subjects achieving a titer  
19 of at least 1 to 40 in their post-immunization sample,  
20 was determined.

21 And, historically, levels of 1 to 32, or  
22 1 to 40, depending on the laboratory, have been  
23 considered immunization goals, because of their  
24 correlation with protection against influenza.

25 The geometric mean titer and percent of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 subjects with four fold or greater titer rises in  
2 serum antibody also were determined. However, percent  
3 with rise was not considered a primary end point.

4 Based on a consensus among the  
5 investigators, and other influenza experts, we  
6 established what we considered to be acceptable  
7 immunogenicity in the half dose group, when compared  
8 with the full dose group.

9 For the percent achieving a so-called  
10 protective titer, we considered a difference of 20  
11 percent, or less, between the two to be acceptable.  
12 That is percent in the high dose group -- excuse me,  
13 percent in the full dose group, minus percent in the  
14 half dose group.

15 For the geometric mean titer of ration of  
16 less than, or equal to 1.5, was considered acceptable.  
17 And for a percent with rise a difference, once again,  
18 of 20 percent or less was considered acceptable.

19 Now, the study used a similarity design to  
20 compare responses in the full dose and the half dose  
21 groups. And the sample size of 420 subjects per group  
22 was considered necessary to conclude that the response  
23 to the half dose was adequate, if the geometric mean  
24 titer was no less than 67 percent in the full dose  
25 group.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So you heard a little bit about the  
2           concept that was proposed early in June. The subject  
3           were beginning enrollment at the end of July. By the  
4           end of August the full cohort, within three and a half  
5           weeks or so, the full cohort of subjects had been  
6           enrolled, data were analyzed, and results reported to  
7           the ACIP by the beginning of October.

8           And this slide shows you the enrollment by  
9           stratum. Stratum 1, shown in triangles, were subjects  
10          who had recently received inactivated vaccine and  
11          stratum 2 had not received an influenza vaccine, ever,  
12          or at least within the past 3 years.

13          And you can see, approximately, equal  
14          numbers were enrolled into each of the two strata.  
15          And in total 1,009 subjects were enrolled over this  
16          period of time, three of whom were not evaluable.

17          The vaccine was extremely well tolerated,  
18          as has been shown in numerous clinical trials. The  
19          most common side effect was some discomfort at the  
20          injection site.

21          You will note that about 50 percent of  
22          subjects receiving either the half dose, or the full  
23          dose of vaccine experienced no injection site  
24          reactogenicity. And among the 50 percent or so that  
25          did, most of this was characterized as mild.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           There was a very low rate of systemic  
2           complaints, and no differences between individuals  
3           receiving the full dose or the half dose.

4           Now, as has been demonstrated previously,  
5           and shown again here, there was a statistically  
6           significant increase in the minor injection site  
7           discomfort in the subjects who received the higher  
8           dose of vaccine, and not shown on the slide is the  
9           fact that subjects who received vaccine for the first  
10          time actually had a little bit significantly more  
11          reactogenicity.

12          Post-immunization geometric mean titers  
13          against each vaccine antigen, here H1, H3, and  
14          influenza V are shown in this slide. Geometric mean  
15          titer is shown on the Y axis. Once again, half dose  
16          is shown as a white bar, and full dose is shown as a  
17          blue bar.

18          We have stratified here because of the  
19          significant differences between previously vaccinated  
20          and not previously vaccinated, into these two groups.  
21          And I would like to point out that, in general, the  
22          geometric mean titers were similar, levels achieved  
23          were similar.

24          However, statistically significant  
25          differences between subjects given full dose, and half

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 dose, were observed for H1N1, H3N2, and previously  
2 vaccinated, and for H3N2 antigen in subjects who were  
3 not previously vaccinated.

4 Now, when you combine these groups  
5 together, and treat them as individuals getting half  
6 dose, or a full dose of vaccine, there was  
7 statistically significant differences in the mean  
8 titers for all three antigens.

9 Subjects achieving a so-called protective  
10 titer three weeks after immunization, is shown here,  
11 once again percent achieving this titer is shown on  
12 the Y axis, and the three antigens are shown here on  
13 the X axis.

14 And you will see that the vaccine was  
15 highly immunogenic and the majority of subjects  
16 achieved protective titers against each influenza  
17 antigen, and when vaccine strata are combined there  
18 are no significant differences between the groups.

19 Finally present with a significant  
20 response to vaccine is shown on this slide. And this  
21 is where the biggest difference is between the two  
22 strata can be observed. And you will note that in  
23 subjects who have been recently vaccinated with  
24 influenza virus vaccine, the percent of response is  
25 much lower than among subjects who have not recently

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 received an influenza virus vaccine.

2 And, from my point of view more  
3 importantly, in this panel, we see that there were  
4 significant differences in response rates against all  
5 three antigens among subjects who had been previously  
6 or recently immunized.

7 So, finally, these data have been combined  
8 into a single slide to put them in the context of what  
9 we had defined as acceptability criteria. In the  
10 first panel the percent achieving a protective titer,  
11 second the ratio of post-vaccination geometric mean  
12 titers, and then the third, the percent with the four-  
13 fold rise. This, for the reasons I've described,  
14 being a secondary end point.

15 Remember we accepted a difference of 20  
16 percent between the two dose groups, and for a percent  
17 with rise, and percent with protective titer. So in  
18 these two panels the Y axis is the percent difference  
19 between the two vaccine groups.

20 So that for HN1N, there was about a four  
21 percent difference in the percent achieving protective  
22 titer. And the one sided upper 95 percent confidence  
23 limit is shown here.

24 So this falls well below our -- what we  
25 consider to be an acceptable immune response among

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 subjects given the half dose, when compared with  
2 subjects given the full dose.

3 And the same holds true for HVN2 influenza  
4 B. In the center panel the GMT ratio, that is the  
5 full dose GMT over the half dose GMT, I will remind  
6 you that we had set a ratio of 1.5 as being acceptable  
7 in the study sample size. This determined based on  
8 the power to detect this kind of a difference.

9 So, in summary, overall the half dose of  
10 vaccine was less immunogenic than the full dose among  
11 healthy younger adults. And the immune responses to  
12 the half dose met preset acceptability criteria for  
13 all three antigens.

14 So then the question becomes one of in the  
15 event of a true vaccine shortage, would a half dose of  
16 vaccine administered to twice as many people provide  
17 greater benefits than a full dose of vaccine  
18 administered to half as many people?

19 I would like to show some very preliminary  
20 data regarding a decision and analysis that was  
21 conducted in collaboration with Scott and Donald Berry  
22 of Berry Associates.

23 And I will need an overhead to do this.

24 (Pause.)

25 DR. KEITEL: During the -- can you all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hear me, is this one?

2 During the period between 1983 and 1987  
3 the Influenza Research Center at Baylor and Houston my  
4 colleagues and I conducted a randomized control  
5 perspective clinical trial of commercial inactivated  
6 influenza virus vaccine.

7 And the goal of the study was to determine  
8 whether repeated annual immunization with influenza  
9 vaccine continued to provide protection.

10 Although the public health policy had been  
11 to administer influenza virus vaccine, annually, some  
12 studies in British boarding schools had suggested that  
13 the protection conferred by subsequent doses of  
14 inactivated vaccine was inferior when compared with  
15 the first dose of vaccine given.

16 So this clinical trial was designed to  
17 test the public health policy. And each year subjects  
18 were enrolled and randomized to receive either placebo  
19 or inactivated vaccine, which in this case was  
20 commercial whole virus influenza virus vaccine.

21 Once the subject had been assigned,  
22 randomized to receive vaccine, then for subsequent  
23 years they were given vaccine, so that we had cohorts  
24 of individuals with successively increasing numbers of  
25 annual immunization.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Subjects enrolled into the study were  
2 monitored, prospectively, during the winter season,  
3 and were evaluated for the occurrence of any febrile  
4 and/or respiratory illness during influenza seasons,  
5 which was determined by means of intensive virologic  
6 surveillance in the community conducted, and  
7 surveillance conducted in our laboratory.

8           At the time of illness evaluation a sample  
9 of respiratory secretions was collected and cultured  
10 for influenza viruses. Blood samples were collected  
11 at that time, and several weeks later, to determine  
12 whether an immune response had developed to the  
13 influenza virus, so that we had five successive  
14 seasons of illness assessments, paired blood samples.

15           In addition we collected blood samples  
16 before and one month after immunization to assay for  
17 the level of antibodies to vaccine antigens.

18           Now, after the epidemic strain for each  
19 year had been identified, and characterized, then  
20 blood samples were tested, again, for immune responses  
21 to the epidemic variant.

22           So that we had blood samples to the  
23 vaccine variant, to the epidemic variant, on subjects  
24 enrolled in this trial.

25           Each year between 600 and 1,000 subjects



1 were enrolled into the trial. So that what we ended  
2 up with was a large number of sera in which the  
3 antibody levels, after immunization, or prior to the  
4 epidemic, could be used to determine the level of  
5 antibody which would confer protection against  
6 influenza.

7 Now, these are not particularly new data,  
8 but these constitute a very large data set. A number  
9 of investigators previously had reported either under  
10 field conditions, or in the circumstance of artificial  
11 or experimental challenged with well typed influenza  
12 virus that levels between 32 and 64, or 40, or  
13 whatever, were associated with significant protection  
14 against influenza.

15 So the -- I show you, in this overhead,  
16 the data set between '83 and '87, and I show you, in  
17 each year -- in some years we had two strains. But in  
18 these preliminary analysis we only have the results  
19 for one strain in each epidemic season. two H1N1,  
20 three H3N2, and one influenza B epidemic.

21 Parenthetically, during this period we had  
22 what we would consider suboptimal match between  
23 vaccine and epidemic strains. We had seven epidemic  
24 strains, and in two out of the seven we had good  
25 antigenic match, in the A/Philippines epidemic, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the A/Taiwan epidemic.

2 Now, this -- what is shown here is the  
3 attempt to develop a model which would predict the  
4 likelihood of being infected based on the pre-season  
5 antibody level.

6 Drs. Berry have used a bazian approach to  
7 develop a model so that we could use the data  
8 collected in the clinical trial to predict what the  
9 outcome might be if we chose various immunization  
10 strategies.

11 So shown here is the plots of the five  
12 epidemic strains, the proportion of subjects  
13 experiencing influenza over the season, as a function  
14 of log base to titer, and their post-immunization  
15 sample.

16 And this relationship has been observed  
17 previously, but now has been modeled. And without  
18 getting into the details of the model, I would like to  
19 show you the results of a preliminary analysis,  
20 decision analysis, looking at different strategies.

21 So, let's say there had been a huge  
22 vaccine shortage, the question is, well could we, for  
23 those healthy younger people, who elect to be  
24 vaccinated, could we safely recommend a half a dose of  
25 vaccine as opposed to a full dose of vaccine?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And so shown here are various dosing  
2 strategy. No one is vaccinated, everyone gets what we  
3 consider the optimal dose, half receive a full dose,  
4 all receive a half dose.

5           And so these are estimated cases, or tac  
6 rates per 1000 using some CDC data. And over in this  
7 column are shown the extra cases, if you had elected  
8 to use any of these particular dosing strategies.

9           So if everybody received a full dose, if  
10 you compare this with all who receive a half dose, you  
11 can see the number of extra cases, per thousand, is  
12 five.

13           So that it would be better to give  
14 everyone a half dose than the circumstance of using a  
15 regular does of vaccine, and giving it to half as many  
16 people, where 43 extra cases of influenza per thousand  
17 might occur.

18           So we were fortunate in this season that  
19 we did not have to utilize the half dose vaccine, but  
20 I think we are beginning to look at ways that in the  
21 event there were true shortage of influenza vaccine we  
22 might approach, one strategy might be to offer a lower  
23 dose than is ordinarily recommended.

24           But before I leave you with that thought,  
25 I would like to emphasize our concern about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 extrapolating the results of this trial to other  
2 populations, older individuals, and persons who are at  
3 high risk of death and complications following  
4 influenza.

5 It has clearly been demonstrated that as  
6 we age, and as we develop underlying medical  
7 conditions, the immune response to inactivated vaccine  
8 declines.

9 And so our group has really been  
10 interested in moving the other direction, rather than  
11 reducing the dose of influenza vaccine to consider  
12 increasing the dose of vaccine.

13 This is one of the number of strategies  
14 which include adjuvants, topical immunization,  
15 increasing the dose, and so forth. And this is the  
16 result of a small clinical trial in which we compared  
17 the immunogenicity of subvirion vaccine with that of  
18 purified influenza virus hemagglutinin in similar  
19 doses, 15, 45, and 135 micrograms of purified  
20 influenza A, H1, and one antigen. In this case it was  
21 A/Taiwan.

22 And this we conducted in a healthy elderly  
23 population that would show, even with very small  
24 numbers of subjects, that has a significant dose  
25 response with increasing antigen content, both in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 serum, and not shown as well as in respiratory  
2 secretions. Thank you.

3 CHAIR DAUM: Thank you very much, Dr.  
4 Keitel. There are one or two burning questions on the  
5 part of the committee. We will go with Ms. Fisher and  
6 Dr. Ferrieri.

7 MS. FISHER: Do you know why there was not  
8 as strong an immune response in those who had received  
9 the vaccine, flu vaccine previously, versus those for  
10 whom it was the first dose?

11 DR. KEITEL: There are several potential  
12 reasons for this. And I would say that the first is  
13 pre-immunization antibody titer. And when, actually  
14 the data that I've just described, if you do a multi-  
15 varied analysis, the pre-immunization antibody level  
16 is a significant predictor of responding to vaccine,  
17 as is age, and dose.

18 The second caveat that I would like to  
19 point out is that subjects enrolled into this trial,  
20 reported verbally whether they had received a flu  
21 vaccine within the preceding several years.

22 And they were not randomized to receive  
23 yes or no. So there is, possibly, an element of  
24 cohort effect, as well.

25 MS. FISHER: Pre-vaccine antibody titers,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in other words, those who had had the flu, and had had  
2 antibodies to the strains, is that what you are  
3 saying?

4 DR. KEITEL: I'm sorry. Individuals who  
5 had been recently immunized against influenza have  
6 significantly higher pre-immunization antibody levels.

7 MS. FISHER: Right. I was asking why  
8 would there be less of an immune response for those  
9 who had been previously vaccinated, wouldn't there be  
10 a stronger immune response?

11 DR. KEITEL: My opinion is that the people  
12 who had been previously vaccinated start with a higher  
13 level of antibody, and that impairs their ability to  
14 respond to that dose of antigen.

15 MS. FISHER: But what does that say for  
16 the protectiveness of the vaccine in that year, for  
17 those who had been previously vaccinated, what does  
18 that say in terms of people who had been repeatedly  
19 vaccinated with flu vaccine, and their ability to  
20 mount a proper antibody response and indeed be immune  
21 that year?

22 Am I not understanding this?

23 DR. KEITEL: In the clinical trial that we  
24 conducted we compared the post-immunization geometric  
25 mean titers among subjects who had been randomized at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the time of entry into the trial, and got increasing  
2 numbers of annual immunizations.

3 The pre-immunization titers each year were  
4 higher among individuals who were previously  
5 vaccinated. But the post-immunization geometric mean  
6 titers were similar for all years, and antigens, with  
7 the exception of one antigen, in the latter part of  
8 the study in 1997, 1998.

9 So I think that when we look at responses  
10 to influenza vaccines we have to be careful about  
11 which parameter we are looking at. One is a four-fold  
12 rise, and you are less likely to experience a four-  
13 fold rise in titer after immunization if you've been  
14 previously immunized.

15 And the effect of previous immunization on  
16 the geometric mean titer has been variable in study to  
17 study.

18 CHAIR DAUM: We need to move on. Dr.  
19 Ferrieri, please.

20 DR. FERRIERI: Well, that was my  
21 question. I thought that perhaps that one would have  
22 expected, as Ms. Fisher did, in the anamnestic response  
23 based on some of the homologies of these antigens.

24 But in reflecting on this there are a  
25 number of other models in microbiology where pre-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 existing antibody titer may dampen the response.

2 It asked a group A streptococcal disease  
3 where an ASO and anti-deanase B are blunted in those  
4 who had higher titers at the time of a new exposure to  
5 group A strep.

6 So it isn't so illogical. But I  
7 appreciate, you know, that you might have expected,  
8 perhaps, the opposite.

9 CHAIR DAUM: Dr. Kilbourne, very briefly.

10 DR. KILBOURNE: It is not so much an  
11 inability of these people to respond, it is an  
12 inability for you to perceive their response to the  
13 geometric progression. That is what it boils down to.

14 I mean, they are actually making lots of  
15 antibody. But when you set yourself an arbitrary  
16 definition of four-fold increase, then they may not  
17 make it. Yet they also may be losing higher affinity  
18 antibody.

19 And, also, the question I have is whether  
20 the previous vaccine was a heterovariant immunization,  
21 in which case you would have the problem of regional  
22 antigenic sin, and animistic response directing  
23 response to the wrong direction in people previously  
24 immunized.

25 CHAIR DAUM: Thank you, Dr. Kilbourne.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Thanks to our three speakers this morning for what I  
2 thought was a very enlightening series of  
3 presentations.

4 We would like to move on and call on Dr.  
5 Katherine Zoon who is the Director of the whole  
6 operation here, the Center for Biologics Evaluation  
7 and Research, to make some presentations to retiring  
8 members.

9 DR. ZOON: It is a pleasure to be here.  
10 Thank you, Bob.

11 This morning, as you know, we have several  
12 members of our committee who are retiring from the  
13 committee, in quotes. And I think it is really very  
14 special for the public service that they have provided  
15 the FDA, and actually the American people, on these  
16 important discussions surrounding vaccine issues.

17 And it is one opportunity that the Center  
18 has to officially recognize their important  
19 contribution. So this morning, in appreciation of  
20 those members, I would like to recognize them, and  
21 provide some plaques.

22 The first is to Dr. Mary Estes. Mary, are  
23 you here? Mary, I just want to say it has been a  
24 delight to have you on the committee, and I hope that  
25 we will see you in the future, to help us again with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 some important issues. Thank you, so much.

2 CHAIR DAUM: Subsequent plaque recipients,  
3 please take note.

4 (Applause.)

5 DR. ZOON: The next is to Dr. Alice Huang.  
6 Alice, it has been a pleasure to work with you in many  
7 different avenues over our careers. And, especially,  
8 thanks for your contribution to this committee. Thank  
9 you very much.

10 (Applause.)

11 CHAIR DAUM: Dr. Huang wanted to take a  
12 minute of committee meeting to say a few words, having  
13 received her plaque, and now might be a good time to  
14 do that.

15 DR. ZOON: Great, thank you. Please.

16 DR. HUANG: I just wanted to say that from  
17 all of my experience on a variety of committees, that  
18 this committee is the best staffed. The staffing is  
19 not only most efficient, it always has a view towards  
20 cost effectiveness, as we can see in the no-frills  
21 meetings that we hold.

22 (Laughter.)

23 DR. HUANG: So I want to thank you for  
24 this opportunity to have been able to serve with such  
25 a professional committee. And I've also enjoyed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 working with the very knowledgeable colleagues that  
2 I've met here.

3 (Applause.)

4 DR. ZOON: Alice, I'm not sure if you are  
5 saying we are cheap, or thrifty. But we do serve  
6 coffee to the committee members, so I have to say  
7 that.

8 DR. KOHL: The coffee is kind of weak.

9 DR. ZOON: And last, but not least, I have  
10 the honor of presenting two plaques to Dr. Harry  
11 Greenberg. Harry has served on our committee, but as  
12 well has chaired our committee.

13 And, Harry, we really appreciate the  
14 service and leadership that you have provided to the  
15 VRBPAC in dealing with some very difficult issues over  
16 the past several years.

17 So, one, I appreciate your service, and I  
18 hope your neck gets better. Thank you.

19 DR. GREENBERG: This is what happens if  
20 you mess around with the committee.

21 So, Alice really stole a little of my  
22 thunder. I would like to say that I have rarely  
23 worked with a group of colleagues, that is the  
24 committee members, who are so dedicated, and so good  
25 at what they do.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           The public is well served by this group of  
2 people, and I would like to thank all of you who have  
3 been very helpful.

4           Secondly, the staff is outstanding. I  
5 can't single out all of you, but basically to a person  
6 the FDA staff is outstanding. But, Nancy, who is sort  
7 of the point person who many of us interact with,  
8 really is the grease that keeps this thing going, and  
9 keeps all of us in good humor at times when our humor  
10 might be flagging. So, thank you, NANCY.

11           (Applause.)

12           CHAIR DAUM: Well, there is a tremendous  
13 groundswell of feeling that the committee -- a picture  
14 of the committee now needs to be taken. So because of  
15 that we will now take advantage of that for a morning  
16 break.

17           We will break for twenty minutes. I have  
18 five to ten here in the eastern time zone, and we will  
19 resume at 10:15. Committee members do not get to  
20 leave the room, however. And please assemble over  
21 here to be arranged by our photographer for a quick  
22 photo op.

23           (Whereupon, the above-entitled matter  
24 went off the record at 9:55 a.m. and  
25 went back on the record at 10:20 a.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: I would like to call the  
2 committee back to order at this point, please. We do  
3 have a little extra time on our hands today, but if we  
4 keep being somewhat lax in our time observance we may  
5 end up being behind the eight ball.

6 So I would like to get moving. We would  
7 like to move, again, to another series of three  
8 presentations regarding influenza, to get to a point  
9 where we can begin our deliberations.

10 And we will begin with Dr. Fukuda from the  
11 CDC, who will enlighten us regarding U. S.  
12 surveillance. Dr. Fukuda?

13 DR. FUKUDA: Thank you, Dr. Daum.

14 In a couple of minutes what I would like  
15 to do is describe what has been going on this season,  
16 and then sort of put it in context to the last couple  
17 of seasons that we've had.

18 I think, as all of you know, during the  
19 last number of seasons, these have been dominated by  
20 influenza A, H3N2 viruses, and they have been quite  
21 severe in terms of their clinical impact.

22 The bottom line for this year, by  
23 contrast, we are really seeing a mixed viral season in  
24 the United States, similar to what is being seen in  
25 many other parts of the world. And the clinical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 impact, so far, has been less than it has been in the  
2 previous seasons.

3 Up here, on this slide, what we do is have  
4 the numbers from the World Health Organization, and  
5 National Respiratory Virus system of laboratories.  
6 And basically between October, up until about January  
7 20th, about 30,000 respiratory specimens have been  
8 tested for influenza viruses.

9 And of these seven percent of them have  
10 been positive for influenza viruses, for about 2,239  
11 isolates.

12 Now, among those influenza viruses about  
13 73 percent, or three quarters of them have been  
14 influenza A viruses, and the remainder have been  
15 influenza B viruses.

16 Among the influenza A viruses, here are  
17 the influenza A viruses. And among those 38 percent  
18 of those have been subtyped. And of those which have  
19 been subtyped, the vast majority, 97 percent, are  
20 influenza A H1N1 viruses.

21 So, again, we are seeing a mixed season,  
22 with a quarter of the viruses influenza B viruses, and  
23 among the remainder influenza A viruses, almost all  
24 of them have been influenza A H1N1.

25 And this slide here graphically shows,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 basically, the information that I just told you. The  
2 green bars are the influenza B viruses, the yellow and  
3 the blue bars are the influenza A viruses. These are  
4 un-subtyped A viruses, these viruses down here are  
5 influenza A H1N1.

6 And, again, you see that there have been  
7 some H3N2 viruses, but very few.

8 Now, we saw, this line here represents the  
9 percent positive, cumulative percent positive  
10 percentage of the virus of the specimens that are  
11 positive for influenza A viruses.

12 And as of the most current week, right  
13 now, about 22 percent of the specimens coming into  
14 this system are testing positive for influenza  
15 viruses.

16 When you look at past seasons we typically  
17 peak somewhere between 19 percent and about 33 percent  
18 being positive for influenza viruses.

19 This map here basically shows where A or  
20 B viruses are predominating in the country. The red  
21 represents a predominance of influenza A viruses, the  
22 blue represents a predominance of influenza B viruses.

23 So you can see that most -- in most parts  
24 of the country, A viruses are predominating. But on  
25 the west coast, and somewhat on the east coast, we are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 seeing areas where B viruses are predominating.

2 Now, another thing that we follow at CDC  
3 are the percentage of visits to a group of about 500  
4 sentinel physicians. And what percentage of those  
5 visits are for influenza-like illness.

6 And, nationally, we are seeing that about  
7 three percent of visits to this group of sentinel  
8 physicians are for influenza-like illnesses.

9 Again, in past seasons we have seen this  
10 peak up at about five to seven percent. And in this  
11 map here, what we see are that the rates of visits to  
12 physicians for influenza virus, or influenza-like  
13 illness, vary by region.

14 The darker blue states represent areas in  
15 which the percentage is higher, and the light blue  
16 states represent those areas in which the percentage  
17 is lower.

18 So, again, on the west coast, around the  
19 Texas area, and in the mountain states, the  
20 percentages range from about four to seven percent.  
21 And then in the remainder of the country they range  
22 about two to three percent.

23 Now, another way that we asses influenza  
24 activity in the country is to get reports from each of  
25 the state and territorial epidimiologists.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           And for week three, the most recent week,  
2           30 states are reporting either widespread or regional  
3           activity. At the same time last year about 41 states,  
4           or 48 states were reporting either regional or  
5           widespread activity.

6           And, again, this map gives a slightly  
7           different picture. This is the reporting by the state  
8           and territorial epidemiologists. The red states  
9           represent states in which activity is being termed  
10          widespread. The blue states represent states in which  
11          activity is a step down, so-called regional activity.  
12          And you can see it sort of scattered all over the  
13          country in no clear pattern.

14          Finally, the last parameter that we follow  
15          is the -- are the rates of pneumonia and influenza  
16          deaths in the country. You can see that in the  
17          previous four seasons, that we have had these  
18          pronounced and fairly large peaks in pneumonia  
19          influenza deaths in the country, going above the  
20          sinusoidal base line.

21          By contrast, in this season so far, we  
22          have not gone above the threshold for PNI deaths.  
23          Again, sort of cementing the idea that we are having  
24          a milder season than we have had in the previous  
25          seasons.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So to put this in context, again, this is  
2 a graph of the percent positive specimens coming into  
3 the WHO, the National Respiratory Enteric Virus lab  
4 system.

5 The blue graph represents what we saw last  
6 year, where we saw a larger number of isolates, and we  
7 saw a peak coming earlier in the season.

8 In the current influenza season we are  
9 seeing a slower increase in the number of virus  
10 isolates, and in the percentage of positive specimens.  
11 And we have not seen the peaking yet.

12 So we don't really know whether this is  
13 going to continue up higher, whether it is going to  
14 plateau, or what it is going to do. We just know we  
15 haven't seen the peaking yet.

16 And, similarly, this graph here shows what  
17 the visits for influenza-like illness to the sentinel  
18 physicians was for last season. That is this blue  
19 curve here. And this red curve, here, represents what  
20 we are seeing so far this year in the United States.

21 So I will stop there.

22 CHAIR DAUM: Thank you very much, Dr.  
23 Fukuda. Are there one or two committee questions?  
24 Dr. Diaz, Dr. Goldberg, Dr. Katz, Dr. Kohl.

25 DR. DIAZ: Dr. Fukuda, just out of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 curiosity, the 30,000 plus specimens that have been  
2 looked at, at WHO referral labs, I'm always curious  
3 about the, in this case, 93 percent that are negative  
4 for influenza.

5 Is there any comments, epidemiologically,  
6 what those negatives represent, do they look for other  
7 viruses, or is it that they were tested as influenza  
8 positive locally, and yet the specimen didn't survive  
9 in making it to the laboratory; any knowledge of what  
10 those represent?

11 DR. FUKUDA: Yes. It is probably a  
12 combination of both of those possibilities. I think  
13 that probably some of these represent purely negative  
14 test results, because the swabs may have been taken  
15 too late to isolate any sort of pathogen.

16 But if you look at surveillance reports,  
17 say from California, from Canada, from a number of  
18 other systems, it is clear that there are other  
19 viruses co-circulating in particular RSV viruses.

20 I think we haven't seen big peaks in the  
21 pair of influenza viruses. But they are clearly out  
22 there.

23 DR. DIAZ: Likewise, I guess the reason  
24 for my comment is that with downsizing of  
25 laboratories, and the negative impact of doing viral

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cultures on a local level, I think that WHO and others  
2 may have to begin to take up the brunt of local  
3 surveillance by delving further into those negatives  
4 so that we know what kinds of viruses are circulating.

5 DR. FUKUDA: As an aside, one of the  
6 things that we have specifically been trying to do is  
7 to get money into those public health labs so that  
8 they can continue the viruses isolation.

9 CHAIR DAUM: Dr. Goldberg.

10 DR. GOLDBERG: I wanted to just make a  
11 comment about the discussion before the break.

12 CHAIR DAUM: We are having technical  
13 problems. Can you speak way into the microphone,  
14 please?

15 DR. GOLDBERG: I just wanted to make a  
16 comment about the discussion before the break about  
17 the half dose study. In the previously immunized  
18 subjects the titers are high at baseline.

19 Which means, for example, if they have a  
20 titer of, let's say, 100 -- this is arbitrary, to have  
21 a four-fold increase they would have to exceed 400.  
22 Whereas if someone had a titer of one, a four-fold  
23 increase is four.

24 So an absolute difference would be very  
25 small, but a percent, or a fold difference would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very large. When you have levels of protective --  
2 protective levels, it is unlikely that you can  
3 increase very much.

4 And the goal is to maintain the level, and  
5 not so much to show an increase. So I think it  
6 doesn't mean they are not protected at all, it means  
7 they have a level of protection, which is being built  
8 upon, but they can't increase very much in any terms.

9 CHAIR DAUM: Thank you.

10 DR. GOLDBERG: So I just wanted to clarify  
11 that.

12 CHAIR DAUM: I want to return to questions  
13 for Dr. Fukuda. We have Dr. Katz, then Dr. Kohl, then  
14 we will move on.

15 DR. KATZ: Just a quick one. Do they  
16 represent the internist family physicians,  
17 pediatricians, mixtures thereof?

18 The reason I ask is that we are seeing a  
19 lot of RSV on the east coast in among the pediatric  
20 population. And yet that doesn't seem reflected in  
21 your respiratory illness.

22 DR. FUKUDA: Sam, Originally when the  
23 system was set up, it was set up exclusively with  
24 family practice physicians. In the last couple of  
25 years it has really been opened up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           So I think that pediatricians probably  
2 represent about a quarter to a third of the reporting  
3 physicians now, so that there are internists, family  
4 practitioners, pediatricians, OBGYNs.

5           And you are right, these curves don't  
6 reflect RSV activity. But, you know, if we were to  
7 pull out other data, clearly, there are a number of  
8 RSV viruses out there.

9           Particularly, I think, the California  
10 State Health Department does a good job of showing  
11 concurrent activity in terms of those viruses, and flu  
12 viruses.

13           CHAIR DAUM: Dr. Kohl, please.

14           DR. KOHL: Nice presentation, as usual.  
15 Are there historical data that allow us to say that a  
16 late upswing in the curve means that it will be a  
17 milder season, or is it possible that it will be  
18 severe, but it is coming at us?

19           DR. FUKUDA: I guess the rule in flu is  
20 that, literally, anything is possible. I mean, I  
21 think -- it is simply true.

22           So I think that I would guess, I mean, I  
23 really hate guessing but I would guess that it is  
24 likely that we are going to continue to have lower  
25 clinical levels of activity.

1 But clearly when you look, historically,  
2 you can see bimodal peaks, if H3 viruses begin to come  
3 out later in the season, as we've seen in Australia.  
4 In Australia, initially, there is a predominance of H1  
5 viruses, and then later on there is kind of an upsurge  
6 in H3 viruses.

7 If we see that in the United States we may  
8 see, you know, a double peaking of activity. So it  
9 could be anything.

10 CHAIR DAUM: Thank you very much, Dr.  
11 Fukuda.

12 I would like to move on at this point with  
13 our influenza branch trilogy, and call on Dr. Cox, the  
14 chief of the influenza branch at CDC for our next  
15 presentation, World Surveillance and Strain  
16 Characterization.

17 DR. COX: Thanks very much. We are moving  
18 on to the more technical aspects of our considerations  
19 this morning. And I want people to try to follow  
20 along in the handout that has been distributed, in  
21 case you are not able to see the overheads here.

22 We will be starting on page 9 and then  
23 progressing through. Now, I am not going to present  
24 the CDC human serologic results today. I'm going to  
25 leave the summary of that data up to Dr. Levandowski

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in his presentation.

2 But if you have any specific questions  
3 about the CDC serologic data, please let me know.

4 As usual I'm going to present the three  
5 groups of viruses in the order of the easiest, perhaps  
6 the easiest decision, you never know for sure, but  
7 perhaps the easiest decision, to the most difficult  
8 decision.

9 And we are going to start today with  
10 influenza A H1N1 viruses. First of all we will look  
11 at world-wide activity due to influenza H1N1 viruses  
12 by season.

13 First we are looking at last winter's  
14 season, October '99 to March 2000, then we will look  
15 at what happened in the southern hemisphere, followed  
16 by what is now currently happening in the northern  
17 hemisphere, predominantly in the northern hemisphere.

18 And I don't know, for some of you who have  
19 been on the committee for some time, if you will  
20 remember that we really had relatively little H1N1  
21 activity world-wide for a number of years.

22 But we saw that H1N1 activity was  
23 increasing in some parts of Asia during the northern  
24 hemisphere season. This was followed by outbreaks and  
25 epidemics in South America during our summer. And it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 is being followed by circulation of the same A/New  
2 Caledonia-like strains in the no in the United States,  
3 and Europe, mainly.

4 We are continuing to see H1N1 activity in  
5 Asia, but there really are no striking outbreaks there  
6 that we know about.

7 Now, we move to the next page of the  
8 handout, and we will start going through the  
9 hemagglutination inhibition test results. I'm going  
10 to try to orient you to these tables. I know that  
11 they are sometimes difficult to follow.

12 We have tried to choose representative  
13 tables. We, obviously, present a very small subset of  
14 the data that we develop over the year, to you, at  
15 this meeting.

16 We try to make it representative and to  
17 allow the data that we show to tell a story of what  
18 we've been seeing over the past year.

19 If you remember back to last year's  
20 presentation, and previous presentations, you will  
21 recall that there are two antigenically and  
22 genetically distinct groups of influenza A H1N1  
23 viruses that are circulating globally.

24 The viruses that are predominating are  
25 related to the old Beijing/262 vaccine strain, but are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 much better represented by the New Caledonia 2099  
2 current vaccine strain.

3 In addition to these viruses, which are  
4 shown as tests, to viruses like these, which are shown  
5 as test antigens 6 through 18, we do have the old  
6 Johannesburg 8296-like viruses continuing to circulate  
7 in the United States, as well as in other areas of the  
8 world.

9 Now, here we see one other viruses in our  
10 reference battery, up here, and that is the Hong Kong  
11 1252 strain. You can see that it had a titer that was  
12 reduced four-fold in comparison to the homologous  
13 titer for New Caledonia.

14 And we found that it was reproducibly  
15 reduced four-fold, and so we put that virus into  
16 ferrets, since that indicates a significant antigenic  
17 variation.

18 And then we developed a ferret serum which  
19 we were using to see if that particular ferret serum  
20 could distinguish differences among the currently  
21 circulating strains.

22 This was not a particularly representative  
23 strain, it was simply one of the viruses that appeared  
24 to be somewhat different. And we see that it doesn't,  
25 there is a bit higher homologous titer, but it really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 doesn't cover the current strains any better than New  
2 Caledonia.

3 So the picture that we were seeing last  
4 summer with strains from the southern hemisphere,  
5 really had -- did not change in the early fall. We  
6 see here we have strains from Texas, which has really  
7 provided a tremendous number of the H1N1 strains that  
8 we looked at so far.

9 These strains are all clearly New  
10 Caledonia-like, with a small subset of viruses which  
11 are like the older Johannesburg 96 vaccine strain.

12 So we should move through this table a bit  
13 more quickly. The first thing I would like to point  
14 out is that we've added a different referenced strain  
15 here. This time the A/Fujian/156/2000 strain, which  
16 was also used as a serology antigen.

17 I should mention that the strains that  
18 have asterisks here were used in human serologic  
19 studies.

20 Here we have another strain, this one from  
21 China, that was reduced in titer with the Nanchang  
22 ferret antiserum. But the majority of the strains  
23 that are in this lineage of viruses are quite well  
24 inhibited by antiserum to the Nanchang virus.

25 And where we have viruses from wide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 geographic distribution in the United States, one from  
2 the UK, and then a couple from China. In addition, on  
3 this slide, we have some of the Johannesburg-like  
4 strains shown here, and those strains do not appear to  
5 have undergone antigenic drift, including this strain,  
6 A/England 192/2000, which was used in serology.

7 This last table was produced very  
8 recently, on the 24th of this month, and actually has  
9 some of the most recent viruses that we have been able  
10 to test on it.

11 Again we have a variety of strains from  
12 the United States, with a fairly good geographic  
13 distribution, along with one from France. I think  
14 that is the only strain that is not a U. S. strain.

15 And, once again, those that are in the New  
16 Caledonia lineage are very well inhibited by antiserum  
17 to New Caledonia. The strains that are on the  
18 Johannesburg lineage continue to look Johannesburg-  
19 like.

20 So in this overhead I'm going to summarize  
21 the antigenic properties of the viruses that we  
22 characterized. And I think it will be most  
23 instructive if we just focus on the bottom half of  
24 this particular overhead.

25 For the time period between April 2000,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)