

1 and September 2000, we analyzed 255 H1N1 viruses.
2 About 10 percent, actually 12 percent were
3 Johannesburg-like, while the remaining viruses were
4 related to the New Caledonia lineage.

5 And of those that are in the New Caledonia
6 lineage, the majority are really closely related,
7 antigenically, to the vaccine strain. That is true,
8 that generally story is true, for the most recent
9 period, even though we have fewer viruses that we have
10 analyzed.

11 We have 8 percent now, of viruses, which
12 are Johannesburg-like. The majority are New
13 Caledonia-like, and are closely related to the vaccine
14 strain.

15 Now we are going to move onto the
16 influenza AH3N2 strains. As Keiji has mentioned, we
17 really did have predominantly H3N2 viruses in the
18 United States last year, as well as in many other
19 countries throughout the world.

20 During the season in the southern
21 hemisphere we had a significant amount of H3N2
22 activity in some areas, but clearly the seasons were
23 mixed in a number of countries.

24 The viruses that were circulating in the
25 southern hemisphere were A/Panama/2007/99-like, that

1 is like the recommended vaccine. And although we have
2 had little H3N2 activity globally during the period
3 from October to January 2001, the viruses that we have
4 analyzed are also A/Panama-like.

5 Now, it is really fairly interesting to
6 see how the antigenic patterns of viruses sort of
7 change over time. This particular test was done in
8 November. And it has a number of viruses from the
9 southern hemisphere.

10 These Brazilian viruses were isolated
11 during our summer. These viruses from China, they are
12 all from south China, from Guangzhou and also from
13 Hong Kong, were isolated during the spring and summer,
14 and into the early fall months.

15 What we can see is that with the ferret
16 antisera that are produced to our reference antigens,
17 which include the old A/Sidney/97 vaccine strain,
18 Moscow/10, which is the recommended strain, Panama,
19 which is in the vaccine, and then a couple of 2000
20 strains, we can see that the strains that we were
21 looking at, during the summer, actually are well
22 inhibited by antisera to all, against all of these
23 reference antigens.

24 Now we are looking at viruses that were
25 isolated during October and November of this season.

1 And we see the same thing. We see that these viruses
2 are, indeed, very well inhibited by antisera to all of
3 these reference strains.

4 There have been a few exceptions among the
5 viruses that we've tested. And one of those
6 exceptions is shown here, in this table, with antigen
7 13, A/Fugian/140/2000, which is also a serology
8 antigen.

9 And we saw that there was a four-fold
10 reduction in titer with both the Panama antiserum, and
11 also with the Moscow antiserum.

12 So if we summarize the antigenic
13 information that we have you will notice that during
14 the southern hemisphere season there were quite a few
15 H3N2 viruses isolated and sent in for analysis.

16 The majority of those were either Sidney-
17 like, or Panama-like. And in some tests it is really
18 difficult to tell them apart. And there were only a
19 few viruses, including the Fugian virus that I pointed
20 out before, that were low to Panama.

21 We only have a total of 13 viruses that
22 we've looked at, from the isolated, during the period
23 October to December 2000. Of those ten are from the
24 U. S., and one is from Canada. And all of those
25 viruses are clearly A/Panama-like.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, we are going to move on to the
2 influenza B activity picture. Last year in the
3 northern hemisphere we had relatively little influenza
4 B activity.

5 There was, however, some -- there were
6 some outbreaks of influenza B in Asia, and certain
7 Asian countries. During the season, in the southern
8 hemisphere, there was really relatively little
9 influenza B activity, although a few isolates were
10 obtained.

11 And during the current influenza season in
12 the northern hemisphere, Canada is having local
13 outbreaks caused by influenza B, and Hong Kong, and a
14 few other areas in Asia are reporting occasional
15 outbreaks. And there have also been a few outbreaks
16 noted in Europe. But, basically, the influenza B
17 activity has been relatively low.

18 Now, I need to mention, before I start
19 out, for those of you who remember presentations in
20 other years, that there are two very distinct lineages
21 of influenza B viruses that have been circulating
22 globally since about 1988, actually.

23 The circulation of the B/Victoria-like
24 viruses has really been much, much more limited. And
25 the circulation has been primarily in China, although

1 Japan did have some significant activity caused by
2 B/Victoria viruses a few years ago.

3 On these overheads I won't have any
4 viruses, any antigens that represent the B/Victoria
5 lineage. All the antigens here are representative of
6 the -- what we use to call the Yamagada lineage, and
7 we now call it the Yamanashi lineage, I guess.

8 So what you can see here is a table which
9 has, as reference antigens, B/Beijing/184, which is
10 the recommended strain, Yamanashi, which is the strain
11 that is actually in the vaccine; Johannesburg/599
12 which is a strain which has been used for production
13 of vaccine in the southern hemisphere.

14 And then the B/Sichuan/379/99, which is a
15 prototype reference strain for the new variants that
16 we are seeing. This is a typo, I apologize, it should
17 say 40, not 49, I don't know how we missed that one.

18 This is the picture that we were seeing
19 through the summer and into the early fall in many of
20 the tests that we did. That is to say that the
21 viruses were well inhibited by antiserum to the
22 Beijing/184, recommended strain, and pretty well
23 inhibited with antiserum to the B/Yamagada vaccine
24 strain itself, although we were seeing a few viruses
25 that had a four-fold reduction in titer.

1 Those viruses that were four-fold reduced
2 in titer to the Yamanashi strain were pretty well
3 inhibited by antiserum to the B/Johannesburg and
4 B/Sichuan variant strains. They are very similar to
5 each other, they are considered to be B/Sichuan-like.

6 In this particular table we are moving on
7 in time to viruses that were isolated during November
8 and December. We have a number of strains from North
9 America here, and then we have a strain from Japan,
10 and one from India, and a couple from Hong Kong.

11 What we are seeing is that there are
12 increasing numbers of viruses that have a four-fold
13 reduction in titer with the Beijing/184 antiserum, as
14 well as with the B/Yamagata vaccine strain.

15 These viruses are still pretty well
16 inhibited, are very well inhibited by antiserum to the
17 Johannesburg and Sichuan antisera.

18 This is another example of the reduction
19 in titers that we are seeing for current strains. We
20 have a number of strains, again, from North America,
21 and a number from South China.

22 Once again we are seeing better reductions
23 in titer, sorry, better inhibition with the antiserum
24 to Johannesburg and Sichuan. And we have antiserum to
25 Victoria/504, which has also been used for production

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 of vaccine in the southern hemisphere.

2 We have included an antigen from Japan,
3 here in our reference battery, because this particular
4 strain is being considered for production of vaccine
5 in Japan.

6 So I think I have one final table with the
7 most current data that we have. There are actually a
8 couple of viruses from January, here. So these are
9 the most current data that we have on influenza B
10 viruses.

11 And as you can see we do have many viruses
12 that are reduced in titer with the Beijing/184, and
13 the Yamagada/166 antisera.

14 As I said before, the Sichuan antisera to
15 this Sichuan-like strains, which are listed up here,
16 they are all really fairly similar to Sichuan, do
17 cover the current strains much better.

18 So if I can summarize the antigenic
19 properties of the influenza B viruses that have been
20 isolated, first of all, during the southern hemisphere
21 influenza vaccine season.

22 You can see that the majority of strains
23 were B/Beijing/B/Yamagada-like. But that about 20
24 percent of strains were low to Beijing and Yamagada.

25 That percentage has increased, the

1 percentage of low strains has increased fairly
2 substantially in recent months, so that at the current
3 time we would say that about 72 percent of the strains
4 that we are looking at, are reduced in titer to the
5 Beijing/184 and Yamanashi reference strains.

6 I think that that concludes my
7 presentation. I do have overheads made for the
8 serologies, but I think that it is better to consider
9 all the serologic data together.

10 CHAIR DAUM: Thank you very much for a
11 very clear, concise presentation, Dr. Cox.

12 Are there one or two questions for Dr. Cox
13 before we move on to our next presentation?

14 (No response.)

15 CHAIR DAUM: Okay, thank you very much,
16 Dr. Cox. We will move on to Dr. Klimov to complete
17 the influenza branch trilogy.

18 DR. KLIMOV: I would follow the same
19 format Nancy presented to you, and all the pictures
20 and tables I'm going to show you, you also can find in
21 our package, in case you don't see some details.

22 Again, I would follow the order Nancy
23 used, H1s, H3s, and Bs. And I'm going to show several
24 phylogenetic trees, and for those who are not very
25 familiar with the tress, I'm going to notice that --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIR DAUM: This is page 14 of the CDC
2 handout, to orient committee members.

3 DR. KLIMOV: Yes, thank you very much.

4 And I need to notice that the horizontal
5 distance is essential for understanding the
6 relationships between different strains. They are
7 placed in a vertical order just for convenience.

8 Actually the vertical distances are not
9 important, at all, to estimate the difference between
10 different strains. For example, the difference
11 between this strain and that strain is like, you know,
12 from here up to here, and from here up to here. So it
13 is only horizontal distance.

14 But, essentially, it doesn't matter much
15 because we will be talking about genetic groups. So
16 as to the H1N1 hemagglutinin genes evolution in recent
17 times, in recent couple of years, you can see that the
18 viruses which are here, are genetically quite moved
19 now from the Beijing/262/95, which was previous
20 vaccine strain.

21 And still pretty much a similar to the
22 vaccine strain which is just now New Caledonia/20/99.
23 The vast majority of viruses are close to the New
24 Caledonia genetically.

25 At the bottom you can see another genetic

1 antigenic group of the viruses which Nancy mentioned.
2 This is viruses similar to A/Johannesburg/82/96. And,
3 as you remember, we have approximately nine or ten
4 percent of viruses from this genetic antigenic group.

5 Next slide, please. I did mention we did
6 see some so-called low reactors, but they do not grow
7 in the phylogenetic tree in some particular groups.
8 So they are randomly distributed among the tree, in
9 general.

10 This is the table which shows the amino
11 acid differences between some of those strains which
12 could be potentially vaccine candidates, because they
13 are grown in eggs.

14 And so-called consensus sequence. And
15 usually this parameter we use as an additional
16 parameter to see whether new strains are evolutionary
17 moved from the vaccine strain.

18 From the previous year's experience we
19 know that the closer the sequence of the HA to the
20 consensus sequence, the better in terms of inducing
21 immune response, which would work with the majority of
22 viruses.

23 So from this table you can see that A/New
24 Caledonia/20/99, the vaccine strain is sort of the
25 best match to the consensus sequence.

1 Next slide, please. The neuraminidase
2 genes is a gene coding for another surface antigen of
3 the influenza virus. And during recent years we
4 started to monitor evolution of this gene, as well.

5 You can see from this slide that, again,
6 the majority of viruses, the majority of neuraminidase
7 genes of recent viruses are still pretty close to the
8 vaccine strain A/New Caledonia.

9 You can see, also, that these do have
10 viruses from the Johannesburg, or we call this
11 sometimes Bayern/95 group. They form another group of
12 the viruses. So genetically we also have two groups
13 of the neuraminidase genes.

14 And the next table shows the amino acid
15 differences between the consensus of the neuraminidase
16 protein sequence, and several egg grown strains. We
17 can see that New Caledonia is not the best match to
18 the consensus of the neuraminidase protein, but still
19 not very far from the consensus, which is good sign in
20 terms of vaccine.

21 So as a conclusion we can say that
22 genetically, as well as antigenically, great majority
23 of current strains are similar to the New Caledonia
24 vaccine strain.

25 H3 part, Nancy mentioned that we had very

1 few, actually, H3 strains this year. And most of them
2 are actually, all of them are still pretty close,
3 genetically, to the A/Panama/2007/99 strain.

4 In spite of existing of sort of couple of
5 genetic groups, which are not diverged very much
6 genetically, we do not see any difference, antigenic
7 difference between those two groups.

8 And also very, very few low reactors are
9 randomly spread among the branches of this tree.

10 Next slide shows amino acid differences
11 between some egg grown viruses, and hemagglutinin
12 protein consensus sequence. So you can see that
13 Panama/2007/99 is still the best match to the
14 consensus sequence among viruses grown in eggs.

15 The neuraminidase genes of most recent
16 viruses belong to a group which we call a
17 Moscow/10/99, which is genetically different from the
18 A/Panama neuraminidase genetic group.

19 It is obvious that some -- we still have
20 some viruses belonging to the Panama neuraminidase
21 genetic group, but the majority belong to so-called
22 Moscow/10/99 genetic group.

23 As I mentioned before, and as Nancy
24 mentioned, it doesn't influence, at least so far, on
25 the antigenic properties of the current viruses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Next slide shows the table about the amino
2 acid differences between the neuraminidase protein
3 consensus and the Panama has 10 amino acid differences
4 from the consensus. And in this sense is not the best
5 match to the neuraminidase protein consensus.

6 As a conclusion we can say that,
7 essentially, all current H3N2 strains are
8 antigenically and by the HA genetically close to the
9 A/Panama vaccine strain, also the neuraminidase gene
10 belongs to another genetic group.

11 AS to the evolution of influenza B
12 viruses, Nancy mentioned that there are two genetic
13 antigenic lineages of influenza B viruses, B/Victoria-
14 like viruses, and previously we called them
15 B/Yamagoto, or B/Beijing/184-like, or B/Yamanashi-like
16 viruses.

17 So this tree includes exclusively viruses
18 from the B/Beijing/184 or B/Yamanashi group, because
19 we did not see B/Victoria, we didn't receive
20 B/Victoria-like viruses in last almost year.

21 You can see that this is Yamanashi current
22 vaccine strain. And you can see that the majority of
23 viruses genetically are moved from the Yamanashi
24 vaccine strain, and form a group along with the
25 B/Sichaun/79?99 reference strain, which is the strain

1 recommended for southern hemisphere, as you know.

2 So, by the way, we mentioned that in red
3 you can see the viruses which are grown in eggs, and
4 asterisks indicate the viruses which are used in the
5 serology tests.

6 The Beijing/184, or Yamanashi genetic
7 group is also actually includes some another genetic
8 sublineage, which we call B/Harbin/795 sublineage.
9 And -- I'm sorry, that is about -- yes, this group is
10 B/Harbin/794 genetic lineage.

11 We continue to see very few strains
12 belonging genetically to this genetic group, but do
13 not see any significant antigenic differences between
14 those two groups.

15 And the percentage of these groups, and
16 the percentage of B/Harbin-like genetically, B/Harbin-
17 like viruses is actually pretty low, it was about 7
18 percent during the summertime, and now it is about 90
19 percent total.

20 As I mentioned, we do not see B/Victoria-
21 like viruses at all.

22 The next slide shows the amino acid
23 difference table, which indicates that
24 B/Yamanashi/166/98 is moved apart from the consensus
25 sequence for the HA protein. And, for example,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 Sichuan/379 reference strain represents better match
2 to the consensus sequence of the hemagglutinin
3 protein.

4 As to the neuraminidase gene, again, we
5 see that in general most viruses are not very far from
6 the B/Yamanashi vaccine strain, but still they are
7 forming a new sublineage similar to Sichuan/379
8 genetic group.

9 In the terms of amino acid differences
10 from the neuraminidase protein consensus, again,
11 Yamanashi is moved quite far from the consensus, while
12 Sichuan is one of the best matches in the sense of the
13 neuraminidase protein sequence.

14 I will probably stop it here.

15 CHAIR DAUM: Thank you, very kindly.
16 Questions from the committee for Dr. Klimov. Dr.
17 Ferrieri first, then Dr. Estes.

18 DR. FERRIERI: Very brief question. How
19 very different is the HA1 sequence in B, from the
20 AHA1, for example, are they like 75 percent different?

21 DR. KLIMOV: You mean H3 and H1?

22 DR. FERRIERI: No, AH1 and BH1.

23 DR. KLIMOV: They have approximately not
24 more than 30 percent of homology, as far as I
25 remember, something like variable homology.

1 DR. FERRIERI: Thank you.

2 CHAIR DAUM: Dr. Estes, please.

3 DR. ESTES: With the introduction of the
4 neuraminidase inhibitors do you have any evidence that
5 that has influenced either neuraminidase or HA in
6 terms of evolution of these viruses?

7 DR. KLIMOV: Not so far. And I know that
8 there is a group of, information group of people,
9 actually, who is trying to monitor what the percentage
10 of the strains which may be resistant to the
11 neuraminidase inhibitors after the licensing of these
12 drugs in several countries.

13 Not much attention paid yet to the
14 possible influence of the possible drug resistance
15 onto the antigenic properties. But so far we don't
16 see any dramatic changes.

17 CHAIR DAUM: Thank you very much, Dr.
18 Klimov.

19 What I would like to try and do, with the
20 committee's pleasure, is to get through the next three
21 presentations, and following that we will break for
22 lunch, and then resume our session in the afternoon.

23 The next speaker whose name I hope I'm not
24 going to butcher is Linda C. Canas. Is that how you
25 say it? Good, I did it. Who is the chief of

1 diagnostic virology at Brooks Air Force Base in the
2 Department of Defense.

3 MS. CANAS: Good morning. The Department
4 of Defense has a historic and even continuing interest
5 in the surveillance of respiratory viruses. It is
6 absolutely essential that we know what is going on.

7 CHAIR DAUM: We need -- can you speak
8 right into the mike, or can we get some more gain from
9 it?

10 MS. CANAS: It is essential that we know
11 what is going on from a public health standpoint in
12 the military facilities. The Air Force has long
13 engaged in influenza surveillance, and recently it has
14 become a tri-service program that has operated under
15 the direction of the global emerging infection system
16 here in Washington.

17 This program is tri-service, and it
18 operates on two different levels. The Navy in San
19 Diego, under the Naval Health Research Center operates
20 a population-based surveillance system.

21 They have, at the recruit centers, all of
22 the recruit centers from all services collect a
23 certain number of samples, each week, according to
24 case definition, based on the population of that
25 particular center.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And these are analyzed to determine what
2 is going on in that population. At the Air Force
3 Center at Brooks Air Force Base, we have surveillance
4 sites that are set up, and we are basically just
5 trolling for bugs. Whatever we can find we are going
6 to report and keep track of.

7 Our interest is influenza, and that is
8 what we are interested in, that is what our case
9 definition is aimed for. But we actually will report
10 anything we find.

11 And this is the process that I'm going to
12 talk about today. The data is from all three
13 services. What the Army collects from the medical
14 treatment facilities, what the Navy collects from the
15 recruit centers, and what our program collects.

16 But the process is what we do in San
17 Antonio. The epidemiologists and the laboratory
18 personnel decide on sentinel sites each year, and how
19 the program will be run, and information is then sent
20 to the Surgeon General of the Air Force.

21 And because there is such emphasis on
22 influenza prevention of illness, and we know that the
23 vaccine is the single best way of preventing illness,
24 it is a requirement that all active duty individuals
25 be vaccinated annually.

1 So the message that goes out, that directs
2 this influenza program, will also name the sentinel
3 sites that have been decided on, and we make sure they
4 all have supplies and directions on how they are going
5 to collect this.

6 The public health office is in charge, and
7 they collect samples and send them to Brooks Air Force
8 base, where they are worked up according to standard
9 laboratory practices.

10 And we do try to treat these as clinical
11 samples, and get the results back as quickly as
12 possible. And they do go into a computer where they
13 are sent back as patient results.

14 And in addition we send the results to the
15 disease surveillance branch where they keep analysis,
16 and make reports that public health office is notified
17 in a timely manner what is going on at their base, so
18 they can react with any interventions, and they get
19 some feedback from the program so they can react to
20 it.

21 In addition we get to make lots of reports
22 and presentations. We do make -- take selected
23 isolates and share those with CDC, because they have
24 a much more detailed analysis, and they can see what
25 is going on in our program compared with the rest of

1 the programs, and decisions can be made at meetings
2 like this one.

3 This is a map of our current sites listed
4 in the handout, they are actually named by location.
5 But I wanted you to see that we do have a global
6 presence. Most of it, of course, are from our
7 military sites where we have people stationed, and we
8 are seeing people in the treatment facilities.

9 But we've been able, under this tri-
10 service arena, to be able to hook into the Army and
11 Navy research centers in more remote locations of the
12 world where they also already have ongoing research
13 projects, and have now instituted influenza
14 surveillance.

15 This has been very productive in Nepal and
16 Thailand, several sites in South America. And it is
17 a very -- the program is flexible, and we try to
18 maximize our resources compared with everyone else, to
19 see where surveillance is needed.

20 If it is already well surveyed, and we
21 don't need it for our own public health issues, then
22 we move on to other areas.

23 We have recently been able to confirm that
24 Honduras will be a collection site, they are going to
25 be submitting samples. This is especially exciting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because we lost Howard Air Force base when we moved
2 out of Panama.

3 And as you've heard many times today, the
4 A/Panama/2007 is the vaccine strain, and that did come
5 from this program.

6 South America, too, has been very
7 productive. We were able to identify, early, the
8 variant H1N1, which is now New Caledonia, was actually
9 the second time in the Americas that this strain had
10 been recognized. So these remote sites are important.

11 We have the possibility, probability, of
12 bringing on Uganda. Everything has been set up, we
13 have the shipping organized. There are still some
14 political considerations there that will have to be
15 considered and worked out.

16 When we look at the number of samples that
17 we received from all sites, compared to last year, it
18 is a little bit deceiving. It looks like our season,
19 like everyone else's, has been much lower than last
20 year.

21 One of the confounding factors here is
22 that last year the Air Force experienced an extremely
23 overwhelming incidence of adino virus disease,
24 respiratory adino virus. And while that continues it
25 is not quite as overwhelming as before.

1 So in fact our number of samples that we
2 are receiving for, other than adino virus, is almost
3 higher than last year. And there is a couple of
4 reasons for this.

5 Namely, while we do have a dependent and
6 retired population that we are conducting surveillance
7 on, and they fit, in many cases, the fidicional risk
8 groups, our main population of concern are the
9 relatively young, healthy individuals.

10 This is not one that you all are
11 considering, but we have to keep these people healthy.
12 Their readiness mission is what is important. And the
13 commanders have been very concerned, this past year,
14 that they have been able to rely on the past in the
15 vaccine, and this year they weren't going to have the
16 vaccine.

17 And never knowing exactly for sure what
18 the mission will be, and where these people will be
19 sent, and what they will have to do on a daily basis.
20 There was a great deal of concern about the vaccine.
21 So there was a very heightened awareness of
22 surveillance. and we've received many specimens, a
23 lower productivity, but many specimens this season.

24 In North America, and I think our results
25 are somewhat different from many others. We've had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 nearly equal numbers of As and Bs, 45 percent of all
2 the flus in North America have been B. Let's see, I'm
3 not sure that is exactly right.

4 41 percent have been A, and 56 have been
5 B in our North America. And these are at the same
6 places. I've daily reported out As and Bs from the
7 same locations. It hasn't been a spotty thing. We've
8 seen it in California, we've seen it in Texas, we see
9 it from the very beginning, in Alaska.

10 And that continues, this co-circulation.
11 We also have a lot of peri influenza and interal
12 viruses that are going around. So from workload
13 standpoint in our laboratory, we are basically working
14 these samples up for anything it could be, instead of
15 ruling out what we've been seeing.

16 Our numbers in the Pacific have so far
17 been considerably less, but that is changing. In the
18 last couple of weeks we are starting to see a lot more
19 activity in this area.

20 We saw our first isolate of the season in
21 September in Okinawa, and that was a flu B. Those
22 numbers have continued, in very low numbers, in
23 Okinawa and in Hawaii. We've seen a predominance of
24 B.

25 We've had virtually nothing from Japan,

1 but Korea is suddenly starting to see influenza A. We
2 started getting isolates within the last two weeks,
3 and I've had requests for more supplies because they
4 are seeing more illness.

5 Our numbers from Europe are quite low,
6 very low numbers. I'm not sure we can make a lot of
7 prediction. From that we've had 3 As and one B, from
8 those.

9 South America, I present, this information
10 is maybe not timely for this committee. But, again,
11 I think it shows our more equal numbers of A and B
12 that we are seeing from this area of the world.

13 And, of course, when we talk about getting
14 samples from South America, for these remote sites,
15 they don't come very often. So they are truly
16 surveillant samples. And the miracle is that we are
17 getting five and six month old samples that we are
18 able to get isolation. And that has been exciting.

19 We've also seen a good bit of adino
20 viruses in South America, especially Argentina.

21 Nepal and Thailand has been especially
22 exciting. Last Tuesday, one week ago today, we
23 received in our lab 59 samples. And the pressure was
24 on to get some information for this committee to look
25 at.

1 These samples ranged from collection dates
2 clear back to March, but some of them were up to
3 January, and a good many in November. So there was
4 some timely issue here.

5 We did put them in culture but our
6 molecular biologist randomly chose 16 samples and went
7 in with just PCR directly out of the tube that we got
8 in the lab. And he was able to isolate two As and a
9 B from those 16 samples.

10 When I left on Friday, when I left the lab
11 on Friday, we had confirmed those three types. And in
12 all, in our first culture results, we have 18
13 isolates. The majority of them are A, that is 30
14 percent recovery, which we are really quite excited
15 about, and we still have the rest of this week before
16 we would finish reporting out the viruses.

17 If we look at the subtypes, like everyone
18 else, we have a strong H1 season, much stronger B than
19 we've seen in many years. And, in my experience, the
20 co-circulation in these As and Bs, especially at the
21 same location, is unprecedented.

22 The B started out early, and first, mainly
23 in the Pacific and Alaska. We had quite a bit of B in
24 Alaska, to begin with. That continues, even though
25 the As have come up.

1 The Bse in our HAI subtyping, using rabbit
2 antisera, have been lower titers than we've seen in
3 the past. A great many of them are four-fold less
4 than the reference strain that we are using in our
5 laboratory.

6 The Pacific Rim still has low levels of B,
7 but they've actually been matching fairly well. H1s
8 have matched very closely with the reference strain,
9 and we do have a predominance of that, especially in
10 North America.

11 In the Pacific the H1 is much -- we do
12 have more of the H1s, but we have H3, also. And H3 is
13 what we are seeing in the Pacific. These new ones
14 that we are just seeing in Korea, that we've just
15 identified last week by PCR, those were H3.

16 Now, whether that will continue I don't
17 have any idea at this point. But it is interesting
18 that that group does seem to be coming up as H3.

19 And, again, to emphasize the same thing
20 that has been reported elsewhere, we've just flipped
21 this year. H1s we've seen very little of in the last
22 several years, but it is the predominating strain.

23 I would say that from a laboratory
24 standpoint they are much easier to work with, so it
25 doesn't bother me any.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This chart didn't print out in your
2 handout. It was the nucleotide homology, looking at
3 the hemagglutinin gene, and we did show very close
4 homology, pretty much what Dr. Klimov reported
5 earlier.

6 I think that our results have shown that
7 the current strains are covered quite nicely, the
8 hemagglutinin strain.

9 Mostly we have seen the H1 and the B, like
10 other groups. Perhaps our numbers are a little
11 closer. One reason for that could be because of the
12 increased interest in surveillance. Perhaps people
13 who weren't quite as ill, and may be in the private
14 sector, would not have sought medical attention, or
15 actually getting cultured in our program, which would
16 show more equal numbers.

17 The Nepal isolates have just come in, they
18 are currently being analyzed. Several of our isolates
19 were collected in November, so they do have some
20 timely aspect to what we are looking at here. It does
21 appear that if we can make a prediction from two of
22 these isolates, both of those As were H1, nothing that
23 was used, the H3N2 primers didn't pick up anything.

24 So we suspect that these are going to come
25 down as H1s, like we've been seeing everywhere else.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Would there be any questions?

2 CHAIR DAUM: Thank you very much. That
3 was -- you win the special VRBP prize for technical
4 effects, as well as a very lucid presentation.

5 Dr. Huang?

6 DR. HUANG: I remain concerned about our
7 surveillance, and I have a comment and a question, not
8 only to you, but also to the CDC presenters this
9 morning.

10 The comment is that our surveillance on
11 the African continent is still really poor, if it
12 exists at all. And I remain concerned that we don't
13 have that, really, set up as well as we ought to.

14 And I know that we do have a Navy lab in
15 Egypt, and I didn't see if that was part of your
16 distribution there, or not, if they are active in this
17 surveillance.

18 The other is that in the past we have seen
19 data from cruise ships in Alaska that we obtained
20 swabs in Alaska, or around Australia, that we obtained
21 swabs of in the summer months of August, September.

22 And I'm wondering, I just don't see that
23 any more, and I'm wondering if there is some reason
24 that we haven't gone ahead to do that.

25 MS. CANAS: We actually do collect all

1 year round. Our surveillance is annual, we have that
2 data all year. I just presented from September right
3 here, but it pretty much agreed.

4 Africa is particularly problematic. We
5 are trying to get in, we have every expectation to get
6 in to Africa with our surveillance. But, as I said,
7 there are political considerations.

8 Dr. Kelly is here if you would like him to
9 address that.

10 CHAIR DAUM: Could you identify yourself,
11 Dr. Kelly?

12 DR. KELLY: I'm Pat Kelly, I'm the
13 director of the DOD infectious program.

14 Separate from the program that Linda
15 operates, our lab in Cairo does do influenza
16 surveillance and sends specimens directly to Dr. Cox
17 of CDC. They currently are doing surveillance in
18 Egypt and in Syria, and this year we will be bringing
19 on line Yerubi.

20 It is a great logistic challenge to do
21 surveillance in some of these overseas area. For
22 example in Yerubi they had everything politically
23 lined up, but there was no local source of liquid
24 nitrogen the store the specimens in.

25 To get specimens out of Nepal we actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have to ship dry ice in from Bangkok. So there are
2 some unusual logistic constraints. We also are going
3 to be starting, as Linda mentioned, this year new
4 surveillance in Uganda.

5 One interesting challenge that we have
6 faced in some of these countries is ethical debates
7 over whether it is appropriate to do flu surveillance.

8 Uganda initially, their ethics -- they
9 turned it down, because they said that we don't use
10 flu vaccine in this country, and thus you should go
11 somewhere where flu vaccine is used. This is not a
12 surveillance program that is going to benefit us.

13 We ultimately negotiated with them and are
14 providing them benefits that they find valuable. But
15 it is much more complicated in some of these places.

16 CHAIR DAUM: Thank you very much. Dr.
17 Ferrieri and Dr. Diaz.

18 DR. FERRIERI: I am very glad that you
19 mentioned, Ms. Canas, the other surveillance and the
20 high prevalence you saw with peri influenza. It is my
21 impression in the twin cities we've had peri flu 3
22 that came in in November through December and parts of
23 January, causing rather relatively severe illness in
24 adults as well.

25 And so the impression of many people is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that everything is flu, and it gives a bad rap in the
2 general public to the efficacy of influenza viruses
3 vaccine.

4 And so I can't emphasize too much the co-
5 transmission of more than influenza virus during this
6 season. We now are into RSV with trickle peri flu,
7 and adino virus is certainly out there as well.

8 CHAIR DAUM: Dr. Diaz, please? Thank you,
9 Dr. Ferrieri.

10 DR. DIAZ: I think actually what you said
11 goes back to my comment about those 93 percent of non-
12 flu isolates, because it is important for people to
13 understand when there are other viruses circulating,
14 what they are, so that they can better understand
15 influenza vaccine and how well it is protective.

16 But my comments that I wanted to make was
17 in regards to your comment about the cruise ships.
18 And perhaps somebody from CDC can correct me if I'm
19 wrong.

20 But I think that cruise ship data came not
21 from necessarily surveillance that was being done on
22 cruise ships at the time, but rather was prompted by
23 outbreaks of influenza-like illness on those cruise
24 ships at the time, and then an investigation.

25 CHAIR DAUM: Are there more questions for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ms. Canas?

2 (No response.)

3 CHAIR DAUM: We have a clarifying comment
4 coming in regarding cruise ships.

5 DR. FUKUDA: Just to clarify. In Alaska
6 there were large regional outbreaks involving cruise
7 ships and land travelers identified in 1998, summer.
8 Also in the summer of 1999.

9 Interestingly this past year we haven't
10 seen the same phenomena occur up there. There have
11 been a number of other outbreaks reported on cruise
12 ships in different areas. And so that general
13 phenomena occurs.

14 So I think that when we presented those
15 earlier Alaska outbreaks, one of the outstanding
16 questions has been whether this is sort of a change in
17 pattern in that area, or not. And I still think we
18 don't know the answer to that, yet.

19 CHAIR DAUM: Thank you very kindly.

20 DR. GRIFFIN: Are the cruise ships like
21 canaries, then?

22 (Laughter.)

23 DR. FUKUDA: Well, someone has termed them
24 large virtual populations. And in a sense they are
25 this very big populations of people from all over the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 world. And a fire may ignite, or may not ignite in
2 that population.

3 CHAIR DAUM: Dr. Griffin, if it is brief.

4 DR. KIM: Yes. I just wondered if
5 somebody else could clarify. So we saw what the
6 surveillance, and heard about the surveillance that
7 the Armed Forces does in Africa as far as influenza is
8 concerned.

9 But I'm just curious about what the WHO is
10 doing. Clearly South Africa, we get a lot of samples
11 from there, but -- and maybe from Egypt and the
12 Mediterranean region, but how about the middle?

13 DR. COX: I will try to address that.
14 Basically it has been well recognized for some time
15 that Africa really doesn't generate a lot of
16 information for vaccine strain selection, with the
17 exception of South Africa, and now Egypt that has come
18 on line.

19 It is, as Pat Kelly pointed out, extremely
20 difficult to convince people to do surveillance in
21 these countries, if they don't see that there is a
22 direct benefit.

23 And I think that what we saw in South
24 America is illustrative of what we are likely to see
25 in Africa. When the countries get to a point where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 flu vaccine is on their radar screen there will be a
2 very much increased interest in doing flu
3 surveillance.

4 So, basically, what we have to do in the
5 interim is figure out where we can best put resources
6 to expand knowledge about what is going on. There are
7 a number of efforts underway to try to see if there
8 are ways to increase the amount of funding that might
9 be available to try to enhance surveillance in some
10 areas of the world that are less well served at the
11 present time.

12 CHAIR DAUM: Thank you, Dr. Cox. I would
13 like to move on now to hear from Mr. Hampson, the
14 director of the WHO Collaborating Center for Influenza
15 in Melbourne. Welcome.

16 MR. HAMPSON: Thank you Mr. Chair, it is
17 always a pleasure to speak at this meeting, and maybe
18 a little bit daunting, because I have to present, very
19 briefly, some surveillance information, antigenic
20 analysis, genetic analysis, and some serology.

21 So if you would like I'm going to be Keiji
22 Fukuda, Nancy Cox, Roland Levandowski, and I can't
23 remember who the others -- Sasha Klimov all rolled
24 into one.

25 It is also a little daunting to see that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the U. S. Military probably has more surveillance
2 sites than we do.

3 This is just to show you the area of our
4 collection of samples at our center, which is one of
5 the four WHO collaborating centers for influenza.

6 And I am going to give you just a little
7 bit of epidemiology from the three main collecting
8 areas that we have. Australia, of course, from which
9 you will see that looking at sentinel surveillance,
10 this is influenza-like illness measured over a number
11 of years in general practice, and virus isolations
12 measured in laboratory studies.

13 That, in fact, we did not have a very
14 severe season during the year 2000, it was a
15 substantial reduction of the previous years in both
16 the total influenza-like illness, and in particular in
17 the number of clinical isolates that we received last
18 year.

19 That was even more marked in New Zealand,
20 where you see this yellow line showing the level of
21 influenza-like illness in comparison with other recent
22 years, and in particular in 1996, when there was a
23 very severe outbreak, a very, very flat year of
24 influenza in New Zealand.

25 And this has been characterized through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 much of our surveillance area, showing that in New
2 Zealand it was a mixture of influenza A and influenza
3 B, with influenza A predominating.

4 And in Thailand our other major collecting
5 area, apart from an early outbreak, early during the
6 year 2000, which was mainly H1, so there had been a
7 shift already there to H1 influenza from predominantly
8 H3 early in the year.

9 Very little influenza in terms of isolates
10 seen during the remainder of the year. So I suspect
11 that you are having a year, in North America, which is
12 going to be very similar to what we've seen through a
13 lot of the Pacific area.

14 Just showing you where our viruses are
15 collected from, principally from Australia, New
16 Zealand, Thailand. But we do have a spread of
17 collection sites where we could receive some viruses
18 from Singapore, South Africa, up into the Pacific
19 region, New Caledonia, Malaysia, and occasional
20 samples from Vietnam.

21 We have recently received a shipment
22 within the last two weeks from both Singapore and
23 Vietnam. And, interestingly, in comparison with the
24 results that Dr. Canas just presented, all of those
25 viruses appear to be H3 viruses.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This is the distribution of subtypes and
2 types that we've seen during this last 12 month
3 period. In Australia H3 continued to predominate,
4 although we did have a significant amount of influenza
5 A H1, the second most prominent type was type B.

6 We missed the type B last year, we
7 normally have a two year outbreak of influenza type B,
8 we had had a very severe outbreak in 1997, we
9 essentially missed our second year of the outbreak in
10 1999, and it seems to have come back this year.

11 New Zealand H1 predominated but was
12 similar in levels to the H3 with a minority of
13 influenza B. Throughout Asia, though, we have seen a
14 predominance of H1, and approximately equal levels of
15 H3 and B, and through the Pacific something similar,
16 but with influenza B less prominent, there.

17 Now, I'm showing you very, very restricted
18 and representative tables of antigenic analysis of our
19 H1 viruses. I'm showing you that the great majority
20 of strains that we have seen are very well neutralized
21 by New Caledonia antiserum.

22 They are less well neutralized by the
23 previous reference strain, antiserum Beijing/262,
24 which was a previous vaccine strain, particularly when
25 you look at the homologous titer of the sera, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Beijing being higher than the New Caledonia, with the
2 New Caledonia producing very good protection.

3 We are also putting, in some of our
4 essays, a normal human serum pool, a post-vaccination
5 pool, to have a look and see whether there is any sign
6 of variants coming up which would be escaping
7 vaccination. And the pool is showing very good
8 protective level against these recent isolates, and
9 gives us comfort that the vaccine is working.

10 A second set of H1 assays just showing
11 that there are still some residual strains of this
12 Bayern or Johannesburg type, which was mentioned
13 previously by Dr. Cox, including this recent Texas
14 strain, which we put into this assay.

15 We have occasional unusual strains like
16 this England strain, which is showing very low
17 reactivity across both types of viruses, we don't
18 understand this at the moment, but reassuringly
19 neutralized by the human serum pool.

20 But, again, we have a very good protection
21 by the New Caledonia 20 strain, as shown by Dr. Cox,
22 and by this variant from Japan.

23 If we have a look at the overall analysis
24 of the strains that we've seen this year, there have
25 been very, very few Bayern strains, in fact all of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 these Bayern strains that we've seen came from a
2 single outbreak in South Australia, and we have seen
3 them nowhere else in our surveillance area.

4 The greater majority of the virus isolates
5 that we did analyze came from this January to August
6 period with fewer in the September to January 2001
7 period.

8 I apologize for this dendrogram, I did
9 have it hyperlinked, but the technology didn't
10 function properly and so you are going to have to see
11 it in a slightly blurred fashion.

12 But simply what I'm showing here, here is
13 the reference vaccine strain, New Caledonia 20. Here
14 is the previous vaccine reference strain Beijing/262,
15 and the viruses are falling quite close, genetically,
16 to the New Caledonia strain.

17 There is a tendency for them to have moved
18 and to have broken into two separate claves, and the
19 majority of recent viruses we are finding now in this
20 upper clave. But the difference is not great, and
21 certainly while there is a genetic difference, there
22 is not an antigenic difference.

23 As shown by Sasha also, the viruses, the
24 recent viruses are staying very close to New
25 Caledonia, genetically, in terms of the neuraminidase

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sequence. I apologize, this 88 up here should be a
2 2000 virus, not an 88 virus.

3 So all the recent viruses are, again,
4 close to the New Caledonia virus, with the exception
5 of these very different viruses, such as the South
6 Australia viruses, which remain in this Bayern or
7 Johannesburg clade, which is quite different.

8 H3 influenza virus analysis has been very
9 similar to recent years. We've seen a move, a drift
10 away from Sidney to this Moscow type of virus, or
11 viruses represented as Moscow-like, the Panama vaccine
12 strain, and these other three strains, which are
13 antigenically very, very similar.

14 We get possibly more than some of the
15 other reference lab strains which are low, we call
16 low reacting strains. They react poorly right across
17 the range of antisera that we have.

18 When we sequence these viruses they don't
19 show any outstanding features. When we make antisera
20 against them, they make antisera which are
21 characteristic of these other recent strains.

22 So we refer to them as low avid viruses,
23 and we haven't found a reason for this low avidity, as
24 yet.

25 They don't appear to be significant.

1 though in terms of vaccine protectiveness, although
2 they do, again, react very poorly with our pooled
3 human serum. So this is something that is ongoing
4 further investigation in our lab at the moment.

5 If we have a look at the representative
6 strains that we've had over these two periods, January
7 through August, prior to the WHO meeting in September,
8 we saw a preponderance of Moscow-type of viruses,
9 still some Sidney-type of viruses, and low reacting
10 strains in both these groups.

11 We've now moved, quite definitely, away
12 from Sidney-type viruses to Moscow-like viruses, but
13 with a fairly high percentage that I've just showed
14 you of these low reacting strains.

15 When we have a look at the genetic
16 analysis, the dendrograms, we found that these are
17 broken into two major trees, as represented by the
18 Panama vaccine strain, and viruses which are closer to
19 the Moscow referenced strain.

20 So there is a genetic difference between
21 these two viruses, although the Panama antigenically
22 represents the Moscow virus. A number of the other
23 strains that I showed you previously were also falling
24 into this group, and we find that most of the recent
25 isolates that we have seen from late in the year, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we did have isolates coming through into October and
2 November in Australia/Asia are falling into this
3 Panama group.

4 On the other hand, with the neuraminidase
5 antigen, and again similar to what Sasha showed you,
6 the virus are clustering close to the Moscow with the
7 neuraminidase than they are to Panama. So, clearly,
8 an ideal vaccine strain if we would take into account
9 both antigens would be a virus that fell into this
10 group, in terms of its neuraminidase, but into the
11 Panama group in terms of its hemagglutinin.

12 And when we have a look at the influenza
13 B, I apologize if these colors did not come out very
14 strongly. We do see a more dramatic difference, I
15 think, than some of the other labs, in terms of the
16 differences between our Yamanashi serum, and the sera
17 against these recent strains, the reference strain
18 Sichuan, and the vaccine strain B/Johannesburg, which
19 are producing excellent protection against very recent
20 virus isolates.

21 The Yamanashi is producing poor protection
22 against a large number of our recent isolates, and in
23 effect much poorer even than the reference strain,
24 which are represented in the vaccine, the Beijing/184
25 strain.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 And we see that in the second set of HI
2 assays here. Yamanashi showing exceptionally poor
3 titers in some cases, against some of the recent
4 strains, less than one in twenty. Whereas the
5 Sichuan, the Johannesburg, and the alternative vaccine
6 strain which has been used in some countries in the
7 southern hemisphere, the B/Victoria/504.

8 I will just mention, at this point, that
9 these two vaccine strains were selected after trolling
10 through many, many egg isolates of virus. They were
11 the best growing strains that we could find, and
12 undoubtedly you will hear a little bit more in the
13 future.

14 But the general feedback has been that
15 these viruses do not grow awfully well.

16 Again, dividing our analysis up over the
17 two time periods prior to the WHO southern hemisphere
18 meeting, we were labeling most of our viruses as
19 B/Beijing viruses at that stage, because that was the
20 reference strain. We have only recently switched into
21 the Sichuan type of viruses, and quite possibly a high
22 percentage of these strains that we call B/Beijing-
23 like were, in fact, closer to B/Sichuan, and certainly
24 on dendrograms they appear that way.

25 And since the September meeting clearly a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 major move to Sichuan-like viruses in the isolates
2 that we have analyzed since that time.

3 And, again, having looked at the
4 dendrogram, we see that these fall into a number of
5 subclaves. Here is the Sichuan-like virus, quite
6 distant now from the Yamanashi virus, and from the
7 Harben virus, and Beijing/184 virus, which was the
8 reference strain.

9 So there has been quite a significant
10 genetic move in these viruses. They are falling into,
11 maybe, three or four separate clones. We've seen all
12 of these this year, and I think the major virus
13 grouping, in fact, is around this Sichuan group, or
14 possibly with some recent isolates moving up into this
15 slightly further separation.

16 And the neuraminidase very, very similar.
17 Not as great a move as in the hemagglutinin but
18 clearly a move in these recent virus isolates into the
19 Sichuan grouping, and away from the Yamanashi, and
20 quite distant from the previous reference strain,
21 Beijing/184.

22 Now, I put some tables of serology into
23 the handouts that I provided, and they are always
24 rather difficult to look at. And I've tried to
25 summarize, graphically here, a little bit of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 information in that.

2 And I've selected simply the older adults,
3 and simply two groups of sera, the U. S. sera, and the
4 Australian sera. And I've show two parameters,
5 especially the geometric mean titer which I've
6 expressed in terms of a percentage of the homologous
7 vaccine percentage, and that is the New Caledonia.

8 And what we see, quite clearly here, is
9 that the New Caledonia has been producing good
10 antibodies to all except one recent strain that we've
11 tested in this, this Texas/87 virus.

12 We've also looked at the total number of
13 people who have presumptive protective titer, that is
14 a titer in one in forty, or above, in this elderly
15 group. And, again, we see with recent strains, and
16 including this Texas virus, a high percentage of
17 people with protective titers.

18 The Australian sera is showing very
19 similar results to the U. S. sera in this group.

20 Looking at the H3s, and just pointing out
21 that the U. S. recipients here received a vaccine
22 containing the A/Panama virus, or A/Panama virus and
23 a Moscow-like virus, so this is the one hundred
24 percent starting point.

25 I've referred back to Sidney in here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 because the Australian recipients actually received a
2 Sidney containing vaccine in view of the difficulties
3 we had getting the Moscow-like virus into the vaccine
4 for last year.

5 Again, over recent times, and these are
6 results taken up to the point where we made our
7 decision on vaccine formulation in September, very
8 good protection both in terms of GMT, relative to the
9 vaccine virus, and to the level of protective
10 antibody, certainly a high level, up around 80 percent
11 of people achieving protective titers.

12 And that was similar, regardless of
13 whether the vaccine contained the A/Sidney virus, or
14 the A/Moscow virus. To our surprise, although we were
15 forced to put in A/Sidney-type of virus into the
16 vaccine we did achieve very good titers against the
17 Panama virus, and against recent isolates.

18 There are a couple of recent strains. I
19 think the Hong Kong/19223 virus was mentioned earlier
20 on. And we have a strain which is being distributed
21 for serology, the Leon virus, which is showing some
22 lowering. And we probably need to investigate these
23 further at the moment.

24 But against the great majority of strains
25 the vaccine has been shown very high protective

1 levels. Against influenza B, at the time that we did
2 our initial studies, when we had a look at the initial
3 studies, these were the strains that we tested for
4 vaccinees receiving the Yamanashi vaccine, up until
5 September, you can see quite a dramatic lowering in
6 terms of geometric mean titer.

7 The lowering was not as dramatic, or the
8 lowering was not really greatly significant in terms
9 of total, numbers of people achieving a titer of one
10 in forty.

11 But I would point out that we used ether-
12 split antigens in doing the serology. And we really
13 can't be completely sure, I don't think, that that is
14 not influencing the outcome of this test, to some
15 extent.

16 What is interesting is the two most recent
17 tests that we've done in the serology, two new viruses
18 we've put in, in fact one of them vaccine strain, and
19 the other recent Leicester isolate, we've seen a
20 reversal of the trend that we had seen earlier.

21 So with the Yamanashi containing vaccine
22 there is an indication that it may be protective
23 against these viruses. We also are having a look at
24 the influence of passage level of these viruses,
25 because as we passage them on, it seems they might be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 more readily neutralized by antibodies.

2 I think I'll stop there. Any questions?

3 CHAIR DAUM: Thank you very much for
4 illuminating view of the other side of the world. Do
5 we have committee questions, input, comments?

6 (No response.)

7 CHAIR DAUM: That means your presentation
8 was crystal clear. Thank you very kindly.

9 For our last speaker before we will call
10 on Dr. Joanna Ellis of the respiratory virus unit,
11 public health laboratory service in London.

12 DR. ELLIS: Thank you. I would like to
13 thank the committee for giving me this opportunity to
14 present the data from the UK.

15 In the United Kingdom we use a number of
16 indices to measure influenza activity. But the data
17 we put the most reliance on comes from the Royal
18 College of General Practitioners.

19 This organization coordinates about 100
20 sentinel physician practices throughout England and
21 Wales, and collects all the data. These practices
22 represent about 800,000 of the population.

23 The consultation rate is then calculated
24 and is expressed as the rate per 100,000. The levels
25 that we use to describe, the baseline level is 50 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 below. Between 50 and 200 is described as normal
2 seasonal activity. If the consultation rate rises to
3 200 to 400, and that is higher than normal, and it has
4 to be above 400 of 100,000 of the population to be
5 described as epidemic.

6 As you can see, for this season, the level
7 of consultations has hardly risen up to the baseline
8 level. This is shown more clearly here, where we can
9 see the consultation rates against weeks.

10 We have a slight peak of activity here,
11 week two. This is last season's activity. And when
12 we compare the death rates, or causes notified to the
13 office of National Statistics, we can see we have a
14 very slight increase in the death rate, but much less
15 than previous years, which correlates with the lower
16 level of activity.

17 A small subset of those 100 sentinel
18 physicians also sent in samples for virological
19 analysis. These are patients who are presenting with
20 influenza, or influenza-like illness.

21 The physicians then take a combined nose
22 and throat swab, and send those by post to the
23 National Influenza Lab in Collingdale.

24 We test them by culture, and this year
25 we've also been running a PCR test. This is a multi-

1 plex test which is able to determine whether we have
2 influenza H1N1, H3N2, influenza B, or RSV in those
3 samples from the community.

4 And these are results that we have to
5 date. Here is our OCGP consultation rate. These grey
6 ones are the total number of samples we received each
7 week. The green is the RSV positives by PCR.

8 And, as you can see, these were detected
9 first in the season, week 43. And the yellow are the
10 flu H1N1, which we detected later, and then some flu
11 Bs much later on.

12 But the point to take home from this is
13 the RSV and flu circulating at the same time, they are
14 still circulating now, in the community. When we look
15 at the As distribution of those that were PCR
16 positive, we can see that RSV was found, perhaps as
17 you would expect, in the under five age group, and
18 again in some of these older age groups, whereas flu
19 was not found in this younger age group, it was found
20 more in the middle age group, but not in the elderly.

21 We not only receive samples from the
22 community, we also get samples from hospitals, that is
23 public health laboratories, and national health
24 laboratories, which have already been typed as
25 influenza, and come in for further analysis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

151

1 So when we look at this, here again is our
2 consultation rates. And these are the community
3 samples, these are posted by isolation this time, not
4 by PCR. And we also have our hospital isolates here.

5 When we look at the types we, as others
6 have already described, predominantly have influenza
7 A H1N1, which came first in the season, at week 43,
8 and then peaked here, about week one. We do have it
9 coming down here, and it is still coming down this
10 week, from data that we have.

11 And then the later emergence of the flu B,
12 which co-circulating now with the flu A. When we look
13 at the As distribution of the isolates, first of all,
14 looking at the community, we can see that we have a
15 peak of isolation in the 15 to 44 age group, which is
16 what we normally see in the community samples.

17 For the hospital samples we have mainly in
18 the under five age group, and usually not many in the
19 over 65s this year.

20 For the regional distribution of the
21 isolates we have about 20 practices that sent in
22 serological samples, scattered throughout England and
23 Wales.

24 The isolates themselves have come from all
25 over, quite a few from Scotland this year. Of the

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about 7 or 800 samples that we've actually received,
2 we've got mainly H1N1, and a few flu Bs, as well. But
3 we haven't detected any H3N2 this season.

4 Looking at the antigenic characteristics
5 of the strains, first of all the H1N1s, here is the
6 New Caledonia vaccine strain, and corresponding
7 antiserum.

8 Most of our isolates have reacted well to
9 New Caledonia, like these two here, and show a New
10 Caledonia-like. We have had a few isolates which have
11 been like the older vaccine strain, A/Bayern/95, this
12 one here, and one here.

13 In fact we've had three isolates. They've
14 all been in children under two and a half years old,
15 and they've all come from one area in Wales, in
16 Swansea, so we think it is just a sporadic outbreak
17 there.

18 Looking at the phylogeny of the H1N1
19 viruses, this is the older A/Bayern strain, and this
20 is where our small outbreak fits on the tree. This is
21 the New Caledonia vaccine strain, and the majority of
22 our isolates are fitted here, closely related to New
23 Caledonia.

24 When we look at the influenza B strains
25 this season, here is the B/Beijing, the B/Yamanashi,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

153

1 and the B/Sichuan/99 reference strain. Most of our
2 isolates are showing a reduced reactivity with
3 Beijing, fairly good reactivity with Yamanashi, but
4 show much better reactivity with the B/Sichuan
5 reference strain.

6 Some of the isolates which we are now
7 receiving, the last one here is from December, are now
8 showing a reduced reactivity with the Yamanashi.

9 This is the phylogeny for the influenza B
10 viruses, the Beijing lineage here, this is the older
11 Victoria lineage, which has been talked about this
12 morning. Here is the B/Yamanashi vaccine strain, and
13 all our strains fit here, on the Yamanashi lineage,
14 but are much more closely related to the B/Sichuan/99
15 strain.

16 So, in conclusion, we can say this year
17 we've had a low level of influenza activity, which has
18 barely got to baseline levels. And we have
19 predominantly had the H1N1 strains circulating. The
20 majority of these A/New Caledonia-like antigenically
21 and genetically, although we have had a small cluster
22 of the Bayern-like viruses.

23 We have seen a later onset of flu B
24 circulation, which have co-circulated with the H1N1s.
25 The majority of B/Yamanashi-like show much better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reactivity with the B/Sichuan, and they are closely
2 related genetically, as well, to the B/Sichuan.

3 Thank you.

4 CHAIR DAUM: Thank you very much. Do we
5 have questions, or comments for Dr. Ellis? Dr. Katz?

6 DR. KATZ: Dr. Ellis, many of us are
7 unfamiliar with what the recommendations and
8 utilization of influenza virus vaccines are in the
9 United Kingdom. Can you comment on that?

10 DR. ELLIS: Yes, I can. They are
11 recommended for the high risk groups, but this year it
12 has also been decided that all those who are age 65,
13 also recommended to have influenza vaccine, whereas
14 last season it was all those age 75 and over, who were
15 recommended.

16 And they've also set a target of 60
17 percent uptake in this first year, and that has
18 already been reached.

19 CHAIR DAUM: Do you have any kind of
20 overall distribution relative to the population, like
21 we have in this country? I mean, I think the order of
22 magnitude is 70 million doses, is that right? 70
23 million out of 250 million, almost --

24 DR. ELLIS: No, the number of doses this
25 year was increased, and it was increased to 10.8

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 million doses. So nothing like the amount that you
2 have, but it has been increased to match that
3 increased coverage in the over 65 age group.

4 CHAIR DAUM: Other questions, comments?
5 Thank you very much, Dr. Ellis.

6 I think at this point we will take a break
7 for lunch. I have been asked to announce, by Nancy,
8 that there is an area for about 20 people reserved in
9 the restaurant here in the Holiday Inn, on the first
10 floor for committee and/or presentors to spend the
11 lunch period, should they wish to do so.

12 We will take lunch for an hour. It is
13 exactly noon, we will reassemble at one o'clock.
14 Thank you.

15 (Whereupon, at 12:00 p.m., the above-
16 entitled matter was recessed for lunch.)

17

18

19

20

21

22

23

24

25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:05 p.m.)

CHAIR DAUM: Welcome, everybody, back from lunch.

The first -- there are three more presentations by way of background. And then we have Dr. Cox will lay out the options for strain selection, and then we will have committee discussion.

So we will begin, of course, with the three presentations, and ask Dr. Levandowski to come and present some of the serologic data for us.

DR. LEVANDOWSKI: Thank you very much. I will get started here.

I was just joking that after lunch is probably not the best time to be talking about serologic data, because I have to tell you, that having looked at this stuff for the last several days, even my eyes are sort of crossing, and I'm not sure that I'm going to be able to keep it all straight. I hope I can do a reasonable job for you.

I have, also, sort of a -- we've been having power point presentations, and some overheads, and I actually have a combined presentation where high tech meets low tech. So some of this is going to be on power point, and that is probably going to be the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 easiest part to see.

2 Some of it I had some hiccups in my
3 computer, and I couldn't get my tables transferred, so
4 you are going to have to bear with me with my
5 overheads, please.

6 What I'm going to try to do is really to
7 summarize a lot of serological information that is
8 relevant for the current strain selection process.
9 And the serologic data that I'm going to present has
10 been put together pretty much by way of committee, and
11 that committee is really the ongoing collaborative
12 efforts at a number of centers that are being
13 sponsored by, and facilitated by, the World Health
14 Organization and, in particular, its Influenza
15 Centers.

16 This should show the serum panels that
17 have been used for serologic studies. And these serum
18 panels have been coming from adults and elderly
19 people, in Australia, Europe, the United States, and
20 now recently Japan.

21 So we are having a little bit of expansion
22 of the geographic distribution of the sera that we
23 have to look at.

24 This particular graphic shows where the
25 people who have contributed the materials for use, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what we are all looking at.

2 One thing I would point out to you is that
3 as Alan Hampson mentioned earlier this morning, the
4 vaccine that was in use in Australia during the time
5 that these serologies were done, included as the H3N2
6 strain the A/Sidney/597.

7 And, therefore, I'm actually not going to
8 summarize the data from those parts of the clinical
9 trial, but all of the other information from that
10 should be of use.

11 The laboratories that are participating in
12 performing these serologic studies include the World
13 Health Organization Influenza Center in Melbourne,
14 Australia; the National Institute for Biological
15 Standardization and Control in London; the Centers for
16 Disease Control in Atlanta, and the Center for
17 Biologic Evaluation and Research in Bethesda.

18 Between these four labs these sets of sera
19 have been tested against a large range of antigens
20 that are recent, over the last year. And the overall
21 number for the serum panels is approximately 200 serum
22 preparers.

23 The testing hasn't been completed in all
24 the laboratories at this time. But what I'm going to
25 present to you is data that is current to yesterday,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in fact.

2 So if I can get the first, or second, or
3 third overhead, I will just have to flip through them
4 to find the right one. That looks good.

5 This is a listing of the H1N1 antigens
6 that were used for serologic testing. And what I
7 should hasten to point out is that not every one of
8 these antigens was used in every laboratory.

9 So there is a whole variety of new
10 antigens that were examined at least by one
11 laboratory. However, there is a core of these
12 antigens that was tested in each of the laboratories,
13 and we can use that as some comparison.

14 There are known technical differences
15 between the laboratories, and you will see on these
16 slides it will be obvious that for the same serum
17 panels there are some differences in the absolute
18 magnitude of the antibody titers.

19 But, generally, those things tend to be
20 proportional. And, really, what we are trying to do
21 here with examining these serologic responses is to
22 have some comparison between the current vaccine
23 strains and the newer antigens that are appearing.

24 So that is really what we want to focus
25 on, that difference within a laboratory between the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine strain and the new strains.

2 These serologic studies that will be
3 included actually were done in several separate
4 campaigns that coincided with other recommendations
5 that were made during this last year.

6 And here I think it shows, as was also
7 pointed out, there have been two lineages of H1N1
8 influenza A virus that have continued to circulate,
9 although the strains that are related to the
10 Beijing/262/95 strain are the predominant ones at the
11 moment. There are still some A/Bayern/795, or as
12 Nancy Cox mentioned, the Johannesburg/82/96, those are
13 the same lineage of H1N1 influenza A.

14 This overhead shows results that were
15 obtained from two of the participating labs, using a
16 panel of sera from adults in the United States. And
17 this table and the others that are like it will
18 include data on the geometric mean titers pre and post
19 immunization.

20 The percent of the titers that were
21 greater than, or equal to 32 or 40, as the kind of
22 cutoff numbers that we look at, as Wendy Keitel had
23 mentioned earlier in her excellent presentation, and
24 also percent four-fold rises.

25 I'm really going to concentrate more on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the geometric mean titers as a way of indicating
2 differences between the vaccine strain and the newer
3 antigens.

4 In this particular table the data at the
5 top are from the CDC, and the data at the bottom are
6 from the CBER lab. And the vaccine strain for these
7 studies was the A/New Caledonia/20/99 strain.

8 In this particular instance the IVR/116
9 strain was used as the antigen for the testing. What
10 I can say is that in this case the vaccine used was
11 immunogenic, and it produced homologous antibody
12 responses.

13 And all of the strains that are in this
14 particular panel, with the exception of the
15 A/England/192/2000 strain, and the Brazil, I'm sorry,
16 the Chile/4795/2000 strain are -- all the rest of
17 those strains are New Caledonia-like.

18 In both cases the A/New Caledonia vaccine
19 produced antibodies that crossreacted well with the
20 Bayern-like strain. And this is typical for what we
21 have been seeing previously, as we've discussed this
22 in the past.

23 And although the New Caledonia-like
24 strains were mostly pretty well inhibited by the sera
25 produced in response to the vaccine antigen in testing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 done at the CDC you can see that there is some
2 reduction in the results for the A/Fujian/156/2000
3 strain.

4 In none of these instances was there as
5 much as a 50 percent reduction in titer. And I will
6 mention, a little bit later, as I go through some
7 summary tables, why we think that -- or why I'm
8 mentioning the 50 percent reduction.

9 This overhead shows results -- I should
10 mention that I'm not going to go through every one of
11 the serologic panels individually, I'm just going to
12 give some examples that will highlight some
13 differences, or some similarities.

14 This particular overhead shows the results
15 obtained from two of the laboratories using sera that
16 were from the elderly in Japan. The data at the top
17 are from NIBSC, and at the bottom they are from WHO in
18 Melbourne.

19 The vaccine strain, again, was the A/New
20 Caledonia strain. And in this instance the wild type
21 strain was used as the antigen. Again, except for the
22 England/192/2000 strains, the strains here were A/New
23 Caledonia-like.

24 And what I would say again is that for the
25 most part the vaccine produced antibody responses that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 were pretty good against most of the -- or all of the
2 antigens that were included.

3 Moving on to the H3N2 viruses, this slide
4 shows the viruses that were used for serologic
5 testing. And, again there is a whole range of them.
6 All of these strains are somewhat related, they are
7 more modern versions of the Sidney/597 and its progeny
8 lines in evolution.

9 They are typical, I guess they are
10 reasonably representative of the strains that were
11 circulating during 2000, and although there is one
12 strain that is listed as being from 1999.

13 This overhead shows -- should show a panel
14 of sera from adults in Europe. And the data at the
15 top are from the Center for Biologics, and the data at
16 the bottom are from WHO in Melbourne.

17 The current vaccine strain, in both
18 instances, was the Panama/2007/99 strain, and in one
19 lab the reassortant used in the vaccine was the -- it
20 was the antigen for the serology, and in the other lab
21 it was the wild type strain.

22 What you can see in this particular
23 instance is that in some instance, some of these, for
24 some of these strains, as Alan Hampson had already
25 pointed out earlier this morning, there are some

1 reductions in the antibody responses of the newer
2 strains as compared to the vaccine strain.

3 That is not universally true. Many of the
4 strains seem to be covered quite well by the
5 antibodies produced to the current vaccine, but there
6 are some notable differences.

7 And in this particular instance there is
8 more than a 50 percent reduction in the geometric mean
9 titers for the Hong Kong/123/2000 strain and the
10 Leon/1242/2000 strain, as compared to the vaccine
11 antigen.

12 This overhead shows the results obtained
13 using a panel of sera from elderly in the United
14 States. Data at the top are from the CDC, and the
15 data at the bottom are from Center for Biologics.

16 A/Panama is, again, the vaccine strain,
17 and both labs used the Resvir 17 strain as the
18 antigen. What I would say here is that, again, for
19 the most part there are pretty -- there are reasonably
20 good cross-reacting antibodies that were seen, but
21 there are, again, some notable exceptions.

22 In this case the A/Fujian/140/2000 strain
23 shows more than a 50 percent reduction in the titer.
24 And similar to what we saw in the previous slide,
25 there seems to be some reduction, although it is not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 as much as 50 percent, there is some reduction seen
2 against the Hong Kong/1923/2000 strain.

3 So moving on, then, to influenza B
4 viruses. You will notice that this list is somewhat
5 longer than the previous two. And it reflects, to
6 some extent, the growing concerns about influenza B
7 viruses, and changes that are occurring.

8 For the serologies that were showing, just
9 at the moment, we do not have any strains that are in
10 the B/Victoria/287 lineage. And that reflects the
11 fact that they have become so few and far between.

12 But there are strains here that represent,
13 really, a very wide geographic distribution, and were
14 included in the serologies the Sichuan/379/99-like
15 strains, and the vaccine strains that are in use, and
16 then some newer strains from around the world that
17 would fall into the Sichuan/379/99 category.

18 So this one, this overhead shows results
19 for a panel of sera from elderly in Europe. And the
20 data are' from WHO Melbourne at the top, and from CDC
21 at the bottom.

22 And the vaccine strain was
23 B/Yamanashi/166/98 for both serum panels. Here there
24 are a number of viruses that are included, as I
25 mentioned, looking at Johannesburg/599 and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Sichuan/379/99.

2 And in the case of these serologies
3 reduced titers were found, actually, for nearly all of
4 the antigens that were tested by the laboratories.
5 Reductions of 50 percent or greater were found for
6 many of the recent viruses, including this B/Christ
7 Church/2/2000 strain, the B/Zagreb/3578/99 strain, the
8 B/Alaska/16/2000 strain, the B/Guangdong/120/2000
9 strain, and the B/Hong Kong/557/2000 strains.

10 And although, as Alan Hampson mentioned,
11 there may be some other strains that are appearing,
12 what you see from this is that for some of the tests
13 that have been done, there seems to be sort of a
14 general trend for reduced antibody titers against the
15 influenza B strains, even though some of these
16 differences are relatively modest.

17 This overhead shows a panel of sera from
18 elderly in Australia. And the results are from WHO
19 Melbourne at the top, and from the Center for
20 Biologics at the bottom. B/Yamanashi/166/98 was the
21 vaccine strain, again.

22 And, again, these results demonstrate
23 reductions in titer against all of the antigens that
24 were tested. A reduction of 50 percent or greater was
25 shown only for the B/Christ Church/2/2000 strain in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this particular run.

2 But in all of the instances, again, there
3 is a modest reduction against these viruses, including
4 the B/Johannesburg/5/99, the Sichuan/379/99, and the
5 B/Victoria/504/2000 strain.

6 So to try to summarize this, and let me
7 see if I can do this with high tech, again. Does that
8 look better? This one is better? We will go with
9 this one, then.

10 So to try to summarize this, there are
11 some tables I'm going to present to try to pull
12 together the data. This does not include all of the
13 strains that were shown. But this will include
14 strains for which multiple laboratories have some
15 data.

16 And so in attempting to summarize this,
17 these tables show the frequency with which we found
18 new test antigens that gave a 50 percent or greater
19 reduction compared to the current vaccine strain.

20 And the reason I'm emphasizing 50 percent,
21 because that represents a two-fold reduction, which in
22 geometric mean titer terms is really quite marked.

23 The data included in the table are for
24 antigens that, as I said, were tested in more than one
25 laboratory. And that is important, too, because that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reflects, generally, the interest in these strains,
2 and their possible use as vaccine candidates.

3 It should be noted that not all of the
4 testing that we would want to do for these things has
5 been completed at this point. And actually I would
6 like to start with a different slide, I would like to
7 start with the H1.

8 So here we are showing the first -- the
9 upper antigen shown here, the Wuhan/292 -- no,
10 actually it is not going to work because some of it is
11 cut off. We will go back to the overhead. Sorry.

12 So if we can get the other slide that
13 shows the H1s? Thank you.

14 So the upper antigens here are New
15 Caledonia-like, and the bottom two are Bayern/7, or
16 Johannesburg/82/96-like, in the other lineage. And
17 what you see, by and large, for these strains
18 concentrating, really, on the totals over here, for
19 all the laboratories, is that for the most part there
20 doesn't appear to be too many instances in which there
21 was as much as a 50 percent reduction from the serum
22 panels that were examined.

23 And to take that one step further, to try
24 to look at overall what the differences in magnitude
25 were, again, there is a lot of variability. But in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 most instances, at least for these strains that we are
2 looking at here, including some of the newer A/New
3 Caledonia-like strains, there is really not too much
4 of a difference in overall titer.

5 There may be some exceptions to that, in
6 this particular instance, one of the laboratories
7 found that there was more than a 50 percent reduction
8 in all of the tests. But, overall, sort of using the
9 balance of the data to try and see the consistency
10 here, it actually seems to fit in pretty well with
11 what we see for the rest of the information.

12 So in general what I would say is that it
13 doesn't seem to show a lot of reduction, the current
14 vaccines seem to be pretty reasonable at producing
15 antibodies against all of the H1N1 influenza viruses
16 that are out there.

17 There are some exceptions that we can see
18 some reductions. But, overall, it seems to be pretty
19 good.

20 And if I took this one step further to try
21 to reduce it, I would say that probably about ten
22 percent of the tests, overall, for all of the
23 serologic tests that were done, both shown here, and
24 not shown here, showed some reduction for the H1N1.
25 So it was really relatively minor there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So if we can go to the H3N2. Again, the
2 same kind of table, trying to look at differences.
3 These strains are all related to, are in the same
4 evolutionary pathway from Panama/2007, and here again,
5 I would like to call your attention mainly to the
6 totals here.

7 And what you see is that for most of the
8 strains that are included here, and there is a range
9 of strains from different locations, really there is
10 not too much in the way of reductions, and overall it
11 is really relatively minor.

12 So that for the most part I would say it
13 looks like these strains are well covered by the
14 current vaccines.

15 There are some exceptions, again. The
16 Fujian/140/2000 strain, and also the Hong
17 Kong/1923/2000 strain, in different laboratories there
18 were some reductions seen.

19 But even factoring that in with all the
20 overall data, again, it seems to be reasonably
21 consistent with what is seen for the other strains.
22 And here, again, I would say that there are some
23 reductions, but it is really pretty modest.

24 And looking at all of the strains that we
25 had of serologic testing on, again, it was in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 range of about 10 percent of the tests that were done,
2 showed a reduction of 50 percent or greater.

3 And then, finally, for influenza B, again
4 there weren't any strains that were in the other
5 lineage, so these are all strains that are in the same
6 lineage, same HA lineage as B/Yamanashi/166/98.

7 But, now, calling your attention to the
8 totals, whereas before we saw lots of zeros for 50
9 percent reductions, here we see lots of numbers. And
10 although it is not one hundred percent in homogenous
11 in every test, still there is a somewhat different
12 pattern being seen for influenza B, as compared to the
13 other two strains.

14 And the magnitude of those differences
15 also seem to be pretty consistent. It is a little bit
16 higher than for the H1N1, or for the H3N2, but it is
17 not dramatic. It certainly is nothing like what we
18 would see if we did have B/Victoria/2/87-like strains
19 on here, in which case we would see percent reductions
20 on average of about 75 or 80 percent.

21 So this is somewhat modest. But still
22 there is a fairly consistent pattern of seeing some
23 reductions in some of the tests, in some of the
24 laboratories.

25 And if I took all the tests that we did,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 to pediatric serum panels for some period of time. It
2 is very difficult to obtain that, although it would be
3 very, I think would agree, it would be very important
4 information.

5 And one thing that we don't see from
6 serologies in adults that we see in serologies from
7 children, is the effect of not having been previously
8 infected or immunized, so you have a very clean
9 baseline to work from, and very obvious differences
10 when they are present.

11 And with these particular serum panels I
12 didn't emphasize that at all. But the panels from
13 Europe this year included mostly sera that had very
14 low titers against the vaccine strains to begin with,
15 that the people who were being immunized either hadn't
16 been immunized previously, or had very low titers.

17 And so there was a very clean, from those
18 particular serum panels, like in the pediatric sera,
19 you would expect a very clean kind of difference.

20 But we agree that would be very important
21 to have information on pediatric patients.

22 CHAIR DAUM: Dr. Kohl, then Dr. Snider.

23 DR. KOHL: I'm sorry to be a little bit of
24 a pain, but we ask that question every year, and we
25 get the same answer every year. And I'm not sure what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the problem is.

2 But influenza has a considerable health
3 expense in children. There is more and more data
4 showing that it is a very significant problem. There
5 are large groups of at-risk children that are
6 recommended to be immunized. And yet every year we
7 don't have information about the vaccine in children.

8 Perhaps Dr. Myers, as part of the vaccine
9 information, whatever that group is called these days,
10 or you, but there are NIH-funded child immunization
11 centers around the country, and I don't understand why
12 some of these can't be plugged in to get us some
13 relevant data.

14 DR. LEVANDOWSKI: Well, I think you are
15 right that it is possible to do it. And FDA
16 previously had its own contract for immunization of
17 children, but it wasn't an issue of funding that
18 resulted in termination of that contract.

19 So from whatever source we could get those
20 sera I think we would be very, very interested to have
21 them. And there have been discussions about trying to
22 collaborate in that way.

23 DR. KOHL: Is there anything the committee
24 can do to help push that forward?

25 DR. LEVANDOWSKI: I think you've already

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 done it by raising the issue.

2 CHAIR DAUM: Dr. Snider?

3 DR. SNIDER: Yes, Roland. With regard to
4 the information about serological responses to the
5 influenza B vaccine strain, and the -- with the other
6 B strains that have emerged, I guess I really haven't
7 heard us, in the past, have any discussion around at
8 what level of reduction we might be concerned.

9 Is there any clinical data from years past
10 when vaccine strains have not covered well, that would
11 give us any guidance at what level we should be
12 concerned? And that is for you, Nancy, or anybody
13 that might have any information.

14 DR. LEVANDOWSKI: Right. I guess I have
15 to kind of grope a little bit to try to find some way
16 to respond to that. I think we haven't really used
17 the serologic information to tell us that people
18 aren't entirely being protected.

19 I think we've been using the serologic
20 data here to try to say that we are seeing, or we are
21 not seeing differences in the strains that are out
22 there in nature.

23 We are using human serology as a way to
24 back up what is being seen with animal serologic data.
25 And what I could say is that in past years this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 committee, when we have not -- even when there has
2 been a difference in the animal serologic data, if
3 we've not seen a very dramatic, or any change from
4 human serologic data, particularly if there wasn't
5 strong evidence that influenza viruses were spreading
6 widely, we have not had -- the committee has not used
7 that information to recommend a change in the strain.

8 And I think the answer partly goes back,
9 again, to -- I guess try to predict forward for a new
10 antigen versus what we know from strains that we've
11 already worked with is somewhat difficult.

12 And just because we have had the
13 experience where, with antigenic changes, the old
14 vaccine does not seem to have performed very well. I
15 guess we don't really know exactly what to anticipate.
16 I guess we don't know what to anticipate from any
17 vaccine that we produce until we use it.

18 DR. SNIDER: Thank you. Just behind that
19 question, I just wanted to express what I see here.
20 I think the influenza experts really have been helpful
21 over the years in really telling us that this is as
22 much an art as a science in terms of how you make
23 these kinds of decisions.

24 And I think we all recognize that. But at
25 the same time it would be nice to be thinking about if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 there are ways to make our decisions more
2 quantitatively oriented, if there are ways to build
3 models, or to use data in more quantitative ways that
4 would be predictive.

5 I realize that with influenza we are
6 talking about an extremely difficult situation,
7 because we are talking about different, three
8 different strains of viruses, and different subtypes,
9 and so forth.

10 But it is just a plea for those who might
11 be in a position, around the table, or in the
12 audience, or elsewhere, to try to be thinking about
13 how we might model this, and be more quantitative in
14 our approach.

15 CHAIR DAUM: I would like to follow up on
16 that a little bit, and try to feel the elephant from
17 a different part. And that is when these kinds of
18 data are shown, I, a totally non-influenza expert have
19 tried to keep my eye on this number of 40 that has
20 been touted as a protective level.

21 And I wonder if that is a valid way to
22 think, your opinion is whether that is a valid way to
23 consider these reductions as leaving you above or
24 below 40.

25 And, secondly, to maybe in a minute or a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 minute and a half or so, tell us where the number
2 comes from, I mean, is it an etched in stone number,
3 or is it just a feeling of the art?

4 DR. LEVANDOWSKI: Well, I wish some other
5 people could help me out here. But my understanding
6 of that is that 1 to 32, or 1 to 40 came about from
7 clinical studies that were looking at protection in
8 the clinical trials that they were done in.

9 There was a correlation between a titer of
10 greater than 1 to 32, or 1 to 40. And it wasn't
11 perfect by any means. I think in my own mind I think
12 of it as a 50 percent end point titer of a sort, that
13 you can expect a reasonable number of people will be
14 protected if you hit that titer. It doesn't mean that
15 everybody is.

16 And certainly I think that the argument
17 would be that the higher the antibody titer, and going
18 back to the argument about how high can you get, I
19 think probably there is a maximum for each individual
20 that can't be overcome.

21 Well, how high is enough? Well, as much
22 as an individual can achieve. And the 1 to 40 I think
23 was useful for trying to make some sense out of use of
24 vaccines, and whether there was protection.

25 I emphasize the geometric mean titer for

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this presentation because, again, I think what we are
2 trying to do here, because there are technical
3 differences between laboratories, because there are
4 technical differences for each antigen that we look
5 at, that seems to me the most direct comparison
6 between the vaccine strain and the newer antigens to
7 get a sort of a feel for how different are they.

8 And it really, again, is somewhat parallel
9 to what is being done looking at the differences with
10 the animal serologies, trying to get that same kind of
11 information from human serologic results.

12 I don't think we are trying to say that
13 there is or there is not protection for anybody, based
14 on what we are getting on these serologies. I don't
15 think we are trying to make that leap with this data.

16 I think we are trying to use it as a way
17 to sort out whether there are some differences in the
18 antigens. And I think the answer to whether this
19 means that there is efficacy for the vaccine, I think
20 that belongs in clinical trials, which you also have
21 told us we should be doing.

22 CHAIR DAUM: Dr. Cox, did you want to make
23 a comment, then Dr. Kilbourne.

24 DR. COX: What Roland said is true, that
25 the studies that were done to look at the correlation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 between a high titer and protection indicated that at
2 a titer of 40 or 32 you have protection from about 50
3 percent of people. If you have titers of 80 then you
4 have a greater proportion of people protected.

5 And the higher the antibody titer the more
6 likely it is that you will be protected from
7 infection. So these values aren't absolute.

8 The other caution I would like to place on
9 the table, when we are looking at serologic results
10 for influenza B viruses is that we are using ether
11 treated antigens.

12 And by using ether treated antigens we
13 really tend to obscure differences. The titers are
14 higher, but the differences between strains are
15 smaller. And the reason we use the ether treated,
16 antigen for influenza B viruses is that if we don't
17 use ether treated antigens we have such low titers
18 that we can't see differences, anyway.

19 So it is a kind of compromise that we have
20 to make.. But when you look at the data you have to
21 realize that some of the differences that do exist
22 between antigens may be obscured by the methodology.

23 CHAIR DAUM: Thank you. Dr. Kilbourne,
24 then Ms. Fisher.

25 DR. KILBOURNE: I think there is a great

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 deal of validity to that 1 to 40, or 1 to 32 figure,
2 because it is derived from, really, a very large
3 number of studies in the military, sponsored by the
4 late Influenza Commission, in which fairly comparable
5 and uniform populations of young recruits have been
6 studied.

7 So at least within that kind of population
8 it has some validity. And I think it is just a useful
9 check point. Having said that I have to remind us all
10 that every one of us sitting in this room has had
11 different experience with influenza virus antigens,
12 depending on the age of infection, depending on what
13 array of viruses we've been presented with, either in
14 vaccine or infectious form, depending on our HLA type,
15 undoubtedly.

16 So that, again, this matter of
17 generalizing, we are talking about heterogenous
18 population of virus particles in human beings.

19 So I think that it is remarkable that we
20 are able to come up with some kind of a figure such as
21 that. Remember that is a figure for hemagglutinin
22 antigen alone, we haven't even talked about the
23 neuraminidase and very seldom do. That is also a
24 contributory factor.

25 CHAIR DAUM: Thank you, Dr. Kilbourne.

1 Ms. Fisher?

2 MS. FISHER: Well, I would like to agree
3 with Dr. Snider that we do need more information, the
4 committee needs more information on the future upon
5 which to base decisions to change a strain.

6 But beyond that, because there has been so
7 much attention paid to vaccinating children with flu
8 vaccine, it seems that there needs to be some
9 systematic way of looking at different populations,
10 and how, genetic populations, and how they respond to
11 the flu vaccine, to make sure we are doing the best we
12 can in terms of appropriately using the vaccine in
13 appropriate populations.

14 CHAIR DAUM: Thank you very much.

15 DR. KILBOURNE: Could I add just one other
16 thing?

17 CHAIR DAUM: Yes, certainly.

18 DR. KILBOURNE: I think that we could do
19 what you suggest as an idea. Studies could be mounted
20 that would answer a lot of these questions much more
21 precisely.

22 But I think it would take enormous amount
23 of money, and resources, and BTUs, to really bring
24 this about. What you would have to do, for example,
25 is to take last year's vaccine and compare it directly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with its antecedent, or its successor, and really look
2 at the relative response in this kind of population.

3 That takes hundreds and hundreds of
4 people, and probably hundreds of investigators, as
5 well. And it is not entirely art versus science, it
6 is just simply a matter of resources, really.

7 And anything that this committee can do to
8 harp on that will be very gratefully accepted.

9 CHAIR DAUM: Dr. Kohl?

10 DR. KOHL: This is directed to anybody,
11 but I had Dr. Fukuda in mind. I guess every year we
12 question vaccine efficacy, and every year we talk
13 about cases of virus isolated, or virus isolated from
14 cases, and wonder what the percentage of those people
15 have been immunized, what the viruses are, and if
16 possible, what their antibody response was at the time
17 of the viral isolation.

18 Is there any chance we will get any of
19 that data, or does any of that data exist from last
20 year, for instance, to tell us how efficacious the
21 vaccine was?

22 And if there is a magic level 1 to 40.
23 And Dr. Kilbourne mentioned most of these data are
24 from young healthy military recruits who, of course,
25 aren't the population we are interested in primarily.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Does it take a different titer of antibody
2 to protect older individuals, kids, etcetera?

3 DR. FUKUDA: You know, I think that for --
4 we have talked about this for the last couple of
5 years, and I think that it has been pretty clear, for
6 a while, that it would be really useful to have annual
7 vaccine efficacy studies done.

8 And as you know the reality has been that
9 sporadically there are vaccine effectiveness studies
10 done, typically in outbreak situations. And then more
11 recently, over the last three years or so, the
12 National Immunization program at CDC has been doing
13 vaccine effectiveness studies using data from HMOs,
14 but without actually being able to ascertain the
15 vaccine status accurately.

16 And these are clearly not efficacy
17 studies. And I think it basically has come down to
18 what Ed has pointed out. I mean, these things can be
19 done, it is just freeing up the resources to do them
20 has been enormously difficult.

21 I mean, I think that any number of us here
22 would love to see those studies done. And it really
23 does just come down to resources. And so, will the
24 resources be there? I mean, clearly there is a lot of
25 interest in the, you know, potential for live

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 attenuated influenza vaccine, and so on.

2 There have been efficacy studies done in
3 support of those sorts of things, but that is clearly
4 different than the kind of annual year round studies,
5 I think, all of you would like to see done, and
6 certainly we would like to see done.

7 So that is the situation, I think.

8 CHAIR DAUM: Dr. Ferrieri, please.

9 DR. FERRIERI: Very briefly. I would
10 support those types of studies recognizing the
11 financial limitations, because it might put to rest
12 some of the anecdotal impressions that we've had on
13 occasional years that the vaccine hasn't been, "as
14 good as other years". And last year was a year in
15 point.

16 And although there has been denial at
17 many, many levels that there were breakthroughs, there
18 are scientific people who claim that in their cities
19 they had antigen test results in patients who had been
20 vaccinated with influenza virus vaccine, who did have
21 positive tests.

22 And there are all sorts of little pockets
23 of unrest about this. But we need to have answers to
24 that, so that we don't just speculate, were there
25 breakthroughs or not.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIR DAUM: Dr. Cox, did you want to say
2 something?

3 DR. COX: I will try to make a brief
4 comment about that. I think that we, those of us who
5 have been involved with influenza activities for a
6 number of years, do recognize that every year we
7 received influenza viruses that were isolated from
8 people who are vaccinated.

9 In some years we receive more viruses than
10 other years, and we are not quite sure if that
11 reflects a decrease in vaccine effectiveness, or
12 whether there just is more flu going around, and so
13 there are more people who have breakthroughs.

14 I think that there is a wealth of
15 literature, and a very long history, of studies which
16 have shown influenza vaccine to be safe and effective
17 in young adults, in elderly, and so on.

18 But where we really don't have as much
19 information is in children. And I think that we have
20 to try to have our resources focused on those studies
21 that might be most useful in directing activities for
22 the future, and also link into data that already
23 exists, because it is probably impossible for us to
24 mount vaccine efficacy studies every year in all the
25 different groups that we would really like to see them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in.

2 CHAIR DAUM: Dr. Ferrieri?

3 DR. FERRIERI: Briefly. At the ACAC
4 meetings in the fall there was data presented that is
5 no big surprise, but supporting the hospital admission
6 rates of young children admitted with influenza, and
7 the influenza complications.

8 So it would be wonderful to have more
9 data, because vaccination could have an enormous
10 impact on health as well as health economics in young
11 children.

12 CHAIR DAUM: I think it is -- I would like
13 to congratulate the people who presented data today
14 from all the agencies, and from international agencies
15 as well, because I've been on this committee several
16 years now, and I have never heard the influenza study
17 of the year laid out as nicely as I thought it was
18 today.

19 But at the same time I think this
20 committee is trying to say something. What I think we
21 are trying to say, and the consensus that I hear
22 around the table is that this is a health care
23 intervention which we, as a society, have committed
24 to, to the tune of 70 million doses a year.

25 And that we are wondering, each year,

1 whether the vaccine is working; we are wondering
2 whether our children, who clearly have a bigger burden
3 of disease than perhaps was realized before, are being
4 protected by this.

5 And I don't -- there aren't too many
6 health care interventions that are quite this massive.
7 And I think that it is time to ask our governmental
8 health care agencies to initiate the process to commit
9 the resources to this task, so that it gets done.

10 I think the committee says the same things
11 every year about what is missing. And although I
12 really mean it when I congratulate everybody that the
13 presentations today were just really fine, we need
14 these additional resources, we need this additional
15 information.

16 And I would hope that when we plan our
17 vaccine, or help you plan it next year, that someone
18 has made a commitment to start moving this process
19 forward.

20 And with that we come to a very practical
21 part of the study, and that is Dr. Ye Zhiping, I hope
22 I'm not butchering his name, from the FDA, will tell
23 us that no matter what vaccine we think we would like
24 to design, we need a sense of practicality here.

25 And we will now hear about the

1 availability of strains and reagents, before moving on
2 to comments from the manufacturer representative.

3 MR. YE: In a short presentation I will
4 represent the status, as of today, of the potency
5 reagents and candidate vaccine strains.

6 In terms of potency reagents the current
7 vaccine is available for the vaccine is for H1N1 is
8 New Caledonia/28/99. For H3N2 is a Panama/20007/99,
9 and for B is B/Yamanashi/166/98.

10 In terms of candidate for the new strains
11 choosing the reagent will be available in May, at the
12 earliest.

13 And in terms of the vaccine candidate, the
14 current vaccine for New Caledonia, H1N1, this strain
15 give you a moderate virus yield. And the reassortant
16 possessing protection antigen from New Caledonia, this
17 reassortant giving you a high yield.

18 And since the strain of New Caledonia is
19 a predominant strain currently we don't have new
20 antigenically divergent strain now.

21 And in terms H3N2, still the Panama/2007
22 is the current vaccine. And, again this one giving
23 you moderate virus yield, and the reassortant give you
24 a high yield.

25 And although there are another three

1 reassortant, the three reassortant does not offer any
2 advantage over this reassortant. And the candidate
3 for the new strain of the new vaccine is A/Ulan
4 Ude/1/2000.

5 And this strain give you moderate virus
6 yield, and the reassortant give you a high yield.

7 The final one is the B strain, the current
8 vaccine for B strain, is the B/Yamanashi/166/98, and
9 this strain give you a moderate virus strain. And
10 there are possible 3 candidate for B strain, getting
11 the data from the protection serum generated from this
12 virus against the new isolate strain.

13 And one is B/Johannesburg/5/99.
14 Unfortunately this strain give you a low yield. And
15 the B/Victoria/504/2000, and this one give you
16 moderate yield.

17 Another one is B/Alaska/16/2000, and this
18 one give you a low yield. Again is for B strain we
19 don't have areassortant right now. That is it, thank
20 you.

21 CHAIR DAUM: Thank you, Dr. Ye. We have
22 an opportunity for questions for Dr. Ye. Dr. Estes,
23 start us off.

24 DR. ESTES: You listed, on the B strains
25 the current strain that gives a moderate yield, and

1 then a candidate strain, the Johannesburg, that gave
2 a moderate yield.

3 Are those moderate yields really
4 considered sort of similar?

5 MR. YE: Yes, for B it is compared to A
6 the B is usually four times lower than B, B four times
7 lower than A. So that strain is pretty close, around
8 200 by inhibition, hemagglutinin HA titer.

9 DR. LEVANDOWSKI: Can I make a comment,
10 also?

11 CHAIR DAUM: Please.

12 DR. LEVANDOWSKI: Actually the
13 B/Johannesburg strain is a very low yielding strain,
14 if that is what your question was? The
15 B/Johannesburg/5/99, we had discussed that at last
16 year's meeting in March.

17 The B/Victoria/504/2000 is somewhat better
18 yielding. We are calling it moderate, but it is
19 really early days for that strain, and I don't think
20 that we have certainty, although we may hear some
21 information from the manufacturers about this.

22 And Zhiping did not really emphasize, but
23 there have been, probably, 15 or so of those strains
24 that have been sent off to manufacturers to take a
25 look at, to get some information on growth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 characteristics.

2 And I would say the good news is they are
3 very homogenous, but the bad news is they are all
4 pretty much low yielding.

5 CHAIR DAUM: So if that last slide flashed
6 back up? On the B strains, the yield at the bottom of
7 the slide, so is Victoria truly better than the other
8 two, or are you saying that is really open to
9 question?

10 DR. LEVANDOWSKI: Well, I think we don't
11 know the full answer to that. But I think from what
12 we do know it is a better strain than
13 B/Johannesburg/5/99, or any of the others. It seems
14 to be the one that we hear from manufacturers is the
15 best of the bunch.

16 But that is not to say that it is as good
17 as it could be, or as good as might be desired.

18 CHAIR DAUM: Dr. Ferrieri, I couldn't tell
19 whether you wanted to make a comment, or not?

20 DR. FERRIERI: No, I just wanted to
21 emphasize the low yield of the B/Johannesburg, the
22 impression given perhaps was that it was also
23 moderate.

24 CHAIR DAUM: Dr. Kohl, then I think Dr.
25 Myers, and Dr. Griffin.

1 DR. KOHL: The WHO has recommended for the
2 southern hemisphere the Sichuan/379. Are these all in
3 the Sichuan/379 group? I'm looking at the dendrogram,
4 and I'm not -- the Vic looks like it is not quite in
5 the group, or is that --

6 DR. LEVANDOWSKI: We might defer to Nancy,
7 but my understanding is that we should consider the
8 B/Victoria/504/2000 strain as a Sichuan/379/99-like
9 strain. And there are many others, too, that are in
10 that category.

11 DR. COX: That is correct. And we
12 actually put quite a bit of effort into making egg
13 isolates of B/Sichuan/3/79-like viruses, and have
14 recently distributed some of these strains to the
15 manufacturers.

16 So there are some additional strains that
17 have gone out, but there are just no data yet on
18 whether they are going to be any better than the
19 existing ones.

20 So we are trying to work through a whole
21 series of strains that are B/Sichuan-like.

22 CHAIR DAUM: Dr. Myers, then Dr. Griffin.

23 DR. MYERS: I was just going to ask about
24 the neuraminidase because if there is as much drift in
25 the neuraminidase as there is in the hemagglutinin in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the B strains, whether we should be considering that.

2 CHAIR DAUM: Does anyone want to comment?

3 DR. LEVANDOWSKI: Well, maybe -- okay, I
4 will jump in.

5 CHAIR DAUM: Thank you.

6 DR. LEVANDOWSKI: The vaccines are really
7 standardized, as I mentioned, for hemagglutinin and
8 not for neuraminidase. We would like for both the
9 hemagglutinin and neuraminidase to match, if possible,
10 or to be as good a match as possible.

11 As Dr. Kilbourne mentioned there may be
12 some, and I did too, there may be some protection from
13 the neuraminidase and this committee has told us, in
14 the past, that they thought it would be best for both
15 the hemagglutinin and/or neuraminidase to match up.

16 What I would ask, as a question for Nancy
17 Cox again, or for anybody else from one of the WHO flu
18 centers, is how much difference is there,
19 antigenically, in the neuraminidases for these
20 Sichuan/379/99-like strains, is there a substantial
21 difference?

22 DR. COX: We don't know, we don't have
23 neuraminidase inhibition tests for influenza B
24 viruses. Most labs have not been doing neuraminidase
25 inhibition tests for almost eight to ten years now.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We are actually putting quite a bit of
2 effort into renewing efforts to do neuraminidase
3 inhibition testing on H3N2 viruses. But it is a
4 difficult process to get the reassortants that you
5 know, the proper reassortants to do the right kind of
6 testing.

7 CHAIR DAUM: Thank you, Dr. Cox. Dr.
8 Griffin?

9 DR. GRIFFIN: My question follows along
10 the same line, but I was thinking of the H3N2, because
11 there the neuraminidase definitely looks like it has
12 drifted away.

13 I noticed that the Ulan Ude strain does
14 have neuraminidase that is much closer to the drifted
15 variety, or the current selection to the neuraminidase,
16 of the current strains.

17 And I guess not being an influenza
18 virologist I wondered what the possibility was, just
19 reassorting that neuraminidase into the current
20 vaccine, H3N2 selection?

21 MR. YE: Usually this neuraminidase
22 antibody is not protective antibody compared to HA.

23 DR. GRIFFIN: I wasn't talking about
24 changing the HA, I was going to leave the HA, and I
25 was just going to add in the neuraminidase that was

1 more closely related to the current strains. But
2 maybe that viruses would not grow, but I don't know.

3 DR. FERRIERI: The point is that it
4 wouldn't matter because it wouldn't contribute much in
5 the overall protection, is my understanding of the
6 issue.

7 DR. KILBOURNE: I think that is a
8 misunderstanding, it has been misrepresented by the
9 last two speakers. It is a very important contributor
10 to influenza virus immunity. And it simply has been
11 ignored through the years because it is a test of
12 measuring its antigenicity are somewhat difficult
13 technically.

14 But I think it is high time we pay
15 attention to this. They are doing this in Europe, a
16 manufacturer over there. There is not even a
17 requirement, currently, that there be neuraminidase in
18 the vaccine.

19 I think in view of our vastly mature
20 animal studies, this is an intolerable position.

21 DR. GRIFFIN: Not being able to measure it
22 is not the same as not being important, it seems to
23 me.

24 DR. FERRIERI: Well, and given the newer
25 agents out on the market that may have some impact on

1 the disease suggests, again, its role.

2 And so, I'm sorry, I didn't mean to
3 diminish its importance, but I came away with the
4 impression, from the previous discussants, that the HA
5 antigen induced antibodies that may have been more
6 protective.

7 Do you have evidence that if you
8 inactivated the gene for HA that you could still
9 achieve the same virulence of any of these influenza
10 vaccines?

11 DR. KILBOURNE: HA is undoubtedly the
12 dominant, more important antigen. There is no
13 question about that. But why ignore the other surface
14 glycoprotein, which is also contributing to antibody
15 formation and immunity.

16 And a further point about that, while I
17 have the floor, briefly, is that if there is going to
18 be a divergence of evolution of the HA and NA, as has
19 been shown, then we have to be cognizant of this.

20 We might actually have to fabricate
21 reassortants that are high yield, which are antigenic
22 hybrids. Snatching, as you suggest, the HA from one
23 and the NA from another, that adds to the complexity
24 of the whole formula, though, which nobody is anxious
25 to do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrross.com

1 But I think it has to be clearly stated
2 and abundantly made in the literature that it is a
3 very important antigen, and will protect by itself.
4 But it's infection from permissive immunization, which
5 does not prevent infection, but it may prevent disease
6 when given in adequate quantity, all by itself.

7 CHAIR DAUM: Dr. Huang?

8 DR. HUANG: I would like to focus us back
9 on influenza B strains and the candidate strains that
10 we have in front of us.

11 I guess a very simplistic question, and
12 I'm not sure why I don't understand this, is that if
13 we already have the Sichuan/379/99 used as a reference
14 viruses, why that isn't already one of the candidate
15 strains?

16 DR. COX: Simply because it doesn't grow
17 satisfactorily for vaccine production. It was the
18 prototype reference strain that was first identified
19 as being a variant.

20 And so it has been used in HI tests, and
21 in serologic tests for both the southern hemisphere
22 recommendation and for our own considerations here.
23 But it just doesn't grow well.

24 CHAIR DAUM: Dr. Levandowski wants to make
25 a comment, and then Dr. Ferrieri.

1 DR. LEVANDOWSKI: It would be analogous to
2 our previous vaccine, that our recommendation was
3 actually for a B/Beijing/184/93-like strain, but we've
4 been using something else since that recommendation
5 was made.

6 So the reference strain may not be the
7 actual strain, and that has been true right along for
8 vaccines.

9 CHAIR DAUM: Dr. Ferrieri?

10 DR. FERRIERI: I would like to go back to
11 the serology studies with influenza B. I must say I
12 don't feel I've memorized all the data that you
13 presented.

14 But in focusing on the very at-risk
15 population of the elderly, it would appear that the
16 titers, post-vaccination, are rather comparable
17 against B/Yamanashi, Victoria, and others.

18 And the percent of four-fold rise,
19 although not real impressive might be, again,
20 supported by the fact that they had rather high pre-
21 vaccination titers in the elderly, 60-some, 58, and so
22 on.

23 And so I fail to see, I would like you to
24 refresh my memory, which has obviously been very
25 transient, about the inadequacy, if any, of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 serology responses, serologic responses to
2 B/Yamanashi.

3 I don't see a sufficient evidence for
4 examining a seriously candidate strains, although I
5 appreciate the antigenic studies.

6 CHAIR DAUM: Does someone want to tackle
7 that one? Roland.

8 DR. LEVANDOWSKI: I tried to give the
9 impression that there is evidence for antigenic drift
10 as shown through the human serologic results.

11 And what I said, I think, was that what we
12 see is, for the most part, what would be considered
13 moderate, a moderate difference between the current
14 vaccine strain and the Sichuan/379/99-like strains, in
15 all the strains that have been examined overall, in
16 fact.

17 There are some serum panels that were
18 tested where there was a more dramatic decrease seen.
19 But, overall, as I tried to do, to put it into some
20 larger context, I guess we would have to state that
21 what we see is really a rather modest effect in terms
22 of reduction of antibody responses against those newer
23 strains.

24 But it is consistent with antigenic drift
25 going on within influenza B strains. And it is a more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701