

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH
VACCINES AND RELATED BIOLOGICAL PRODUCTS

3692 02 FEB 20 19:23

ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

WEDNESDAY,

JANUARY 31, 2001

The meeting was held at 9:00 a.m. in the Versailles Rooms I, II, and III of the Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, DR. ROBERT DAUM, Acting Chair, presiding.

PRESENT:

- MARY K. ESTES Ph.D.
- STEVE KOHL, M.D.
- KWANG SIK KIM, M.D.
- ALICE S. HUANG, Ph.D.
- ROBERT S. DAUM, M.D.
- DIXIE E. SNIDER JR., M.D., M.P.H.
- DAVID STEPHENS, M.D.
- DIANE E. GRIFFIN, M.D., Ph.D.
- AUDREY F., MANLEY, M.D., M.P.H.
- PAMELA DIAZ, M.D.
- BARBARA LOE FISHER
- JUDITH D. GOLDBERG, D., S.c.D
- WALTER L. FAGGET, M.D.

NANCY CHERRY
Executive Secretary
DENISE ROYSTER
COMMITTEE MANAGEMENT SPECIALIST

OPEN

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

This transcript has not been reviewed or corrected, but appears as dictated from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

CONSULTANTS PRESENT:

DR. PATRICIA FERRIERI
DR. MARTIN MYERS
DR. JUDY GOLDBERG
DR. MICHAEL O'FALLEN
DR. JEFFREY DAVIS
DR. PAT COYLE
DR. BEN LUFT
DR. WAYNE RAY
DR. RAY DATTWYLER
DR. ROBERT BALL
DR. SUE ELLENBERG

FDA REPRESENTATIVES PRESENT:

DR. KAREN MIDTHUN
DR. PATRICIA ROHAN

MANUFACTURER REPRESENTATIVES:

DR. CLARE KAHN - SmithKline Beecham
DR. YVES LOBET - SmithKline Beecham
DR. FRANCOISE MEURICE - SmithKline Beecham
DR. BERNARD HOET - SmithKline Beecham
DR. RICHARD PLATT - SmithKline Beecham
DR. DAVID WHEADON - SmithKline Beecham

VAERS REPRESENTATIVE:

DR. ROBERT BALL

PUBLIC PRESENT:

DR. SIDNEY M. WOLFE
KAREN FORSCHNER
STEPHEN SHELLER
JENNY MARRA
KAY LYON
EMILY S. BEIGEL
LYNN LANE
JOHN HARDY
PAT SMITH
LORI GELBART
LINDA SCHARF-LURIE
TERRY ELIAS
DAVID WELD
PAT EASTON

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

PUBLIC PRESENT: (Cont.)

DR. KENNETH DARDICK
KAREN BURKE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Call to Order/Welcome	5
Dr. Robert Daum, Acting Chair	
Presentation by Dr. Bart Classen	9
 <u>Session 2 - OPEN session</u>	
<u>SmithKline Beecham's LYMERix Lyme Disease Vaccine Safety Update</u>	
Introduction - Dr. Karen Midthun, FDA	13
 <u>FDA Presentation on Pre-Licensure Safety</u>	
Dr. Patricia Rohan, FDA	14
 <u>Sponsor's Presentation on Pre-Licensure Safety Data</u>	
Dr. Clare Kahn	25
Dr. Yves Lobet	32
Dr. Francois Meurice	53
Dr. Bernard Hoet	76
Dr. Richard Platt	78
 <u>FDA Presentation on Post-Licensure Safety Data</u>	
Dr. Robert Ball	129
Open Public Hearing	150
Committee Discussion	223

P-R-O-C-E-E-D-I-N-G-S

(9:05 a.m.)

1
2
3 CHAIR DAUM: We are gathered, or about to
4 be gathered, I guess, in a slightly unusual
5 configuration today, in that some of our FDA
6 colleagues are going to be joining us at the meeting
7 table, if they haven't already.

8 I would like to begin in our usual way of
9 asking the committee members to introduce themselves.
10 And with all due respect from criticism I received
11 yesterday, we will start with Dixie this morning, if
12 you wouldn't mind.

13 DR. SNIDER: Dixie Snider, Centers for
14 Disease Control and Prevention.

15 DR. STEPHENS: David Stephens, Emory
16 University, Atlanta, Georgia.

17 DR. KIM: Kwang Sik Kim, Johns Hopkins.

18 DR. GRIFFIN: Diane Griffin, Johns
19 Hopkins, in Baltimore.

20 DR. KOHL: Steve Kohl, Oregon Health
21 Science University.

22 DR. MANLEY: Audrey Manley, Spellman
23 College, Atlanta, Georgia.

24 DR. DIAZ: Pamela Diaz, Chicago Department
25 of Public Health.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. FISHER: Barbara Loe Fisher, National
2 Vaccine Information Center.

3 DR. FAGGET: Walt Fagget, private
4 practice, pediatrics, National Medical Association.

5 DR. ESTES: Mary Estes, Baylor College of
6 Medicine, Houston, Texas.

7 DR. FERRIERI: Patricia Ferrieri,
8 University of Minnesota Medical School, Minneapolis.

9 DR. MYERS: Martin Myers, National Vaccine
10 Program Office.

11 DR. GOLDBERG: Judith Goldberg, New York
12 University School of Medicine.

13 DR. O'FALLEN: Michael O'Fallen, Mayo
14 Clinic.

15 DR. DAVIS: Jeff Davis, Wisconsin Division
16 of Public Health.

17 DR. COYLE: Pat Coyle, SUNY, Stonybrook.

18 DR. LUFT: Benjamin Luft, SUNY,
19 Stonybrook.

20 DR. RAY: Wayne Ray, Vanderbilt
21 University, Nashville, Tennessee.

22 CHAIR DAUM: Thank you very much. I'm
23 Robert Daum from the University of Chicago.

24 I would like to turn the floor over now to
25 Nancy Cherry, who will read the conflict of interest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 statement.

2 MS. CHERRY: Before I do that I would like
3 to add a welcome to Dr. Daum, welcome to you, and make
4 my usual announcement which is, for any of you that
5 are parked in the public parking area across the
6 street, please be vigilant, don't let your meter run
7 out of quarters, because those lots are checked very
8 carefully.

9 I would also like to just make a note for
10 the record that the arrangements for today's meeting
11 were made by Denise Royster, who is the Committee
12 Management Specialist. And you will find her at the
13 front desk, assisted by Rosanna Harvey, and Sheila
14 Langford. And I know Sheila is in the room. Rosanna
15 is in the room, I guess Denise is probably at the desk
16 right now.

17 Now, for the conflict of interest
18 statement.

19 The following announcement addresses
20 conflict of interest issues associated with the
21 meeting of the Vaccines and Related Biological
22 Products Advisory Committee of January 31, 2001, for
23 the discussion regarding a vaccine for the prevention
24 of lyme disease.

25 To determine if any conflicts of interest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 existed, the Agency reviewed the submitted agenda, and
2 all financial interests reported by the meeting
3 participants.

4 As a result of this review, the following
5 disclosures are made related to the discussions
6 regarding lyme disease. Dr. Alice Huang has recused
7 herself from this discussion; Dr. Jeffrey Davis has
8 been granted a waiver in accordance with
9 18USC208(b)(3), which permits him to participate fully
10 on the discussions on lyme disease.

11 Drs. Dattwyler, Daum, Ferrieri, Goldberg,
12 Griffin, Katz, Kohl, Luft and Snider have associations
13 with firms that could be, or appear to be, affected
14 by the committee discussions.

15 However, in accordance with 18USC208 and
16 section 2635502, of the Standards of Conduct, it has
17 been determined that none of these associations is
18 sufficient to warrant the need for a waiver, or for a
19 written appearance determination.

20 In the event that the discussions involve
21 specific products or firms not on the agenda, and for
22 which FDA's participants have a financial interest,
23 the participants are reminded of the need to exclude
24 themselves from the discussions. Their recusals will
25 be noted for the public record.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 With respect to all other meeting
2 participants we ask, in the interest of fairness, that
3 you state your name, and affiliation, and any current
4 or previous financial involvement with any firm whose
5 products you wish to comment on.

6 CHAIR DAUM: Thank you very much, Nancy.
7 Before we proceed to the open session, and the topic
8 of the day, I would like to call on Dr. Bart Classen,
9 who wishes to address the committee in open public
10 hearing for five minutes.

11 Dr. Classen?

12 DR. CLASSEN: Thank you. I have been here
13 before the Committee on the past to present some data
14 on a large prospective randomized clinical trial where
15 we looked at the development of insulin dependent
16 diabetes, and auto-immunize disease where you were
17 looking for as a marker of toxicity from the vaccine.

18 This study initially was published in the
19 New England Journal of Medicine. And the group here,
20 one group received four doses, one group received one
21 dose, they were randomized, and we also have a control
22 group that didn't receive any vaccine at all.

23 And I presented this slide before to the
24 group. The group that got four doses of vaccine had
25 the highest incidence of diabetes. The group that got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three doses, I mean, one dose, had intermediate level.
2 And the group here that received no vaccine had a low
3 accumulative instance of diabetes.

4 We've actually published some of this in
5 the British Medical Journal. More recent analysis,
6 however, has shown statistically significant clusters.
7 And this is one point I wanted to bring to you, is
8 that we found that all the -- this is the group that
9 received four doses of vaccine, starting at three
10 months of age, shown here in the blue. And this is
11 the group that received one dose at 24 months.

12 The curves diverge at around three years
13 and a quarter after the vaccine is given. They are,
14 otherwise, super-imposable. And then we see a
15 statistically significant cluster occurring right here
16 about three and a quarter years after the vaccine is
17 given.

18 This is the group that got one dose of
19 vaccine, starting at 24 months of life, and actually
20 on average the vaccine was given around 26 months of
21 life.

22 And this is a control group that got no
23 vaccine. While there is some slight divergence here,
24 the groups are essentially superimposable until,
25 again, three years and a quarter after the vaccine is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 given, when we see a statistically significant
2 cluster.

3 So, again, in two different analysis we
4 see the same cluster, a statistically significant
5 cluster occurring around three years and a quarter
6 after the vaccine is given. And we think this is
7 strong support for a causal relationship.

8 Furthermore we have done additional animal
9 studies now, both -- these are in diabetes prone mice.
10 Both groups got hepatitis B vaccine at birth, and at
11 one month. However, the group in blue got HIB, DTP,
12 AP, and inactivated polio vaccine starting around ten
13 weeks of life, and they got three doses.

14 Again you see here the group that got the
15 vaccines had the higher risk of diabetes,
16 statistically significant. Again, this is strong
17 support for a causal relationship.

18 There is a number of people out in the
19 public that are calling for decreased number of doses
20 of certain vaccines like the Pertossis vaccine, and
21 the inactive polio vaccine, and our data supports this
22 immunization schedule.

23 The last point I wanted to make, our last
24 slide, was that during the Pevnar presentation, the
25 group from Kaiser presented some data suggesting that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they would expect 11 cases of diabetes in each of the
2 groups of about 18,000 with a two year followup.

3 This amounts to 58 cases per 100,000.
4 This is what they would expect if there was no
5 increased risk of diabetes from Plevnar. Well,
6 Finland has the highest incidence of diabetes in the
7 world, and we found only 30 cases per 100,000 when we
8 looked at a two year followup.

9 So for some reason the Kaiser calculations
10 were that they would expect twice the rate of diabetes
11 in their groups than Finland, which has the highest
12 instance of diabetes.

13 Clearly we think that there may be some
14 miscalculations, or something is amiss, when they
15 expect that if the Plevnar didn't cause diabetes they
16 would have this very high rate of diabetes.

17 And so we think that this data should be
18 made public so that we can further analyze this, and
19 find out, and track the incidence of diabetes in the
20 Plevnar groups to ensure the safety of Plevnar.

21 That is all I have today, to say, and I
22 want to thank you for the time to speak to the
23 committee. Any questions?

24 CHAIR DAUM: Thank you Dr. Claussen. I
25 would like to move now to the open session. The FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 members could, at this point if they wish to, join us
2 at the table.

3 And we are going to begin by calling on
4 Dr. Karen Midthun to introduce the topic to us. Dr.
5 Midthun?

6 DR. MIDTHUN: Good morning, and welcome.
7 The topic for today's Advisory Committee will be the
8 Lyme disease vaccine, LYMERix.

9 This vaccine was licensed in December of
10 1998 for the prevention of Lyme disease in individuals
11 15 to 70 years of age. This vaccine contains
12 recombinant outer surface protein A, so called OspA.
13 OspA is a major outer surface protein of Borrelia
14 burgdorferi, the bacterium that causes Lyme disease.

15 Since licensure some members of the public
16 have expressed safety concerns regarding this vaccine.
17 What we will do today is review the available safety
18 data, the cautions that have been taken, and our plans
19 for continued safety evaluation of this vaccine.

20 We will provide an overview of the safety
21 data, both that which was available at the time of
22 licensure, as well as additional safety data that have
23 accrued since that time, from two major sources.

24 One source is the phase IV study, which
25 was part of the post-licensure commitment, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 SmithKline Beecham made at the time of licensure, and
2 the second is adverse events which have been reported
3 to the vaccine adverse event reporting system.

4 And what we would like is for the Advisory
5 Committee to discuss the safety data, and the plans
6 for continued safety evaluation of this vaccine.

7 And with that introduction I would like to
8 introduce Dr. Patricia Rohan, medical officer in the
9 Office of Vaccines in the Center for Biologics, who
10 will give the first presentation for FDA.

11 DR. ROHAN: Good morning, everyone. I
12 would like to briefly review the pre-licensure safety
13 data for LYMERix, and then to update you with respect
14 to safety related activities that have been conducted
15 since the time of licensure.

16 CHAIR DAUM: Could you adjust the
17 microphone, Dr. Rohan, so that you speak -- that is
18 probably a little better, thank you.

19 DR. ROHAN: First of all a little
20 background. Lyme disease was first recognized in the
21 mid and late 1970s, and has become the most common
22 U. S. vector borne disease. It is endemic in several
23 areas of the United States, with over 90 percent of
24 the reported cases occurring in approximately 150
25 counties located in the northeastern and mid-Atlantic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seaboard, and upper north central United States.

2 The peak disease transmission season in
3 late spring through summer, is coincident with the
4 feeding of the nymphal tick, the most common source of
5 human infection.

6 The phase 3 pivotal efficacy study was a
7 perspective multi-center, randomized, double blind
8 placebo control trial. It was conducted over two lyme
9 disease transmission seasons, and conducted at 31
10 sites in areas known to be endemic for lyme disease.

11 It enrolled approximately 11,000 subjects
12 who were equally randomized to either receive the lyme
13 disease vaccine, or a placebo, which was the adjuvant
14 alone. Vaccination was administered intra-muscularly
15 at 0, 1, and 12 months, and the blinded observation
16 period was 20 months.

17 There were several exclusion criteria,
18 including the following. Physician diagnosed chronic
19 joint or neurologic illness related to lyme disease,
20 current disease associated with joint swelling or
21 diffused joint or muscular pain, a known second or
22 third degree atrial-ventricular cardiac conduction
23 block, or cardiac pacemaker, pregnancy, or breast
24 feeding.

25 As you can see the study had slightly more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 males enrolled. The group was overwhelmingly white,
2 the treatment groups were similar in terms of age and
3 gender, with the mean age 46 years.

4 With respect to efficacy, prevention of
5 definite cases of lyme disease in the first year,
6 following two doses of the LYMERix lyme disease
7 vaccine, there was 50 percent efficacy seen. And in
8 the second year following the third dose of LYMERix,
9 78 percent efficacy.

10 And there was no difference detected in
11 lyme disease manifestations when vaccinees were
12 compared to placebo recipients.

13 Safety was monitored in a variety of ways.
14 First of all, solicited adverse events were studied in
15 a subset of 938 subjects via four day diary cards
16 which were administered immediately following each
17 vaccination, and subjects were specifically queried so
18 that their responses could be compared between groups.

19 There was also routine monitoring of all
20 subjects, including clinic visits at 0, 1, 2, 12, 13
21 and 20 month. At each clinic visit the subjects were
22 asked regarding the onset of any new adverse events
23 since their last visit or postcard.

24 Safety postcards were used over the lyme
25 disease seasons, five times in the first year, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 three times in the second year, to gather more data
2 during the actual transmission season.

3 After unblinding at month 20 an additional
4 safety postcard was used at month 24 to collect
5 additional safety data, and a data safety monitoring
6 board was in place.

7 As you can see the results of the
8 solicited adverse events from the diary card data
9 showed that there were significantly increased rates
10 of redness, soreness, swelling, arthralgia, fatigue
11 and rash in the vaccinee group versus the placebo
12 group.

13 Also for adverse events in all subjects,
14 which were reported within 30 days of vaccination,
15 there were increased rates of injection site pain,
16 injection site reaction, chills and rigors, fevers,
17 and myalgia in the vaccinee group, when compared to the
18 placebo.

19 And I included data from the category
20 arthralgia to show you that there was not a
21 statistically significant difference between vaccinee
22 and placebo overall in the 30 day period post-
23 vaccination.

24 Also for adverse events occurring in all
25 subjects, overall, more than 30 days after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccination, there was no particular pattern of
2 adverse events, differences between the placebo and
3 vaccine recipients.

4 I also included data here to show you that
5 the arthralgia rates, the arthritis, arthrosis,
6 myalgia, and tendinitis were approximately the same in
7 both the vaccinee and placebo group for events
8 occurring, again, more than 30 days after vaccination.

9 The study also looked at subjects who had
10 a history of lyme disease prior to entry into the
11 study. There were 1,206 subjects who self-reported a
12 history of lyme disease. That group reported
13 increased musculoskeletal adverse events, whether they
14 were a member of the vaccinee, or the placebo group,
15 when you compared them to subjects who had no history
16 of lyme disease in those respective groups.

17 But there was an increased rate of
18 musculoskeletal adverse events in the vaccinees versus
19 the placebo recipients, both of whom had a history of
20 lyme disease in the immediate 30 day period following
21 vaccination.

22 But that difference did not persist beyond
23 30 days, after 30 days there was no difference between
24 vaccinees and placebo subjects who had a history of
25 lyme disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The study also examined western blot
2 positivity at baseline. Baseline serology was
3 examined in subjects who had a positive or equivocal
4 western blot when they were seen at a clinic visit for
5 suspected lyme disease.

6 And also all subjects who were tested in
7 routine testing at month 12 or 20, if they were found
8 positive they had retrospective analysis of their
9 baseline sera, which was stored.

10 Using this approach 250 subjects were
11 found to be positive by western blot out of 628
12 subjects tested. However, the nature and incidence of
13 the adverse events did not differ between vaccinees who
14 were western blot positive, and vaccinees who were
15 western blot negative.

16 The overall lyme safety data base includes
17 information on 18,047 doses of LYMERix, and this is
18 the 30 microgram dose that is currently licensed. And
19 the subjects exposed are 6,478, at least 15 years of
20 age.

21 And I would point out that this group of
22 subjects is largely composed of subjects in the
23 efficacy trial of 5,400 and some patients.

24 This committee met May 28, 1998 and
25 unanimously decided that the pre-licensure data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 supported the safety and efficacy of LYMERix given on
2 a 0, 1, 12 month schedule in adults.

3 There were a number of recommended
4 additional requests for post-marketing data. And at
5 the time of licensure several post-marketing
6 commitments were agreed to.

7 And I would like to briefly discuss a
8 couple of these in more detail. But just overall to
9 tell you that the phase IV study was planned to
10 evaluate 25,000 vaccinees. It was agreed that
11 completion of a cellular immunity study, pre-clinical
12 reproductive toxicity study, and a pregnancy registry.

13 The phase IV perspective cohort study, its
14 main purpose is to evaluate LYMERix as a risk factor
15 for new onset inflammatory arthropathy. In addition,
16 various selected musculoskeletal and neurologic
17 parameters are being compared, as well as serious
18 adverse events.

19 Vaccinees will be age and gender matched to
20 controls at a ratio of one to three. The study was
21 begun in January 1st, 1999, and as of November 6,
22 2000, approximately two years later, there are 2,568
23 vaccinees under study, and I point out that this is
24 about 10 percent of the planned 25,000 phase IV
25 vaccinees.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The phase IV cohort safety study, when it
2 is completed, with 25,000 vaccinees and 75,000 non-
3 vaccinees, will have an 80 percent power to detect
4 doubling of events occurring at a rate of three per
5 10,000 in a non-vaccinee group.

6 The cellular immunity study was designed
7 as an exploratory study to describe the cellular
8 response to OspA protein in humans. Additionally
9 there was interest because it had been postulated that
10 vaccinees with a DR4 allele could be at risk for
11 arthritis, based on several factors.

12 Lyme disease has been observed to persist
13 for months to several years, despite antibiotic
14 treatment in a subset of patients with lyme arthritis.
15 There has been an association reported between the DR4
16 allele, and treatment resistant lyme arthritis.

17 Also DR4 is one of several alleles that
18 has been associated with disease severity in
19 rheumatoid arthritis.

20 The study was completed, the results have
21 been reviewed. And as I described initially, it is an
22 exploratory study designed to describe cellular immune
23 response in subjects exposed to OspA vaccine.

24 It is of limited power. However, it
25 failed to identify an association between vaccination

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and arthritis in DR4 subjects.

2 I would like to acknowledge reviewers and
3 other individuals at FDA who helped review this data
4 over the last several years, and helped in the
5 preparation of this presentation.

6 Now I would like to turn the podium over
7 to the sponsors so that they might also address this
8 data. And thank you for your attention, unless there
9 are any questions.

10 CHAIR DAUM: Thank you, Dr. Rohan, for
11 your presentation.

12 We have time for some questions from the
13 committee. If there are any. Or, of course, our
14 guests or consultants today. Dr. Griffin?

15 DR. GRIFFIN: With respect to the cellular
16 immunity studies it sounds, from your presentation,
17 like they were confined to the DR4 positive subjects.
18 Or was there a group that is DR4 negative that was
19 being compared?

20 DR. ROHAN: No, and I think the sponsor
21 will probably be discussing that in more detail. But
22 it was a prospective study, and immune responses were
23 described, and HLA typing was done, you know, after
24 the subjects were enrolled. They weren't
25 prospectively identified as DR4 necessarily.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. GRIFFIN: Okay, all right. So there
2 will be information --

3 DR. ROHAN: Yes, and there will be more
4 detail to that.

5 CHAIR DAUM: Ms. Fisher?

6 MS. FISHER: Are you aware of any other
7 studies that are at variance with your conclusions?

8 DR. ROHAN: Which particular conclusions?

9 MS. FISHER: On the DR4 allele not being
10 a risk factor.

11 DR. ROHAN: Well, as I said, this study
12 was not designed to answer the question is the DR4
13 allele associated or does it confer increased risk to
14 people who carry that allele when they receive an OspA
15 vaccine. That was not the purpose of this study.

16 However, because it was being looked at we
17 wanted to make sure that we didn't see some sort of
18 association within that study. But, as I said, it was
19 of limited power, so it didn't happen to see an
20 association.

21 But, you know, again that was not the
22 primary purpose of the study.

23 CHAIR DAUM: Dr. Fagget, please.

24 DR. FAGGET: Yes. In the writeup it
25 states that the current analysis, the small number of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vacinees does not allow firm conclusions. Yet you say
2 there was no association between the vaccine and --

3 DR. ROHAN: Right. One of the ways that
4 you don't see an association is if the study is under
5 power to see that association.

6 DR. FAGGET: That sounded like it was a
7 firm conclusion that there was no association, that is
8 why --

9 DR. ROHAN: Well, I tried to point out
10 that the study was exploratory, at the beginning the
11 study was exploratory, it was not designed to look to
12 conclusively decide that question. It was to
13 describe, in an exploratory manner, immune response.

14 CHAIR DAUM: Other questions or comments
15 for Dr. Rohan from the committee?

16 (No response.)

17 DR. ROHAN: Thank you very much.

18 CHAIR DAUM: Thank you very much, Dr.
19 Rohan.

20 We are now going to begin the SmithKline
21 presentation this morning. We have, by my count, five
22 speakers scheduled on the sponsor's agenda.

23 I think what we will do is get started and
24 see how things go, and perhaps take a coffee break in
25 the middle, perhaps not. Let's see how much work we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get done, and how many anxious faces I see around the
2 table.

3 Our first speaker, as I understand it, is
4 Dr. Kahn. You are on.

5 DR. KAHN: Well, good morning, Members of
6 the Committee, FDA, and ladies and gentleman.

7 Over the next few minutes I will provide
8 you the retrospective of the history of the
9 development of LYMERix lyme disease vaccine
10 recombinant OspA, and with an emphasis on the product
11 safety.

12 My name is Clare Kahn, I'm vice president
13 of North American regulatory affairs, responsible for
14 vaccines.

15 GSK's presentation is essentially three
16 parts. First Dr. Yves Lobet will address theoretical
17 considerations of treatment resistant lyme arthritis,
18 which we refer to as TRNA.

19 Dr. Francois Meurice will briefly review
20 the data, the specific issues of interest, and the
21 safety profile which supported the licensure of
22 LYMERix two years ago.

23 And the third part of the presentation
24 will address all activities, including the status and
25 the findings of the post-licensure period. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 presentation will be led by Dr. Bernard Hoet, and with
2 a special presentation of the post-marketing safety
3 cohort study at the Harvard Pilgrim Health Care, which
4 is under the independent direction of Dr. Richard
5 Platt, and he is here today to present those status
6 report. And then I will make short conclusions.

7 Well, maybe I can go quickly through this,
8 as some of my slides will be essentially covered.
9 Lyme disease is a multi-system disease caused by an
10 infection with a spirochete borrelia burgdorferi, that
11 is transmitted by the ixodes tick.

12 Since its recognition in 1975 lyme disease
13 has become the most commonly diagnosed vector borne
14 disease in the United States with over 100,000 cases
15 reported to the CDC from '82 to '98.

16 During that time cases have increased by
17 over 32-fold. The trend of an increasing incidence in
18 some established endemic areas continues along with
19 geographic spread to new areas.

20 This lyme disease is now a vaccine
21 preventable disease, that disease is still on the
22 rise. A few points on the disease itself. Early lyme
23 disease is usually characterized by a rash, erythema
24 migrans, fever, fatigue myalgias and arthralgias.

25 The early disseminated manifestations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 include secondary skin lesions, neurologic
2 involvement, cardiac involvement, and musculoskeletal
3 symptoms, usually consisting of migratory pain in the
4 joints and the surrounding soft tissue structures.

5 The late stage disease, which occurs maybe
6 months to years after the initial infection, and may
7 be manifest by chronic conditions, including chronic
8 arthritis, neurologic abnormalities, or skin
9 conditions.

10 There may be permanent sequelae and, in
11 particular, the late neurological involvement is
12 associated with a chronic, slowly progressive disease.

13 Since there is no practical enzootic
14 control of infection, sorry, control of enzootic
15 infection, or to prevent its spread, and since
16 personal measures are largely and infrequently
17 implemented, the introduction of a preventive vaccine
18 was deemed a critical approach to the protection
19 against lyme disease in the United States.

20 A few words on the vaccine. And LYMERix
21 was developed to address the public health need. It
22 is a non-infectious recombinant vaccine developed by
23 GSK Biologicals. It contains the lipo protein OspA,
24 which is an outer surface protein of the organism, as
25 expressed in e-coli.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Each half mil dose contains 30 micrograms
2 of the L-OspA absorbed onto a half a milligram of
3 alum. And the primary immunization consists of three
4 doses of LYMERix given intramuscularly at 0, 1, and 12
5 months in those aged 15 to 70 years.

6 Now to the historical perspective, and I
7 have shown in this slide, from 1993 where the pre-IND
8 meeting, up until launch in January of '99. The
9 orange boxes, to make life easy to review, is FDA
10 meetings, and the green are reviews with the VRBPAC.

11 The R&D was submitted in February of 1994,
12 and the VRBPAC was convened in June of that year to
13 provide advice on the overall development of the
14 vaccine.

15 So that advice included a review of the
16 lyme disease information itself, and recommendations
17 for pivotal development. This included case
18 definition, primary and secondary pivotal study
19 endpoints.

20 The requests for a two-year followup for
21 safety and efficacy, and the inclusion of patients
22 with previous lyme disease. Phase III plans were
23 then, after agreement with CBER at the end of phase II
24 meeting, that is in December of '94, and thereafter a
25 two-year pivotal efficacy study commenced, Lyme-008,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it ran for the full two years, and included over
2 10,000 subjects.

3 So during the conduct of the pivotal trial
4 there was another VRBPAC meeting, and during this time
5 more advice was given. First on the basis for going
6 forward with pediatric development, and then further
7 discussions, essentially, of theoretical safety
8 concerns, including the potential for L-OspA vaccine
9 to either exacerbate lyme disease pathology, to mask
10 lyme disease presentation and diagnoses, or to induce
11 auto-immune arthritis.

12 And you will see, from the subsequent
13 talks, how these elements were incorporated into the
14 development plan.

15 Based on all the advice received, and the
16 demonstrated efficacy of the Lyme-008 study, the pre-
17 PLA meeting was held with CBER in January of '97, and
18 the PLA/ELA was submitted in September of that year.

19 During the review period Dr. Steere-Root
20 published their paper, presenting their hypotheses
21 that OspA may be responsible for TRLA. So when the
22 VRBPAC met to consider the data package for approval,
23 this topic played a significant part of the
24 discussions at that time.

25 And at that time LYMERix was considered

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 safe and effective, and thereafter approval was gained
2 in December of '98, and the launch of the product was
3 in January of 1999.

4 Moving on to the post-licensure period,
5 GSK has engaged in both specific commitments, as well
6 as the standard post-marketing requirements for safety
7 assessment. These will be addressed by Dr. Hoet.

8 First the commitment, it was already
9 reviewed briefly by Dr. Rohan, a post-marketing cohort
10 safety trial was initiated at Harvard Pilgrim. The
11 study started about a year ago. We have submitted
12 three quarterly reports, but they do indicate a rather
13 low uptake of the vaccine at that center. And you
14 will hear what steps are put in place to address that.

15 The study on the cell mediated immunity,
16 which was also discussed previously, was conducted and
17 submitted in December of '99. And, finally, studies
18 to asses safety in those of child-bearing potential,
19 were conducted.

20 First the repro-toxicity study in animals
21 was conducted, and the report submitted a year ago.
22 And pregnancy registry was established within the
23 post-marketing surveillance methods.

24 And then moving on to the post-marketing
25 surveillance, besides the usual reporting mechanisms,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we had introduced two additional measures at CBER's
2 request.

3 The first was to expedite all reports of
4 musculoskeletal and neurological events, within 15
5 days, regardless of seriousness. This would,
6 normally, only serious adverse events would be treated
7 in this fashion. But special attention was given to
8 these adverse events of interest.

9 And, secondly, a letter was sent to
10 investigators of all completed and ongoing clinical
11 trials which reinforced to them the requirements for
12 reviewing and reporting adverse events from subjects
13 who had been previously in those clinical trials.

14 And it also requested, over and above the
15 normal requirement, that all reports be reported
16 regardless of attribution, particularly if the patient
17 was overly concerned, was concerned about it.

18 So all regulatory activities and
19 commitments are completed and/or in place. And, as
20 you will hear later, a review of the post-marketing
21 surveillance shows that the most frequently reported
22 adverse events involved reactogenicity with symptoms
23 already described in the product label.

24 But these reports from the post-marketing
25 are such that they allow us to did you, within certain

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individuals, that symptoms occur concomitantly. And,
2 secondly, very rare reports of hyposensitivity have
3 been received.

4 So, in conclusion to my talk lyme disease
5 is a vaccine preventable disease, the disease is still
6 in the rise. It is associated with chronic morbidity
7 and sometimes permanent sequelaeing.

8 Collaborations with CBER and the VRBPAC
9 during the last decade have guided the vaccine through
10 development to licensure. And I can say, upfront,
11 before the talks, that to date the available data from
12 the post-marketing surveillance, the commitments, and
13 the additional clinical trials, are in keeping with
14 the pre-licensure safety profile.

15 So at this point I would like to turn over
16 to Dr. Yves Lobet, who will talk about theoretical
17 considerations of TRLA.

18 DR. LOBET: Thank you, Dr. Kahn.

19 Before we go into the presentation of the
20 clinical data, I would like now to address the
21 theoretical concern raised in the 1998 Advisory
22 Committee meeting, that vaccination with OspA could be
23 responsible for the induction of treatment resistant
24 lyme arthritis, a condition that has been observed in
25 a few lyme disease patients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This theoretical concern was raised after
2 the predication of the paper of Gross et al, which
3 working hypotheses I would like to present now.

4 One can summarize the hypotheses proposed
5 by Gross et al in three points. First, they proposed
6 that treatment resistant lyme arthritis is an
7 autoimmune disease that could be initiated after a
8 natural infection by B burgdorferi.

9 Secondly, first reactivity between OspA
10 and LFA1, a protein present in some human cells, would
11 explain the autoimmune nature of the disease.
12 Finally, HLA-DR4 individuals are at risk of developing
13 TRLA after natural infection.

14 Before going any further in the
15 discussion, let's see how this hypotheses translates
16 in the natural situation.

17 When borrelia burgdorferi is injected by
18 ticks in a human body, it could migrate into various
19 tissues. In some individuals the bacteria will enter
20 one or a few joints. At this site it will initiate
21 the disruptment of an inflammatory process, as
22 observed also, when borrelia is present in other
23 tissues.

24 The bacteria will also start expressing
25 OspA when in the joints. This molecule being present

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on the surface of the spirochetes, an immune response
2 is triggered against it.

3 In this process OspA specific t-cells are
4 primed and stimulated. This stimulation is the result
5 of interactions between the t-cells and fragments of
6 OspA.

7 The nature of the sequence of this epitope
8 vary from individual to individual. And is defined by
9 the HLA genetic background of these individuals.

10 In the case of HLA-DR4 individuals, one of
11 the epitopes of OspA presents homologies with an
12 epitope of LFA1, the human protein.

13 Gross et al has shown that these two
14 epitopes are going to stimulate OspA specific cell
15 lines. As a consequence, after the disappearance of
16 OspA, the FLA1 epitope would be able to continue the
17 stimulation of OspA specific t-cells.

18 This stimulation would contribute to the
19 perpetuation of the inflammatory response within the
20 joint. Provided that this information process could
21 be, by itself, responsible for arthritis, this would
22 explain the long-lasting disease observed in patients
23 even after antibiotic treatment.

24 Next slide. This is the hypotheses
25 presented by Gross et al, and I would like now to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discuss it and address the following points.

2 There are some indications in this
3 proposal, and I would like to present them to you.
4 Secondly, I will discuss with you whether this
5 hypotheses is applicable to vaccination with OspA.
6 And, finally, I will present shortly some results.

7 So, what are the limitations of this
8 hypothesis? First of all, the autoimmune nature of
9 treatment resistant lyme arthritis is still
10 questioned. Indeed, not everyone agrees that borrelia
11 burgdorferi is absent from the affected joints of
12 individual of treatment resistant lyme arthritis.

13 If, indeed, despite antibiotic treatment
14 borrelia is still present in the joint, the mere
15 presence of the bacteria could explain the prolonged
16 arthritis.

17 Secondly, the core of the Gross et al
18 hypothesis, that LFA-1 is the auto-antigen involved in
19 the suspected autoimmune treatment resistant lyme
20 arthritis, is based on sequence homology, and in vitro
21 crossreactivities between this molecule and OspA.

22 However, two recent publications have
23 shown that the demonstration of sequence homology and
24 in vitro crossreactivity between a foreign protein and
25 an auto-antigen, is not sufficient to conclude that an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 autoimmune disease will take place. Other unknown
2 elements have to be present to initiate an autoimmune
3 process.

4 The OspA LFA-1 crossreactivity, therefore,
5 does not demonstrate that OspA is responsible for the
6 induction of autoimmune disease. One should also
7 remember that after infection, when borrelia is in the
8 joint, many proteins are presented to the human immune
9 system.

10 May I have shown that this -- that several
11 of these are morphologies and in vitro
12 crossreactivities with human proteins, and could
13 therefore be responsible for a hypothetical autoimmune
14 reaction.

15 Finally, there is a discrepancy between
16 the restricted distribution of the symptoms, that is
17 a few large joints are affected by treatment in lyme
18 arthritis, and the universal presence of hLFA-1, that
19 is present on lymphocyte in inflammation sites.

20 Next slide. Even if the hypotheses of
21 Gross et al is confirmed in the future we do not
22 believe that it applies to vaccination. Indeed, as
23 mentioned in the publication, there are at least two
24 requirements that are necessary for the development of
25 treatment of resistant lyme arthritis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 First, OspA is to be present in the joint.
2 During natural infection, indeed, this protein is
3 expressed by OspA within that tissue. However, there
4 is no reason to think that OspA migrate to that
5 location after vaccination.

6 The second requirement is that for TRLA to
7 develop an inflammatory process, an inflammatory
8 milieu has to be present in the joint. Once again, we
9 do not believe that this takes place after
10 vaccination.

11 There is, therefore, no reason to believe
12 that vaccination with OspA will reproduce the
13 conditions identified by Gross et al, required for the
14 development of treatment of resistant lyme arthritis.

15 Give me the next slide. Finally, I would
16 like to share with you results which we have obtained
17 from C3H mice showing that these experiments, that
18 these requirements are indeed not met after
19 immunization with OspA.

20 This strain of mice is known to be
21 susceptible to the development of arthritis after
22 infection with borrelia burgdorferi. And we have
23 confirmed this, in this experiment. We have shown the
24 presence of clinical arthritis 28 days after
25 inoculation with borrelia.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 On the other hand, when C3H mice were
2 vaccinated with OspA, we found no sign of arthritis.
3 Indeed, neither joint swelling, nor signs of
4 inflammation have been observed 28 days after
5 injection. Further, no OspA has been detected in the
6 analyzed joints.

7 The primary conclusions of the experiments
8 are that, indeed, OspA immunization does not create
9 the environment required for development of treatment
10 resistant lyme arthritis.

11 Next slide. In conclusion, on the basis
12 of both a theoretical analysis of the treatment
13 resistant lyme arthritis hypotheses of Gross et al,
14 and the results of clinical experiment, we found no
15 evidence supporting that vaccination with OspA will
16 initiate the development of treatment resistant lyme
17 arthritis.

18 This observation has been reviewed and
19 conclusions agreed upon by a panel of independent
20 experts in autoimmunity.

21 Finally, it should be noted that since
22 1998 no new data has been published to further confirm
23 the hypothesis of autoimmunity treatment of resistant
24 lyme arthritis.

25 Thank you for your attention, and we now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 leave the stand for Dr. Francois Meurice, who will
2 present you with the clinical data that we have
3 collected prior to licensure of LYMERix including
4 those indicating that no increase of incidence of
5 arthritis was observed in HLA DR4 vaccines.

6 CHAIR DAUM: Thank you very much, Dr.
7 Lobet. I would like to invite the committee at this
8 time to ask questions, and ask the speakers to allow
9 me to introduce the next speaker after you are
10 concluded.

11 So, and also before we take too many
12 questions, I would like to inform the committee of
13 something I didn't realize, and that is that the
14 slides for the sponsor's presentation were put at your
15 seat this morning.

16 So that might make note taking and
17 following a little bit easier. Dr. Fagget, I saw
18 three hands. I saw lots of hands. Okay, we will just
19 go right up the row, here. Dr. Fagget?

20 DR. FAGGET: Thank you for a very eloquent
21 presentation of the previous speaker. Could, indeed,
22 what we see be a vaccine failure? Is that another
23 possibility here in terms of the arthritis?

24 DR. LOBET: Could this be a what?

25 DR. FAGGET: Vaccine failure, so that any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inflammatory process that was there was --

2 DR. LOBET: The clinical data will be
3 presented by Dr. Francois Meurice. Maybe it is better
4 to discuss this after his presentation.

5 What I addressed is, really, the
6 theoretical concern of the hypothesis, based on this
7 hypothesis.

8 CHAIR DAUM: Could you revisit your
9 question, Dr. Fagget, when we get the clinical
10 information?

11 DR. FAGGET: Yes.

12 CHAIR DAUM: Dr. Griffin, then Dr. Kim,
13 Dr. Snider, and Dr. Kohl.

14 DR. GRIFFIN: I am interested in your
15 mouse experiments with the C3H mice. And I have a
16 couple of questions.

17 First of all, is it known whether the
18 susceptibility of C3H mice is due to an HLA class 2
19 determinant?

20 DR. LOBET: This experiment doesn't
21 demonstrate or infer or confirm the autoimmune nature
22 of the disease.

23 DR. GRIFFIN: No, I'm just trying to --
24 I'm only trying to identify how relevant the mouse
25 experiments are to the questions that we have in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 humans.

2 DR. LOBET: No, it is not thought to be,
3 the susceptibility is not thought to be related in
4 special HLA typing --

5 DR. GRIFFIN: Is it not?

6 DR. LOBET: No.

7 DR. GRIFFIN: And then I also have another
8 question, and that is with respect to whether, since
9 the development of autoimmune disease after, as a
10 consequence of infection is obviously an
11 extraordinarily complicated process, in the situations
12 in which that is -- when the mechanisms even begin to
13 be understood.

14 Is there any evidence that if you take the
15 mice that have developed arthritis after infection,
16 and then give them OspA that you exacerbate the
17 arthritis?

18 DR. LOBET: No.

19 DR. GRIFFIN: Those experiments have been
20 done and they are negative?

21 DR. LOBET: I should go back and check if
22 these experiments have been done, because --

23 DR. GRIFFIN: Because it is a little
24 different than just giving OspA, which was going to be
25 presented --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. LOBET: Absolutely, fully agree.

2 DR. GRIFFIN: -- and everything, in a
3 totally different way.

4 DR. LOBET: Fully agree. But, again, in
5 this case we did not inspect autoimmune arthritis
6 taking place in those mice. What this experiment
7 shows is really that the conditions that are required,
8 as they have been defined by Gross et al in their
9 paper, for the autoimmune disease to take place, are
10 not met after vaccination.

11 That is, the presence of OspA in the
12 joints, and the induction of an inflammatory milieu
13 there. It doesn't address the autoimmune nature of
14 the disease.

15 CHAIR DAUM: But could you clarify Dr.
16 Griffin's question, Dr. Lobet, before we move on? And
17 that is, are the experiments done, and the answer is
18 no, or is the answer --

19 DR. LOBET: The answer --

20 CHAIR DAUM: -- experiments not done?

21 DR. LOBET: The experiment has not been
22 done the way it has been presented.

23 CHAIR DAUM: Thank you. Dr. Kim, please?

24 DR. KIM: I think we have seen
25 publications, and also you indicated the mapping of

1 OspA for HLA DR and LFA regions, crossreacting areas.

2 Are there any information available about
3 protective epitope of OspA, whether that is
4 overlapping with these epitopes, or are there
5 different regions of OspA?

6 DR. LOBET: The -- one of the properties
7 of OspA is that it overlaps three areas of the
8 acetomino region of the molecule, and does not overlap
9 with this OspA crossreacting epitope.

10 CHAIR DAUM: Thank you. Dr. Snider, Dr.
11 Kohl, Dr. Diaz, Dr. Estes.

12 DR. SNIDER: My questions were similar to
13 Dr. Griffin's, and it had to do with the C3H mouse
14 model. The questions were whether one hundred percent
15 of the mice developed the autoimmune arthritis after
16 infection with borrelia burgdorferi.

17 And whether, if not one hundred percent
18 do, whether giving OspA before or after the infection
19 increased the frequency of it, or if one hundred
20 percent do, whether giving OspA before or after the
21 infection increased the severity of it?

22 And I guess, based on the answer I heard
23 earlier, there are no such experiments, but I would
24 like confirmation.

25 DR. LOBET: Let me first repeat that this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 is not autoimmune arthritis that has been induced in
2 those animals. We don't expect autoimmune arthritis
3 to take place there.

4 This is, really, what we wanted to
5 evaluate there is whether the requirements defined by,
6 in the hypothesis presented by Gross et al, could be
7 met after vaccination with OspA.

8 Now, indeed, one hundred percent of the
9 animals developed arthritis after inoculation with
10 borrelia.

11 DR. GRIFFIN: Can I just ask a follow-up,
12 then? Then I don't understand the relevance of the
13 model. If there is no autoimmune component to the Lyme
14 disease borrelia burgdorferi induced arthritis in the
15 mice, then I don't see how the -- giving them the
16 vaccine addresses the question.

17 DR. LOBET: One of the question that could
18 be raised after -- so the question is whether the
19 vaccine could induce autoimmune arthritis.

20 One of the requirements to induce such a
21 disease, as presented by Gross et al, is that you need
22 to have both OspA present in the joint, and that an
23 inflammatory process takes place there.

24 What we wanted to show in this model is
25 that those two requirements, I mean, we wanted to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 address whether those two requirements could be met
2 after vaccination with OspA. This is independent of
3 an autoimmune response.

4 So it means that if you have
5 crossreactivity, simply crossreactivity, either on the
6 basis of sequence homologies, or in vitro
7 crossreactivities between t-cells, this is not enough
8 to explain the induction of an autoimmune process.

9 You need to have other requirements, such
10 as an inflammation process taking place at the
11 location of this phenomena. So what we wanted to
12 demonstrate here is that those requirements, necessary
13 for the development of autoimmune arthritis in humans
14 are not met.

15 DR. GRIFFIN: But it could be done in any
16 kind of animal, or mouse. The C3H has nothing to do
17 with it?

18 DR. LOBET: The C3H, the strain of C3H
19 mice has been used because we know that those animals
20 are susceptible to arthritis after infection.

21 DR. GRIFFIN: But it is not autoimmune?

22 DR. LOBET: No, it is not autoimmune. No,
23 I fully agree with you. No, we never said this is an
24 autoimmune phenomena.

25 CHAIR DAUM: Is the confusion here the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 word autoimmune? That is to say, we have a model in
2 which the organism causes infection and arthritis.

3 DR. LOBET: And arthritis.

4 CHAIR DAUM: And so the question, then, is
5 does the vaccine cause arthritis in this model, any
6 kind of arthritis. And the answer, at least, is no?

7 DR. LUFT: I think the question is whether
8 the model is reflective of human disease or not.

9 CHAIR DAUM: That is a separate -- that is
10 an issue that needs to be discussed.

11 DR. LUFT: Yes, indeed. These animals do
12 become infective, and as an infectious model it works.
13 If you try to see whether a vaccine prevents
14 infection, it could be a very fine model.

15 But to try to understand the pathogenesis
16 of human disease, it may not be a very good model.

17 CHAIR DAUM: As is true of any animal
18 model, it always has limitations.

19 DR. LUFT: It has its limitations.

20 CHAIR DAUM: Let's hear from Dr. Kohl,
21 please.

22 DR. KOHL: I think that is my point as
23 well, it doesn't seem to be a relevant model for
24 treatment resistant arthritis, or autoimmune
25 arthritis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. LOBET: I fully agree with you. I
2 mean, this is not an autoimmune model.

3 DR. KOHL: That is what I was saying.
4 Now, the arthritis gets better by itself, or gets
5 better with antibiotic treatment?

6 DR. LOBET: Excuse me?

7 DR. KOHL: In the mice, is the arthritis
8 self-limited, or does it respond to antibiotics?

9 DR. LOBET: It is self-limited.

10 DR. KOHL: It is self-limited. So it is
11 totally not related to what we are talking about, it
12 seems.

13 CHAIR DAUM: Thank you. Dr. Diaz next.

14 DR. DIAZ: Thank you. I recognize that
15 what you were trying to show, obviously, has nothing
16 to do with interactions between the vaccine and
17 autoimmunity in humans.

18 But at the same time commented that if you
19 give these mice OspA, that you have -- there is no
20 detectable measure of OspA in the joint, correct?

21 DR. LOBET: We haven't seen OspA in the
22 joints. Where we were able to detect it in the
23 proximate muscles, where there has been injected.

24 DR. DIAZ: In the mice that were given
25 borrelia, and developed arthritis, secondary to that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

1 infection, were you able to detect borrelia in the
2 joint, and OspA production in the joint?

3 DR. LOBET: Those analysis are still
4 ongoing. So far we haven't seen OspA in this
5 location. The reason being that, one explanation to
6 that, which we are still working on this aspect, is
7 that the number of spirochete going to the joint is
8 usually very small.

9 And we use a small amount of spirochetes,
10 around 1,000 spirochetes, that have been injected not
11 close to the joint. So to make more closely the
12 natural situation.

13 CHAIR DAUM: Thank you. Dr. Estes, Dr.
14 Stephens, Dr. Luft.

15 DR. ESTES: I have a basic question about
16 the organism. Are there different strains of this
17 organism that have different disease capability,
18 whether it is in mice or in humans, is that known?

19 DR. LOBET: There are some -- right now
20 there are some groups who have identified differences
21 in strains that -- apparently different pathogenesis,
22 pathologies, but this is really ongoing work.

23 CHAIR DAUM: Thank you. Dr. Stephens?

24 DR. STEPHENS: I would like to just pursue
25 a different mechanism related topic. And that is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lipo-proteins are known to be very potent stimulators
2 of total receptors, for example.

3 DR. LOBET: Yes.

4 DR. STEPHENS: Data that has come out, I
5 guess, since the vaccine was approved.

6 Do you have any information about the
7 ability of OspA, as a lipo-protein, to generally
8 stimulate cytokine production or other immune
9 reactions?

10 DR. LOBET: It has been known for quite a
11 long time, since the early '90s, that OspA is able, by
12 itself, to induce both pro and anti-inflammatory
13 cytokines. And there are multiple papers addressing
14 this point.

15 CHAIR DAUM: Thank you. Dr. Luft, then
16 Dr. Ferrieri.

17 DR. LUFT: Yes. I would just like to kind
18 of take up where Dr. Estes left off, about different
19 strains. That the LFA homology, I guess it was
20 pointed out in that original paper, seemed to be with
21 OspA from borrelia burgdorferi sensu stricto, it
22 wasn't shared as to the same extent with OspA from
23 other geno species of borrelia.

24 Have you, or anyone in the company,
25 immunized others, patients in the United States, or in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Europe, with these OspA types of abscleri, or
2 goreneri or animals? And have you seen any
3 differences in reactivity, or in any -- either
4 laboratory or clinical manifestations?

5 DR. LOBET: Yes, we have indeed vaccinated
6 people with goreneri and abscleri. We haven't seen
7 any clinical or laboratory differences between people
8 immunized with sensu stricto OspA only.

9 CHAIR DAUM: Dr. Ferrieri, please.

10 DR. LUFT: I would just like to --

11 CHAIR DAUM: Do you want to follow-up, Dr.
12 Luft? Okay.

13 DR. LUFT: And how large has that been, is
14 it something that we will be able to see in a
15 statistical type of manner, that there are no
16 differences between that?

17 The question I really have, and it goes
18 back, actually, to what Dr. Stephens said as well.
19 This whole LFA business may be a red herring, but
20 there may be a phenomenon that occurs.

21 This is a very unique protein, it is a
22 lipo-protein that has -- that is very immunoreactive.
23 Actually probably one of the first lipo-proteins that
24 have been injected into people as part of a vaccine.

25 So there may be other phenomenon. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 think one of the ways that we start to discern these
2 differences is if we see very similar types of
3 material, whether it is from OspA, from borrelia
4 abscleri or goreneri, giving us same phenomenon that
5 you see with burgdorferi.

6 I think you can say this LFA thing, maybe
7 that is a red herring, because there are differences
8 in the sequence in that particular region. But we
9 still have to deal with the lipidation issue, which we
10 haven't really focused on, for whatever reasons.

11 But, so, is it large numbers of patients,
12 or is it small numbers of patients?

13 DR. LOBET: Can you first clarify what
14 phenomenon you are relating to? I mean, what kind of
15 analysis are you referring to, that compares OspA
16 sensu stricto to the other ones?

17 DR. LUFT: I just say clinically are there
18 any differences?

19 DR. LOBET: No, there is not.

20 DR. LUFT: And I'm just saying, do you
21 have -- is it -- do you have enough power,
22 statistically are able to make that answer in a way
23 that really is with conviction and belief, or is it
24 something that says, we did a handful of patients
25 here, and a handful of patients there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I just want to know how --

2 DR. LOBET: No, with several tens of
3 patients, a few hundred patients that have been
4 vaccinated.

5 DR. LUFT: A few hundreds patients with
6 the different --

7 DR. LOBET: Yes.

8 CHAIR DAUM: Thank you.

9 DR. LOBET: Nothing particular were
10 observed in those as compared to what observed in the
11 sensu stricto only vaccinated patients.

12 CHAIR DAUM: Thank you, Dr. Lobet. I'm
13 going to call on Dr. Ferrieri for one last question,
14 and then ask the sponsor's presentation to continue.

15 We can return to these topics, we will
16 have time for discussion, and the committee is clearly
17 been piqued by your presentation, and that is a good
18 thing. Piqued with interest.

19 Dr. Ferrieri, please.

20 DR. FERRIERI: Back to the mouse model,
21 three very brief points. What was the amount of OspA
22 given to the mice, what was the nature of your assay
23 for OspA, was it Elisa, was it a genetic assay, and
24 what were the limits of detection of OspA in your
25 assay?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LOBET: All right. We used one
2 microgram of OspA twice, which is what we use,
3 usually, to raise the immune response able to protect
4 mice, and similar to what is observed in humans.

5 OspA has been detected by chemistry. And
6 at this point we have not yet -- we have seen in the
7 slide, this is still ongoing work, and don't have yet
8 the level of reduction of OspA, the threshold of
9 detection of OspA.

10 CHAIR DAUM: Thank you very much, Dr.
11 Lobet.

12 Could we continue, then, with Dr. Francois
13 Meurice?

14 DR. MEURICE: Thank you, good morning. My
15 presentation will address the LYMERix safety
16 information that was available for licensure.

17 I will start with a brief review of the
18 clinical data that were available for licensure, then
19 I will give you additional information on the safety
20 which was collected from the large pivotal efficacy
21 study.

22 And I will touch on several areas of
23 special interest that were prospectively addressed in
24 the development of the vaccine, which are the
25 influence of vaccination on lyme disease

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 manifestations; patients with previous lyme disease
2 history, autoimmune arthritis, HLA type, and the
3 musculoskeletal symptoms, as well as the neurology and
4 cardiac events.

5 For phase 1 clinical studies were
6 conducted in Europe, essentially, to select the
7 formulation of the vaccine. And that is how lipo-
8 protein OspA candidate was selected for further
9 development.

10 Among the phase 2 trials, two studies were
11 of particular interest and conducted in the United
12 States. That is lyme-005, which is a dose range
13 placebo control study, where HLA typing was performed,
14 and 007 which addressed, especially, the safety of the
15 vaccine in patients with previous lyme arthritis.

16 Next. Most of the safety data, as was
17 mentioned, come from the pivotal efficacy study lyme-
18 008, which was followed up by the same cohort
19 continuing for another year safety follow-up.

20 Next one. So at the time of the BLA 16
21 studies were either completed or ongoing, and the data
22 were submitted on about 6,500 subjects who had
23 completed studies, and who received a final
24 formulation of the vaccine.

25 So I will not go into a lot of detail,

1 since you heard this in the previous presentation by
2 Dr. Rohan, the pivotal efficacy study lyme-008 was
3 double-blind placebo control efficacy study, including
4 healthy individuals between 15 and 17 years of age,
5 from lyme endemic areas.

6 And the exclusion criteria, as were
7 mentioned, are listed here below.

8 So schematically in that study people
9 received two doses of vaccine one month apart, were
10 followed up for full lyme disease transmission season.
11 A block sample was collected systematically in
12 everyone, at the end of the season, and at month 12
13 the third injection was given.

14 People were followed up in the double
15 blind manner until the end of the transmission season
16 at months 20 the last blood sample was collected.
17 However, as I said, lyme-013 continued the follow-up
18 of this cohort, and the data that were reviewed in the
19 BLA covered up to month 24.

20 I think you had information about how the
21 adverse events were collected in that study, both as
22 unsolicited adverse events, and we clarified those
23 occurring with an early onset, or with a late onset.

24 A subset of the cohort, about 900 subjects
25 had diary cards to collect solicited symptoms during

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the first four days after vaccination. And since this
2 was an efficacy study, symptoms suspect for lyme
3 disease were obviously collected in a very aggressive
4 manner, and these were also combined with the data
5 base of adverse events, whenever lyme disease was not
6 confirmed.

7 So as far as unsolicited adverse events
8 occurring within 30 days, we had injection site
9 reactions, mostly pain. And among the general
10 symptoms, which were statistically significant in the
11 vaccinees, we had fever, influenza-like symptoms,
12 myalgia, chills and rigors.

13 For the unsolicited symptoms with onset
14 more than 30 days after any dose there was no
15 statistical differences between placebo and vaccinees.
16 Also looking at adverse events after successive doses
17 of the vaccine, there was no increase in the
18 reactogenicity after the following doses.

19 In terms of local and general solicited
20 symptoms, we again had the local symptoms at the
21 injection site, we had several flu-like symptoms
22 including fatigue, and arthralgia, a rash was also
23 observed.

24 There was no statistical difference for
25 headache or for fever. And the mean duration of the

1 general solicited symptoms was one to eight days,
2 depending on the symptoms, with a range of 236 days.

3 Serious adverse events were according to
4 the classical definition. On top of this in that
5 study pregnancies and arthritis or arthralgia lasting
6 for more than 30 days were recorded in a similar
7 manner, to have a good follow-up, in real time, about
8 what is occurring for this specific symptom.

9 We had 581 vaccinees, and 586 placebos
10 reporting serious adverse events. When looking at
11 those by body system there was no statistical
12 difference. There were 14 of them in the vaccine
13 group, and 15 in the placebo recipients, which were
14 designated as related or possibly related to the
15 vaccine, and no deaths were attributable to the
16 vaccine.

17 So the safety conclusions, as far as
18 unsolicited AEs was onset less than 30 days. There
19 were more reactions in vaccinees and in placebo, that
20 was not the case for those unsolicited AEs with onset
21 more than 30 days after vaccination.

22 In terms of solicited AEs there was a very
23 high reporting rate of adverse events, both in
24 vaccinees and in placebo groups. Since you see at
25 least 82 percent of the placebo group reported at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 least one symptom.

2 Don't forget that this was a very
3 scrutinized follow-up. Soreness was the most common
4 local symptom, headache and fatigue were the most
5 common systemic symptoms, and less than 5 percent of
6 the solicited symptoms were rated as severe.

7 Finally, in terms of serious adverse
8 events, as I said, no difference between vaccine and
9 placebo.

10 Now I will touch on a few areas of special
11 interest which were identified at the VRBPAC before we
12 started the study.

13 The first one is the influence of
14 vaccination on lyme disease manifestations. What we
15 could conclude from this trial is that we saw no
16 interference with the ability to confirm the lyme
17 disease diagnosis by culture, PCR, or western blot.

18 The vaccination provoked no mask, no
19 attenuation or alteration of the clinical presentation
20 of lyme disease. There was no increase in the rate of
21 asymptomatic infection. Actually the vaccine was
22 highly protective.

23 Again, these cases, 83 percent in the
24 first year, 100 percent in the second year, against
25 asymptomatic infection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There was no effect, in particular, on the
2 duration of the erythema migrans, and no influence on
3 the management of the treatment of the breakthrough
4 cases in vaccinees.

5 A second area of special interest are the
6 subjects with previous lyme disease. And in
7 particular we wanted to answer the question: Do
8 subjects with previous lyme disease have more symptoms
9 than those who did not have previous lyme disease?

10 We assessed lyme disease histories in two
11 ways, one was in patients self-reporting lyme disease,
12 and the other one was by a more objective criterion,
13 which was western blot positivity at baseline.

14 Next. Looking at adverse events in
15 subjects self-reporting previous lyme disease, in
16 general for these symptoms, as was mentioned before,
17 vaccinees with a history of lyme disease reported more
18 symptoms for these categories than vaccinees with no
19 history of lyme disease.

20 Next. This was generally seen also in the
21 placebo group with one exception, which was early
22 musculoskeletal symptoms for which, in that case,
23 placebo recipients with history did not report more of
24 those symptoms than those with no history.

25 If we look at the figures we can see that,

1 in general, these are the details, and the importance,
2 the statistical importance of the differences are
3 pointed here.

4 Now, when looking at the more objective
5 way of assessing previous lyme disease, which is
6 western blot positive at baseline, we didn't see these
7 differences. So there was no increase in any of these
8 symptoms in those subjects.

9 And, again, here are the detail data if
10 you want to refer to it.

11 So in summary patients with self-reported
12 lyme disease, in those we saw an increased incidence
13 of AEs in both the vaccinees and the placebo
14 recipients. One exception to the above was seen for
15 the early musculoskeletal adverse events, where this
16 increased incidence was not seen in the placebo
17 recipients.

18 The western blot, while it showed that
19 nature and incidence of any of those adverse events
20 did not differ between the western blot positive at
21 baseline, and the western blot negative at baseline,
22 be it in vaccinees or in placebo subjects.

23 So western blot confirmed previous lyme
24 disease had no impact on the safety profile, and
25 probably the previous self-reported history has not,

1 either.

2 What about induction of autoimmune
3 arthritis? First of all, looking at the general
4 incidence of arts in that study, there was no
5 difference in terms of the incidence rate in vaccinees
6 of placebo, be it cases of arthritis with onset within
7 less than 30 days after any dose, or within more than
8 30 days after any dose.

9 We did prospectively address HLA typing
10 and musculoskeletal symptoms in two studies. So this
11 is, obviously, in line with what was discussed by Dr.
12 Lobet previously, specifically the HLA-DR4 individuals
13 who could be at higher risk of developing treatment
14 resistant lyme arthritis after natural infection, this
15 increased with vaccine or not.

16 In Lyme-005 most of the subjects in that
17 study, more than 300, were tested for the HLA-DR4 and
18 two types. As you can see, about a third of the
19 population involved in the study was DR4 positive.

20 We had four cases of unspecified arthritis
21 in that study. One in the placebo group was DR4
22 positive, and one in vaccine group was also DR4
23 positive. The two others were negative.

24 Another attempt to clarify this issue was
25 done in Lyme-008, where two subsets of subjects were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 analyzed. In the first subset 85 consecutive samples
2 at one site were collected in 41 vaccinees and 44
3 placebo recipients, and a similar HLA profile was seen
4 in vaccinees with, versus without pain or inflammation
5 at the injection site.

6 A second subset looked at the problem by
7 the other way, and identified twelve subjects from the
8 entire study population with unexplained arthritis or
9 tendinitis.

10 For nine out of those twelve HLA typing
11 was available. One out of the four in the vaccine
12 group was HLA-DR4 positive, and one out of the five of
13 those subjects in the placebo group was DR4 positive.

14 So in conclusion we didn't find any
15 evidence, from these two studies when we did HLA
16 typing, but there was a link between vaccination and
17 the development of musculoskeletal or inflammation
18 symptoms.

19 Finally, neurology and cardiac events.
20 Reviewing those cases, no difference was seen in any
21 of the neurologic or cardiac events between placebo
22 and vaccinees. And I should remind you that this large
23 study was carefully monitored by DSMB, all these
24 adverse events of interest, especially rheumatology
25 cases, and neurology cases, were carefully reviewed by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a panel of experts.

2 So in conclusion, a large body of safety
3 data was available, was accrued prior to licensure,
4 and this revealed an acceptable safety profile in the
5 clinical trials, although we did see moderate
6 reactogenicity with this vaccine.

7 There is no clinical evidence, including
8 from the HLA typing that was done, supporting the
9 theoretical concerns.

10 Finally, vaccination demonstrated efficacy
11 in definite cases, and asymptomatic cases of lyme
12 disease. Therefore LYMERix was considered safe and
13 effective, and was approved for the prevention of lyme
14 disease.

15 Thank you very much.

16 CHAIR DAUM: Thank you, Dr. Meurice. I
17 will take a few questions from the committee before we
18 move on. Dr. Estes, Dr. Fagget next.

19 DR. ESTES: Could you tell me what is the
20 predictive value of the western blot for diagnosing
21 previous lyme disease?

22 DR. MEURICE: I don't know the answer to
23 that question. I guess what we did in the study was,
24 indeed, to look systematically at western blot at
25 months 12 and 20 in all subjects, and those which were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 positive we went back to baseline.

2 The same thing when patients came up with
3 symptoms of lyme disease we had western blot taken.
4 For all those cases which came up with other symptoms
5 like erythema migrans which was the most common, we
6 also performed biopsy, and look at culture, and PCR.

7 The culture and PCR were able to detect an
8 additional 15 to 20 percent of the cases which were
9 not detected by western blot sera conversion. That is
10 the indication I can give.

11 DR. ESTES: Does anyone else know the
12 answer to that? Does the western blot --

13 DR. DATTWYLER: I am on the CDC serology
14 committee, and that is not known. I mean, it is
15 certainly the positive predictor value is not one
16 hundred percent by any means.

17 The other thing that should be mentioned
18 is that the ability of this vaccine to confuse the
19 diagnostics is a real problem, and that there are
20 publications now stating that in vaccinated uninfected
21 individuals, that you can get false positive western
22 blots by CDC criteria.

23 CHAIR DAUM: But, Dr. Dattwyler, the
24 question that, at least I think I hear Dr. Estes
25 asking, is about the presentation. And that is to say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that people who believed they had lyme disease before
2 were stratified into two groups. One self-reported
3 and one had western blot positivity. Presumably some
4 time remote from when they actually had the lyme
5 disease.

6 So the question is, among lyme experts
7 such as yourself, what do you think of that
8 stratification? I think that is the real question.

9 DR. DATTWYLER: It is not unreasonable.
10 The difficulty with immune response it depends on how
11 long after you've been successfully treated, and the
12 timing of the infection. If one is treated very early
13 for erythema migrans, and you don't develop a mature
14 immune response, then your western blot is negative.

15 On the other hand if you develop full-
16 blown lyme arthritis, and you have been successfully
17 treated, you may remain sera positive for years
18 afterwards.

19 So it is a rather difficult issue, and you
20 have to stratify by the stage of the disease, and when
21 it was treated, and how it was treated.

22 CHAIR DAUM: Thank you very much. I have
23 Dr. Fagget next, and then Dr. O'Fallen.

24 DR. FAGGET: Yes. In your conclusion you
25 state 78 percent efficacy for definite cases of lyme

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 disease, correct? And one hundred percent
2 asymptomatic.

3 DR. MEURICE: Correct.

4 DR. FAGGET: Also you stated that there is
5 no mask attenuation, alteration of clinical
6 presentation of lyme disease with vaccination,
7 correct?

8 DR. MEURICE: Correct.

9 DR. FAGGET: So, indeed, could TRLA be
10 vaccine failure? I go back to my previous question.

11 DR. MEURICE: Well, we carefully looked at
12 the breakthrough cases in that study, obviously. And
13 looking at their clinical features there was really no
14 difference with the cases that were observed in the
15 placebo group. So the clinical manifestations were
16 identical, and the treatment of those cases was not
17 more complex.

18 DR. FAGGET: My question, though, is
19 relative to treatment resistant lyme induced
20 arthritis.

21 DR. MEURICE: We have not seen any case of
22 treatment resistant lyme arthritis.

23 DR. FAGGET: Well, over what time period
24 did you look at the subjects?

25 DR. MEURICE: We looked for two years of

1 DR. MEURICE: No. We wanted to do it the
2 largest possible way, so anyone who was self-reporting
3 Lyme disease we didn't ask for medical records, we
4 didn't go through.

5 DR. COYLE: So was any investigation done
6 of the basis for what the patient reported their
7 syndrome was, or not?

8 DR. MEURICE: Well, the symptoms were
9 collected as part of the medical history of those
10 subjects, but we didn't do any stratification based on
11 that.

12 DR. COYLE: So there was no breakdown, you
13 have no idea how many that was EM, they said I have
14 been treated for EM, or I have been treated for
15 neurologic?

16 DR. MEURICE: No.

17 CHAIR DAUM: Thank you. I have Ms.
18 Fisher, Dr. Luft, and Dr. O'Fallen.

19 MS. FISHER: I just want to make sure I
20 understand. Is it SmithKline Beecham's position that
21 those who receive LYMERix vaccine, and then have
22 symptoms of arthritis, myalgia, and other signs of
23 deterioration in health following vaccination, and
24 those who have had Lyme disease, and those who have
25 the DR4 allele, that they should be vaccinated with

1 this vaccine?

2 DR. MEURICE: Yes.

3 DR. LUFT: Thank you.

4 CHAIR DAUM: Dr. Luft, please?

5 DR. LUFT: I just wanted to ask a question
6 about the -- to go forward with the whole issue of
7 whether these might be actual treatment failures.

8 It appears that from the data that you
9 presented that there was no difference in the signs of
10 symptoms in those patients who had, in other words,
11 vaccine failure. And so that they probably -- do you
12 have a serologic correlate of that?

13 And have you applied to see whether those
14 patients who develop the -- have you gone back to look
15 at the original sera of those patients that go on to
16 develop these treatment related, or whatever TRLA --
17 I don't even know what that is, treatment resistant,
18 whether they had been vaccinated, and they did not
19 have protective levels of antibody?

20 Do you understand what my question is?

21 DR. MEURICE: Well, I guess you are asking
22 about the patients with difference in musculoskeletal
23 symptoms, whether they had different titers than the
24 subjects who did not develop those symptoms, is that
25 what --

1 DR. LUFT: And especially in those who go
2 on later to develop this, what is called TRLA,
3 treatment resistant something.

4 DR. MEURICE: Well, as I said, we did not
5 observe TRLA in this study. So we did have, as was
6 mentioned, for the symptoms with early onset after
7 vaccination, a higher proportion of vaccinees who had
8 musculoskeletal symptoms, than in the placebo group.

9 But for those system occurring late, that
10 is more than 30 days after vaccination, there was no
11 difference, be it in the duration, or the
12 manifestations of the musculoskeletal symptoms,
13 comparing the vaccinees to the placebo.

14 DR. LUFT: And is there a good serologic
15 correlation to protection?

16 DR. MEURICE: Well, we have made a
17 proposal, and this is under discussion with the
18 Agency.

19 CHAIR DAUM: Dr. O'Fallen, please, and Dr.
20 Kohl, and Dr. Kim.

21 DR. O'FALLEN: Somewhat related to Dr.
22 Coyle's question. When was the self-reported Lyme
23 disease determined, was that prior to randomization?

24 DR. MEURICE: That was at study entry, as
25 part of the medical history of each subject. So, yes,

1 prior to randomization.

2 DR. O'FALLEN: You quoted arthritis rates
3 and compared observed in the two groups. Did you
4 compare those arthritis rates to expected rates from,
5 say, population epidemiologic studies, or something
6 like that?

7 DR. MEURICE: So your question is about
8 the rates of arthritis in that study that are compared
9 to what are the expected rates in the population?

10 DR. O'FALLEN: That is correct, you
11 compared your treated groups, your treated and your
12 placebo group, and I'm just asking if you compared
13 either of those rates to that which would be expected
14 in a normal population.

15 DR. MEURICE: Well, overall, if we look at
16 all cases of arthritis, we had four percent of the
17 subjects reporting arthritis, and that was 4.5 percent
18 in the vaccinees, and 4.1 percent in the placebos.

19 What we have looked at is the sex/gender
20 distribution for these cases, which was, if you look
21 at a female to male sex ratio 4.8 to 1, whereas in the
22 global population of the subjects, we have a global
23 sex ratio of 0.7 to 1.

24 So a little bit more arthritis cases in
25 the female population than in the male population,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which is probably in accordance with the general
2 population. But I don't have other rates.

3 DR. O'FALLEN: I guess I will take your
4 answer as no.

5 CHAIR DAUM: Dr. Kohl, please.

6 DR. KOHL: I forgot my question.

7 CHAIR DAUM: Senior moment.

8 DR. KOHL: I'll come back.

9 CHAIR DAUM: We all have them, Steve. I
10 don't want you to feel bad.

11 (Laughter.)

12 CHAIR DAUM: Dr. Kim, please.

13 DR. KIM: Your data was presented in terms
14 of the incidence. Can you elaborate, or was there any
15 information on the severity of the symptoms and signs?

16 DR. MEURICE: Yes. As I mentioned the
17 severity was defined as interfering with daily life
18 activities. And depending on the symptoms it was from
19 zero to five percent, I think essentially five percent
20 was observed for pain at the injection site.

21 And in general, I believe we can go back
22 to the data, but it was two or three percent of
23 serious cases in the musculoskeletal symptoms in
24 general.

25 CHAIR DAUM: Thank you.

1 CHAIR DAUM: Thank you.

2 DR. MEURICE: That was similar in both
3 placebo and vaccines.

4 CHAIR DAUM: Thank you. We will take a
5 question now from Dr. Kohl. And then we will break
6 for coffee.

7 DR. KOHL: This is for our experts. Do we
8 have a handle on what the incidence of treatment
9 resistant lyme arthritis is, and a good definition of
10 that? After natural infection, of course.

11 CHAIR DAUM: Would one of the experts like
12 to take that on? Dr. Dattwyler?

13 DR. DATTWYLER: I see a lot of patients,
14 and I must say that treatment resistance lyme
15 arthritis in our center is low, it is very rare. We
16 see maybe one case a year.

17 And, you know, that is using very strict
18 criteria, saying that the person had, you know, CDC
19 criteria for sera positivity, good history, and
20 usually is monoarticulate knee arthritis.

21 And under those circumstances we usually
22 try to do synovial examinations, synovial fluid
23 examinations, and then if possible synovial tissue
24 biopsies, and try to PCR the organism.

25 And we have not been able to PCR the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE. N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

74

1 organism in that type of arthritis, but we have found
2 PCR positivity in the more classic lyme arthritis
3 cases.

4 So I think there is a differential between
5 the individual who has an infectious arthritis, and
6 this other form of arthritis. And I think that is
7 what Dr. Steere has pointed out. He has a larger
8 interest in rheumologic cases than I do, and has a
9 greater cohort of this type of patient. But I think
10 it is similar.

11 CHAIR DAUM: Dr. Dattwyler, the number of
12 one per year, of course, is helpful. It would be a
13 little more helpful if you gave us some sense of how
14 often you make diagnosis of lyme disease. This is one
15 out of two, one out of 100, one out of 1,000?

16 DR. DATTWYLER: That come to our center?

17 CHAIR DAUM: Yes. You said you see this
18 once a year.

19 DR. DATTWYLER: Well, first of all, the
20 most people that come and think that have lyme disease
21 don't have it. You are talking about -- we have
22 similar experiences as everybody else, that only about
23 ten to fifteen percent of the people presenting with
24 what they feel is lyme disease really have it.

25 Under the -- to give you an example, and

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a paper a number of years ago on arthritis from
2 rheumatism comparing different oral regimens for Lyme
3 arthritis.

4 It took him, and this is -- had multiple
5 practice sites in there, it appeared to take him about
6 four years to acquire about 40 Lyme arthritis patients
7 for that study.

8 So I think the incidence of Lyme
9 arthritis, in general, has decreased markedly and
10 concomitantly the incidence of treatment resistance
11 has decreased.

12 The percent, I would say, is about 5, to
13 10, to 1 what we see. So for every person with this
14 other phenomenon, whatever it is, versus infectious
15 arthritis, you are talking about we see maybe 5 or 10
16 people with infectious arthritis for everybody.

17 And we are a referral center, so we are
18 getting the tough cases.

19 CHAIR DAUM: Thank you very much. One
20 final comment.

21 DR. LUFT: Just about that point. I don't
22 think there is any real data. And I think it goes
23 along with a lot of infectious diseases, or
24 inflammatory diseases, in which there is no aetiology
25 known, you know, whether you have an encephalitis,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 most of those you don't know what the aetiology is,
2 maybe some of them can be one type of bacterium or
3 another.

4 It is the same thing with arthritis.
5 There are patients that come in and we don't have any
6 ediology whether it turns out to be some organism or
7 not, we don't know.

8 CHAIR DAUM: Thank you very much. It is
9 coming up on 10:40. We will break and resume at 10:55
10 exactly. Thank you.

11 (Whereupon, the above-entitled matter
12 went off the record at 10:40 a.m. and
13 went back on the record at 11:00 a.m.)

14 CHAIR DAUM: I hope we are feeling
15 nourished and nurtured. I call the committee meeting
16 back to order, please. And we will resume with the
17 sponsor's presentation. Can we get everybody's
18 attention, please, we are in session.

19 Dr. Bernard Hoet will be the next speaker
20 on behalf of the sponsor.

21 DR. HOET: Good morning. As introduced by
22 Dr. Kahn, I will review the post-licensure safety
23 assessment, and I would like to address three
24 following topics.

25 Next slide, please. So first I will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 present the post-licensure commitments, and leave the
2 work to Dr. Platt, who will especially speak about the
3 phase 4 study. And then I will present the findings
4 of the passive post-marketing surveillance, and
5 briefly afterwards, review the additional clinical
6 trials, and especially the safety aspects of those,
7 the types that have been performed since licensure of
8 the vaccine.

9 At the moment of licensure we were
10 performing the study on cellular immunity which was to
11 be reported as post-licensure commitment. And this
12 study has shown that there is no evidence of
13 association between vaccination and the incidence of
14 inflammatory arthropathy.

15 We were also requested to perform
16 reproductive toxicity study in rats, which showed that
17 there was no maternal or fetal toxicity in these
18 animals.

19 We were requested to establish a pregnancy
20 history, that has been established, and no unexpected
21 findings have been reported to date.

22 And then a safety assessment cohort study
23 has been set up by Dr. Richard Platt, who is professor
24 at the Harvard Medical School. And I would like to
25 ask him now, to come and present the status and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 current results of his study.

2 DR. PLATT: Good morning. I appreciate
3 the opportunity to discuss with you this work in
4 progress, which we've been at for about two years.

5 The primary objective of this study is to
6 evaluate whether exposure to lyme vaccine is a risk
7 factor for new onset inflammatory arthropathy.

8 The secondary objectives are to evaluate
9 whether exposure is a risk factor for a variety of
10 other outcomes, including lyme disease, treatment
11 resistant lyme disease rheumatoid arthritis, a variety
12 of neurologic conditions, from allergic events, and
13 death.

14 The study design is a prospective cohort
15 study among HMO members who are immunized as part of
16 their routine medical care. I should emphasize that
17 there is no active recruitment for this study, we are
18 merely observing the practice as it is carried out
19 among these HMO members.

20 The vaccinees are identified through the
21 automated claims data, and automated medical records
22 of the managed care organization. We also identify a
23 comparison group of non-recipients who are matched to
24 the vaccine recipients by age, sex, and the medical
25 practice where they receive their primary care.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And we perform passive and uniform
2 surveillance which will last for at least four years
3 that involves several steps. The first is screening
4 of automated in-patient and out-patient claims for
5 diagnosis which suggests outcomes of interest,
6 followed by expert review of full text medical records
7 for those who have suggested diagnosis. And, finally,
8 we will link the entire cohort to the national death
9 index.

10 Let me tell you, for a moment, why HMOs
11 are good environments in which to do studies like
12 these. But most important, I think, is that it
13 provides an opportunity to observe the safety of
14 vaccine in this case, under conditions of usual
15 practice involving populations that aren't selected in
16 any particular way.

17 HMOs have a considerable amount of
18 information about their members, about the health care
19 that they receive, and about their health status. And
20 with effort it is possible to link those records
21 together to obtain relatively complete and largely
22 passive surveillance for outcomes of interest.

23 This passive surveillance has the
24 advantage of avoiding many of the kinds of bias that
25 are problematic in other types of surveillance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 studies.

2 Because of this there are a number of
3 epidemiologic studies that are grounded in HMOs. And
4 I list here three examples of those. They are all
5 ones in which this HMO, that is the home of this study
6 is a participant.

7 They include the multicenter CDC vaccine
8 safety data link study, the Centers for Education and
9 Research and Therapeutics, that are sponsored by the
10 Agency for Health Care Research and Quality, and FDA,
11 and the NIH sponsored Cancer Research network.

12 The setting for the study has been the
13 Harvard Pilgrim Health Care, which is a not-for-profit
14 major teaching affiliate of Harvard Medical School.

15 The HMO is a joint sponsor with the
16 medical school, the department of ambulatory care and
17 prevention, which is responsible for the conduct of
18 this study. All of the research conducted by this
19 department is in the public domain.

20 Starting this year two additional HMOs
21 will join the study. They are health partners in
22 Minnesota, and a health plan in Massachusetts. We
23 recruited these two additional sites because at the
24 end of the first year it was clear that our
25 recruitment was less than we had expected it to be.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And at the time that we did this
2 solicitation these were the only HMOs of which I'm
3 aware which were both capable of participating, and
4 willing to do this.

5 Let me tell you a little about the
6 investigators. I'm the principal investigator, I'm a
7 professor at Harvard Medical School, and the principal
8 investigator for the Harvard Pilgrim site of this CDC
9 vaccine safety data link. I'm also the principal
10 investigator of an FDA cooperative agreement to study
11 adverse drug effects.

12 And I'm the overall principal investigator
13 for the HMO research network CERT. The co-
14 investigators in this work include Dr. Arnold Chan,
15 who is appointed at the school of public health in
16 Harvard Medical School, and who is here today; Dr.
17 Alexander Walker at the Harvard School of Public
18 Health.

19 I would classify the three of us loosely
20 as pharmaco-epidemiologists. Dr. Matthew Lang and
21 Nancy Shadick of Harvard Medical School are
22 rheumatologists who have interest in the epidemiology
23 of lyme disease.

24 The rules and responsibilities for the
25 study are listed here. We've developed this protocol

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in concert with the sponsor, with a considerable
2 amount of input from FDA. The sponsor has been
3 responsible for all of the interactions with FDA.

4 We investigators have complete
5 responsibility for all of the research activities.
6 That includes data gathering, data analysis, and
7 report writing.

8 Finally we, we the investigators, own and
9 control the data, have contractual authority to use
10 the data as we see fit, including publication when we
11 think that is appropriate.

12 The time line for this study is shown
13 here. As you know the vaccine was licensed at the
14 beginning of 1999. We signed a contract to conduct
15 the study in the spring of 1999, and the protocol was
16 completed in the middle of 1999.

17 That protocol specified that new vaccinees
18 would be recruited for two years. We submitted an
19 interim report in the middle of 2000 that listed the
20 vaccinees and all of their ICD-9 codes, including those
21 both before and after they had received their first
22 dose of lyme vaccine.

23 A second interim report added the control,
24 or non-immunized individuals, and the third report
25 submitted at the end of last year divided those ICD-9

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 codes into those that had been assigned, first
2 assigned before immunization and those that were first
3 assigned after immunization began.

4 The protocol was amended at the beginning
5 of this year. A number of broader aims were added.
6 And, in addition, the recruitment period was extended
7 for another year.

8 As I mentioned to you, HMOs will join
9 shortly. When they do, I should mention that when
10 they do, all of their data, since the beginning of
11 1999 will become available.

12 Our next report will be due in March, and
13 it will have the beginnings of the full text record
14 reviews for individuals who have ICD-9 codes of
15 interest. There will then be interim reports every
16 six months until the study ends in 2005. And in 2004
17 we will do the linkage to the National Death Index.

18 We characterize the vaccinees in the
19 following way. We identify them from automated claims
20 files looking for CPT codes that -- the CPT code that
21 indicates lyme vaccination.

22 We believe that this is a relatively
23 complete ascertainment because the providers are only
24 reimbursed for the cost of vaccine and immunization if
25 they submit this code.

1 Among those for whom we find the code we
2 restrict the population of those who are continuous
3 HMO members since January of 1999. We identify all of
4 their diagnosis code for the three years before
5 vaccination, or for as long as they have been members
6 if it is a shorter period than that.

7 And then for each of the interim reports
8 that we submit we identify all of their interval
9 immunizations and all of their new diagnosis codes
10 assigned since the preceding report.

11 As I mentioned we do blinded review of the
12 medical records that have codes of interest. The
13 controls are identified in a three to one ratio for
14 each vaccinee.

15 We match on, as I mentioned, on practice,
16 on gender, and on approximate age, using the same
17 restrictions for continuous membership in the HMO.

18 We assign a referent date to each control
19 since the vaccination date of the case to whom the
20 individual is matched. And then we do exactly the
21 same kind of case finding, by looking for diagnosis
22 codes before and after immunization, updating those
23 for each interim report, and doing the blinded
24 reviews.

25 We have determined that the immunization

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 codes are highly accurate. A review of a random
2 sample showed that 99 percent of the automated claims
3 have supporting data in the clinician's full text
4 record, indicating that the individuals were, in fact,
5 immunized when the automated record says that they
6 were.

7 And in addition we are confirming
8 immunization status for all the records that are
9 reviewed.

10 We confirm new events of interest by
11 screening both in-patient and out-patient records for
12 diagnosis codes, and then obtain the full text
13 ambulatory record that matches that event.

14 There is a first level review by a chart
15 extractor to eliminate events that clearly are not of
16 interest, for instance, trauma, for instance clear
17 statement that there is crystal arthropathy.

18 The charts for which there is no clear
19 alternative explanation are reviewed by a
20 rheumatologist, either Dr. Lang or Dr. Shadick, using
21 a standardized abstraction form, and we are assessing
22 the inter observer variability of our chart
23 extractors.

24 Our analysis plan calls for us to compute
25 incident rates and rate ratios to do that both accrued

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 measure, and to stratify it by a number of potential
2 risk factors. We intend to assess the dose response
3 relationship.

4 We will use multi-varied analysis
5 principally proportional hazards, methods, but we will
6 also use poisson regression to take into account any
7 crossover of individuals who are initially assigned to
8 the control population, and who subsequently become
9 immunized.

10 And we will explore for unanticipated
11 potential adverse effects by assessing the frequency
12 with which codes are assigned to at least five
13 individuals in the vaccine group.

14 The study size was set at 25,000
15 vaccinated, and 75,000 non-vaccinated individuals on
16 the basis of two basic parameters. The first was an
17 interest in finding approximately a two-fold excess
18 risk of these conditions, and an assumption, or a
19 guess, that the baseline rate would be approximately
20 2 per 10,000.

21 I have to tell you that there is no
22 baseline data for this particular population. And so
23 this was, we thought, a reasonable guess. But we are
24 prepared to see either higher or lower incidence rate.

25 Our preliminary rates are these. Through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the first half of 1999 about 2,500 individuals were
2 immunized. Through the next year an additional 1,100
3 were immunized. The third interim report shows this
4 3,600 figure.

5 In our comparisons we compare to the
6 2,500, and we've done that because there is a
7 reasonably long lag time in the maturation of a claims
8 data base before we are certain that it is complete.

9 And so we have held off on doing the
10 comparative analysis for the additional 1,100 until we
11 are satisfied that we have a complete claims data
12 base.

13 About 2,800 of these individuals are
14 recorded to have had two or more doses. These are the
15 counts of the individuals who have had the assignment
16 of one of the screening codes for a rheumatologic or
17 musculoskeletal diagnosis that is first assigned after
18 the first vaccine, or after the vaccine dose, or the
19 referent day.

20 You can see that approximately 8 percent
21 of both vaccinees and comparators have had one of these
22 codes assigned. We intentionally chose a broad array
23 of codes to be potential indicators, because we wanted
24 to be sensitive in our first round of identification
25 of potential cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 One estimate of potential severity is to
2 look at individuals who are hospitalized with one of
3 these new rheumatologic codes. And the results are
4 shown here, it is one of the vaccinees and seven of
5 those in the comparison group for rates that are well
6 under, for proportions that are well under one
7 percent.

8 Let me emphasize that these medical
9 records have not been reviewed yet, so these are
10 numbers based just on assignment of diagnosis codes.

11 Our preliminary conclusions are these.
12 First that, I believe, the premise is correct, that
13 HMO based record linkage is able to identify vaccinees
14 reliably, and that the first assignment of these
15 diagnosis codes is approximately equally common in
16 vaccinees and in comparators.

17 Most of these don't represent outcomes of
18 interest. It will be necessary for us to do the chart
19 review to identify new onset codes of interest. We
20 expect the first part of those chart reviews to be
21 included in our fourth interim report, which is due in
22 March, and to have the substantial bulk of the ones
23 that we now know need to be reviewed, done by the time
24 of our September report.

25 Our current plan is to continue the

1 existing protocol and to bring these two new HMOs on
2 line during this year. As I mentioned, all of their
3 data, since the vaccine was introduced, will be
4 available when that happens.

5 We don't know how many vaccinees we will
6 have recruited in the three HMOs by the end of this
7 third year. It is possible that we won't have 25,000.

8 In that case I think that there are two
9 strategies that could be considered. One is to use
10 the data that we will have at the end of the third
11 year to recompute the power and confidence limits,
12 because by that time we will have substantial
13 information on baseline, on the baseline rates of the
14 events that we care about, and we will have a good
15 idea of the sample size.

16 If we need to recruit additional subjects
17 then, once again, there are two possibilities. One is
18 to extend the recruitment period, the other would be
19 to identify an additional HMO collaborator.

20 We will be entirely willing to do that.
21 I do want to tell you, again, that we made a fairly
22 thorough search for environments in which it would be
23 possible to extend the recruitment.

24 And as of very recently there were no
25 additional sites that appeared to be appropriate for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that purpose. The sites that -- that is because one
2 would need sites that are in endemic areas that are
3 using the vaccine, and have a history of doing
4 research like this, and are willing to commit their
5 resources to the study.

6 And we have found no other potential
7 collaborators at this moment. That may change in the
8 next year, however.

9 That is where we stand now. I would be
10 happy to answer questions either now or later, as you
11 like.

12 CHAIR DAUM: I think we will take a few
13 questions now.

14 Before we begin the questions, though, I
15 would like to point out that this committee needs to
16 be sure they deliberate the issues at hand in the best
17 possible environment.

18 And therefore I would ask that people who
19 have cell phones that keep going off, beepers that
20 keep going off, please turn them off now so that they
21 don't continue to disrupt the proceedings.

22 We will now take committee questions. I
23 have Ms. Fisher, Dr. Fagget, Dr. Manley, and Dr.
24 Griffin, and Dr. Stephens. And, of course, our two
25 consultants on the other side. I used to be able to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remember ten things at once, and now it is more
2 limited.

3 So we will just go, and we will get
4 everybody to have a turn.

5 MS. FISHER: I assume there was exclusion
6 criteria for those participating in the study. Did
7 you include people who had had previous lyme disease,
8 who had been vaccinated and had reactions, or would
9 appear to be arthritis type reactions afterwards; did
10 you exclude people who were sick at the time of
11 vaccination; those with a history of autoimmune
12 disorder in the family, what was your criteria?

13 DR. PLATT: Remember this is a passive
14 study. That is we are reporting all of the vaccine
15 experience of the -- so --

16 MS. FISHER: But you would have, I assume,
17 for informed consent purposes, when you enroll people,
18 and you did use -- at first you said that there was no
19 active recruitment. And then later you said that
20 there was recruitment.

21 And so you must have had some informed
22 consent that was signed by those who were vaccinated.
23 Was there an exclusion of certain categories of
24 individuals?

25 DR. PLATT: I'm sorry if my second

1 statement was misleading. There was no active
2 recruitment, there was no special notification to
3 providers, or to members of the HMO that there was any
4 interest in doing a study.

5 So we are observing the use of vaccine as
6 the several thousand providers, and million plus
7 members of the HMO chose to use and receive it.

8 So the data I'm showing you are all of the
9 experience. It will be possible, after the fact, to
10 go back and comment on what proportion of the
11 individuals who are immunized had a prior diagnosis of
12 Lyme disease, but they are all in the data that I'm
13 showing you.

14 MS. FISHER: You have not answered my
15 question.

16 DR. PLATT: I'm sorry about that.

17 MS. FISHER: About those who are
18 vaccinated, was there an attempt to exclude certain
19 categories of individuals? In other words, those who
20 had a history of autoimmune disorders in the family,
21 or personally; those who had had previous adverse
22 reactions to perhaps other vaccines; those who were
23 sick at the time of vaccination, etcetera?

24 DR. PLATT: Those decisions would have
25 been made by the primary care practitioner who was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 caring for the individual. There was no study
2 protocol that governed this. No one was immunized
3 because of this study.

4 So my second use of the term recruitment
5 was not meant to indicate that there was any attempt
6 to encourage individuals to be immunized. So there
7 was no informed consent, because this was routine
8 medical care that was delivered.

9 So if providers chose to exclude
10 individuals on the basis of the criteria that you
11 mentioned, then they would have done that, and we
12 wouldn't see those people.

13 MS. FISHER: Absolutely affects the
14 outcome of your study. It affects it because you
15 don't understand what the history is. I mean, there
16 had to have been some informed consent here in terms
17 of which individuals were enrolled.

18 I would think that before vaccination took
19 place the individuals would have to --

20 CHAIR DAUM: Ms. Fisher, I think the
21 question has been asked and answered, there was not
22 informed consent. And whether there should have been,
23 or could have been, would have been, is something the
24 committee is welcome to discuss.

25 DR. GRIFFIN: This is a licensed vaccine,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it doesn't require informed consent for a licensed
2 vaccine, right?

3 CHAIR DAUM: I am not sure that is a
4 correct view. But the point is that there wasn't.
5 Dr. Fagget, please.

6 DR. FAGGET: Dr. Platt, had you finished
7 your answer?

8 DR. PLATT: I'm sorry?

9 DR. FAGGET: Had you finished?

10 DR. PLATT: Yes.

11 DR. FAGGET: My question is relative to
12 underreporting. As a former HMO medical director I'm
13 well aware that a five to seven minute visit does not
14 give, really, time in many cases, for that primary
15 care physician to really pick up subclinical arthritic
16 conditions, and things like that.

17 Also you have already mentioned that
18 claims data is definitely require medical record
19 review in order to verify.

20 DR. PLATT: Yes.

21 DR. FAGGET: So my question is, do you
22 have a feel for how much time your HMO practitioner
23 has to spend on each patient, and are you comfortable
24 that in this -- yes, HMOs are a good source, but is
25 the visit adequate to give you what you need in terms

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of a really comprehensive ICD-9 diagnosis?

2 DR. PLATT: I'm sure the HMO would tell
3 you that there is ample time for a thorough
4 evaluation. But I take your point that claims data do
5 not provide the same depth of information as a
6 structured interview does. We just have to understand
7 that.

8 So the evidence that I can bring to you
9 are two pieces. One is, in the follow-up interval
10 that has been available, eight percent of vaccinees
11 have had a new diagnosis of a code that we consider to
12 be an indicator code.

13 So there are lots of people who have codes
14 assigned. And the second is I think that to the
15 extent that conditions are severe ones, they are
16 likely to be more reliably captured.

17 DR. FAGGET: Will you breakout the
18 category of primary care provider, nurse practitioner
19 versus physician, versus PA, will you have that
20 information?

21 DR. PLATT: I don't have it now, I will
22 have to check on whether we can find it for you.

23 DR. FAGGET: This is preliminary, right,
24 what you are reporting today is preliminary?

25 DR. PLATT: This is the first two years of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a seven year proposition.

2 CHAIR DAUM: I have Dr. Manley, Stephens,
3 Goldberg and Davis. Dr. Manley, please.

4 DR. MANLEY: Thank you. My question is
5 related to one of the earlier questions. You've
6 explained about the fact that this was not a proactive
7 study, there was no enrollment, though you did use the
8 word recruitment several times.

9 But I'm wondering about the pregnancy
10 registry. You stated there is no evidence, to date.
11 What can you tell us about the pregnancy registry, are
12 there patients that have been assigned to that
13 registry, are there numbers, any information at all on
14 where we are?

15 DR. PLATT: Right. This study is not
16 linked to that pregnancy registry, so I would look to
17 one of the sponsors.

18 DR. MANLEY: But the data you are
19 collecting so far, at the HMO, if a pregnant woman did
20 receive immunization would you be able to tell us, at
21 this point, that that had happened, and how many times
22 it might have happened?

23 DR. PLATT: It is knowable, we haven't
24 done that yet.

25 CHAIR DAUM: Okay. Dr. Stephens?

1 DR. STEPHENS: I think this is an
2 important study and hopefully we will learn some very
3 valuable lessons. My questions concern enrollment,
4 and the lower than expected rate of enrollment.

5 Can you comment on why you think that is,
6 is that imply because the vaccine is not being given,
7 or is it a reporting issue of individuals being
8 vaccinated?

9 And the requirement for continuous
10 participation of the HMO, do you have drop out factor
11 excluding from the study?

12 DR. PLATT: I'm fairly confident that the
13 reason is because the vaccine hasn't been -- I'm
14 reasonably confident that we are finding the vaccine
15 that has been given in the HMO.

16 And the, as I said, we are observing what
17 clinicians and patients decide to use. The vaccine is
18 what the HMO calls a covered benefit, so there is no
19 economic disincentive to use the vaccine.

20 I do not think that we have been losing
21 individuals because of enrollment issues. That is,
22 most of the -- there is attrition in membership, but
23 we are following individuals until the time that they
24 disenroll.

25 So disenrollment wouldn't eliminate

1 anyone, because we would merely censor their
2 observation.

3 CHAIR DAUM: Can you give us just a sense
4 of turnover of your HMO population?

5 DR. PLATT: Our HDAS figure is 14 percent.

6 CHAIR DAUM: Per year?

7 DR. PLATT: Yes.

8 CHAIR DAUM: Dr. Goldberg, please.

9 DR. GOLDBERG: A couple of questions, and
10 some of this follows on what Dr. Fagget asked before.
11 You are reviewing only the codes of interest in these
12 reviews.

13 Have you done any sampling, or have you
14 any procedures to review, other records that aren't
15 among vaccinees in controls that don't show these codes
16 of interest to see what the underreporting might be?

17 And to follow on that, have you trained or
18 informed all of the physicians who see these patients
19 in what you are looking for, in a more active way,
20 even though the patient aspect of it is passive?

21 And then thirdly, do you have a data
22 safety monitor in process that is organized and doing
23 the blinded review, and then summarizing the data in
24 some preplanned way?

25 DR. PLATT: I'm old enough that three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 things is going to be hard to keep in mind.

2 DR. GOLDBERG: You can take them one at a
3 time.

4 DR. PLATT: We are reviewing only records
5 that have a code of interest. We develop, I think by
6 a consensus process, a very broad list of codes that
7 includes things that we didn't really believe that
8 clinicians would assign if an individual had an
9 outcome of interest.

10 And in choosing that very broad list of
11 codes we made a decision that the yield in the group
12 that weren't included would likely be low enough that
13 it would not be a fruitful search.

14 We are entirely open to other kinds of
15 sampling. But we have to be careful about making
16 decisions about how to do that sampling in an
17 informative way.

18 Because if we think of the background
19 occurrence rate is 1 in a 1,000, and people who don't
20 have one of those codes, then we would have to review
21 several thousand charts to find one.

22 So the second question was, how did we --
23 what did we -- how did we inform the clinicians. And
24 we didn't inform the clinicians. That was a design
25 feature of the study to, in large measure, to avoid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 potential reporting biases to look at the diagnoses
2 that clinicians chose to assign as part of their
3 routine medical care.

4 And, finally, we have a -- if I understand
5 your third question properly, we have a very well
6 specified process for the reviewing of the charts, and
7 the recording of the events that we find.

8 That has been -- was that your third
9 question?

10 DR. GOLDBERG: That was part of it. The
11 other part was, is this being reviewed on a routine
12 basis, you know, in some format that one can see the
13 changes over time?

14 DR. PLATT: Right. Our periodic reports,
15 which have been quarterly and now are every six
16 months, each include a sort of a full update. So it
17 is both incremental data and cumulative results.

18 So each of those reports there is an
19 opportunity to do that comparison.

20 DR. GOLDBERG: Can I just ask one follow
21 on question? On the -- you said that you are not
22 required, you haven't trained the physicians to really
23 asses this.

24 Do you have some idea of how physicians do
25 report, how many diagnoses do they report at a given

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701