

af

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

**TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES
ADVISORY COMMITTEE**

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Friday, January 19, 2001

8:30 a.m.

Holiday Inn Bethesda
Versailles I and II
8120 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
735 C Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

Paul W. Brown, M.D., Chairperson
William Freas, Ph.D., Executive Secretary

TOTING MEMBERS

Ermias D. Belay, M.D.
David C. Bolton, Ph.D.
Donald S. Burke, M.D.
Dean O. Cliver, Ph.D.
Bruce M. Ewenstein, M.D., Ph.D.
Peter G. Lurie, M.D.
Pedro Piccardo, M.D.
Stanley B. Prusiner, M.D.
Raymond P. Roos, M.D.
Elizabeth S. Williams, D.V.M., Ph.D.

JOTING CONSULTANTS

Linda A. Detwiler, D.V.M.
David Gaylor, Ph.D.
Paul R. McCurdy, M.D.
Kenrad E. Nelson, M.D.

NONVOTING CONSULTANT

Susan Leitman, M.D.

GUESTS

Richard Davey, M.D.
Louis Katz, M.D.

P R O C E E D I N G S

Introductory Remarks

1
2
3 DR. FREAS: Welcome to the second day of the
4 transmissible Spongiform Encephalopathies Advisory Committee
5 Meeting. I would like to state that the conflict of
6 interest statement that was read into the record yesterday
7 pertains to today's discussions as well and we ask all
8 members of the audience, if they come to the microphone,
9 please identify themselves and then, two, publicly
10 state any financial affiliations with any firms that they
11 may have.

12 Thank you.

13 Dr. Brown?

Topic 2**Committee Discussion (Continued)**

15
16 DR. BROWN: Good morning. If we really get
17 tracking, only half the committee is here, we can probably
18 get through several of the votes. We are, in fact,
19 beginning today with the conclusion of yesterday's
20 deliberations on Topic 2 which concerns tissues and cells
21 and cell products.

22 We held off all of the discussion and the voting
23 until today. I think, actually, quite seriously, we can
24 vote on question 1 because there is no way that we can vote
25 in any other way than yes. But, I will read the question

1 and you will see why; compared to the risk of transmission
2 of variant CJD by blood transfusion, is there a significant
3 risk of transmission of vCJD from human cells, tissues and
4 cellular and tissue-based products that are transplanted,
5 implanted, infused or transferred?

6 The answer has to be yes because the cornea is
7 demonstrably infectious. So I suggest we vote on that and
8 get to the important question which is what are the relative
9 risks for different cells and tissues.

10 Is there discussion before we do this? Ray?

11 DR. ROOS: Now, this is variant CJD.

12 DR. BROWN: That's right; this is variant.

13 DR. ROOS: Do you know that the cornea--

14 DR. BROWN: No; we don't.

15 DR. ROOS: Oh.

16 DR. BROWN: But we know that the cornea alone,
17 among tissues with standard CJD, has been infectious and
18 there is no reason to suppose that, in this one tissue,
19 variant would be less rather than more infectious than
20 standard CJD.

21 DR. ROOS: I think we probably have--

22 DR. BROWN: Relative to blood, bear in mind, which
23 is not--

24 DR. ROOS: I think we have no data, Paul, on
25 natural variant CJD in humans as far as tissue distribution.

1 Maybe I am wrong.

2 DR. BROWN: We have PrP data.

3 DR. ROOS: PrP data.

4 DR. BROWN: In all the tissues that have been
5 looked at, the amount of protein in variant CJD exceeds
6 that. In fact, there is not any demonstrable in classical.

7 DR. ROOS: So we know minimum infectivity at the
a moment.

9 DR. BROWN: Yes.

10 DR. ROOS: But, clearly, we don't know the tissue
11 distribution in its natural--you know, of human to human.

12 DR. BROWN: Right. And we have no information
13 whatsoever on infectivity in blood which we have already
14 decided posed a potential risk. That is why I say I don't
15 think the committee can really justify any other vote but
16 yes. But we will see.

17 Ray, why don't you start the voting, on this
18 particular question; compared to the risk of transmission of
19 variant CJD by blood transfusion, is there a significant
20 risk of transmission of variant CJD from human cells,
21 tissues and cellular tissue-based products that are
22 transplanted, implanted, infused or transferred.

23 DR. ROOS: Yes.

24 DR. DETWILER: Yes.

25 DR. EWENSTEIN: Yes.

1 DR. BURKE: Yes.

2 DR. McCURDY: Yes.

3 DR. PICCARDO: Yes.

4 DR. GAYLOR: Yes.

5 DR. BOLTON: Yes.

6 DR. BROWN: Yes.

7 DR. BELAY: Yes.

a DR. CLIVER: Yes.

9 DR. LURIE: Yes.

10 DR. WILLIAMS: Yes.

11 DR. PRUSINER: Yes.

12 DR. FREAS: The vote was a unanimous yes vote.

13 DR. BROWN: Is Dr. Nelson going to be here?

14 DR. FREAS: I have not been notified. I am

15 assuming he is on his way.

16 DR. BROWN: The vote on that is 15 to 0, is it

17 not?

18 DR. FREAS: That is correct.

19 DR. BROWN: Now we have a question about which we

20 really have virtually no information whatsoever. We can

21 discuss it a bit, if you would like, and that is what are

22 the relative risks for different cells and tissues. Does

23 anyone want, for the record, at least, make a comment about

24 this?

25 DR. FREAS: Dr. Brown, may I just correct; the

1 total was 14. The vote was right. My math was wrong.

2 DR. BROWN: Is there anything we can say about the
3 relative risks for different cells and tissues? I have just
4 said that probably, based on what we know, the cornea would
5 represent as close to a known relative risk as we have
6 information for. Based on the distribution of infectivity
7 in classical CJD, we can presume that the lymphatic system
8 would be a system of tissues which would compose a
9 comparatively higher risk than, say, muscle or bone.

10 But the fact is, we have no experimental data on
11 infectivity in variant CJD. So we can't know anything;
12 right?

13 DR. ROOS: I guess the other comment that is worth
14 making is that when tissues donated for a pool, clearly
15 there is more danger so that a blood donation that might end
16 up in a large pool for blood products would have more
17 potential problems from a biohazard point of view than a
18 donation of tissue-to-tissue to no pooling. That is true
19 for dura mater as well as blood.

20 DR..BROWN: In other words, a single tissue,
21 individual-to-individual, is a dead-end track, by and large.

22 DR. LURIE: Just sort of to echo, in a way, what
23 Ray is saying, aside from cornea which is associated maybe
24 with cases of CJD, the same is true for dura mater. In
25 fact, obviously, there are forty or more of those. So that

1 would be--I think cornea and dura mater are in a separate
2 category from everything else.

3 DR. BROWN: That's right. I had forgotten. Dura
4 mater is--is that correct, FDA people--considered to be a
5 tissue rather than--dura mater comes under the aegis of this
6 question.

7 DR. SOLOMON: Currently, it is a medical device.
8 But we are planning to make it a tissue and it does come
9 under the discussion.

10 DR. ROOS: The committee has already stipulated
11 extraordinarily stringent precautions for dura which
12 probably would make, in practice, singling dura out
13 irrelevant. But I agree it should be in there because it is
14 a tissue.

15 DR. LURIE: I think the broad way of thinking
16 about this, again, is balancing presumed risk against likely
17 impact in terms of reduced supply if there were any
18 restriction of any kind, the sort of matrix we have used for
19 blood donations.

20 DR. BROWN: This is question 2, is what you are
21 addressing now.

22 DR. LURIE: I think so.

23 DR. BROWN: Question 2 addressed the possibility
24 of action by the FDA considering the potential impact on
25 supply. We are not on question 2 yet. I agree, it is a

1 consideration, but I think we should defer discussion of it.

2 DR. SOLOMON: Could I ask the projectionist to put
3 up the list of tissues that are under consideration?

4 DR. BROWN: That is a good idea.

5 [Slide.]

6 DR. PRUSINER: I was just going to say that what
7 Ray said really is a double-edged sword because, in some
8 cases, of course, where you have an aliquot of something
9 from one individual and now it is dispersed and diluted and
10 the titer of BSE prions is relatively low, then it goes
11 below the biological level.

12 So it is not always much worse. Sometimes it is
13 better. But I wanted to say that I just wondered if we
14 could think about this in a slightly different way. I
15 wondered whether, where it is practical--I am sure, in many
16 cases, it is not practical, such as in stem cells and marrow
17 transplants, but where tissues have a long half life and a
18 bank, I wonder if we ought to, somewhere along the line,, be
19 thinking that whatever tests, no matter no crude they are
20 now, in terms of immunodiagnosics, ought to be applied to
21 these tissues.

22 At least there ought to be some thought to begin
23 to think along that line. I don't know about specific
24 recommendations, but I just wonder if we ought to try and go
25 in a direction where there is a little more knowledge

1 because, as Paul Brown said, at the moment, we really don't
2 know anything about the tissue distribution of variant CJD.

3 DR. BROWN: I agree and I think we can, perhaps,
4 get into that when, again, we talk about question 2 because
5 one of the open-ended questions that they ask is are
6 additional data needed, or should we gather additional data
7 that might alter any decision we make.

a so this is a list of regulated tissues and cells.
9 My own read, just based on classical CJD and what we know
10 about PrP distribution is really what I said before. I
11 think, probably, eye tissues, cornea, sclera, dura mater,
12 corneal lenticules, and, conceivably hematopoietic stem
13 cells would be, from what we know, I think, in the hierarchy
14 of probable ultimate knowledge about the risk would be
15 probably at the top of the list and everything else
16 underneath it.

17 I don't know if that would be useful to the FDA.
18 I suppose it would be if we make certain votes subsequently.
19 It won't be useful at all if we don't.

20 DR. McCURDY: You mentioned hematopoietic stem
21 cells. Yesterday, Dr. Confer commented that at least the
22 peripheral blood stem cells are heavily diluted by
23 lymphocytes. We know that you can find variant prions in
24 the tonsils and other lymphoid tissue. Has anybody looked
25 carefully enough in peripheral blood lymphocytes from

1 variant cases to know?

2 Also, it is my recollection that one of the FDA
3 people working on this has found PrP, at least in platelets.
4 I don't know about abnormal.

5 DR. BROWN: There has been a lot of work on the
6 normal protein in platelets which, in humans, is loaded.
7 But there is no necessary correspondence between the amount
8 of normal and the amount of pathologic. That is one thing.

9 The second thing is, in one study recently
10 published, the plasma--unfortunately, it is not cellular
11 component, but the plasma from about twenty-odd patients
12 with CJD--or, perhaps--I'm sorry; perhaps it was platelets.
13 Do you remember this paper? This was a paper about two or
14 three months ago. It was a paper that was focussed--it is
15 from Great Britain.

16 It was a paper that was focussed on normal protein
17 and in platelets. They also studied about twenty-odd CJD
18 patients--it was almost a throwaway line in the paper--none
19 of whom had any PrP that was proteinase-resistant. So the
20 conclusion was there was no pathologic protein, whereas
21 there was plenty of normal protein.

22 The other fact is that we do know that bone marrow
23 was positive, presumably in a single BSE cow. It is not
24 much, but it is all we have and it would be plausible to
25 suppose that the origin of cells, in blood, which we have

1 already decided pose a possible potential threat, would be
2 present at their birth as well as their maturity.

3 DR. EWENSTEIN: I was just going to make the same
4 point about the lymphoid tissue. I think, as we take a look
5 at the list in the absence of apparently rigorous dissection
6 of all of these tissues that have been available here so
7 far, the only other ones that I would worry about would be
8 some of the fluids.

9 I don't know, for example, in semen, whether the
10 white cells in that fluid might not have--

11 DR. BROWN: These have been looked at in other
12 forms of CJD and no infectivity has ever been documented.

13 DR. EWENSTEIN: In other forms, I know. But when
14 we think about the difference between CJD and variant, I
15 think the lymphocyte distribution is the difference that I
16 am concentrating on here. So I just bring it up because I
17 think the risk here is of a different nature as well because
18 we are talking about elective procedures and we are talking
19 about the possible infection of a would-be embryo.

20 So I think that one would have to put, I think, a
21 question mark on that until we had some real data.

22 DR. BURKE: The other factor that plays in here
23 that is a bit different than the blood is the requirement
24 for close matching in some of these, particularly the bone
25 marrow. As I understand it, there may be a significant

1 number of people that would not match if--

2 DR. BROWN: This is correct, Don. But I do want
3 to stick to question 1. This is really under question 2;
4 that is, all of the benefit aspects will be discussed after
5 we make a vote on question 1. Question 1 really is a
6 scientific question.

7 DR. BURKE: Fair enough. I will hold it.

8 DR. BROWN: This is not, actually, a yes/no vote.
9 I don't think, actually, we have to vote on it because this
10 is a kind of a discussion. So we are going to escape a
11 vote.

12 DR. DETWILER: Just one other scientific thing.
13 You said about bone marrow, but in scrapie, in sheep, on
14 occasion, there had been evidence of infectivity also in
15 bone marrow. I think that is maybe why the FDA had asked
16 Dr. Priola to come and to show the differences in the
17 diseases and that variant CJD, at least with the peripheral,
18 may be closer to scrapie than BSE. But that is just to add
19 that.

20 DR. BROWN: That is a good point. Scrapie has
21 been one of the diseases that marrow has occasionally been
22 positive in.

23 Let's go on to question 2, then. Here I think we
24 need not be restricted just to considering the potential
25 impact on supply, although that will be a very important

1 consideration. Another consideration is a kind of allied
2 consideration of what is practical, how long can you keep a
3 cornea, how long can you keep stem cells.

4 If we are talking about interviews, if we are
5 talking about testing, the logistics play into this part of
6 the benefit part. So I will just 'open discussion on
7 anything that you have in mind for question 2.

8 Linda?

9 DR. DETWILER: I would want to echo Dr. Prusiner's
10 thing about the testing. I think if you look to the animal
11 world and say that right now, around the world, there are
12 thousands of tests being conducted. They are being
13 conducted in a couple of hours.

14 The logistics of holding carcasses and moving that
15 can be done. So I think you hear, "Well, you know, it is
16 going to take a while." That is being done by the
17 thousands. So I guess I don't buy that that can't be done
18 and the logistics can't be set up. I think if you are
19 worried about variant CJD of two tissues possible, you have
20 a number of methodologies, immunohistochemistry, Western
21 blot, some other techniques.

22 We have tonsil and brain material that could be
23 potentially--again, it is not going to eliminate everything
24 because of the negatives, what does a negative really mean,
25 but it sure will give you more information than you had. I

1 think the logistics can be done.

2 We heard yesterday that it is four to five days.
3 That is definitely doable for these tests that we have now.

4 DR. ROOS: Just to pursue that, I guess what
5 surprises me a bit is that there is no commercial test
6 available, as I understand it, for humans that can be
7 applied. I wonder whether there isn't some way to'
8 facilitate the development and approval of such a test
9 because I think it would be of benefit.

10 One other little comment, and it is something that
11 came up, I think, in the past with respect to blood-pooled
12 products that were found to have a donor that had
13 Creutzfeld-Jakob, at least in the old days, and what to do
14 with that blood pool. I wonder about a kind of a two-tier
15 system with respect to some of these donations.

16 In other words, we are talking about donor
17 deferral that might have a travel history that is
18 unacceptable for the blood transfusion. I wonder, in the
19 case of critical tissue donations in which, for example, the
20 stem cells are in short supply and we may be dealing with a
21 situation that is life-threatening whether particular
22 tissues that are donated for transplantation that might not
23 satisfy the rigorous criteria that we are using as far as
24 inclusion and exclusion could be labeled as such and used
25 with known risk.

1 It wasn't clear to me whether this was being done
2 at all at present; in other words, there might not be some
3 tissues that are labeled as biohazards potentially.

4 DR. McCURDY: I think we heard yesterday that the
5 National Marrow Donor Program is doing just that for marrows
6 and cord bloods and things that come in from U.K. and other
7 potentially dangerous geographic areas. So it looks like it
8 is doable and goes through the informed-consent process
9 which ultimately goes to the practicing physician at the
10 bedside but, nevertheless, their policy looked pretty good
11 to me when it was put up on the board.

12 DR. BROWN: Steve, we will hear from you and then,
13 Sue, would you like to chime in on this.

14 DR. LEITMAN: In the case of marrow and stem
15 cells, if, at the time that an identical six out of six
16 antigen match is identified and what is called IDM,
17 infectious disease markers, are measured, at that point, if
18 a marker is positive, short of HIV, if it is hepatitis C,
19 hepatitis B, various other surrogate markers, anti-core,
20 that information is conveyed to the transplanting physician
21 who conveys it to their patient and it is discussed, the
22 relative risk/benefit of using the only potential product,
23 transplantable product, matched problem, is weighed against
24 the risk of possible infection transmission.

25 so there is lots of precedence for biohazard

1 labeling and in-depth discussion of the transplanting
2 physician with the patient of the risk/benefit
3 considerations. So that could be applied here as it is
4 already being applied in different situations.

5 DR. DeARMOND: Steve DeArmond, UCSF. I want to
6 actually address a question to the committee and maybe Stan
7 could help a lot on this because of his knowledge of it, but
8 in assessing the risk/benefit and trying to decrease the
9 risk, especially for things like hematopoietic stem cells
10 where you are taking them from living patients and you are
11 already testing them in great detail for a variety of
12 markers, wouldn't it be possible to further test the patient
13 for homozygosity at 129 because; first of all, all of the
14 new variants occur right now in methionine-methionine.

15 We may see a methionine-valine some time in the
16 future, but that doesn't seem to be the case. 90 percent of
17 CJD, whether it is sporadic or acquired by infection, occurs
18 in people who are homozygous. It seems if that piece of
19 information were known from a donor that you would at least
20 increase the population, or retrieve a population, that
21 could be a donor. You would increase it by 50 percent
22 because about 50 percent would be methionine-valine.

23 Does that make any sense or is that too
24 impractical? These are processes that are also very
25 expensive.

1 DR. BROWN: I think it probably makes as much
2 sense as, say, a test for PrP.

3 DR. DeARMOND: We don't know sensitivity of that
4 test yet whether you can miss PrP in a sample and it would
5 still be effective. That still is a piece of data that is
6 missing.

7 DR. BROWN: That is true enough. On the other
8 hand--well, we can discuss that in a minute. 129 might be
9 an interesting and possible test to do. The turnaround on
10 that test is pretty quick, but it may not be less than a
11 day.

12 DR. PRUSINER: I agree with everything that is
13 said. A way to think about this might be, depending on how
14 fast you need the information back, if the PrP test is
15 positive, you don't need to do the DNA sequencing; right?
16 The sample is out the door. It is in the trash. It is
17 incinerated.

18 If it is negative, then you might want to go to
19 DNA sequencing. This is just an issue of time. In fact,
20 you don't even really need to do DNA sequencing. You could
21 set up a test just to look at 129 and you could get that
22 information very quickly.

23 DR. NELSON: would you test the donor or the
24 recipient or both?

25 DR. PRUSINER: No, no. You want to test the donor

1 in this case.

2 DR. BOLTON: But, in fact, in the absence of any
3 indication that there would be variant CJD in the individual
4 donating, you could be rejecting 40 percent of the donors
5 for no other reason than their genotype. That makes little
6 sense to me with a disease that is extremely low prevalence.

7 DR. DeARMOND: But, David, I think the problem
8 here is you are already considering rejecting all of those
9 people from Europe to begin with. What this test would give
10 you is 50 percent of them back with pretty good confidence
11 that they won't have disease.

12 So, as an alternative to complete rejection of all
13 of those Europeans as donors, for instance, for
14 hematopoietic stem cells.

15 DR. BOLTON: For those who would be considered for
16 deferral based on their past travel history only.

17 DR. DeARMOND: Or even travel history; yes.

18 DR. BOLTON: To me, the thing that is most
19 important is some sort of reasonably definitive test for the
20 presence of prions. It is either PrP or some other test.
21 In the absence of that, I think, especially in cases where
22 the recipient is in dire need of this material, the best
23 thing to do, in my opinion, is to have physician discuss it
24 with the patient and let them, together, weigh the relative
25 risk of an unknown but probably very low risk of danger due

1 to transplantation infection versus the benefit of receiving
2 the donation.

3 DR. DeARMOND: I could say, though, as a physician
4 sitting across from the patient, "Here we have some stem
5 cells for you, or marrow, and the person who is donating
6 them who matches with you is a person from Europe who is
7 heterozygous for prion protein. Not only do we not find any
8 PrP in the tissue, but this person has a highly low
9 probability of having the disease and I think the risk for
10 you would be extremely low."

11 DR. BOLTON: I would agree with that except what
12 happens when you get to the case where the only match is
13 methionine-methionine homozygous. Then, if we make a
14 recommendation that that be excluded, then they don't have
15 that option-.

16 DR. BOLTON: That is a possibility, but this is in
17 lieu of a blanket rejection of all stem cells from--I am
18 offering an alternative to blanket rejection.

19 DR. BOLTON: To blanket rejection. Yes.

20 DR. PRUSINER: I think that is the point, David.
21 It is not that we are offering a blanket yes or no here. We
22 are offering a procedure. We are suggesting a procedure
23 that, then, makes the patient and the physician more
24 knowledgeable and either more or less comfortable with the
25 decision.

1 DR. BROWN: It would be in the nature of an
2 exclusion, in the language of the FDA. In other words,
3 Let's suppose that committee says, "Uh-uh; we don't want any
4 corneas from Great Britain for ten years." The exclusion
5 would be if you lived in Great Britain for ten years and you
6 were not met-met.

7 DR. BOLTON: I guess I would argue that I would
8 not suggest that the exclusion be made at all but that this
9 information be added to the knowledge base so that, as you
10 go down this list of what is the best tissue to transplant,
11 when you get down to that very lowest part of the list, that
12 this is one of the factors that could be considered if no
13 better tissue is available.

14 DR. BROWN: The other way to look at this entire
15 issue of cells and tissues is to consider donations from
16 deceased people and donations from live people. Any
17 deceased person, in our present state of knowledge and
18 testing, could, if the logistics were worked out, have a
19 test on the brain for PrP. I mean, that is done deed.

20 If I take the eye, in the medical examiner's case,
21 out so that it not be contaminated, I have direct access to
22 the brain. A simple biopsy needle will give you the best
23 material possible for a positive test and the positive test
24 turnaround is about six hours.

25 So this is a doable thing. It means a tremendous

1 machine of logistics and it means money and it means someone
2 is willing to do it. But it is doable on any cadaver. The
3 situation is completely different with a live person and it
4 is a very dramatic split.

5 DR. DeARMOND: I would like to make a comment on
6 that because we run into that situation relatively
7 frequently with coroners' offices where they are reluctant
8 to do anything in detail. They don't want to contaminate
9 even their offices. A lot of the outside hospitals are that
10 way.

11 But when I say that you could go in and take a
12 needle biopsy from the brain, just drill a little hole and
13 take a piece of tissue, that, we have found, in cases, is
14 very helpful and, again, we can get a turnaround on that in
15 the order of hours.

16 DR. BROWN: That is what I am saying. You don't
17 have to take the cranium off to get a piece of brain. You
18 can go through the nose. You can go through the orbit. You
19 can go through any number of places and it has been a very
20 useful technique, for example, in these situations where
21 pathologists flea in panic at the word "CJD."

22 DR. DeARMOND: Coroners, also.

23 DR. BROWN: Coroners, also. Morticians, also.
24 All kinds of people. Sometimes, they are more comfortable
25 with the idea of a small needle hole than they are with a

1 limited autopsy. One wouldn't do a limited autopsy, anyway.

2 DR. BURKE: Sue told us about the option of
3 discussing the potential infectious disease risks. I don't
4 know how broadly that is done for other types of tissue
5 transplantations in the list that we have there. Is there
6 anybody here who can tell us is that a common procedure for
7 things other than for bone marrow? Is it, for instance, for
8 cartilage and bone and things like that? What about all of
9 the other tissues there? Is this also done where, say,
10 there is a hepatitis B marker, that you can waive that if
11 there is an acceptable--what is seen as an acceptable risk
12 given the desperation?

13 DR. LEITMAN: Other than hematopoietic stem cells
14 which are so tightly matched, there is probably not another
15 organ, including kidney and organs that have been
16 transplanted for a long time, where you need that kind of
17 matching. For kidney and liver, it is AB-0 only and there
18 may be other choices. That may be logistics, what comes up
19 at a certain time of the day or week.

20 But, in terms of tissue matching, it is stem cells
21 that that applies to. You also have the luxury, with stem
22 cells, in the unrelated matching program, to identify a
23 donor and then have several days to weeks to discuss that
24 with the patient, what the other options are, what the next-
25 best match is.

1 I am not sure this helps, but there is an interval
2 for testing. You identify the best match, based on HLA and
3 age and gender and numerous factors that Dr. Confer
4 discussed yesterday.

5 Then you can go down the list of next-best
6 matches, depending on what country they come from, what the
7 issues are, discuss that with the patient. I will say that,
8 in the setting of a related donor transplant, if you find a
9 sibling that is a genotypical match, it wouldn't matter how
10 long they had spent in any country in the world, that person
11 is so much better in terms of potential for cure and long-
12 term complication-free survival that it wouldn't even--the
13 risk/benefit is so overwhelmingly in the-favor of benefit.

14 So that is how a transplanter would think of it
15 probably in the unrelated setting, too.

16 DR. BURKE: So it might be in a separate category
17 from many of the other types of transplantations that we are
18 talking about.

19 DR. LEITMAN: I think so.

20 DR. BURKE: So maybe we should discuss that
21 somewhat differently.

22 DR. LEITMAN: I think I might punt this to the
23 FDA, but I think it is unacceptable to transplant tissue
24 from a cadaver that has any positive marker as opposed to a
25 living related stem-cell donor.

1 DR. BROWN: We are going the have to watch the
2 time again so we don't get increasingly behind schedule.
3 Quickly, Jean-Philippe?

4 DR. DESLYS: Very quickly, because I was out at
5 the beginning. Two informations; first, technically, the
6 test which is commercially available works very well on man.
7 It has not been validated for it. For the moment, 'in
a Europe, it is used for cattle and it can be used for ovine.
9 But it works very well for man.

10 I am not specializing the commercialization but if
11 I well understood, as there was no market, people didn't try
12 to develop it for man for the moment. But it works for
13 cattle and you can have a reply within a few hours if you
14 use it.

15 The only important thing is to have brain
16 material. It doesn't work with blood, unfortunately. But
17 it is technically possible.

18 DR. BROWN: I would like to summarize what I think
19 has happened, Dr. Lurie. In principle, it seems to me that
20 everything in the body relative to blood has to be at least
21 theoretically considered at least as infectious as blood. I
22 think we have to say that as a matter of theory.

23 It also seems to me that, in respect to tissues
24 and cells and cell products, that the benefit side of the
25 risk/benefit equation is so different, so variable,

1 depending on the tissue, the cell, the living or dead status
2 of the patient, that I am not sure the FDA really wants to
3 get involved in it.

4 It is a funny situation where we have something
5 that, logically, speaking might well be considered to be in
6 the same category as blood but other considerations would
7 seem to me to mandate that it not be put in the same
8 consideration as blood.

9 Dr. Lurie?

10 DR. LURIE: I agree with that but, in addition to
11 that, the elements we started to talk about were the issues
12 of alternatives, is one. And the second issue is the issue
13 of supply. I think that cornea and dura mater really are in
14 a different category, not only because of the living-dead
15 distinction that I think you helpfully make but for cornea,
16 we heard that at least for the American market there seems
17 to be general adequate cornea for our needs.

18 I am a little bit worried about restricting it so
19 that they are insufficient for export. I think that is a
20 sort of interesting ethical question whether that should be
21 our concern at all.

22 But I think that cornea is something for which
23 there is not an alternative but for which there seems to be
24 adequate supply. Dura mater, on the other hand, there seems
25 to be both inadequate alternative and inadequate supply. So

1 I think that those two certainly are in a different category
2 from everything else where the supply in one equation is
3 very different.

4 DR. BROWN: The question we are asking is focused
5 on donor deferral. If there is no more discussion, we will
6 take a vote on it. The question is, the committee has
7 previously assessed the risk of transmission of variant CJD
8 by blood and has made recommendations accordingly. Based
9 upon the committee's assessment of the risk of transmission
10 of variant CJD by human cells and tissue and considering the
11 potential impact on supply, should the FDA recommend donor
12 deferral criteria for possible exposure to the BSE agent?

13 Stan, you are first.

14 DR. PRUSINER: I say yes.

15 DR. WILLIAMS: Yes.

16 DR. LURIE: Yes.

17 DR. CLIVER: Yes.

18 DR. BELAY: No.

19 DR. BROWN: No.

20 DR. BOLTON: No.

21 DR. NELSON: No.

22 DR. GAYLOR: Yes.

23 DR. PICCARDO: Yes.

24 DR. McCURDY: No.

25 DR. BURKE: No.

1 DR. EWENSTEIN: Yes.

2 DR. DETWILER: Yes.

3 DR. ROOS: Yes.

4 DR. FREAS: To verify the no votes, the no votes
5 were Drs. Burke, McCurdy, Nelson, Bolton; Brown and Belay.
6 That is six no votes, nine yes votes, no abstentions.

7 DR. BROWN: What deferral criteria should the FDA
8 recommend; exclusion only for certain types of cells and
9 tissues? Let's tackle that one first, those who have voted
10 for some form of deferral. Linda?

11 DR. DETWILER: Paul, as I understood it, or this
12 is another question here, there is this other possibility of
13 testing, like on the cadavers, or is that--

14 DR. BROWN: That is not, I think, a part of any
15 yes vote; that is, there are no qualifiers attached to that.
16 You can add, or we can add, that **as** a deferral criterion.

17 DR. DETWILER: That is where I would like to go in
18 that realm of adding that as a deferral criterion.

19 DR. BROWN: Let's add, as small Roman numeral v.
20 here-:

21 DR. PRUSINER: Big Roman numeral V.

22 DR. BROWN: Big or little, how do you want to word
23 it, as a recommendation for PrP testing, a recommendation
24 for PrP codon 129 testing?

25 DR. DETWILER: I will leave that to the human but

1 definitely PrP in tissue.

2 DR. BROWN: So as a criterion, we will say, PrP
3 detection test.

4 DR. LEITMAN: Is this recommendation for all
5 cadavers from which tissue is to be harvested? Any tissue?

6 DR. DETWILER: That could be discussed. I
7 definitely think for, like, cornea and the dura mater, these
a very high-risk situations.

9 DR. LEITMAN: For brain tissue that makes sense.

10 DR. DETWILER: Or eye tissue.

11 DR. LEITMAN: That's brain.

12 DR. BROWN: I think it would have to be brain
13 tissue. I think you might get some false security if you
14 got a negative on any other tissue that we know about. So
15 it would be PrP detection test on brain tissue.

16 DR. LEITMAN: So my question, if you are
17 harvesting and it is not a recent death and it is not part
18 of the brain, if it is recent death and you are harvesting
19 kidneys or--

20 DR. BROWN: My understanding is that then you
21 would get a brain biopsy before you administer the kidney.
22 Is that right? Do we all understand that?

23 DR. DETWILER: Correct.

24 DR. CLIVER: Would this apply only to people who
25 would be deferred under the criteria we have established for

1 blood, though? We are not going to say universal brain
2 testing for every donor, say, in the United States.

3 DR. BROWN: I think the exclusions are totally up
4 for grabs. They are not dependent on what has been already
5 recommended for blood.

6 DR. CLIVER: But, once again, people who have
7 never set foot outside the United States would not come
8 under this at all, I should hope.

9 DR. BROWN: That is the way I read it. We are
10 talking about deferral.

11 DR. CLIVER: Okay; that is what I wanted to
12 verify.

13 DR. PICCARDO: Paul, regarding PrP testing, Linda
14 mentioned two things, which is immunohistochemistry and
15 Western blot. My question to the FDA people is if the
16 Western blot has been approved as a diagnostic test. I
17 think it has not.

18 DR. BROWN: I think the answer is no on both
19 counts. There is no validated test for PrP in this country.

20 DR. PICCARDO: Anyhow, I agree with what has been
21 said. I think it is a valid way to go.

22 DR. BROWN: Since we are talking cadavers, could
23 we also add that it should be a Western blot rather than--or
24 should it be an either/or? I think most people consider the
25 Western blot, a quick tissue extraction and Western blot to

1 be the most sensitive detection of PrP.

2 I would think, since we have got a dead body, that
3 we ought to get the optimal test.

4 DR. DeARMOND: I don't think you know that. We
5 don't know whether if a two-site immunoreactive--we are not
6 sure that a two-site IRMA or some other type of biochemical
7 test isn't more sensitive and is as specific. That hasn't
8 been determined. So I would leave it open that PrP testing
9 by Western or any other technique that will specifically
10 identify it. I don't think you want to box yourself into
11 Western.

12 DR. BROWN: That is probably a good idea. I don't
13 see, at the moment, any way that you can persuade a critic
14 that any immunohistochemical test is not picking up normal
15 PrP. I don't buy into that but a lot of people do.

16 DR. DeARMOND: I don't either because I do the
17 immunohistochemical and we can see the smallest amount in
18 one brain region. But, in the practicality, you can't do
19 that for a rapid test because that requires serially
20 sectioning the brain.

21 DR. McCURDY: You might get around it by just
22 recommending a validated test and let FDA decide how it
23 should be validated.

24 DR. BROWN: That is a good idea. Let's word it,
25 "a validated PrP detection test on brain tissue."

1 DR. LEITMAN: This is still very fuzzy. The
2 commerce, the commercial traffic, in organs from cadavers
3 from overseas must be infinitesimally small unless I am
4 missing something. Most of the deaths from which organs are
5 obtained in the U.S. are traffic accidents or murders or
6 homicides or I am not sure what in which case most of the
7 time, you can't get a travel history.

8 so I don't know what this is going to be applied
9 to. For someone who dies who was a former immigrant from
10 England, but that is going to be a very rare case--so what
11 are we asking that this be applied to?

12 DR. BROWN: Cadavers that have lived more than six
13 months in Great Britain.

14 Jay, did you want to say something before Dave? I
15 didn't recognize you. I'm sorry.

16 DR. EPSTEIN: I think the committee has gotten
17 around to recognize that FDA cannot recommend an unapproved
18 test. So we hear the comment that when tests become
19 available, we should make use of them. I think that is self
20 evident. I think the point Susan is making is in what
21 setting is it applied?

22 is it applied to all donations? Is it applied
23 only when there is a positive travel history, a residency
24 history, or is it applied only when you have both a travel
2 5 history and a high-risk tissue or is it applied always to a

1 high-risk tissue regardless of the travel history?

2 I think that is the useful thing to be advised on
3 now. To tell us to recommend a test when there is no test
4 is sort of moot although I appreciate the importance of
5 raising our awareness that that is where we want to go.

6 DR. BROWN: I think the 'FDA should take under
7 advisement the committee's enthusiasm for testing and,
8 perhaps, initiate or invite candidates to validate.

9 DR. BURKE: Let me point out there is not a
10 consensus on the enthusiasm for this. We have not
11 established that point.

12 DR. BROWN: Okay.

13 DR. EPSTEIN: You see, the thing is that right now
14 we don't have a test and right now we could ask for history,
15 So we want to be advised on whether we should be doing that
16 as an interim measure or a permanent measure, whether or not
17 tests come down the road.

18 I think the comments, obviously, are helpful about
19 testing although whether to do it and when to do it is, I
20 think, the tricky part.

21 DR. BROWN: It is true, and I am somewhat to blame
22 for confusing the issue because I was thinking about testing
23 globally, not just in terms of residence histories but one
24 of your criteria for regulation or guidance in terms of
25 safety of tissues and cells irrespective of deferrals and

1 travel history, just as a good idea to include in the mix of
2 things that you consider for safe administration of tissues
3 and cells.

4 **DR. PRUSINER:** I think you are absolutely right.
5 That is, I think, the sensible approach that you come to as
6 you go through this discussion.

7 **DR. BROWN:** Don, you were not enthusiastic. Tell
8 us about that.

9 **DR. BURKE:** In principle, I am enthusiastic about
10 using tests where they are available, but the implementation
11 of a test before it is ready can lead to huge problems. I
12 lived through the development of the AIDS diagnostics and
13 the criteria for interpretation of Western blot and what you
14 tell people who you have false-positives with.

15 So there are a huge number of potential problems
16 that come out of employing a diagnostic before it is ready.
17 That is my reason for conservatism here.

18 **DR. BROWN:** We did include the word "validated" in
19 this criterion.

20 **DR. BURKE:** That's fine. And, as was pointed out,
21 when it is validated, then it may be time to talk about it.

22 **DR. BROWN:** Well, you can talk about it any time.
23 We are not recommending a test be done before it is
24 validated. We are not recommending implementations. We are
25 simply saying that this would be an excellent criterion if

1 such a test is validated.

2 DR. BURKE: And I will be very supportive at that
3 time.

4 DR. BROWN: Then I can reinsert the word
5 "enthusiastic?"

6 DR. BURKE: You can use enthusiasm for a validated
7 test; yes.

8 DR. CONFER: Dennis Confer from National Marrow
9 Donor Program. I would like to give the committee a little
10 information that might help you put risk in perspective.
11 Regarding hematopoietic stem-cell transplant recipients,
12 particularly the unrelated donor type that we are really
13 concerned with, the vast majority of these patients have a
14 life expectancy without transplant that is on the order of
15 one to two years.

16 75 percent of the people we transplant have
17 cancer. Those are almost all leukemias in various forms or
18 lymphomas. The remaining 25 percent either have acquired
19 bone-marrow failure, aplastic anemia, or they are children
20 with various inborn errors of metabolism like the Hurler's
21 syndrome and the like who have a life expectancy of a few
22 years untransplanted or they are children with immune
23 deficiencies who have a life expectancy of several months,
24 untransplanted.

25 Patients who undergo hematopoietic stem-cell

1 transplant from an unrelated donor have a 40 percent
2 mortality in the first three months. So these patients,
3 when they have decided to go to transplant, have already
4 accepted huge risks, have terrible underlying diseases.

5 I think that discussing with these patients the
6 risk of TSE in that setting is a minor risk to those
7 patients. I don't think that testing should be done on the
8 unrelated donors, on their stem-cell products. I don't
9 think that these donors should be deferred when they are the
10 best donor for the patient who is trying to survive what is
11 otherwise a fatal disease.

12 DR. BROWN: Of course, that could apply also to
13 dura mater which is used very often on patients with
14 diseases just as serious as those you have mentioned, but we
15 still do it.

16 DR. McCURDY: Thinking it over, it seems to me
17 that, to address Jay's question, where you should use it now
18 might--now being when it is validated for this purpose--
19 would be when you are transplanting neural tissue, corneas,
20 dura, and that sort of thing, that when you get a test that
21 is validated for use on blood, then it might be reasonable,
22 or will be reasonable, I think, to apply it to other tissues
23 most of which are perfused with blood.

24 But recommending application of a test to other
25 than neural tissue at the present time is quite a ways in

1 the future, I think.

2 DR. BROWN: We have already decided that we are
3 not going to do that. In fact, the question is worded, "If
4 yes, what deferral criteria should FDA recommend?" It would
5 be reasonable to answer that, "validated positive PrP-
6 detection test in brain." That would be a recommended
7 deferral criterion.

8 What about deferral criteria otherwise listed in
9 terms of different cells and tissues, countries of
10 visitation and time of exposure? Do we need to consider
11 each one of these in turn?

12 DR. BOLTON: I would like to say that, except for
13 dura mater and cornea, I would prefer that no deferrals--of
14 course, I voted no to the first part, but if we are going to
15 suggest deferral criteria, I think, for those two tissues,
16 it makes sense. For the other ones, I would still prefer to
17 see that decision left up to the transplanting physician and
18 the recipient.

19 DR. EWENSTEIN: I think we are trying to grapple
20 with an almost bewildering number of different tissues for
21 which we have not had the same sort of formal presentations
22 and analyses that we were able to have for blood. But I
23 think that what we are trying to leave the FDA with is a
24 sense that there are high-risk tissues that I think we agree
25 on, that there are probably very low-risk tissues.

1 I think that the high-risk tissues should be
2 considered at least with the same criteria that we have
3 considered blood which is probably a low-risk tissue, except
4 that we do a lot of it.

5 I just reiterate my own personal concern about the
6 semen donations not in the personal-donor-directed use but
7 especially in the anonymous banks because I think there is a
8 different level of responsibility with that kind of tissue.
9 I would just leave that one in an unknown category until we
10 have some additional data on that.

11 DR. BROWN: So we have exclusion only for certain
12 types of cells and tissues. Question; which ones? We will
13 vote on this but, in terms of phrasing the question, should
14 I put down, for which ones, cornea, dura. Is there any
15 sentiment for listing others other than I think you want
16 embryos and semen? That is a difficult thing to vote on
17 because some people, for example, might not agree with semen
18 and embryos but they would want cornea and dura.

19 It is possible that we should not vote on this at
20 all and just discuss it and give the FDA a sense of what
21 tissues. On the other hand, you guys have already said you
22 want a deferral. You have got to have it concrete.

23 DR. DETWILER: Just semen, again, in the animal
24 species with TSEs, both in sheep and in cattle, in sheep
25 even with wide peripheral-tissue distribution of

1 infectivity, the work done with semen--again, the animal
2 umbers were limited--but when you took semen and inoculate
3 ight into mice, they were unable to cause disease and then,
4 ollected from infected rams, watched the progeny and there
5 as no evidence of transmission.

6 The same thing with BSE 'with even more work done
7 ith BSE.

8 DR. BROWN: Those of you who voted yes, you better
9 ome up with something.

10 DR. EWENSTEIN: There was a slide, and I don't
11 remember exactly how it was phrased, but there were certain
12 exclusions that the FDA had placed. I think some of our
13 concerns were already recognized on that slide. Could we
14 Look at that again because I think it covered--I think it
15 Left the appropriate doors. It had to do with medical
16 emergencies. It had to do with some other very particular
17 circumstances where I think we would all agree that the
18 benefit outweighed the risk.

19 DR. BROWN: The other way that I could handle this
20 would be to ask those people who did vote yes individually
21 to stipulate which tissues they want to be the subject of
22 deferral.

23 DR. EWENSTEIN: If we could just look at the
24 slide. I think this was well thought out and I think it
25 covered a lot of the issues that we would be most concerned

1 with. I think that we have already discussed this in a
2 couple of different possible settings but I think if you
3 think about it, it eliminates most of the risk to the
4 benefit, if you will, of some of these procedures.

5 So what we are really left with are either the
6 more anonymous donations or the donations where there is--
7 well, by this exclusion, there is not an urgent medical need
8 that will be unmet.

9 DR. BROWN: It is not prohibited if there is
10 documented urgent medical need. A neurosurgeon might say
11 here is an urgent medical need. I am in the middle of an
12 operation. I better use a dura. Urgent medical need is a
13 loose phrase and subject to a great deal of interpretation.

14 DR. EWENSTEIN: Even for the use of unapproved
15 drugs where we don't even have the time, necessarily, to get
16 the proper human subjects' consent and the like, we are
17 always allowed, as physicians, that last escape clause. I
18 think that is appropriate. You do have to justify it
19 afterwards.

20 DR. BROWN: You had brief comment?

21 DR. DuBORD: Yes. Paul DuBord. I am speaking as
22 a transplant surgeon and not as a representative of any
23 organization. I heard the description of CJD being very
24 high risk. I think it is important to recognize that you
25 have the stability of CJD elsewhere in the world except for

1 variant CJD of 1 to 1.2 in a million.

2 To describe that as high risk, I think we have to
3 be careful of how we look at that.

4 DR. BROWN: We are talking exclusively about
5 variant.

6 DR. DuBORD: We are talking exclusive variant CJD.

7 DR. BROWN: And we don't know what the risk of
8 variant is.

9 DR. DuBORD: And we don't know a whole lot about
10 this particular disease process.

11 DR. BROWN: It seems to me what you are saying
12 about classical CJD is irrelevant to the discussion.

13 DR. DuBORD: Okay. We will discard that. But the
14 classic CJD is pretty stable. Variant CJD, there are so
15 many unknowns about that. It has never been transmitted,
16 that we know of.

17 DR. BROWN: It has only been around a little
18 while.

19 DR. DuBORD: That's correct and we have long
20 incubation periods.

21 DR. BROWN: I will let you continue; go ahead.

22 DR. DuBORD: Thank you. But I think we have to
23 :Look at the risk-management issues here and look at the
24 :risk/benefit ratio we have for the donors. Some of the
25 things that you are talking about or throwing around this

1 morning have enormous negative impact, from the
2 administrative point of view, from the technical point of
3 view, from the financial point of view, and, basically, may
4 have no real benefit to the patients.

5 That is the bottom line is what benefit are we
6 going to give these patients. Are we kidding ourselves here
7 when we don't know a lot about this particular issue. I
8 think we really have to look at the risk-management issues
9 and the benefits of the decision that you are making.

10 Thank you.

11 DR. BOLTON: I would like to make a comment on
12 that as well, that in the case--we are going back and
13 comparing this with the risk of blood contamination in the
14 blood supply. I think that there are different
15 epidemiological considerations in that.

16 The blood supply, if it were contaminated,
17 especially in pooled blood or there were systematic problems
18 that were resulting in many units being contaminated, that
19 could result in an amplification and a much more serious
20 epidemic whereas most of these issues are one recipient at a
21 time and it is much less of a concern.

22 So I think that it makes more sense to allow the
23 recipient and the physician to consider these on an
24 individual basis rather than trying to legislate things or
25 make exclusions from a much broader population.

1 DR. BELAY: I agree with what David said. The
2 single overriding decision-making process, in my mind,
3 should be whether or not we are hurting any patients,
4 whether or not we are decreasing the supply or the
5 availability of any tissues or products for the patients.

6 That is one of the reasons I voted no for this
7 question.

8 DR. BROWN: I think we can vote on A. We have
9 just been relieved of the responsibility of having to vote
10 on B. The committee always wants additional data so why
11 don't we vote on A. and send a signal to the FDA that we
12 need more data, if they haven't already got it.

13 DR. BOLTON: A. only comes into play if we voted
14 no. Since we voted yes--I mean, the yes carried. I didn't
15 vote yes.

16 DR. BROWN: I can't have it both ways.

17 DR. BOLTON: That's right.

18 DR. BROWN: I had hoped no one would notice that.

19 DR. BOLTON: I should have been quiet.

20 DR. ROOS: David's point is that these issues
21 should be discussed between the physician and the recipient,
22 out, in order for that discussion to be held, you need data.
23 So I think travel history and the issues with respect to
24 blood provide some useful data. This doesn't mean that the
25 donor is deferred and won't be used. It just means that

1 these donors and the donations are in a different category
2 and labeled as such, and there is information available that
3 might be of value.

4 In other words, it could be that if you are the
5 recipient, you would prefer to get an American cornea than a
6 U.K. or maybe even skin from an American rather than a U.K.
7 So I think this is valuable information, especially because
8 we really don't know the tissue distribution.

9 I am not saying that we would discard the tissue
10 but just label it and get the information that is important.

11 DR. BOLTON: Ray, I would agree with you but my
12 understanding is that if the FDA were to adopt a deferral
13 policy, that, essentially, those tissues would not be used,
14 and those donors would not be--

15 DR. ROOS: Yes; my definition of deferral here was
16 different from yours. In other words, I think they are
17 identified and described and I think of things, perhaps, in
18 two tiers and designated as such rather than rejected.

19 DR. EWENSTEIN: But I think you have to think
20 about what we are saying here. A man shows up and says, "I
21 have been in the U.K. for a year during the high-risk period
22 and I would like to give some blood today. I would also
23 like to sign a card that, if I get into an auto accident on
24 my way home, you can have my cornea."

25 And we say, "No; you can't give the blood but we

1 are not going to worry about it when it comes to the
2 cornea." Yet, we have never transmitted a TSE to a human
3 through blood but we at least have one documented case--
4 obviously, the denominators are going to be very small
5 because the natural incidence of CJD is so small.

6 We already have at least one documented case,
7 maybe a couple of other cases, plus some experimental data
8 that it may be possible. So it seems to me, administrative
9 concerns aside, that it makes no sense to have that same
10 person deferred from blood and not from cornea.

11 There may be circumstances where we just don't
12 know. I am not sure that the risk is great enough that, in
13 the absence of a medical history or travel history, we are
14 going to defer the unknown patients. Maybe that is an
15 unreasonable position. But if we know, and we have already
16 deferred him from blood, I can't see taking the cornea.

17 DR. BOLTON: But I specifically said dura mater
18 and corneas. I don't have an objection to that deferral.
19 But if you are looking at a bone-marrow transplant and your
20 perfect match has been in the U.K. for seven months, that
21 seems to me an insignificant risk considering everything
22 else that is going on.

23 I would rather have the physician and the
24 recipient be able to make that decision rather than the FDA
25 to say, "I'm sorry; that donor is deferred. You can't have

1 that bone marrow."

2 DR. EWENSTEIN: Yes. I think in donor-directed
3 cases, and you can define it in a couple of ways based on
4 tissue matching or for reproductive reasons based on family
5 circumstances, then you can do this as a one-on-one
6 discussion. Where it is going into an anonymous pool, I am
7 saying it makes no sense to put the cornea into an anonymous
8 pool if you have decided you can't put the blood into that
9 anonymous pool.

10 DR. BROWN: Nick, quickly, and then we are going
11 to finish.

12 DR. HOGAN: I want to make a couple of quick
13 recommendations here from my perspective. First of all, if
14 you are talking about exclusionary criteria with history,
15 you are talking about all of the medical-examiner cases
16 probably not being able to get histories, so we are
17 excluding all of those that we talked about yesterday.

18 So you are not going to be able to get a travel
19 history from those. That is fine as long as you are aware
20 of that.

21 DR. BROWN: We are not on this question, yet,
22 Nick. That is the next question.

23 DR. HOGAN: Secondly, there are no corneas
24 imported from Europe. Thirdly, if you implement a test, we
25 are talking about 45,000 cases for a very small risk, huge

1 administrative problems. I know you have already addressed
2 that but it is important to consider when you talk about an
3 unvalidated test.

4 DR. LEITMAN: Could I say one thing?

5 DR. BROWN: Yes; go ahead.

6 DR. LEITMAN: In this slide and the one preceding
7 it--no; not this one, the ones that Bruce asked for that
8 were put up, FDA already has policies in place for donor-
9 directed tissues, if you will. I propose that those are
10 adequate from the discussion that I have just listened to
11 now from the data that was presented and that we don't
12 recommend that FDA make any changes right now in-those.

13 There is already a requirement for biohazard
14 labeling, for the physician being notified of results, for
15 getting informed consent. So that seems to be adequate
16 right now, given the uniqueness of those tissues.

17 And then I want to comment on Bruce's comment.
18 Blood is available in greater--slightly, hopefully, greater
19 supply than the need. But we have heard today and yesterday
20 that organs are not. That is the big difference. I have no
21 problem telling a donor that they are excluded from donation
22 because of a one-year sabbatical in London, which we do all
23 the time.

24 I have a problem telling them to take their organ-
25 donor card out of their wallet. I think it is a different

1 level of urgency, of need, of tissues that can be obtained
2 and impact on patients.

3 DR. BROWN: I'm sorry, committee. We are moving
4 on. What we are leaving the FDA with is what they are--

5 DR. SOLOMON: I just wanted, for the record, to
6 say we are not considering organs in this discussion.

7 DR. BROWN: That's right. Organs are not covered.
8 It is clear, the one thing from this discussion that is
9 clear is that there is a great variety of approaches to this
10 issue as discussed by this committee, that there is no
11 consensus by the committee on the exact stipulations of
12 donor-deferral criteria. That message has come across very
13 clear.

14 A close vote on whether or not the FDA should get
15 involved in donor deferral at all and no possibility of
16 arriving at a consensus as to what kind of criteria should
17 be used. So the FDA is satisfied with our discussion, our
18 marginal but definite decision, that the FDA should consider
19 deferral criteria and an inability to have a consensus about
20 exactly what those criteria should be.

21 We are moving on to the next question which is the
22 final question on this topic before we move to chronic
23 wasting disease and that is, if a deferral policy were to be
24 put in place, could information about the donor's risk
25 factors for CJD and variant CJD be obtained; i.e., is a

1 donor medical history interview required.

2 If there is no discussion, we will vote on that
3 question. The question is, is a donor medical-history
4 interview a requirement for--actually, that is not how the
5 question reads. I mean, I read the question, but if a
6 deferral policy is put in place, should a donor medical-
7 history interview be required.

8 DR. BOLTON: Since we can't settle 2B about a
9 deferral policy, I think it seems pointless to argue and
10 discuss much about 3.

11 DR. BROWN: I am happy with that.

12 DR. CLIVER: One small afterthought, though. I
13 think we could recommend that, at such time as a validated
14 PrP test for human brain tissue is available that, in these
15 instances--not across the board for cornea donations,
16 whatever, but in these instances, that we recommend that
17 that be applied as a criterion lacking a donor medical-
18 history interview.

19 DR. LURIE: I think, Paul, the other question here
20 is a legal one that I certainly can't answer which is these,
21 in effect, are state laws, unless I am incorrect about that.
22 There is a question of whether or not the FDA has any
23 authority to, in effect, overrule the state law for this
24 limited group of people.

25 MS. WARNER: If I can clarify that last point

1 about the state laws. We do have authority to overrule or
2 preempt those state laws if it were appropriate, in the
3 interest of public health. So what we are asking is whether
4 it would be appropriate to preempt those laws to the extent
5 that it would be necessary to obtain a donor medical
6 history.

7 DR. BROWN: Stan?

8 DR. PRUSINER: I was just going to say that I
9 think, stepping back from this for a moment and trying to
10 look ahead, there will be validated tests. They will come
11 along and, at that point, then whoever is on this committee
12 will sit down, reconsider all this and, from that landscape,
13 you will come away with, I think, some much more clear
14 recommendations.

15 We are having a very fuzzy time because we are
16 trying to put several hypothetical issues on the table,
17 things that are going to happen in the future. So I think
18 that to try to come to conclusions now is extremely
19 difficult because we don't have these kinds of things in
20 place.

21 DR. ROOS: We, of course, actually made
22 recommendations with respect to dura mater both local as
23 well as international sources in which we recommended a
24 validated test. I think that that is reasonable, perhaps,
25 to extend to corneas when that test is, in fact, available.

1 I think, with respect to the travel history, if
2 one cannot obtain a history, from my perspective, the cornea
3 still could be used for transplantation. But, again,
4 perhaps some description of this cornea in the absence of a
5 travel history should be linked to that particular tissue.

6 In other words, I think it would be a mistake to
7 restrict the supply of corneas because of somebody'in an
8 accident and this inability to obtain a history in time. On
9 the other hand, I think it might be a good description of
10 the sample, itself, and information that could be shared
11 with the recipient.

12 DR. BROWN: Laura?

13 DR. MANUELIDIS: I still have a little bit more
14 concern about bone marrow than the rest of the committee
15 even more so than blood because remember that these cells
16 can live for a long time in the recipient. So it is not
17 like something that is thrown out. I think that is the
18 problem with tissue and cells is that they can harbor
19 something in a small amount and come out much later simply
20 because you don't get rid of them.

21 I think that is one of the problems with something
22 which is acellular, for example, like dura mater which can
23 just harbor some stuff and nonspecifically stick to
24 infectivity. I think that you really have to think about
25 that when you talk about tissues.

1 So I think that is something that you should not
2 exclude, necessarily.

3 DR. DAVEY: Paul, I think, also, and maybe the FDA
4 can help me there-- I think the FDA, on occasion, has
5 allowed, in their information sticker, if you will, on a
6 biological product, some kind of information stating that,
7 "This product has certain inherent risks such as," whatever.
8 Maybe there could be just a blanket labeling of these
9 products saying there is a small, but undefined, risk for
10 certain diseases such as new variant CJD. That might cover
11 it.

12 DR. BROWN: A question, I guess, is whether or not
13 the FDA really wants to get involved in anything on so
14 little scientific evidence. It may be a very prudent thing
15 not to be ultraconservative for the next six to twelve
16 months and wait until we have a little data on the
17 distribution of infectivity in variant CJD before they
18 promulgate recommendations.

19 Clearly, the committee is at a loss simply because
20 we don't know. It has been my experience as the chairman
21 that when these discussions become progressively diffuse, it
22 is a good signal that we are arguing from no facts.

23 Therefore, I think that is the end of this discussion.

24 You can say what you would like.

25 DR. KENNEDY: Dr. Brown, what I was wanting to

1 mention is just a point from yesterday that the donors
2 obtained through legislative consent are much younger than
3 the other donors and so our calculations of risk that were
4 presented yesterday was that the risk among those donors is
5 approximately 40 percent less already than it is among other
6 donors.

7 If you lose those donors by requiring a medical-
8 history interview, you may actually end up leaving the
9 average level of risk in remaining donors greater than what
10 it is now.

11 DR. BROWN: Right. I think we appreciated that
12 from your talk, Dr. Kennedy.

13 We are going to move now on to Topic 3 which is a
14 discussion of issues related to chronic wasting disease and
15 its potential for human exposure.

16 Topic 3

17 Discussion of issues related to deer and elk infected with
18 or exposed to chronic wasting disease in the U.S. and
19 potential for human exposure to various animal TSE agents
20 should be considered by the FDA in determining suitability
21 of blood donors

22 DR. BROWN: For this topic, we have a series of
23 five speakers, the first of whom will talk about regulatory
24 issues. That is Dr. Brackett from the FDA.

25 **FDA/CFSAN Regulatory Issues**

1 DR. BRACKETT: Thank you, Dr. Brown.

2 [Slide.]

3 My name is Bob Brackett and I am a senior
4 microbiologist and also serve as the lead for TSE issues in
5 FDA's Center for Food Safety and Applied Nutrition, or
6 CFSAN. CFSAN is responsible for regulating cosmetics,
7 dietary supplements and most food products other than most
8 meats and poultries. These last two products are regulated
9 by the United States Department of Agriculture.

10 This morning, we are going to be discussing
11 issue 3 which is chronic wasting disease, which is a TSE of
12 elk and deer primarily in the western states. But, before
13 we actually hear some of the more detailed discussions by
14 the following speakers on this issues, I wanted to provide
15 you with a brief background of why FDA and CFSAN, in
16 particular, is concerned about chronic wasting disease and
17 how this issue came to be a subject for discussion at this
18 meeting.

19 Although I may touch upon some of the points that
20 will later be discussed by the speakers following me, I will
21 leave the details up to them. My goal this morning, really,
22 is to put the subject into perspective.

23 The first point I would like to address is the
24 question why is FDA even involved in chronic wasting disease
25 issues. After all, I mentioned only a moment ago that it

1 was USDA who was responsible for regulating meats, and this
2 is true.

3 [Slide.]

4 USDA's Food Safety and Inspection Service has
5 regulatory authority over most meats. However, the meat
6 products for which they have authority are very specific and
7 are listed under the Federal Meat Inspection Act. These
8 animals include cattle, sheep, swine, goats, horses, mules
9 and reindeer.

10 [Slide.]

11 All other meat products, particular game meats
12 such as elk and deer that are traded in interstate commerce
13 fall under the regulatory authority of FDA.

14 [Slide:]

15 The legal framework under which FDA regulates deer
16 and elk is the same as with all other foods that it
17 regulates; namely, the Federal Food, Drug and Cosmetic Act
18 and the Public Health Service Act. Although deer and elk
19 are not major food products in terms of production volume,
20 FDA is, nevertheless, committed to assuring the safety of
21 these products as well as the other products it regulates.

22 I would like to also point out that animals that
23 are harvested by hunters for their own use are not regulated
24 by FDA and this is under the purview of the states.

25 [Slide.]

1 The primary reason why FDA has focused on chronic
2 wasting disease at this time is really an indirect result of
3 the growth of the elk and deer industry in the recent years.
4 The breeding and raising of captive elk and deer is a small
5 but growing industry primarily in the West and in the
6 Midwest although it does occur in other states as well.

7 These animals are raised for a number of different
8 reasons including hunting stock, breeding stock for other
9 ranches, antler velvet or sometimes referred to as velvet
10 antlers and, of course, meat.

11 [Slide.]

12 As you will probably hear from the speakers that
13 will follow, chronic wasting disease was first identified in
14 captive deer herd about thirty years ago. Since that time,
15 the disease has also been identified in wild deer and elk in
16 Colorado and Wyoming and, just recently, in Nebraska as well
17 as in captive herds in about five US states and at least one
18 province in Canada.

19 Chronic wasting disease has been primarily treated
20 as an animal disease and has been addressed by state
21 authorities, primarily state veterinarians. At present,
22 each state decides how best to handle cases of chronic
23 wasting disease. The actions could vary from something like
24 destroying an entire herd in which chronic wasting disease
25 was confirmed to simply quarantining suspect animals.

1 Most importantly, from FDA's food safety
2 perspective, however, is what happens to the products of
3 these animals.

4 [Slide.]

5 The possibility that deer and elk infected with a
6 TSE could be used for human food or cosmetic products put
7 this issue squarely into the responsibility of FDA. It is,
8 in fact, this possibility that has prompted FDA to take a
9 closer look at this issue from the food-safety perspective.
10 A more difficult related question concerns that of deer and
11 elk that have been exposed to infected animals; that is, do
12 exposed animals, but not necessarily clinically or
13 pathologically positive, represent a risk for spreading a
14 TSE to humans.

15 [Slide.]

16 Although no human illnesses have yet been traced
17 to chronic wasting disease, at least one report, which was
18 provided in the committee's packet, has been used as
19 evidence to suggest that the question as to whether chronic
20 wasting disease could be transmitted to humans is still
21 valid.

22 We are aware that there is research going on in
23 this area that could support or refute this notion and we
24 would hope that the committee members who are closer to the
25 research would be able to provide us with some insight.

1 So, in closing, FDA questions whether or not
2 either deer or elk actually infected with chronic wasting
3 disease or those animals exposed to chronic wasting disease
4 could transmit a TSE to humans. FDA will weigh the evidence
5 used to answer these questions in formulating policy and
6 deciding on actions in dealing with products of infected or
7 exposed animals.

8 With that, I will quickly read through the
9 questions.

10 [Slide. 1

11 Question 1; are there scientific data or other
12 scientific evidence for transmission of a TSE from an
13 infected elk or deer to an uninfected elk or deer and, if
14 so, how strong are these data or evidence.

15 [Slide.]

16 Are there scientific data or other scientific
17 evidence for transmission of a TSE to people consuming or
18 using products from deer and elk with chronic wasting
19 disease. If so, how strong are these data or evidence?

20 [Slide. 1

21 Question 3, are there scientific data or other
22 scientific evidence for a transmission of a TSE to people
23 consuming or using products made from deer or elk exposed to
24 chronic wasting disease. If so, how strong are these data
25 or evidence?

1 Then, a subpart of question 3, is the potential,
2 if any, for transmission to humans different depending on
3 the types of exposure; for example, the offspring of chronic
4 wasting disease-infected elk or deer, a pen mate of those
5 deer, animals that are in close proximity but not
6 necessarily in the same pen as the infected deer, animals
7 exposed to equipment used in the transportation or'
8 slaughtering of these animals, or animals on the same ranch
9 with no direct contact with the infected deer.

10 [Slide.]

11 Question 4, are there scientific data or other
12 scientific information assessing the potential or actual
13 infectivity of different tissues or other animal parts from
14 chronic wasting disease-infected deer or elk. An example of
15 these parts might include bone meat versus meat on the bone,
16 neurological tissues, velvet antler, organs or glands, or
17 byproducts of slaughtering such as gelatin.

18 [Slide.]

19 Question 5, if there is a potential for
20 transmission of TSE from infected or exposed animals or
21 animal parts to humans, what is the likelihood of
22 transmission.

23 Again, here is a subpart, is the potential, if
24 any, for transmission to humans different depending on the
25 route of administration to the tissue; for example, oral,

1 transdermal, inhalation or injection or other.

2 With that, we will go on with the speakers.

3 [Applause.]

4 DR. BROWN: Thank you, Dr. Brackett. A very clear
5 charge. I think, for a change, we will be able to make some
6 recommendations based on science for topic 3.

7 We are going to have a discussion of background
8 information on chronic wasting disease presented by Dr.
9 Miller from the Colorado Division of Wildlife.

10 Background on **Chronic Wasting Disease**

11 DR. MILLER: Good morning.

12 [Slide. 1

13 I have been asked to provide the committee with
14 some background information on chronic wasting disease of
15 deer and elk as a foundation for the rest of today's
16 discussions. I am sure that all of you, as members of this
17 advisory committee, are at least somewhat familiar with this
18 disease so much of this is probably going to be review for
19 YOU.

20 In the next twenty minutes or so, I plan to
21 briefly review some of the key features of chronic wasting
22 disease, its history, our approaches to understanding its
23 distribution and occurrence and what we currently know about
24 its status in both free-ranging and farm cervids.

25 The information I am going to provide is a

1 synthesis of data, much of it previously published--it has
2 been generated by a number of very talented scientists who
3 have been collectively and collaboratively working on
4 chronic wasting disease for over two decades.

5 In particular, please recognize the contributions
6 of Drs. Beth Williams, Terry Spraker, Katherine O'Rourke,
7 Tom Thorne and Stuart Young who have all made substantial
8 contributions to our collective understanding of chronic
9 wasting disease in deer and elk.

10 [Slide.]

11 Chronic wasting disease, or CWD, as most folks
12 prefer to refer to it, is a naturally occurring
13 transmissible spongiform encephalopathy affecting native
14 North American cervids. It is believed to be caused by one
15 or more unique strains of infectious self-replicating prion
16 protein.

17 The strain of prion that causes CWD is
18 demonstrably different from the strain that causes BSE and
19 also appears different from at least U.K. scrapie strains.
20 The true origin of CWD remains unknown despite what you may
21 read on the web or in the newspaper. Whether it began in
22 scrapie or as a sporadic disease of deer or elk is, and
23 probably always will be, a mystery.

24 **The** known natural host range for CWD is limited to
25 three cervid species from two genera; mule deer and white-

1 tail deer from the genus *Odocoileus* and elk from the genus
2 *Cervus*. All three species show comparable susceptibility to
3 CWD but there do appear to be some species or genus-specific
4 differences that may influence tissue distribution and
5 transmission.

6 The hallmark clinical signs of chronic wasting
7 disease are emaciation and abnormal behavior. In practice,
8 subtle changes in behavior attentiveness and locomotion can
9 be detected in most infected animals some months prior to
10 the development of end-state clinical disease. Intercurrent
11 infections, particularly aspiration pneumonia and trauma,
12 can confound both clinical and post-mortem diagnoses and,
13 consequently, as with the other animal TSEs, laboratory
14 diagnosis is necessary to confirm infections.

15 Important epidemiological features of CWD include
16 a prolonged incubation period that averages somewhere in the
17 range of twenty to thirty months with natural infections.
18 Susceptibility to infection appears to be relatively uniform
19 among species, between sexes and across age classes. There
20 is evidence for genetic influence on susceptibility in elk
21 but not deer.

22 CWD appears to be maintained naturally in both
23 captive and free-ranging cervid populations in the absence
24 of exposure to contaminated feeds or other likely outside
25 sources of infection. Direct or indirect animal-to-animal

1 transmission, not necessarily along maternal lines, drives
2 epidemic dynamics. In addition, contaminated environments
3 is likely play a role in epidemic dynamics and the
4 recurrence of disease in some situations.

5 [Slide.]

6 Chronic wasting disease is not a new prion
7 disease. The clinical syndrome of chronic wasting was first
8 recognized in captive mule deer in Colorado in the late
9 1960s but actually tying the first recognition of a disease
10 like this to its first occurrence seems like a substantial
11 leap of faith.

12 Based on what we know about its distribution and
13 occurrence, it is quite plausible that CWD actually arose in
14 captive and/or free-ranging cervids forty or more years ago.
15 In 1977, Dr. Williams and Young recognized CWD as a TSE and,
16 within a few years of finally having a clear-cut diagnostic
17 criterion, chronic wasting disease was first detected in
18 free-ranging elk and deer in Northeastern Colorado and
19 Southeastern Wyoming.

20 However, there almost certainly were cases prior
21 to these first cases being detected in the early '80's.
22 Similarly, the first diagnosis of CWD in a farmed elk was
23 made in Saskatchewan in 1996. In retrospect, this most
24 assuredly was not the first case to occur in the elk
25 industry in either Canada or the U.S. So, although there

1 may be some value in recognizing these milestones in the
2 history of chronic wasting disease, it is important not to
3 interpret these as absolute time lines for the emergence of
4 this disease.

5 [Slide.]

6 Before proceeding into what we know about the
7 status of CWD in both free-ranging and farm cervids, I want
8 to provide a bit of context on how we have come to know what
9 we know. To truly appreciate how much we know about chronic
10 wasting disease, it is important to compare approaches for
11 detecting CWD with traditional approaches for detecting TSEs
12 in other animal species.

13 Dr. O'Rourke is going to be talking about
14 diagnostics in much greater detail so all I am going to
15 offer for now is kind of a conceptual overview. For both
16 scrapie and BSE, there has tended to be a focus on clinical
17 cases as a means of detecting new infections. This is
18 clearly an effective approach, particularly when such
19 diseases are reportable, but there are biases and
20 limitations inherent in this strategy.

21 These surveillance programs are often conducted in
22 an atmosphere of adversity where there may be substantial
23 economic penalties for owners of infected animals or herds.
24 The end result of all this is a strong tendency for
25 underreporting of these diseases and, consequently, for

1 underestimating their severity.

2 [Slide.]

3 I hope all of you realize that animals showing
4 end-stage clinical disease represent just the tip of the
5 iceberg with respect to the overall infection rate in a
6 population of interest. Those of us working with CWD also
7 recognized this some time ago and have modified our
a surveillance programs accordingly.

9 [Slide.]

10 Initially, we used histopathology, brain-stem
11 samples, as an adjunct to surveilling populations for
12 wasting disease and actually gained a much better
13 appreciation of the size and shape of that iceberg.

14 [Slide.]

15 Beginning in 1996, we adopted immunohistochemistry
16 of brain stem as our screening tool and, again, improved our
17 appreciation of the iceberg's depth and magnitude.

18 [Slide. 1

19 Since last year, thanks largely to the efforts of
20 Drs. O'Rourke and Spraker, we have been able to use IHC of
21 tonsillar tissues to gain an almost, but probably not
22 entirely complete, picture of the CWD iceberg.

23 [Slide. 1

24 We know even these IHC-based estimates of wasting
25 disease prevalence are a little low, but, in fact, they are

1 much closer to truth than data that may be generated by
2 other means.

3 [Slide.]

4 Based on pathogenesis data from both experimental
5 and natural CWD infections in mule deer and assuming at 24-
6 month disease course, tonsillar IHC probably fails to detect
7 infections only in the first couple of months after
a infection and is increasingly reliable as the disease
9 progresses. The appearance of IHC staining in brain stem
10 and subsequently the appearance of spongiform encephalopathy
11 and clinical signs can also be used to stage disease and to
12 confirm infections at the population level.

13 Elk are somewhat more problematic, as Dr. O'Rourke
14 is undoubtedly going to describe later. Overall, however,
15 the approaches currently used to detect wasting disease do
16 offer a much higher probability of detecting cases than
17 reliance on clinical disease alone.

18 [Slide. 1

19 Another important point is that the surveillance
20 systems for chronic wasting disease in free-ranging wildlife
21 evolved in the absence of regulatory or economic pressure.
22 To date, the motivations for reliably estimating the
23 distribution and prevalence of chronic wasting disease in
24 native wildlife populations have been two-fold.

25 One is scientific curiosity. The second is an

1 accompanying sense of responsibility for both acquiring and
2 conveying to the public accurate information about this
3 disease and its occurrence in public resources.

4 Similarly, the farmed-elk industry recognized
5 early on the value of detecting chronic wasting disease in
6 their herds as a basis for effective disease management. In
7 this environment, three somewhat distinct approaches to
a surveillance have evolved and are currently in use in
9 varying combinations.

10 Appreciation of the details of these applications
11 is important, I think, in understanding the data on CWD
12 status.

13 [Slide.]

14 The foregoing caveats notwithstanding,
15 surveillance for clinical suspects remains an effective tool
16 for detecting new foci of wasting disease infection in both
17 captive and free-ranging settings. Under these systems,
1a clinical suspects are samples whenever they are available,
19 Histopath of brain stem is usually sufficient to make a
20 diagnosis that I see as a welcome adjunct. Data are clearly
21 biased, though, and consequently of little use in estimating
22 prevalence.

23 This approach is actually very similar to
24 traditional scrapie surveillance in the U.S.

25 [Slide.]

1 In some captive settings, wasting disease
2 surveillance is extending, though, and has been applied to
3 all natural mortalities and, in some cases, to all
4 mortalities regardless of proximate cause. Several states
5 and the Canadian government have adopted this approach in
6 rules that regulate their elk industries.

7 Mortality-based surveillance is also an effective
8 tool for detecting new foci of infection and has resulted in
9 the disclosure of several infected elk farms over the last
10 three years. Here, again, histopath of brain stem is
11 usually sufficient but IHC is also a useful tool.

12 Inherent biases in these data limit their uses in,
13 again, estimating prevalence. This approach is considerably
14 more aggressive than traditional scrapie surveillance in the
15 U.S. and has facilitated CWD detection in the elk industry.

16 [Slide. 1

17 Finally, those of us investigating wasting disease
18 in free-ranging populations over the last decade have
19 developed efficient techniques for conducting geographically
20 targeted random sampling of harvested deer and elk to
21 estimate prevalence and monitor trends. In these surveys,
22 sections of obex and, more recently, tonsillar collected and
23 examined via immunohistochemical. Infections can be staged
24 further by histopath.

25 Data from these samples represent relatively

1 unbiased point estimates of CWD prevalence. Unfortunately,
2 comparable slaughter-survey data for scrapie and BSE have
3 not been reported formally confounding comparisons of
4 epidemic severity between wasting disease and, for example,
5 scrapie in the U.S.

6 This lack of comparable data has perhaps fostered
7 some of the popular misconceptions of about chronic wasting
8 disease.

9 [Slide.]

10 Using various combinations of these three
11 surveillance approaches, we have developed a good basic
12 understanding of CWD status in North America. At present,
13 there do appear to be two distinct epidemics occurring in
14 North American cervid populations. One epidemic focuses
15 free-ranging cervids in southeastern Wyoming, northeastern
16 Colorado and extreme southwestern Nebraska.

17 The other epidemic is occurring in a relatively
18 small number of farms or elk herds scattered across the U.S.
19 and Canada. Although a common source for both epidemics has
20 been speculated and would certainly be the most parsimonious
21 way to explain the origins of wasting disease, no common
22 thread actually linking all of these events has been
23 demonstrated to date.

24 [Slide. 1

25 Alternatively, not knowing how or when chronic

1 wasting disease originated in the first place, it is
2 conceivable that whatever event gave rise to wasting disease
3 once could have occurred again in farmed elk and that the
4 two epidemics are, in fact, not directly related.

5 Regardless of whether or not they have a common
6 route, these epidemics, as well as we understand them today,
7 appear to be essentially unrelated epidemiologically and are
a probably best considered independently.

9 [Slide.]

10 What I first want to do is give a quick overview
11 of the highlights of the wasting-disease epidemic in free-
12 ranging deer and elk. Chronic wasting disease has been
13 recognized in free-ranging deer and elk since the early
14 1980s. This epidemic is likely related in some way to the
15 cases originally reported in captive research animals, but
16 which came first is truly a chicken or egg question that we
17 are probably never going to answer.

18 [Slide. 1

19 Initially, clinical cases were recognized in both
20 free-ranging deer and elk in northeastern Colorado and
21 southeastern Wyoming. Surveillance for clinical suspects
22 has been ongoing in both states since the first cases were
23 detected. Harvest-based surveys were conducted
24 intermittently beginning in 1983 and annually in some areas
25 beginning as early as 1990.

1 Since 1996, we have been using IHC to enhance
2 detection of infected animals. In all, about 7,000 deer and
3 elk harvested in northeastern Colorado and southeastern
4 Wyoming have been sampled. Ongoing random surveys have
5 revealed a single endemic focus of chronic wasting disease
6 that primarily involves mule deer but also includes white-
7 tail deer and elk where they occur in that area.

a That is the area in orange in the slide here. We
9 are going to zoom into that, now.

10 [Slide. 1

11 As described in our publication from last year, we
12 know from these survey data that chronic wasting disease is
13 endemic across about 40,000 square kilometers of contiguous
14 mixed native habitats that spans portions of northeastern
15 Colorado and southeastern Wyoming. New data from Nebraska
16 Game Fish and Parks Department and USDA Vet Services extends
17 this area just slightly into the extreme southwestern corner
18 of Nebraska.

19 They recently reported a case just right there.
20 It is about as close to Colorado and Wyoming as you can and
21 still stay in Nebraska, but it does extend that into
22 Nebraska.

23 The most intensively infected area that is shown
24 here in these darker red shades extends from the Laramie
25 Mountains south into the northern front range of Colorado.

1 In this area, average wasting disease prevalence exceeds
2 10 percent in sampled mule deer.

3 This high-prevalence ridge is surrounded by areas
4 of successfully lower prevalence, as you see with the
5 lighter orange areas going to yellow. On the fringes of the
6 endemic area, prevalence is actually 1 percent or less in
7 deer. Elk reside in the western half of this area where the
8 mountains start, right along here, and, throughout the area
9 where they occur, prevalence is 1 percent or less in that
10 species.

11 Where white-tail deer ranges overlap with mule
12 deer, wasting disease prevalence is similar in both species.
13 The spatial pattern of relative prevalence of this disease
14 strongly suggests that what we are seeing is actually an
15 epidemic occurring in slow motion extending geographically
16 through natural animal movements.

17 Our computer models suggest that chronic wasting
18 disease has likely been present in some of these more
19 heavily infected areas for thirty-five years or more.

20 [Slide.]

21 The good news is that, at present, chronic wasting
22 disease in free-ranging deer and elk appears to be confined
23 to this single endemic focus, right here. Surveys have been
24 conducted over the last four years in other parts of
25 Colorado and Wyoming as well as in portions of a number of

1 nearby and distant states and provinces by responsible
2 wildlife management and animal-health agencies.

3 These are the states and provinces that are shown
4 in green on the slide. None of the areas surveyed, the
5 shown-in-green surveyed areas, have shown any hint that
6 other foci of chronic wasting disease presently exists in
7 free-ranging cervids. In all, over 7500 deer and elk from
8 these areas have tested negative through the 1999 sampling
9 season and about another 5200 samples are in the process of
10 being examined.

11 At the very least, I think these data clearly
12 demonstrate the chronic wasting disease is not uniformly
13 prevalent across all of our native cervid populations in the
14 U.S. and Canada.

15 [Slide.]

16 So, to summarize kind of the highlights of wasting
17 disease in free-ranging cervids, it does appear to occur as
18 a single epidemic focus in the wild to primarily involve
19 mule deer, that it has been ongoing for several decades and
20 to show some evidence of slow natural spread.

21 [Slide.]

22 I want to briefly comment on approaches for
23 managing chronic wasting disease in free-ranging cervids. I
24 know there is going to be a lot more discussion later about
25 the elk industry and what it is doing, but we are not, as

1 wildlife managers, entirely clear on how to best manage this
2 problem.

3 Despite that, a number of actions are ongoing.
4 First and foremost, public information and education
5 regarding this problem has been ongoing since the mid-1990s,
6 well before April of 1996. Since CWD was first recognized
7 as something more than just a handful of interesting
8 diagnostic cases, our strong desire has always been to
9 insure that the public is aware of this problem, where it is
10 and is not, and what is being done.

11 Those who suggest anything to the contrary are
12 simply wrong. Our surveillance and research has been
13 important in improving our understanding of the magnitude of
14 this program. Until very recently, there have been no large
15 infusions of funding to study chronic wasting disease and
16 much of the work has actually been supported by state
17 wildlife management agencies, intramural university funds,
18 and in-kind contributions made by the very fine folks who
19 are collaborating in this work.

20 Despite the lack of funding or broad interest
21 until fairly recently; I hope that you can all appreciate
22 the contributions to understanding that all these things
23 have brought.

24 One of the tools for managing wasting disease has
2.5 been, and continues to be, selective culling of clinical

1 suspects throughout the endemic area. It has been ongoing
2 for some time, but we have intensified our efforts over the
3 last ten years.

4 We also have a self-imposed moratorium on
5 translocating free-ranging deer and elk from populations
6 where wasting disease is endemic as well as from populations
7 adjacent to those endemic areas. Finally, we continue to
8 control chronic wasting disease-infected populations through
9 annual harvest to either maintain or reduce population size.
10 We believe allowing these herds to expand unchecked is
11 likely to exacerbate both the severity and spread of this
12 problem.

13 [Slide.]

14 Turning now to the disease as we understand it in
15 farmed elk; as is the case with chronic wasting disease in
16 free-ranging cervids, chronic wasting disease in farmed elk
17 has likely been present for quite some time. The first
18 report of the farmed elk with chronic wasting disease came
19 from Saskatchewan in 1996. It was a routine submission of
20 an animal with a chronic pneumonia that was refractory to
21 treatment.

22 Nearly two years later, a similar case was
23 submitted from a South Dakota elk farm. Subsequently,
24 epidemiological investigations as well as submissions made
25 voluntarily or in compliance with mandatory surveillance

1 rules have led to disclosure of infections in eighteen game
2 farms in five western states and one Canadian province.
3 There **are** a few others that remain under investigation.

4 To date, all the cases in privately owned cervids
5 have occurred in elk. The apparently intensity of
6 infections in herds studied to date has varied widely and
7 probably reflects influences of husbandry as well as the
8 duration of infected in particular herds.

9 There are epidemiological connections documented
10 through animal movements among some but not all of the
11 infected herds. You can see some of those lines here shown
12 on the slide. Although there is geographic overlap between
13 the location of one infected Colorado farm and the endemic
14 focus in free-ranging deer, epidemiological investigations
15 really don't support free-ranging deer as the most likely
16 source of infection in this particular case.

17 [Slide.]

18 The elk industry, in conjunction with responsible
19 animal-health agencies, has been quite aggressive in dealing
20 with chronic wasting disease. You will hear more about this
21 from Drs. Zebarth and Creekmore later today, but I want to
22 highlight a few important points here.

23 of the infected elk farms identified to date, only
24 three remain under some form of quarantine and negotiated-
25 disease management. The remaining herds, all of these in

1 black--I switched from red in the last slide to black here--
2 have been depopulated or are in the process of being
3 depopulated.

4 In the absence of a national chronic wasting
5 disease-program for captive elk in the U.S., many herds were
6 depopulated voluntarily or with the help of industry
7 funding. At the urging of industry, Canada adopted a
8 national CWD program last year and its implementation is
9 under way.

10 Here in the U.S., several states, shown in purple,
11 have developed regulations and programs for wasting disease
12 that are in various stages of, actually, development or
13 implementation. Plans for a national program are well under
14 way.

15 [Slide.]

16 So, to summarize the disease in farmed elk,
17 epidemiological investigations indicate that wasting disease
18 has been in captive-elk industry for at least eleven years
19 and probably much longer. Epidemics in captive elk herds
20 are apparently self-sustaining, as they are in free-ranging
21 animals and confinement may actually provide conditions
22 allowing high incidence to develop in chronically infected
23 herds similar to what has been described for scrapie.

24 There is a much wider and less predictable
25 geographic distribution of chronic wasting disease in farmed

1 elk. This pattern has been shaped by extensive market-
2 related animal movements in an industry that has expanded
3 rapidly over the last decade.

4 [Slide. 1

5 So, although chronic wasting disease is of
6 understandable concern to a variety of interests, there is a
7 considerable amount of information available to help us
8 assess the risk and guide policy decisions. In the U.S.,
9 chronic wasting disease is probably best viewed as two
10 separate epidemics, one involving free-ranging cervids and
11 the other involving captive elk.

12 Neither of these epidemics are particularly new.
13 Both epidemics are relatively well described, particularly
14 in comparison to scrapie in the U.S. CWD is naturally
15 maintained in both free-ranging and captive cervid
16 populations and thus management will be challenging in both
17 settings.

18 In the short term, CWD in captive elk is much more
19 likely to be manageable than CWD in free-ranging cervids. I
20 have no doubt, however, that new knowledge on chronic
21 wasting disease and other TSEs will factor into future plans
22 for further understanding and managing of both problems.

23 Thank you.

24 [Applause.]

25 DR. BROWN: Thank you very much, Dr. Miller, for a

1 very clear presentation. I have one question. I had
2 thought, or my recollection was, that the first intimation
3 about chronic wasting disease came in what were called semi-
4 captive deer. We always used to talk about deer that were
5 free-ranging and then came in and were fed and so forth.

6 DR. MILLER: That is correct. That is why the
7 chicken-and-egg issue with respect to where this started.
8 The animals that were used in those research facilities
9 where chronic wasting disease was originally seen and
10 described, many of those came from the wild. So it really
11 is not known whether an animal brought the disease in with
12 it, and certainly, in a captive confinement setting, it is
13 going to take off. We have seen that in any number of
14 cases, both in the industry and in our research facilities,
15 or whether it started in one of the facilities and, somehow,
16 spilled back out into the wild. We just really don't know
17 and aren't going to anytime soon.

18 DR. BOLTON: I have a question. Could you tell me
19 what percentage of the captive elk herds are actually
20 infected?

21 DR. MILLER: Dr. Zebarth or Dr. Creekmore--it
22 would be low, but, as a percentage--how many elk herds are
23 there in the U.S., Glen? Do you have an idea?

24 DR. ZEBARTH: 2,000.

25 DR. MILLER: 2,000. So, right now, what, three

1 out of 2,000 are known to be infected. It is pretty small.

2 DR. LURIE: If I understood correctly, there was a
3 slide there that showed what the particular states had done
4 with regard to management, particularly of the elk program
5 with the states that had taken action in purple. Two
6 questions; one, what is that action, typically, and,
7 secondly, I noticed that there was hole in the middle of the
8 purple which looked to me like Wyoming.

9 DR. BROWN: It was.

10 DR. LURIE: Can you speak to that, please?

11 DR. MILLER: I can speak to Wyoming. I think Dr.
12 Zebarth actually has a much more complete discussion of what
13 is being done in the industry and so I am going to defer to
14 him on that. With respect to Wyoming, there is only one
15 captive elk herd in Wyoming. Game farming, otherwise, is
16 not allowed in the State of Wyoming and, consequently, they
17 really haven't had to do anything regulationwise.

18 I am not sure. Beth, maybe you know what they are
19 doing with that one farm.

20 DR. WILLIAMS: They have talked to the managers of
21 that farm. They are under the oversight of the Wyoming Game
22 and Fish Department, but there is no formal program in
23 place.

24 DR. BURKE: Are there any other foci anywhere in
25 the world of similar chronic wasting disease among elk, deer

1 or related species?

2 DR. MILLER: Not that I am aware of and certainly
3 not that have been reported.

4 DR. BURKE: Is there any reasonable surveillance
5 or any efforts to identify that anywhere else in the world?

6 DR. MILLER: I know that the folks in New Zealand
7 have done considerable surveillance in their red-deer
8 industry. As far as other free-ranging populations, I am
9 truly not sure.

10 DR. DETWILER: I can address that just a little
11 bit. I think that is a valid assessment, that there really
12 has not been much surveillance done. New Zealand is doing
13 captive. I know Argentina is doing some captive but, to my
14 knowledge, none in the free-ranging and very, very limited
15 even in the captive.

16 DR. ROOS: How many animals are infected or
17 diseased or die from the disease per year and has that been
18 stable over the last five to ten?

19 DR. MILLER: In the wild, it is extremely
20 difficult to gauge how many animals are dying out there.
21 These are free-ranging animals that live in sparsely
22 populated or unpopulated areas with respect to human
23 presence. It is kind of like a tree falling in the forest
24 when no one is around in terms of knowing whether or not
25 they truly die.

1 What I can tell you is that the number of clinical
2 submissions that we get from the public has increased in the
3 last few years but so has our publicity campaign for getting
4 folks to help us report this. Prevalence, which is probably
5 a better gauge of what is going on in the populations, has
6 remained stable over the last four or five years that we
7 have good data for documenting that.

8 My gut feeling is that it is probably increasing
9 slightly in some of these areas but it is not **an** explosive
10 kind of an epidemic. Like I say, it is on a fairly
11 protracted time scale.

12 DR. BROWN: Why is prevalence more easy to
13 document? Your figure of 10 percent, was that free-ranging?

14 DR. MILLER: That is free-ranging prevalence based
15 on random sampling of populations in conjunction with
16 harvest. That is just in that one fairly narrow strip
17 through there. The problem is getting a handle on clinical
18 cases in the wild is almost impossible. We know what we
19 get, but there are so many reporting biases and so many
20 other problems inherent in those kinds of data that tracking
21 them is--

22 DR. BROWN: So your prevalence figures are you go
23 out and capture free-ranging animals?

24 DR. MILLER: No.

25 DR. BROWN: What?

1 DR. MILLER: We are sampling animals in
2 conjunction with harvest. So it is a essentially a
3 slaughter survey is what it is.

4 DR. CLIVER: This seems to be one of the few TSEs
5 where there is some potential for antemortem sampling if the
6 tonsil collection could be done in that way. Is there any
7 thought being given to darting some of these animals and
8 letting them loose after you have taken the samples?

9 DR. MILLER: We have actually been doing a little
10 of that this last winter, or this current winter. It is
11 easier said than done. It would be an interesting exercise
12 but, in terms of applying that on large-scale depopulations,
13 we are talking about, literally, 40,000 square kilometers or
14 more of native habitat, tens of thousands of deer and
15 thousands of elk in that area. The logistics of it would
16 not be particularly pleasant to think about.

17 DR. DeARMOND: A question from Dr. Priola's
18 presentation. Could lateral spread, in this disorder, by
19 through the placenta and what is their behavior in dealing
20 with placenta? A second one is, are the farm-raised animals
21 exposed to meat-bonemeal products?

22 DR. MILLER: With respect to the first question
23 regarding transmission, certainly it is conceivable that
24 placental tissue would be involved. The cervids are very
25 different in terms of the way they behave. They tend to go,

1 particularly in the wild, and fawn or calve in a very
2 isolated setting. They almost immediately consume the
3 placenta and as much of the fetal fluids and other things as
4 they can because of the predator-avoidance strategy.

5 So, in terms of the agent being particularly
6 effectively spread that way, probably not. It certainly
7 could contribute, but there are other things that are
8 contributing to its spread.

9 DR. DeARMOND: So only the mother eats that or do
10 other deer come in and help?

11 DR. MILLER: Typically, when they are fawning of
12 calving in natural settings, they are by themselves so they
13 are the only one around. We have tried to collect placental
14 tissue in our captive-deer herd, our research herd. You
15 have to be sitting right there when it hits the ground or it
16 is gone. They don't share.

17 Again, there are millennia of evolutionary
18 pressure for them to do that because that is how they avoid
19 having the little ones eaten.

20 DR. DeARMOND: And then, for artificial feed--

21 DR. MILLER: As far as the artificial feed, Glen
22 could probably address that. It is banned. Certainly, in
23 practice, in our research facility, we have had chronic
24 wasting disease for decades and we don't use, and to my
25 knowledge never have used, meat and bonemeal. Certainly, in

1 ne free-ranging animals, meat and bonemeal is not being
2 sed and certainly does not seem to part of what is
3 perpetuating the problem.

4 DR. BROWN: Actually, the more interesting
5 uestion is the converse; do they ever wind up as meat and
6 onemeal?

7 DR. PRUSINER: This number of 10 percent'
8 revalence in this slaughter sample, where is this
9 ublished? In all of the materials we have, I can't find
10 t. I ask people this and when I quote this figure,
11 veryone is absolutely astounded. I would like to see the
12 ublished data.

13 DR. MILLER: I will hand you a reprint when we get
14 lone.

15 DR. PRUSINER: Okay.

16 DR. MILLER: It is in the journal Wildlife
17 Diseases, October of this last year.

18 DR. PRUSINER: Great. Thank you.

19 DR. DAVEY: Dr. Miller, could you just enlighten
20 is a little bit in terms of venison and gelatin, what other
21 products, byproducts, meat products, from these animals get
22 into the human food chain?

23 DR. MILLER: Again, I am thinking Glen is probably
24 going to hit on some of this stuff later on. With respect
25 to the free-ranging animals we harvested, virtually none.

1 hose were essentially animals that are taken in to private
2 ossession and processed for meat, muscle tissue.

3 As far as rendering and things, I would think--the
4 ndustry is so small right now, and there are so few animals
5 hat go to slaughter, it is mostly custom slaughter, is it
6 ot--Glen, very little, if any, of that, would be going into
7 rendered product or into those other products.

8 DR. DeARMOND: What happens to road kill, when a
9 leer is run down in the road?

10 DR. MILLER: It depends on where it gets hit. In
11 some places, the coyotes and the magpies and the other
12 scavengers clean it up. In other places, it is allowed for
13 people to pick those road kills up as long as they get the
14 proper permits.

15 DR. MILLER: In California, I think you have to
16 report it and I think they take it and they grind it up and
17 make it into feed for other animals; is that not right?

18 DR. MILLER: We don't do that; no.

19 DR. MANUELIDIS: You mentioned something very
20 interesting. You said that this was not like scrapie in
21 Europe. Maybe you could sort of expand and update us a
22 little bit on what the sort of experimental models of this
23 are currently going on and if it is related, you think, to
24 scrapie in the United States that began not to be under
25 surveillance?

1 DR. BROWN: Dr. Miller, any questions that you get
2 which you know are going to be covered subsequently by other
3 people, you can defer.

4 DR. MILLER: I am not sure on that one. Dr. Bruce
5 and her colleagues over in Edinburgh have actually put
6 wasting disease into their mouse pathogenesis profile. I
7 don't believe those data have been published yet. .

8 DR. WILLIAMS: Only mentioned in Dr. Bruce's
9 Nature article in 1997. So it was a mention, not really a
10 documentation of that transmission.

11 DR. MILLER: In terms of other studies, Linda, did
12 she have a comment about--in terms of looking at the
13 relationships between wasting disease and scrapie, there is
14 obviously a lot of fertile ground there. I think the folks
15 at Ames, at ARS, have done some work putting scrapie into
16 elk. There are other cross-species transmission studies
17 underway. But scrapie into deer; I don't know whether
18 anybody has started that one yet.

19 DR. DETWILER: That has been talked about but I
20 don't know. There are a lot of things that are ongoing.
21 Since you referred to me, I just want to go back and, lest
22 we go down the path of the sampling of live animals through
23 tonsil, I will just really reiterate what Mike said. You
24 heard the human community saying about doing the testing on
25 cadavers, that that is logistically a nightmare.

1 To us, that is not a logistical nightmare.
2 However, catching and using general anesthesia, because
3 taking a tonsil--they don't lay down and say, "ahh," just at
4 your command.

5 DR. PRUSINER: They don't stand up and **say** "ahh."

6 DR. DETWILER: Right; they don't stand up. We
7 have done it in sheep, tried to do it in sheep, and they are
8 captive. We have them in flocks and it is very difficult.
9 Dr. O'Rourke is developing for the third-eyelid biopsy.
10 That is much more logistically feasible--but even in sheep,
11 where you have them in confinement, unless under general
12 anesthesia.

13 DR. GAMBETTI: Dr. Miller, if I understand
14 correctly, the assessment is made by immunostaining of the
15 obex and/or the tonsils. If we had to use, really, that
16 approach to human prion disease, we would miss some of the
17 cases simply because there are varieties, there is change
18 and diversity in the distribution of the PrP-sc. That may
19 occur also in chronic wasting disease.

20 So I understand that it may be more taxing on the
21 resources, but I would recommend that other parts of the
22 brain be tested as well because, as I said, the
23 distribution, not necessarily, is the same in all the
24 animals.

25 DR. WILLIAMS I would just like to say that we

1 have looked at quite a number of deer and elk including mule
2 deer and white-tail deer, looked at the whole brains and
3 find that the disease is very stereotyped in the lesion
4 distribution of spongiform encephalopathy, in particular,
5 and also in terms of the PrP deposition.

6 The dorsal motor nucleus of the vagus is, in all
7 cases, where the first deposition occurs and it is very
8 stereotyped, although I completely agree that you can't
9 assume that every single case is going to be exactly the
10 same. So we do look at whole-brains, and not just the obex,
11 on many of them.

12 DR. BROWN: It is rather more like BSE in scrapie
13 in the sense that it tends to be distributed in the
14 midbrain.

15 Don, and then we are going to go on to the next
16 speaker.

17 DR. BURKE: I didn't understand the answer to the
18 question about whether or not these had been examined by the
19 mouse pathogenesis biotype. They have or have not and, if
20 they have, what do the results show?

21 DR. WILLIAMS: One mule-deer brain has been
22 examined through the mouse-strain typing system and the
23 results from Dr. Bruce's work and Dr. Frazier's work was
24 that the CWD is not like BSE. It is not like CJD or variant
25 CJD. It is not like any of the strains of scrapie that they

1 have worked with. So it is basically unusual.

2 DR. BURKE: But it fits in the general profile of-
3 -scrapie is all over the place and so it doesn't mean, just
4 because it is not the same, it couldn't be the same.

5 DR. WILLIAMS: Exactly.

6 DR. BROWN: Thank you very much, Dr. Miller.

7 The next presentation is by Dr. Ermias Belay from
8 the CDC. He will be presenting information on the
9 epidemiologic investigations of a group of young cases of
10 Creutzfeld-Jakob disease who have a history of exposure to
11 venison.

12 **Epidemiological Investigations of Young CJD Cases**
13 **Exposed to Venison**

14 DR. BELAY: This one is my presentation so you can
15 ask me questions.

16 [Slide. 1

17 I will summarize the findings of our investigation
18 of unusually young CJD patients who were reported to have
19 consumed deer and elk meat.

20 [Slide.]

21 The occurrence of CJD in two deer hunters and a
22 third patient who consumed venison created a concern about
23 possible transmission of CWD to humans. The occurrence of
24 these cases created a concern primarily because of the
25 unusually young age of the patients.

1 The occurrence of CJD in patients or person thirty
2 years of age and under is extremely rare in the United
3 States. The majority of the cases in this age group have
4 been associated with either receipt of human growth hormone
5 or dura mater grafts.

6 [Slide.]

7 This slide shows CJD cases thirty years of age and
8 under reported to CDC during 1979 through 2000. Cases that
9 have been associated with human growth hormone, in red or
10 purple, and dura mater grafts are shown here. The three
11 cases that reported consumption of venison are shown here.
12 These other cases are sporadic CJD cases. As you can see,
13 the occurrence of sporadic CJD, in this young age group, is
14 extremely rare in the United States.

15 [Slide.]

16 The concern about possible transmission of CWD to
17 humans was also raised because of the endemic occurrence of
18 chronic wasting disease in deer and elk in the United
19 States, as we heard from Dr. Miller today and the potential
20 of these TSE agents, as evidenced by the new-variant CJD
21 outbreak in the United Kingdom.

22 But, fortunately, as Dr. Miller told us today, the
23 endemic occurrence of chronic wasting disease is limited to
24 localized areas in the states.

25 [Slide.]

1 This map shows the distribution of the three cases
2 in relation to CWD endemic areas. The cases occurred in
3 Florida, Utah and Oklahoma.

4 [Slide.]

5 Our investigation involved reviewing the clinical
6 records and conducting pathologic studies of all the three
7 cases. The pathologic specimens were evaluated by Dr.
8 Gambetti, Dr. Pierluigi Gambetti, and colleagues at the
9 National Prion Disease Pathology Surveillance Center in
10 Cleveland, Ohio. So all of the data that I will be
11 presenting relating to the pathologic specimens was
12 performed by this center, the national center.

13 [Slide.]

14 In addition, we interviewed close family members
15 of the patients to determine receipt of human growth
16 hormone, or human tissue grafts, in the presence of any
17 neurosurgical procedures. We obtained dietary habits and
18 travel history and determined their hunting practices. We
19 specifically looked for evidence of possible exposure to
20 venison obtained from the known CWD endemic areas.

21 [Slide.]

22 Finally, we compared the key evidence that
23 supported a causal link between BSE and new-variant CJD in
24 the United Kingdom to that of CWD and CJD in the three
25 patients in the United States. This key evidence that we

1 used in our comparison included an increasing incidence of
2 the disease among young cases, which was true in the United
3 Kingdom in the new-variant CJD, recognizable exposure of the
4 patients to the infective agent--

5 [Slide.]

6 The presence or absence of a unique characteristic
7 pathology, and a phenotypical homogeneity among the patients
8 and different immunoblood characteristics of the protease-
9 resistant prion protein and a uniformity in the codon 129 of
10 the prion-protein gene in the patients; so we used all these
11 parameters to compare the possible lines of evidence that
12 were generated in the United Kingdom for the new-variant CJD
13 to that of chronic wasting disease and CJD in these
14 patients.

15 [Slide.]

16 Case 1 was a twenty-eight-year-old woman who
17 presented in early 1997 with the characteristic CJD signs
18 and symptoms. The EEG was reported as abnormal. It was not
19 classic for CJD.

20 [Slide.]

21 This patient died four months after illness onset
22 and analysis of the prion-protein gene indicated a
23 methionine-methionine homozygosity at codon 129 and the
24 absence of genetic mutations.

25 [Slide.]

1 The immunohistochemical analysis showed a punctate
2 or synaptic pattern of immunostaining with no plaques. The
3 immunoblood analysis was not performed in this patient
4 because of lack of frozen brain tissues.

5 [Slide.]

6 This patient was reported to have consumed deer
7 meat during her childhood when the patient was one to six
8 years of age. The deer that this patient consumed were
9 mainly harvested by the patient's father in the State of
10 Maine. In addition, this patient was reported to have
11 consumed elk meat provided to the family as a gift during
12 two occasions when the patient was about six years of age.

13 Although the exact origin of the elk consumed by
14 this patient could not be determined, the family member
15 indicated that the elk meat may have been obtained from
16 Wyoming. There was no history of consumption of organ meat
17 either from deer or elk.

18 [Slide.]

19 The second patient was a twenty-nine-year-old
20 patient who presented with dementia beginning in May, 1998.
21 This patient also had other neurologic signs that are
22 compatible with CJD.

23 DR. PRUSINER: Was this a man or a woman?

24 DR. BELAY: This was a man.

25 [Slide.]

1 His initial two EEGs were reported to be normal
2 and the CSF 14.3.3 test was negative. The evaluation of a
3 brain biopsy specimen confirmed the CJD diagnosis.

4 [Slide.]

5 Analysis of the prion-protein gene showed valine-
6 valine homozygosity and absence of genetic mutations.

7 [Slide.]

8 The immunohistochemical analysis showed a punctate
9 immunostaining with no plaques. The immunoblood analysis of
10 protease-resistant prion protein showed the type 1 pattern
11 with a molecular mass of about 21 kiloDalton. This patient
12 was recorded to have hunted deer almost every year since
13 1985 in many non-CWD-endemic areas, mainly in Utah.

14 [Slide.]

15 In addition, the patient's family reported that
16 the patient had eaten elk meat which the patient, himself,
17 harvested in 1995 from a non-CWD-endemic area of Wyoming
18 which is very close to the border of Utah. The patient was
19 reported to have regularly consumed the liver from deer and
20 elk but not the brain or any other organ meat.

21 [Slide.]

22 The third patient was a twenty-seven-year-old man
23 who presented with memory loss in December, 1998, This
24 patient also developed other neurologic signs compatible
25 with CJD.

1 [Slide.]

2 The EEG was reported as abnormal but non-
3 diagnostic for CJD. The CSF 14.3.3 test was positive. The
4 evaluation of his brain biopsy confirmed the CJD diagnosis
5 in this patient. No autopsy was performed on this case.

6 [Slide.]

7 Analysis of the prion-protein gene showed a
8 methionine/valine heterozygosity and the absence of genetic
9 mutations. The immunoblood analysis of PrP-res showed the
10 **same** type 1 pattern with a molecular mass of 21 kilodalton.

11 [Slide.]

12 This patient hunted exclusively--he hunted deer
13 almost every year and exclusively hunted in two areas close
14 to his hometown. He **was** born and grew up in that area and
15 specifically hunted in two geographically limited areas
16 close to his hometown.

17 There is no history of consumption of deer or elk
18 meat obtained from the known endemic states in this patient.
19 However, the plant that the patient took his deer carcasses
20 for custom processing has also been processing about twenty
21 elk from Colorado every year. So he used to take his deer
22 carcasses for custom processing to a specific plant and that
23 plant regularly processed about twenty elk every year
24 obtained from Colorado.

25 However, the origin within Colorado of this elk

1 could not be determined. As I say, there was no consumption
2 of deer or elk meat obtained from the known endemic areas
3 directly by the patient.

4 [Slide.]

5 In summary, all three cases had confirmed CJD with
6 no iatrogenic exposure or genetic mutations and none of the
7 patients had a history of travel outside of the United
8 States or a clinical pathologic profile consistent with the
9 new-variant CJD. All three cases were reported to have
10 regularly consumed deer or elk meat but none were reported
11 to have consumed deer obtained from the known endemic areas.

12 [Slide.]

13 In addition, none of the patients reported
14 consumption of brain or spinal cord either from deer or elk.
15 There is some possibility that cases 1 and 2 may have eaten
16 elk meat obtained from Wyoming. However, there are some
17 uncertainties regarding their exposure to elk. In case 1,
18 for example, the Wyoming origin of the elk was not
19 absolutely certain. The patient's family indicated that
20 they were not sure about the exact origin of the elk that
21 they received as a gift from their family friend.

22 [Slide.]

23 In case 2, the Wyoming elk was harvested by the
24 patient, himself, outside of the known endemic areas in 1995
25 which is about three years before the onset of CJD in the

1 patient. In case 3, as I said, there was no consumption of
2 deer or elk meat obtained from the known endemic areas. The
3 contamination of his deer meat by elk meat obtained from
4 Colorado at the processing plant was possible. But it
5 remains uncertain whether or not the elk processed in the
6 same plant were actually infected with CWD or originated
7 from the known endemic counties from Colorado.

8 [Slide. 1

9 No unique pathology or clinical pathologic
10 homogeneity was detected among the three cases. Based on a
11 classification scheme developed by Parchi and colleagues,
12 case 2 was classified as a VV1 variant and, in case 3, as an
13 MM1/MV1 variant. The MM1/MV1 variant is the most common
14 variant described by Parchi and colleagues and represented
15 about 70 percent of sporadic CJD patients.

16 The immunoblot characteristics of case 1 were
17 unknown, but the clinical pathologic profile suggested the
18 MM1/MV1 variant which, as I said, the most common variant
19 seen in about 70 percent of sporadic CJD patients.

20 [Slide.]

21 The VV1 variant, to which case 2 was classified,
22 was described by Parchi and colleagues to constitute an
23 estimated 10 percent of sporadic CJD patients.

24 [Slide. 1

25 Because of the similarity, the clinical pathologic

1 similarity, of cases classified in the VV1 variant with that
2 of case 2, we determined the hunting practices and venison-
3 consumption histories of other members of the VV1 variant.

4 In none of the other four cases classified in this
5 variant where hunters in at least two of the four cases were
6 reported not to have consumed deer or elk meat indicating
7 that this clinical pathologic picture could actually occur
8 in the absence of venison consumption.

9 [Slide. 1

10 This table shows the comparison of the key
11 evidence that supported a causal link between BSE and new-
12 variant CJD to that of a possible link between CWD and CJD
13 in the unusually young patients. In the new-variant CJD,
14 there was a definite increase in the incidence of the
15 disease among young cases which was not the case in the
16 United States. We only had three patients during 1997
17 through 2000.

18 A unique characteristic pathology was described in
19 the new-variant CJD where the pathology in the three
20 patients was not different from that normally seen in other
21 sporadic CJD patients. Clearly, there was a clinical
22 pathologic homogeneity among the new new-variant CJD
23 patients which was not the case among the three cases, among
24 the three patients in the United States.

25 The protease-resistant prion protein in the new-