

ajh

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BLOOD PRODUCTS ADVISORY COMMITTEE  
68TH MEETING

6317 '01 APR -3 P2:49

Friday, March 16, 2001

8:00 a.m.

Hilton Gaithersburg  
620 Perry Parkway  
Gaithersburg, Maryland

MILLER REPORTING COMPANY, INC.  
735 C Street, S.E.  
Washington, D.C. 20003-2802  
(202) 546-6666

## PARTICIPANTS

Kenrad Nelson, M.D., Chairperson  
Linda A. Smallwood, Ph.D., Executive Secretary

## MEMBERS

Mary E. Chamberland, M.D.  
Richard J. Kagan, M.D.  
G. Michael Fitzpatrick, Ph.D.  
Richard J. Kagan, M.D.  
Marion A. Koerper, M.D.  
Raymond S. Koff, M.D.  
Jeanne V. Linden, M.D.  
Gail B. Macik, M.D.  
Mark A. Mitchell, M.D.  
Kwaku Ohene-Frempong, M.D.  
Terry V. Rice  
Paul J. Schmidt, M.D.  
David F. Stroncek, M.D.  
Sherri O. Stuver, Sc. D.

## NON-VOTING CONSUMER REPRESENTATIVE

Katherine E. Knowles

## NON-VOTING INDUSTRY REPRESENTATIVE

Toby L. Simon, M.D.

## TEMPORARY VOTING MEMBER

Paul R. McCurdy, M.D.

## SPEAKERS

Michael P. Busch, M.D., Ph.D. (3-15)  
Jed B. Gorlin, M.D. (3-16)  
Monica Parise, M.D. (3-16)  
Susan Stramer, Ph.D. (3-15)  
Cees van der Poel, M.D. (3-15)

## GUEST

David Wright, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Committee Updates	
Summary of Transmissible Spongiform Encephalopathies Advisory Committee Meeting, January 18-19, 2001 David Asher, M.D., DETTD, OBRR	4
Office of Inspector General Report on Tissue and Organ Regulation Ruth Solomon, M.D., IOD, OBRR	15
Summary of PHS Advisory Committee Meeting, January 25-26, 2001 Stephen Nightingale, M.D., PHS, DHHS	21
<b>IV. Guidance on Malaria: Applicability to Plasma</b>	
Introduction and Background: Mark Heintzleman, Ph.D., DBA, OBRR	32
Presentation: Chiang Syin, Ph.D., DETTD, OBRR	42
Presentation: Monica Parise, M.D., CDC	57
Presentation: Jed Gorlin, M.D., Memorial Blood Centers of Minnesota	73
<b>Open Public Hearing</b>	
Dr. Louis Katz, American Association of Blood Banks	81
Dr. Celso Bianco, America's Blood Centers	82
Open Committee Discussion and Recommendations	83

P R O C E E D I N G S

1

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

DR. SMALLWOOD: Welcome to the second day of the 68th Meeting of the Blood Products Advisory Committee. I am Linda Smallwood, the Executive Secretary of this committee. Yesterday, I read the conflict of interest statement. That statement also applies to the proceedings for today.

If there are any declarations to be made by any of the members, would you please do so now.

Hearing none, then, we will proceed with the agenda and I will turn this meeting over to the Chairperson, Dr. Kenrad Nelson.

DR. NELSON: The opening sessions this morning are a series of updates from FDA committees. The first one will be a Summary of the Transmissible Spongiform Encephalopathies Advisory Committee, which was held January 18th and 19th, by Dr. David Asher.

**Committee Updates****Summary of the Transmissible Spongiform Encephalopathies****Advisory Committee Meeting****David M. Asher, M.D.**

DR. ASHER: Thank you, Dr. Nelson. Good morning.

[Slide.]

I prepared a full summary of the last TSE Advisory Committee meeting with some background material that is on a handout, which will also be available to you through our



1 CBER web page, and this morning I will just review  
2 highlights for you.

3 [Slide.]

4 The Advisory Committee addressed four topics. The  
5 first and last concern blood safety. The other two, safety  
6 of FDA-regulated cells and tissue, and safety of products  
7 derived from deer and elk, which are FDA regulated, from  
8 herds with chronic wasting disease I will mention only in  
9 passing, and I will review only the first topic in detail.

10 [Slide.]

11 First, we revisited the issue of blood donors who  
12 had been in BSE countries, that is, whether to defer them.  
13 As you may recall, unlike other forms of Creutzfeldt-Jacob  
14 disease, in new variant Creutzfeldt-Jacob disease, the  
15 abnormal protease-resistant prion protein accumulates to  
16 substantial levels in lymphoid tissues, a finding that early  
17 on raised concern that the relatively reassuring  
18 epidemiologic evidence suggesting that blood was unlikely to  
19 be an important vector for classic forms of CJD might not be  
20 predictive for variant CJD.

21 [Slide.]

22 That prompted CBER's current policy of  
23 recommending deferral of donors resident in the UK, the  
24 United Kingdom, for any cumulative six-month period from the  
25 presumed start of the BSE epidemic in 1980 to the full

1 implementation there of a series of measures to prevent  
2 human exposure.

3 [Slide.]

4 Cases of BSE continued to fall in UK cattle  
5 although over 1,300 cases were recognized there last year.

6 [Slide.]

7 Since the end of 1997, the USDA has considered  
8 cattle in almost all other European countries to have been  
9 potentially exposed to the BSE agent, and FDA relies on USDA  
10 in those decisions.

11 Rates of new cases and deaths from both new cases  
12 and deaths from new variant CJD have continued to rise in  
13 the United Kingdom, and evidence suggests that in some other  
14 European countries, a substantial part of the supply of beef  
15 and beef products may have come from the UK, presumably  
16 causing the cases of new variant CJD seen in three French  
17 patients who have no history of actual travel to the UK.

18 Recently, cases of BSE have increased in cattle in  
19 France and in several other European countries and new  
20 countries have recognized BSE in native animals, raising  
21 concern that in addition to exposure to UK beef products,  
22 exposure to indigenous BSE may have become another important  
23 source of human infection.

24 [Slide.]

25 Since I summarized the situation before this

1 committee last summer, three more countries, Germany, Italy,  
2 and Spain have found cases of BSE in native cattle. Germany  
3 found 17 more cases just since I made this table a couple of  
4 weeks ago, so that at present there are 13 definite BSE  
5 countries and 18 more suspect countries on the USDA list.

6 [Slide.]

7 The reported transmission of BSE with blood of a  
8 sheep experimentally infected with the agent also increased  
9 our concern that blood of persons incubating new variant CJD  
10 might also serve as a source of infection, and there are  
11 other concerns listed here.

12 [Slide.]

13 The committee reviewed information about new  
14 variant CJD and BSE in Europe, Canada's risk assessment  
15 leading to the decision there to defer donors resident in  
16 France as they do for UK, potential exposures of U.S.  
17 military and their dependents to UK beef in other European  
18 countries, and finally, possible effects that various new  
19 deferral policies might have on the U.S. blood supply.

20 [Slide.]

21 We asked the committee to evaluate the new  
22 information and to consider possible new efforts to reduce  
23 the risk that some donors might be infected with the BSE  
24 agent, as well as the implications that any new deferral  
25 policies might have for the blood supply.

1           We put five questions to the committee, and I will  
2 briefly summarize the advice that they offered in response  
3 to each question. As most of you know, on the day before  
4 the meeting, the American Red Cross had issued a press  
5 release describing a proposed new deferral policy regarding  
6 donor residence and travel in BSE countries, and the TSE  
7 Advisory Committee members were clearly aware of that  
8 announcement.

9           [Slide.]

10           Nevertheless, the committee advised no change in  
11 the current FDA recommendation to defer donors resident in  
12 the UK for six months or more. The members all seemed  
13 relieved that the policy had not resulted in any detectable  
14 shortage of blood in the U.S.

15           [Slide.]

16           A majority of members advised deferring donors  
17 resident in France for 10 years or more from 1980 to the  
18 present, and accepted the idea first put forward at last  
19 year's June meeting that exposure of the French population  
20 to UK beef during years of high prevalence of BSE in the UK  
21 might have been about 5 percent of that in the UK itself.

22           The number of new variant CJD cases in France as  
23 of last year had also been about 5 percent of those in the  
24 UK, but fortunately, the number of recognized French cases  
25 has not increased since then.

1 [Slide.]

2 In response to our third question, which included  
3 a proposal to defer donors resident in other BSE countries  
4 for the same period that they had advised for France, that  
5 is, any 10-year aggregate residence after 1980 through the  
6 present, they overwhelmingly declined to do so, persuaded  
7 that potential exposures to UK beef were smaller in other  
8 European countries than in France, and that except for  
9 Ireland, none of those countries had recognized a case of  
10 new variant CJD.

11 [Slide.]

12 But because of growing concern about possible  
13 human exposures to BSE agent from other non-UK beef, a very  
14 closely divided committee went on to recommend that the  
15 proposed deferral policy be recommended, but only for those  
16 two countries with the largest numbers of cattle diagnosed  
17 with BSE outside the UK, namely, Portugal and the Republic  
18 of Ireland.

19 [Slide.]

20 They also declined to advise deferring donors for  
21 a combined period of residence in more than one country  
22 because they worried that tallying combined exposure would  
23 be logistically difficult and fraught with error. One  
24 member who favored the idea because it seemed logical  
25 suggested a simple way to do that and proposed a pilot study

1 of a modified donor questionnaire be considered.

2 [Slide.]

3 Finally, a majority of the committee also advised  
4 against treating donors potentially exposed as active duty  
5 U.S. military or as dependents in Europe to substantial  
6 amounts of beef products from the UK estimated on some bases  
7 at certain times to have been perhaps 20 to 35 percent of  
8 the total supply, be deferred as if they had been in the UK  
9 for the same period of time.

10 [Slide.]

11 They did, however, advise the FDA to develop some  
12 less stringent policy for deferring U.S. military donors  
13 presumably those exposed for longer periods of time than six  
14 months.

15 They suggested first attempting to estimate the  
16 effects that various deferral policies might have both on  
17 the military blood program and on civilian programs where  
18 many military retirees and former dependents live.

19 [Slide.]

20 Let me conclude by alluding briefly to the three  
21 other topics that the TSE Advisory Committee reviewed.

22 They next addressed the issue of CJD risk and  
23 other human cells and tissues regulated by the FDA should  
24 deferral criteria similar to those for blood apply. They  
25 unanimously agreed that there is a risk of transmitting new

1 variant CJD, such transmissions already having occurred with  
2 classic CJD through dura mater allografts and corneal  
3 transplants.

4           Consequently, the committee members were most  
5 concerned with those materials. They felt that the  
6 theoretical risk from bone marrow-derived cells, which are  
7 very hard to match and have a high potential benefit for  
8 patients with life-threatening diseases, was less troubling.  
9 A majority of members endorsed FDA's continued efforts to  
10 develop a donor deferral policy for regulated cells and  
11 tissues.

12           Ruth Solomon, who is to be our next speaker,  
13 organized this session and will be available to answer  
14 questions about that topic.

15           [Slide.]

16           The committee generally agreed that in both wild  
17 and domesticated cervid animals, that is, deer and elk,  
18 chronic wasting disease clearly spreads by contact and that  
19 animal tissues must contain the infectious agent, however,  
20 available evidence fails to suggest that transmission of  
21 infections to humans has occurred.

22           The committee declined to speculate about whether  
23 the agent of chronic wasting disease has the potential to  
24 infect some humans.

25           [Slide.]

1           Finally, the committee concluded that except for  
2 BSE, none of the other TSE agents of animals has been  
3 demonstrated to infect humans and that no deferral of any  
4 donors exposed to such agents is indicated although they  
5 encourage continued surveillance of humans exposed to the  
6 animal agents.

7           Members were concerned that bovine materials found  
8 in some dietary supplements could conceivably introduce the  
9 BSE agent into the United States and expose humans to the  
10 agent although that is not known to have happened.

11           [Slide.]

12           Let me close by listing the various animal TSE  
13 agents to which humans may be exposed and the committee's  
14 estimate of the appropriate level of concern about the  
15 various agents with the reasons for those conclusions.

16           I will end there and I thank you very much.

17           DR. NELSON: Thank you, Dr. Asher.

18           Are there any questions or comments? Yes, Dr.  
19 Simon.

20           DR. SIMON: I guess the obvious question, has FDA  
21 determined a policy and when might we expect to see it  
22 promulgated and distributed?

23           DR. ASHER: A policy is in development and I would  
24 never in the presence of senior management predict.

25           DR. EPSTEIN: Thank you, David.



1 FDA's current thinking is to go forward with a  
2 draft guidance consistent with the Advisory Committee  
3 recommendation concerning France and concerning Portugal.  
4 We would like to reconsider the issue of Ireland and more  
5 generally develop what we hope would be a coherent and risk-  
6 based strategy to deal with the ongoing BSE epidemic in  
7 Europe.

8 So, what we would hope to do is bring forward a  
9 draft for comment in the near future. It is hard to be  
10 precise. It is still being developed internally. We also  
11 are working with DOD to come up with a strategy to propose  
12 on deferral for active duty servicemen and dependents who  
13 may have been exposed to UK beef in the risk period.

14 DR. SIMON: That is only active duty that that  
15 would apply to?

16 DR. EPSTEIN: No, no, no. The deferral would be  
17 because they were exposed.

18 DR. SIMON: In active duty.

19 DR. EPSTEIN: Right.

20 DR. SIMON: So, it would apply to the civilian  
21 sector, as well.

22 DR. EPSTEIN: Yes, when they come back and they  
23 are civilians, they still have that exposure history.

24 DR. NELSON: The other thing that I remember from  
25 that meeting is that although the data are not sufficient to

1 predict how many cases might occur, various opinions and  
2 modeling, et cetera, suggested that the total in the UK  
3 might end up being in the hundreds rather than hundreds of  
4 thousands, but it is really with the incubation period and  
5 the long exposures, it is hard to be sure on that. I think  
6 the total is 92 now?

7 DR. ASHER: It is over 90 in UK and still 3 in  
8 France, 1 in the Republic of Ireland in a person who lived  
9 in the UK for six years. Considering that the number is  
10 already over 90 just since 1996, I think it is very unlikely  
11 that the final number will be in the low hundreds, I think  
12 it is likely to be more than that. Cases of Kuru have  
13 continued to occur more than 38 years after the last  
14 exposure in cannibalism.

15 Of course, we have only seen subjects homozygous  
16 at codon 129 of the prion protein and coding gene. We don't  
17 know what the incubation period might be should other  
18 genotypes prove to be susceptible.

19 DR. NELSON: The FDA has received a letter from  
20 the Dutch in which Dr. Smallwood would like to read into the  
21 record.

22 DR. SMALLWOOD: For the record, the committee  
23 members have received a copy of a letter from the New York  
24 Blood Services from Dr. Paul Stringers at the CLB Blood Bank  
25 in Amsterdam, the Netherlands. The subject of this letter

1 is that they feel that extending the ban on British donors  
2 regarding new variant CJD and BSE to all Western European  
3 countries is not justified. This letter is posted on the  
4 FDA web site.

5 Thank you.

6 DR. NELSON: The next report will be a report by  
7 Dr. Ruth Solomon on Tissue and Organ Regulation.

8 Office of Inspector General Report

9 on Tissue and Organ Regulation

10 Ruth Solomon, M.D., IOD, OBRR

11 DR. SOLOMON: First, some background. Last spring  
12 several articles appeared in the Orange County Register and  
13 the Chicago Tribune that raised concerns about the tissue  
14 banking industry, for instance, the informed consent process  
15 to donate tissue, the current level of oversight, the lack  
16 of a system to monitor tissue supply, and the apparent  
17 profiteering by some tissue banks.

18 These articles came to the attention of the  
19 Department of Health and Human Services and last summer many  
20 briefings were held with federal agencies, professional  
21 organizations, the industry, and donor family groups.

22 The Department requested that the Office of the  
23 Inspector General investigate and report on two areas -  
24 oversight and informed consent. In January of this year,  
25 OIG published its two reports. I will review their

1 recommendations.

2 [Slide.]

3 The first report was entitled, "The Oversight of  
4 Tissue Banking."

5 [Slide.]

6 The recommendations were made to the Food and Drug  
7 Administration. The first recommendation is that FDA should  
8 expedite the publication of its regulatory agenda that  
9 requires registration of tissue banks, enhanced donor  
10 suitability screening and testing, and the use of good  
11 tissue practices.

12 FDA has published a final rule on registration,  
13 which is to go into effect April 4th. We have also  
14 published two proposed rules, one on donor suitability,  
15 which published September 30, 1999, and another on good  
16 tissue practices, which published January 8th of this year,  
17 and the comment period for that is still open.

18 [Slide.]

19 The second recommendation was that within its  
20 existing regulatory authority, FDA should take two steps to  
21 enhance oversight of tissue banking.

22 [Slide.]

23 First, FDA should set a realistic, yet aggressive,  
24 date by which it would complete an initial inspection of all  
25 tissue banks.

1           Secondly, FDA should determine an appropriate  
2 minimum cycle for tissue bank inspections. Usually these  
3 are biennial, every two years.

4           Since the regulation of tissue banks is an  
5 unfunded mandate, FDA has had to take resources from other  
6 areas to accomplish the inspections, and FDA is responding  
7 to this recommendation by prioritizing inspections and  
8 reinspections.

9           [Slide.]

10           The third recommendation to FDA was that it should  
11 work with states and with professional associations that  
12 have inspection and accreditation programs to determine in  
13 what areas, if any, oversight activities could be  
14 coordinated. Currently, there are two states, New York and  
15 Florida, that have inspection and accreditation programs.

16           FDA is carefully evaluating this recommendation on  
17 how to best assure adequate inspection coverage and work  
18 with other groups through an exchange of information.

19           [Slide.]

20           The second OIG report is entitled, "Informed  
21 Consent in Tissue Donation, Expectations and Realities."  
22 These recommendations were not addressed to FDA because  
23 FDA's regulations do not address obtaining informed consent.  
24 Rather, the recommendations were made to other departmental  
25 agencies and to the industry.

1 [Slide.]

2 Firstly, a recommendation was made to the Health  
3 Resources and Services Administration, HRSA, that they  
4 should work with groups representing donor families and the  
5 tissue banking industry to develop guidelines for conveying  
6 information to families about tissue donation.

7 [Slide.]

8 The second recommendation was made to the Health  
9 Care Financing Administration, that they should address  
10 informed consent for tissue donation through the Medicare  
11 conditions of participation for hospitals and for organ  
12 procurement organizations.

13 The Medicare conditions of participation are  
14 regulations where a hospital must assure that the family of  
15 each potential donor is aware of their donation options.

16 [Slide.]

17 The OIG then made four recommendations to the  
18 tissue banking industry concerning informed consent. The  
19 first one said that at the time of obtaining consent, tissue  
20 banks should provide families with written materials that  
21 provide fuller disclosure about the uses of tissue and the  
22 nature of the gift.

23 Industry has already implemented this  
24 recommendation by issuing in November of last year the AATB,  
25 EBAA, and the Association of Organ Procurement organizations

1 issued a joint model elements of informed consent that  
2 contained certain basic elements and certain additional  
3 elements.

4 [Slide.]

5 The second recommendation to industry was that  
6 tissue processors and distributors should ensure that  
7 information accompanying their product clearly indicates it  
8 is derived from donated human tissue.

9 It was felt that this would just represent a  
10 minimum change in the packaging and marketing of tissue.

11 Currently, no FDA requirements for labeling exist.

12 [Slide.]

13 The tissue banks should foster greater  
14 accountability for the performance of those who request  
15 consent for donation, both their own employees and those  
16 that they contract with at hospitals. This would include  
17 training, retraining, and assessment of those performing the  
18 informed consent process.

19 [Slide.]

20 Lastly, the tissue banking industry should work  
21 with representatives of groups representing donor families  
22 to explore a process for periodic public disclosure about  
23 tissue banks' financing, that is, profit or nonprofit.

24 Under this recommendation, the tissue bank  
25 industry should consider whether financial information would

1 be useful to the family, the advantages and disadvantages of  
2 disclosing this, and what impact it might have on donation,  
3 should the information be provided or only if requested, and  
4 the content, style, and format of the disclosure.

5 Thank you.

6 DR. NELSON: Thank you very much.

7 Are there questions?

8 DR. STRONCEK: My understanding is we have blood  
9 banks, which is one regulatory group, and then there is stem  
10 cells and bone marrow, umbilical cord blood. My  
11 understanding, you are not considering that tissue, that is  
12 a separate regulation?

13 DR. SOLOMON: That is correct. First of all, bone  
14 marrow that is minimally manipulated is not even regulated  
15 by FDA, but the other two, umbilical cord blood and  
16 peripheral blood stem cells are being regulated under the  
17 tissue approach rather than under the blood approach.

18 DR. STRONCEK: And then organs are separate or is  
19 that regulated under tissue?

20 DR. SOLOMON: Organs are not regulated by FDA.  
21 They are regulated by HRSA.

22 DR. STRONCEK: Thank you.

23 DR. NELSON: The next presentation is by Dr.  
24 Stephen Nightingale, the Executive Secretary of the Advisory  
25 Committee on Blood Safety and Availability.



1                   **Summary of PHS Advisory Committee Meeting**

2                                   **January 25-26, 2001**

3                                   **Stephen Nightingale, M.D.**

4                   DR. NIGHTINGALE: Thank you very much, Dr. Nelson.

5                   [Slide.]

6                   On the first slide, I have it up here simply to  
7 comment that this was the 13th meeting of the Advisory  
8 Committee on Blood Safety and Availability. We do not have  
9 the lineage that you do, but we have arrived at our  
10 adolescence and it is perhaps fitting, because perhaps only  
11 an adolescent would have had the courage to tackle the topic  
12 which is on the next slide, which was How Should the  
13 Government Respond to the Current Debate over Universal  
14 Leukoreduction.

15                   The meeting was held on January 25th and 26th.

16                   [Slide.]

17                   Those of you who are aware of your own lineage  
18 will recall that this topic was in fact discussed by the  
19 Blood Products Advisory Committee on September 18th of 1998,  
20 and there were extensive discussions within the Department  
21 about whether and, in fact, certainly how this should be  
22 brought to the Advisory Committee.

23                   I have here on the slide the initial paradigm  
24 which was that at the Blood Products Advisory Committee, the  
25 issue was the benefit to the risk and perhaps at the

1 Advisory Committee, the paradigm would be the ratio of  
2 benefit to cost, but neither I think really captured the  
3 essence of the debate, and I think that was why the debate  
4 only came to our forum.

5 [Slide.]

6 It came really as a town meeting. We spent a lot  
7 of time figuring about how to fit societal issues into our  
8 paradigms, I think to the extent that we were successful at  
9 this meeting it is because we dropped the paradigm for a  
10 moment and said let's talk about it.

11 [Slide.]

12 In fact, when we said let's talk about it, the  
13 issue as it had come out of the Blood Products Advisory  
14 Committee, had been framed by many as an issue of cost.

15 Dr. Leo McCarthy of Indianapolis, one of the few  
16 people who was invited who was unable to make it, had  
17 commented at one public debate on the issue when somebody  
18 says it is not about cost, it is about cost, and I think Dr.  
19 McCarthy's point was very well taken, but I think it did not  
20 capture the entire debate.

21 Not just to make light of Leo's point, but there  
22 was more to it - was it cost, was it science, was it  
23 something else, so we decided to let the good times roll or  
24 the debate roll, so to speak, and how we did it is initiated  
25 on the next slide.

1 [Slide.]

2 We started out with an expert panel. We were very  
3 fortunate to have both Dr. Mo Blajchman and Dr. Steve  
4 Vamvakas--I know that is not his first name, but I do not  
5 pronounce it well, and he goes by Steve to me--co-chair.  
6 They are the authors of an extensive, very thoughtful review  
7 of the issue which will be published in an upcoming issue of  
8 Transfusion.

9 We were equally fortunate to have the services of  
10 Dr. Harvey Klein, Dr. Ed Snyder, Dr. Walter Dzik, Dr. Jim  
11 AuBuchon, Dr. Jerry Sandler, and Dr. Paul Ness. They were  
12 asked to present their own perspective rather than the  
13 perspective of a particular institution, and they did so  
14 with moderation by Dr. Blajchman and Dr. Vamvakas.

15 [Slide.]

16 We were again fortunate to have comment from Ms.  
17 Frederick of the Red Cross, Dr. Bianco, Dr. Jeff McCullough.  
18 We had representatives of Baxter, HemaSure, Pall, and  
19 Terumo, the University Health System Consortium, the  
20 American Hospital Association.

21 [Slide.]

22 Dr. Goldfinger, Dr. Blumberg, Dr. Moore, Dr.  
23 Sayers, representatives of the Committee of Ten Thousand,  
24 Hemophilia Federation of America, National Hemophilia  
25 Foundation, and Immune Deficiency Foundation.

1 [Slide.]

2 After the presentations and discussion, the  
3 committee quite on its own came up with the following  
4 consensus statements, which I think are very useful as  
5 placemarkers for where the committee was going, and I think  
6 it is possible to trace where the committee went from these  
7 consensus statements.

8 The first, with a few exceptions, prestorage  
9 leukoreduced blood is not inherently dangerous to the  
10 recipient. Second, there is agreement that leukoreduction  
11 is beneficial for some patients by reducing the number of  
12 febrile events, CMV transmission, and alloimmunization.

13 [Slide.]

14 Third, the evidence is not conclusive that  
15 leukoreduction reduces postoperative infections or reduces  
16 malignancy in unrecognized immunodeficient patients.

17 Fourth, the likely benefits of universal  
18 leukoreduction include averting consequences of failure to  
19 identify those who may require leukoreduced products in  
20 reducing the likelihood of administration of incorrect blood  
21 products.

22 [Slide.]

23 Finally, areas of contention regarding universal  
24 leukoreduction include cost, effect on supply, compromising  
25 future investigations, and regulatory burden.

1 [Slide.]

2 Upon completion of that, there was vigorous  
3 discussion, and the following recommendation was adopted,  
4 that universal leukoreduction should be implemented as soon  
5 as feasible.

6 [Slide.]

7 In addition, the Advisory Committee recommended  
8 that in regard to universal leukoreduction, the Advisory  
9 Committee is concerned about the availability of blood and  
10 the resources necessary to implement universal  
11 leukoreduction. For these reasons, the Advisory Committee  
12 recommends that the actions of the Department of Health and  
13 Human Services should strive to: minimize the impact on  
14 supply, assure adequate funding for this effort, issue a  
15 regulation to implement universal leukoreduction that  
16 addresses these concerns, and report to the Advisory  
17 Committee on a regular basis the progress toward these  
18 goals.

19 [Slide.]

20 Final recommendations. Given the unresolved  
21 scientific issues in the field, the Advisory Committee  
22 supports continuing research on the effectiveness of  
23 universal leukoreduction.

24 Last, in the above resolution, the word  
25 "leukoreduction" is intended to mean prestorage

1 leukoreduction, and the resolutions refer to non-leukocyte  
2 cellular blood components.

3 I believe that the members of the Blood Products  
4 Advisory Committee have received a copy of the summary of  
5 the meeting. That summary is posted on the web site, and it  
6 will be published in a future issue of transfusion.

7 I would be glad to answer any questions.

8 DR. STRONCEK: There has been a draft guidance  
9 issued by the FDA concerning universal leukocyte reduction.  
10 It alludes to a number of abstracts that suggest that the  
11 filters simply don't work for everybody, that there is  
12 potentially problems with people with sickle cell trait, and  
13 the guidance suggests that people with sickle cell trait be  
14 excluded from donating.

15 That seems to me to be kind of an odd stance. I  
16 would think that there would be pressure, you know, rather  
17 than tell the blood banks you can't collect blood from a  
18 certain population, that you tell the manufacturers to find  
19 out what is going on and fix their filters.

20 Do you know what is going on with any of this?

21 DR. NIGHTINGALE: I am really not the right person  
22 to comment on that one. I would defer to Dr. Epstein.

23 DR. EPSTEIN: That is, of course, FDA's guidance.  
24 Yes, there is a task force that has been put together  
25 including government agencies, scientists who do active

1 research in this area, and filter manufacturers, to try to  
2 solve the problem technically.

3           The problem is what do you do in the meantime, but  
4 it is draft guidance, and it is not yet out for  
5 implementation, and we are hoping that a solution can be  
6 found.

7           DR. STRONCEK: Well, somebody in the government  
8 license these filters. My understanding, there is a  
9 considerable profit made on the filters. You know, as an  
10 end user, I just can't see where we are very tolerant with  
11 the manufacturers for not putting extensive resources into  
12 this problem and why does government money have to go into  
13 it, why aren't they solving it on their own.

14           DR. NIGHTINGALE: Maybe I could come back to the  
15 microphone here. Dr. Epstein and I have perhaps overlapping  
16 jurisdictions here, and I think our jurisdictions overlap  
17 here in our lack of jurisdiction. The government is not all  
18 powerful. There are reasons for that.

19           DR. STRONCEK: Well, if we have a problem with the  
20 blood product, that has got to be recalled and fixed. You  
21 know, I think there is a serious problem with a device here  
22 and rather than tell the manufacturers they have to fix the  
23 device, the message is there is a crummy device out there,  
24 so the industry has got to use that device and potentially  
25 lose donors.

1 I would think that we, as government agencies, are  
2 going to push for universal leukocyte reduction, we also  
3 better use everything we can in our power to make sure we  
4 have the right tools to do it.

5 My understanding is these filters are licensed.  
6 Why are you being so gentle with the manufacturers and  
7 suggesting that they look into this and have a committee,  
8 why don't you put some pressure on them as far as their  
9 licensure?

10 DR. EPSTEIN: Well, we cannot de-license a product  
11 that meets its licensed standards. In other words, what  
12 would be the basis to revoke licenses or actually device  
13 approvals in this case? That mechanism doesn't exist unless  
14 we thing that the products are found to present a safety  
15 hazard in their own right, there is something wrong with  
16 them.

17 This is not to say that we aren't putting pressure  
18 on the companies, but I think you shouldn't underestimate  
19 the power of the market force. If the user community makes  
20 clear the sentiment to the filter manufacturers that they  
21 will prefer to purchase any filter that meets a higher  
22 standard and doesn't have this problem of failure with  
23 sickle trait, then, it is a clear signal that there is a  
24 market demand for modified product.

25 That is at least as powerful a force as the FDA



1   pounding on the table and saying we want a better product,  
2   but I think what Steve was saying, and what I was trying to  
3   say, is we have no mechanism to compel that. I mean  
4   somebody has to want to make it and validate it.

5           DR. STRONCEK: The standard of clinical trials  
6   today is that you can't just--you do a clinical trial on one  
7   racial group, you have to enroll patients in clinical trials  
8   with a variety of different racial groups.

9           It is hard to believe this problem didn't come up  
10  if the manufacturers really tested their device among people  
11  of many different racial groups, so I think they do have a  
12  faulty problem if that is the case, and there is reason to  
13  hold their feet to the fire to get this thing fixed, this  
14  problem fixed.

15           DR. OHENE-FREMPONG: Just as a follow up, it  
16  sounds easy to say that you will exclude people with sickle  
17  cell trait from donation, but to my knowledge, most blood  
18  collection groups don't test people who are volunteering to  
19  donate for the hemoglobin type, and that is only at the user  
20  end, that blood, that may be from an AS individual, may be  
21  excluded for a particular patient.

22           The effect of this, it would seem to me to try to  
23  exclude up front all those who may be in the populations  
24  that may have sickle cell trait as a high incidence because  
25  as it is now, I don't think donors are tested for sickle

1 cell trait before they donate blood.

2 DR. EPSTEIN: Our guidance does not recommend that  
3 persons with sickle trait be deferred. What we are  
4 recommending is that screening be done, so that appropriate  
5 monitoring can be done of leukofiltration. Not all persons  
6 with sickle trait would fail leukofiltration. Additionally,  
7 such persons could donate by apheresis or could become  
8 dedicated plasma donors.

9 So, it is a misunderstanding of the guidance to  
10 interpret it as precluding donation, that would not be true.  
11 What we are saying is that at the moment, it is an  
12 uncontrolled variable relating to the quality of filtration.  
13 We know that there is a high likelihood that residual  
14 leukocyte count will be not be reduced if the donor has  
15 sickle trait.

16 So, what we are saying is you have to be mindful  
17 of that and manage that situation. Now, in some cases it  
18 would preclude being a donor of a leukocyte reduced cellular  
19 product, however, that product could be leukocyte reduced by  
20 other means than filtration.

21 So, it is not a 1 to 1 equation. I realize that  
22 at a pragmatic level, it might be easier for some centers  
23 simply not to accept the donation, but the guidance doesn't  
24 actually say that, and I agree with Dr. Stroncek's point of  
25 view. We would much rather see the filters fixed. What we

1 are really talking about is how do we get there.

2 DR. SCHMIDT: There is a clinical problem which  
3 can never be fixed on the biological differences between how  
4 much hemoglobin is in a bag of blood and how much of it is  
5 in viable red cells, and this is something that is generally  
6 ignored by the clinician, and not appreciated, but if in  
7 leukoreduction we lose an additional 10 percent of the red  
8 cells routinely, if that is the proper number, then, we are  
9 throwing something else into the mix.

10 I don't know how the clinicians would be advised  
11 of that or it is really a labeling question, but the  
12 variability was that some bags had more and some bags had  
13 less, and now we are getting into a situation where all bags  
14 have less, so it is a little different from the biological  
15 variability of the donor.

16 DR. NIGHTINGALE: Yes. I think I would simply  
17 comment that the point that you raised and the points that  
18 Dr. Stroncek raised previously were considered by our  
19 Advisory Committee, as I believe they were considered by you  
20 two years ago.

21 DR. NELSON: Thank you.

22 The next topic on the agenda is guidance on  
23 malaria exclusions, applicability to plasma. The first  
24 speaker will be Dr. Mark Heintzelman from the FDA.

25 **IV. Guidance on Malaria: Applicability to Plasma**

1 Introduction and Background

2 Mark Heintzelman, Ph.D., DBA, OBRR

3 DR. HEINTZELMAN: Thank you.

4 [Slide.]

5 Well, here we are, sandwiched in between the Ides  
6 of March and St. Patrick's Day. It is very hard to look to  
7 history to find a memorable event to note for today, but I  
8 did hear on WGMS this morning that it was 151 years ago that  
9 Hawthorne wrote and published "The Scarlet Letter." I think  
10 that leaves us room. So, thank you, Doctor.

11 We are here today to discuss an interesting  
12 proposal for a variance that has come to the Center for  
13 Biologics. The topic is Guidance on Malaria: Applicability  
14 to Plasma.

15 What I would like to do is to read a brief  
16 prepared statement that is essentially the issue paper that  
17 was sent to the committee. I do that for the benefit of the  
18 audience that may not have had a chance to look at our web  
19 page.

20 So, with that in mind, before I go to the slides,  
21 the issue is: Is there a significant risk of malaria from  
22 transfusion of frozen plasma products collected from donors  
23 at risk for malaria?

24 The background is currently, the Code of Federal  
25 Regulations, which would be citation 640.3(b)6 for those of

1 you so inclined, prohibits the collection of whole blood and  
2 blood components from donors at risk for malaria. This is  
3 not true for source plasma for further manufacture, where  
4 malaria risk is excluded from donor criteria, however,  
5 plasma derivatives are processed in a manner that eliminates  
6 parasites. Historical data suggest that the risk of  
7 transfusion-transmitted malaria from frozen plasma products  
8 is low, if it exists at all.

9 In a draft guidance document published on January  
10 13, 2000, FDA proposed updated recommendations for deferral  
11 of donors with risk of malaria to be applicable to donations  
12 including intact red blood cells or platelets.

13 In preparing to issue its final guidance, FDA  
14 needs to clarify its policy on use of frozen plasma products  
15 when a donor has risk of malaria.

16 The issues for discussion. In a request for  
17 variance to the Code of Federal Regulations, the FDA has  
18 been asked to review its current policy of requiring plasma  
19 donors to be deferred if they have traveled to a malarious  
20 area. The specific request asks that donors at risk for  
21 malaria be allowed to donate plasma products by a specific  
22 automated apheresis method using the Autopheresis C device.

23 These products will be frozen and thawed prior to  
24 use for transfusion. These products include fresh frozen  
25 plasma and cryoprecipitate. It is also requested that use

1 of apheresis plasma, relabeled as recovered plasma for  
2 further manufacturing, also be permitted in the face of  
3 malaria risk.

4 FDA therefore seeks to clarify whether it should  
5 permit exemptions to the regulations to permit collection of  
6 blood and components to make frozen plasma products despite  
7 malaria risk in the donor.

8 Currently, when a post donation information report  
9 of malaria risk is received, the FDA requires removal from  
10 inventory and distribution of any cellular components or  
11 fresh, never frozen, plasma products. This is consistent  
12 with the guidance published in an FDA memorandum dated July  
13 26, 1994.

14 However, in its 1994 guidance, the agency did not  
15 explicitly recommend the removal from inventory or  
16 distribution of frozen plasma products, and some centers  
17 have released these products for transfusion. Consistent  
18 with the Code of Federal Regulations, a donor history  
19 positive for malaria risk would have precluded collection of  
20 blood components. FDA therefore seeks to clarify whether it  
21 should continue its current policy that allows these  
22 products to remain in inventory and be released for  
23 transfusion.

24 In order to gather this data, I am going to try to  
25 put the picture together for you in order to come to a

1 logical conclusion based on the data presented to you, we  
2 will approach it in this fashion.

3 The issue to be discussed is the malarial risk for  
4 frozen plasma products for transfusion, the laboratory and  
5 epidemiological evidence for and against that risk will be  
6 presented, and the practical effect of the FDA policy on  
7 product availability will be discussed.

8 With that, I would like to go to the slides.

9 [Slide.]

10 So, the issue to consider: Is there a significant  
11 risk of malaria from transfusion of frozen plasma products  
12 collected from donors at risk for malaria?

13 [Slide.]

14 The FDA is being requested to consider a variance  
15 to the Code of Federal Regulations to allow collection of  
16 plasma by apheresis from donors that have traveled to  
17 malarious areas.

18 [Slide.]

19 Title 21 of the Code of Federal Regulations  
20 640.3(b)6 is where we find the regulation that addresses the  
21 issue of malarial risk. It is found in Whole Blood  
22 Suitability of Donor, Qualifications of Donor.

23 Within it, it states, "Freedom from any disease  
24 transmissible by blood transfusion insofar as can be  
25 determined by history," and that would include questions

1 regarding travel to a malarious area and examinations as  
2 indicated further above in the regulations. So, that is  
3 where we find the first indication.

4 [Slide.]

5 Next, in the Code of Federal Regulations, Title  
6 21, 640.3(b), under Plasma, we find the specific mention of  
7 "plasmapheresis donors shall meet the criteria for donor  
8 suitability prescribed in 640.3," which is the source plasma  
9 for further manufacture citation, "excluding the phrase  
10 other than malaria," meaning that plasma donors, even  
11 plasmapheresis donors, shall be questioned to determine  
12 malarial risk in paragraph (c)(9) of the section.

13 So, I am trying to link this firmly to a rooting  
14 in the regulations, and that is the reason that we can then  
15 accept a request for variance to this if the data support  
16 it.

17 [Slide.]

18 Our current policy. Many of you are aware that we  
19 have a proposed 2000 draft policy, but we have had a current  
20 policy in effect all along, that is, our July 26, 1994  
21 document. Within that, we find a statement, "These  
22 recommendations apply only to donations containing intact  
23 red blood cells. Donations used for preparing plasma,  
24 plasma components, or derivatives devoid of intact red blood  
25 cells are excluded."



1 Now, this appears a little difficult when you look  
2 at the requirement in the regulations that this is not  
3 acceptable, the donor, even for plasmapheresis will be  
4 screened. We are here to seek clarification to this.

5 [Slide.]

6 Just a brief update. How are we going to get the  
7 data to be able to make this ascertainment? Dr. Chiang Syin  
8 will review viability of malaria parasites in plasma, which  
9 will essentially be the laboratory studies.

10 Dr. Monica Parise from the CDC will review  
11 transfusion-transmitted malaria in the United States focus  
12 on fresh frozen plasma.

13 Dr. Jed Gorlin will present plasmapheresis from  
14 donors deferred for malaria travel.

15 I would like to point out that we are very  
16 fortunate to have this team put together to do this work.  
17 We have a highly qualified group about to make these  
18 presentations, and it is a unique opportunity.

19 The questions to the committee will be:

20 1. Are the available scientific data sufficient  
21 to conclude that it is safe to prepare frozen plasma  
22 products for use in transfusion despite a history of malaria  
23 risk in the donor (a) when the plasma is prepared by  
24 separation from whole blood; (b) when the plasma is prepared  
25 by automated apheresis (any method); (c) when the plasma is

1 prepared by apheresis using the Autopheresis C device?

2 The last question to the committee: Balancing the  
3 risks and the impacts on supply, should FDA continue its  
4 current policy to allow use of frozen plasma products for  
5 transfusion when the donor provides post-donation  
6 information positive for malaria risk?

7 As you go through the presentations, now you know  
8 what it is we are going to ask you.

9 Any questions?

10 DR. NELSON: Questions?

11 Do you differentiate malaria risk meaning travel  
12 and malaria illness meaning a person who has had malaria, or  
13 are they both the same?

14 DR. HEINTZELMAN: We differentiate those in our  
15 memoranda, both the current memoranda that is in effect and  
16 the proposed memoranda address specifically those who have  
17 had malaria are deferred, and then separate sections  
18 identify the risks of travel to those areas.

19 Now, are you implying that within our framework as  
20 proposed, doing our analysis for this variance request,  
21 would someone who has had malaria and been treated  
22 effectively and recovered still be allowed to do this, is  
23 that the essence of your question?

24 DR. NELSON: Treated effectively or not treated  
25 effectively, maybe not with a radical cure or whatever. I

1 mean I am just wondering if you are differentiating malaria  
2 risk from malaria or are they all in the same?

3 DR. HEINTZELMAN: As is currently proposed, we  
4 would not consider these to be different based on the  
5 variance request and the information we have. You know, the  
6 infectivity of malaria is going to be associated with red  
7 cell contamination. Clearly, a major impact on what we  
8 will cover today will be what the residual red cell risk is  
9 in plasma collected by apheresis, and the survivability of  
10 red cells and Plasmodia after freezing.

11 It is interesting to note that there are a number  
12 of people who can donate blood in the United States that may  
13 have had malaria and may very well not be aware of their  
14 infectivity state, and I think that Dr. Parise has presented  
15 in prior sessions information about.

16 That is where we are getting our cases of malaria  
17 now, but I am almost positive that it is just about  
18 exclusively associated with cellular donations and  
19 transfusions, not with plasma. I think Monica will have  
20 more information on that.

21 DR. CHAMBERLAND: I guess I am trying to seek  
22 further clarification as a follow up to Dr. Nelson's  
23 question. If I heard you correctly, Mark, I believe you  
24 said that the question includes both history of malaria risk  
25 or actual malaria, people who have actually had malaria.

1 Unless things have changed, the information that  
2 the committee got from Dr. Gorlin was that the variance that  
3 his blood bank was applying for was for travelers, not those  
4 who have had malaria.

5 So, is FDA proposing to go beyond that and make it  
6 even more comprehensive?

7 DR. HEINTZELMAN: We are proposing to look at the  
8 variance that has come to us to determine that. This is one  
9 of the issues to look at today and to consider as we go  
10 forward.

11 DR. CHAMBERLAND: So, then the variance request  
12 applies only to travelers, not those who have had malaria?

13 DR. HEINTZELMAN: Dr. Gorlin is shaking his head  
14 yes.

15 DR. EPSTEIN: That may be true of the variance  
16 request, but we are asking more broadly how we should deal  
17 with malaria risk as an exclusion for preparing frozen  
18 plasma products for transfusion. That is really what we are  
19 asking because we have to look more broadly. This  
20 particular variance request is narrow, the next one might  
21 not be.

22 DR. CHAMBERLAND: So, the FDA question to us is  
23 both, it applies to travel, as well as individuals who give  
24 a history of having had malaria?

25 DR. EPSTEIN: Yes. If the committee thinks that

1 the answer should be stratified, you know, we will hear that  
2 from you, and that is an important point.

3 Now, I don't think we are saying that anyone who  
4 contemplates drawing blood for making components from  
5 someone actively ill, you know, we are not saying having  
6 malaria, but we didn't stratify the basis of risk in the  
7 question. There are different criteria that indicate risk,  
8 but I think from a scientific point of view, it is really  
9 the same, because what we are saying is if there was a risk  
10 factor, the presumption has to be made that the donor might  
11 be asymptotically incubating malaria. In other words, you  
12 have to presume that malaria may be there in order to answer  
13 the scientific question of whether the products are safe.

14 DR. KOERPER: Dr. Epstein, what is the current  
15 regulation for deferring an individual who has had clinical  
16 malaria?

17 DR. HEINTZELMAN: We specifically in our current  
18 regulation point out as one identifiable category that these  
19 people are deferred. The current regulation doesn't talk  
20 about treatment.

21 DR. EPSTEIN: It is three years, three years from  
22 the last treatment, and that is recommendation, not  
23 regulation. The regulation, as Dr. Heintzelman showed, is  
24 freedom from a transfusion transmissible disease, but the  
25 guidance recommendation is three-year deferral after

1 resolution of active malaria.

2 DR. HEINTZELMAN: Right, it doesn't talk about  
3 treatment.

4 DR. NELSON: The next presentation, Dr. Chiang  
5 Syin from FDA.

6 Chiang Syin, Ph.D., DETTD, OBRR

7 DR. SYIN: Good morning.

8 [Slide.]

9 Today I will go over briefly some of the historic  
10 data regarding the viability of malaria parasites in blood  
11 and plasma stored under freezing temperature, because this  
12 is relevant to what the variance is. This subject is of  
13 particular concern on the potential risk of acquiring  
14 transfusion-transmitted malaria through plasma products.

15 [Slide.]

16 It has been well established that malaria could be  
17 induced by transfusions through the following cellular  
18 products including whole blood, packed red cells, platelets,  
19 leukocyte concentrate and one extra one is the liquid of  
20 fresh plasma.

21 Malaria parasite is an intra-erythrocytic  
22 protozoan which propagates within the red blood cells after  
23 invasion by a merozoite. The merozoite, I should remind  
24 you, will develop within the red cell into a ring form and  
25 slowly progress to a trophozoite and to a schizont, and

1 finally it was segmented into multiple merozoites and  
2 ruptures the host red cells to release more merozoites into  
3 the blood stream for the next cycle of invasion and growth.

4 [Slide.]

5 In contrast to the previously mentioned product,  
6 frozen plasma products such as cryoprecipitate and fresh  
7 frozen plasma are generally considered safe because you can  
8 see, in reading many historical references, especially a  
9 serious review article by Leonard Bruce-Chwatt in the '70s  
10 and '80s, they point out that there has never been any  
11 incident report of transfusion-associated malaria implicated  
12 by those products.

13 However, when we received the various petitions  
14 from Dr. Gorlin, who went through the literature search, he  
15 found out that, in 1985, Wells and Ala of NBS, UK, published  
16 an article on transfusion malaria implicating  
17 cryoprecipitate without any direct reference or supporting  
18 data. I have not been able to find a similar reference in  
19 the literature implicating a frozen plasma product.

20 To try to further clarify this issue, I have  
21 sought the help of a British colleague in NBS to try to  
22 track down those authors. Unfortunately, Ms. Wells left NBS  
23 in 1987 and Dr. Ala retired in 1990. So, over the last  
24 several months, we finally tracked down Dr. Ala through the  
25 help of a British colleague and I have a few communications

1 with Dr. Ala about this issue.

2 Dr. Ala pointed out, on February 13, in his e-mail  
3 to my request--he mentions what he stated before in the  
4 Lancet article is circumstantial and speculative and draws  
5 from his own personal experience which is based on one  
6 patient he has helped to manage. This is a young, severe  
7 hemophiliac patient undergoing lung surgery to remove a  
8 bleeding cyst.

9 He said he only used the cryoprecipitate, the  
10 home-made cryoprecipitate he personally made and the fresh  
11 frozen plasma to combat the bleeding problem. In this  
12 conversation, at the end of his e-mail, he did recognize he  
13 cannot claim either cryoprecipitate or fresh frozen plasma,  
14 FFP, could be implicated in this case because he really  
15 doesn't have other data to suggest.

16 However, he also mentioned in some of the personal  
17 experience he has dealing with the malaria parasite inside  
18 red blood cells. He noticed, in the frozen plasma,  
19 sometimes they could find a lot of red cells. When they go  
20 through freezing and thawing, they could actually detect  
21 intact red blood cells with the ring form inside which  
22 appears to be viable, even though he did not do any  
23 experience to substantiate.

24 This is a very interesting fact because my own  
25 experience was in vitro culture with Plasmodium falciparum.



1 We pretty much see similar things. The more mature stage  
2 merozoite parasite within the red blood cell usually will  
3 not tolerate the freezing and thawing except in the ring  
4 stage.

5 So, based on that, I started to look into other  
6 literature. Before I get into the next slide, I would like  
7 to talk about some of the factors contributing to the  
8 viability of malaria parasites. We should consider the host  
9 red blood cell, the state of the host red blood cell, its  
10 age, its integrity, and some effect of intact coagulins or  
11 preservatives, and also the effect of temperature,  
12 especially undergoing freezing and thawing.

13 The other point that we should consider is the  
14 stage of the parasite. Just like I mentioned earlier, most  
15 of the time, only the young ring stage will survive through  
16 this process.

17 [Slide.]

18 The viability of the malaria parasite was mostly  
19 studied during the early to mid-1900s due to the high  
20 incidence of transfusion-transmitted malaria during the  
21 first half of the century. Parasites were used to induce  
22 malaria as a therapy for neurosyphilis or general paresis or  
23 to test chemotherapeutic in volunteers.

24 This practice largely disappeared due to two  
25 factors. One is the introduction of penicillin and the

1 disappearance of syphilis from patients in the U.S. The  
2 other thing is, in 1960, the development of using a primate  
3 model to harbor or propagate the human malaria parasite has  
4 been very successful so the use of inoculating a human  
5 volunteer has pretty much stopped.

6 The study I am going to cite is concentrated in  
7 the whole blood preservation and only one reported in the  
8 plasma.

9 [Slide.]

10 When we talk about parasite viability in the  
11 plasma and we look at the literature, it is very  
12 interesting. In 1930 to 1940, there is a lot of literature  
13 talking about various ways to try to preserve the parasite  
14 in whole blood. There is only one plasma study we could  
15 find which was conducted by Lozner and Newhouser and  
16 published in the American Journal of Medical Science in  
17 1943.

18 What they did is they took citrated blood and  
19 separated the plasma, plasma collected from donors with  
20 active malaria and injected it into patients. These are  
21 human volunteers. Their results is they did not find any  
22 infection in recipients, 23 recipients.

23 Twenty volunteers received frozen plasma and three  
24 received freeze-dried plasma. Out of the other twelve  
25 volunteers, they separated them into three groups. The

1 first group has been injected with one-day-old plasma. The  
2 second group received one-week-old plasma. The third group  
3 received two-week-old plasma. All this plasma we are  
4 talking about in this group is liquid plasma.

5 They found, in the first group of two volunteers,  
6 one definitive infection. One is a probable infection. The  
7 reason is this patient has, five years previously, been  
8 infected with malaria before, so they cannot exclude that  
9 possibility that it is due to a previous infection.

10 In the one-week-old population, they did find one  
11 showed parasitemia in the smear. They went back to track  
12 the history to find that this volunteer had a malaria  
13 infection ten years ago. So they considered this as not a  
14 real induced malaria in this case because they cannot find  
15 parasites in the other smears.

16 The two-weeks-old did not show any infection from  
17 the liquid plasma. So, based on this study, it pretty much  
18 confirms frozen plasma is not likely to transmit the malaria  
19 parasite. The liquid plasma, one-day-old liquid plasma,  
20 would support malaria parasites growth.

21 At this point, I also want to mention, just like I  
22 mentioned, some of the earliest reviews point out--such as,  
23 in 1965, Russia, the Former USSR, published a collection of  
24 transfusion-transmitted malaria. They found out, out of  
25 47 patients, 42 were transfused with whole blood--42 were

1 due to transfusion of whole blood, five were due to  
2 transfusion of liquid plasma. Three of them, they found out  
3 the plasma had been about three days old.

4 So, in other words, liquid plasma could support  
5 the parasite, at least up to three days.

6 [Slide.]

7 So now we have to talk about the concern about the  
8 potential viability of the malaria parasite in plasma. When  
9 we look further into the frozen plasma area, we have to  
10 consider the previous experience drawn in the 1930s and  
11 1940s when they tried to look at the parasite in the frozen  
12 state.

13 Two points I would raise. One is, intra-  
14 erythrocytic malaria parasites are known to survive for  
15 extended periods of time under varying storage conditions  
16 including -78 to -190 degrees. For this part, we have to  
17 look at the effect of the freezing and thawing process.

18 The freezing and thawing, in general, the damage  
19 of the malaria parasite to the host red cell is due to the  
20 rate of the freezing and thawing process. I think in the  
21 late 30's, Caggeshall, Rockefeller and Saunders in  
22 Washington University in St. Louis did a series of studies  
23 just using citrated blood or heparinized blood. Those are  
24 collected from active malaria patients.

25 They go through different ways of freezing and

1 thawing. They found out the best way is to do a rapid  
2 freezing, a rapid thawing and then the malaria parasite will  
3 still be viable because they could prove, by inoculating the  
4 thawed blood into another volunteer, it could induce malaria  
5 in those volunteers.

6 Those studies did not involve any anticoagulants,  
7 but I wanted to point out that we know dextrose is commonly  
8 found in many anticoagulants could further enhance this  
9 viable red blood cell and the parasite under freezing  
10 temperature. This you can also find in many of the studies  
11 done in the mid-1900s.

12 [Slide.]

13 This table I show on this slide, I actually  
14 extracted out of a chapter written by Dr. Nguyen-Dihn of CDC  
15 in the textbook named Malaria published in 1988. It pretty  
16 much summarized all the human malaria species you could look  
17 at. There is no preservative added. There is no glycerol.  
18 No other cryopreservative has been added to this parasite or  
19 this infected red cell. Those are stored between -70  
20 degrees and -80 degrees.

21 You can see that falciparum, vivax--I am using the  
22 abbreviation form--and malariae and ovale pretty much could  
23 survive for a long period of time under these conditions and  
24 still be infective to the human volunteer. All this has  
25 been supported by inoculation into new recipients.

1 [Slide.]

2 The other concern I think we should look at, at  
3 least from our perspective, is when we receive the petition  
4 for variance, when we learned this was going to be fresh  
5 frozen plasma, we went back to talk to several apheresis or  
6 plasmapheresis equipment manufacturers. We gathered some of  
7 the data from them about residual red blood cells in the  
8 plasma.

9 Gambro BCT and Haemonetics reported residual red  
10 blood cell levels below the detection limit of automated  
11 cell counters. Unfortunately, those cell counters have  
12 lower limits on the linearity of less than  $0.1 \times 10^6$  per  
13 microliter. I know when I talked to people in those two  
14 companies, they generally indicated that red blood cell  
15 contamination is usually low coming out from this equipment.

16 But since the criteria is using the automated cell  
17 counter, with such a high limit--because, if you translate  
18 that, the lower limit would be like  $0.1 \times 10^9$  per ml,  
19 per cc of blood. That is quite a bit of red blood cells  
20 present in the plasma.

21 Even from the best data we received from  
22 Baxter/Fenwal which they reported in Transplant, actually at  
23 the AABB meeting as an abstract, they reported about 40 red  
24 blood cells per microliter. If you calculate it, it would  
25 be roughly about two times  $10^7$  per 500 ml of plasma. This

1 is using Autopheresis C. It is exactly the same equipment  
2 Dr. Gorlin is proposing.

3 When we look at this one, you have to consider all  
4 the factors involved of the viability--which may affect the  
5 viability of the parasite in the plasma product. I point  
6 out to you, freezing and thawing, it depends on how you do  
7 it and you would affect some of the more mature parasites.

8 But I also need to indicate that a human malaria  
9 parasite like Plasmodium falciparum is known to sequester in  
10 the circulation. So you are only seeing the ring-stage  
11 parasite, or a more mature gametocyte in the circulation.  
12 The other three do not have this phenomenon but, obviously,  
13 when you draw blood from a patient who already has been  
14 exposed to Plasmodium falciparum, you are going to see a lot  
15 of the ring-stage parasite in the collection.

16 But the other factor I also need to point out is  
17 all different human malaria infections, we are talking about  
18 average parasitemia, or the parasite is low in the  
19 circulation. It has quite a big range here. Falciparum  
20 could go as high as 5 percent in--I shouldn't use the normal  
21 malaria patient but, anyway, in the malaria patient has been  
22 reported and some as high as--could reach about 15 percent.

23 Falciparum usually ranges about 0.5 to 5 percent  
24 in active malaria patients. Vivax, in general, you will see  
25 an average of about 0.5 percent parasitemia. Malariae and

1 ovale, probably may be lower by a factor of two or three.

2 No matter how you look at it, if you have an  
3 active malaria patient walk into a blood bank donating  
4 blood, you should be concerned about the parasitemia there.  
5 I know Dr. Gorlin has mentioned about this petition is  
6 mainly concentrated on the traveler. We know most people  
7 from North America probably would not tolerate too well any  
8 active malaria infection. But I am just presenting what I  
9 have seen in the literature for you to consider.

10 In summary, frozen plasma collected from donors  
11 with active malaria based on Lozner and Newhouser's study in  
12 1940 did not induce malaria in the recipient. The viability  
13 of the malaria parasite could be preserved in whole blood in  
14 the frozen state which we have a large body of data to  
15 support. Plasma, indeed, contains a significant number of  
16 residual red blood cells.

17 Thank you

18 DR. NELSON: Thank you, Dr. Syin.

19 Comments or questions anybody?

20 DR. FITZPATRICK: Dr. Syin, in the literature, did  
21 you find anything on the viability of the parasites stored  
22 at -20, since that is what most of the plasma is stored at?

23 DR. SYIN: The study conducted by Lozner and  
24 Newhouser is stored under -20 degrees. They freeze in  
25 alcohol and dries and is stored at -20 degrees. But I have



1 to go back to one other study I think conducted in the '50s,  
2 I believe. They looked at the red blood cells, by  
3 themselves, stored under -10 degrees. They claim that they  
4 only suffered maybe up to a 20 percent loss of the red  
5 cells.

6 As you probably know, malaria parasite survival  
7 pretty much mimics the storage condition of red blood cells.  
8 So I would speculate the parasite probably could be still  
9 viable there.

10 DR. NELSON: You cited Dr. Bruce-Chwatt saying  
11 that plasma transfusion did not, in his opinion--

12 DR. SYIN: No, he just says there is never a  
13 single case reported.

14 DR. NELSON: I just wondered if he cited any data  
15 other than his pontifical opinion.

16 DR. SYIN: No, but I think this is reminiscent of  
17 what we see in people citing Wells and Ala's paper because  
18 we are actually seeing--I have done this search. Prior to  
19 1985, before they published, there is not a single reference  
20 mentioned about cryoprecipitate being implicated. But,  
21 since 1985, everybody mentions cryoprecipitate, citing Wells  
22 and Ala's paper. That was very critical for me to track  
23 down the original author.

24 But, unfortunately, they never published it and  
25 they said they drew on personal experience. I cannot argue

1 against it because, just like you mentioned, Dr. Bruce-  
2 Chwatt also mentions transfusion-transmitted malaria usually  
3 worldwide has been underreported because he cites several  
4 studies, especially in France, the study in 1970. Actually,  
5 they could see in the literature and what is serving the  
6 blood bank actually has showed up, like, 50 versus 100  
7 cases.

8 DR. EPSTEIN: Chang, I have to say I ended up  
9 confused. I thought I had it straight, but you seem to have  
10 told us a couple of things, first of all, that it can be  
11 shown that viable malariads can survive freezing and  
12 thawing.

13 DR. SYIN: Yes.

14 DR. EPSTEIN: As can some red cells remain intact.

15 DR. SYIN: Yes.

16 DR. EPSTEIN: Prior to that, you showed us that  
17 the 1943 study with human volunteers appeared to show  
18 transmission by liquid-stored plasma but never the frozen  
19 plasma.

20 DR. SYIN: That's right.

21 DR. EPSTEIN: However, when you reviewed the  
22 viability studies, and correct me if I am wrong, you stated  
23 that infectivity was shown in the human volunteers so that  
24 the frozen thawed products that had viable malariads were,  
25 in fact, infectious as transfusion products.

1 DR. SYIN: This is only in the whole blood. You  
2 have to understand, those studies were done because, in the  
3 '30's and '40's, those physicians practiced malaria therapy  
4 on the neurosyphilis patients. They had difficulty to  
5 maintain or induce--I mean, sporozoite-induced malaria. So  
6 they are trying to find a method to have a way to keep the  
7 preserved malaria parasite in a quantifiable fashion they  
8 could use to induce malaria in neurosyphilis patients.

9 So all the studies were conducted in whole blood  
10 and not in the plasma. So I think you have to separate.  
11 The only study we see using the frozen plasma to inoculate  
12 into volunteers is the 1943 paper by Lozner and Newhouser.

13 DR. EPSTEIN: That study involved a soft spin,  
14 right?

15 DR. SYIN: Yes, I show it is 2500 RPM for one  
16 hour.

17 DR. EPSTEIN: The other point of contrast was that  
18 you stated that the whole blood did not involve  
19 preservative.

20 DR. SYIN: Yes, all those studies conducted in the  
21 '30's and '40's, I went back to look very hard. They only  
22 mention citrated blood. Some of the articles, they actually  
23 mentioned they are only putting in--the mix was 4 percent  
24 sodium citrate. So I take it that that doesn't involve any  
25 dextrose or any glycerol because I think I have to recognize

1 any blood mixed in with glycerol could last for a long, long  
2 time.

3 I think Bill Collins, of CDC, just this month  
4 published a paper using some of the glycerinated blood to  
5 inoculate monkeys. That blood has been preserved for over  
6 nine years and they could easily induce malaria in those  
7 monkeys. Those are mixing with glycerol.

8 The table I presented, in the original  
9 publication, not a single one mentioned anything about  
10 glycerol or dextrose. So, in other words, we need to  
11 recognize the fact they are only using anticoagulants like  
12 citrate or heparin.

13 DR. SCHMIDT: Jay, I think you used terminology  
14 most people would be unfamiliar with. This was definitely  
15 what we would call a hard spin, 2500 for an hour, the soft  
16 spin being to make platelet plasma.

17 DR. EPSTEIN: What I was trying to get at was  
18 whether that was a more rigorous spin than would be commonly  
19 used to make FFP.

20 DR. SCHMIDT: I think it was.

21 DR. EPSTEIN: I think this is more rigorous.

22 DR. SCHMIDT: Yes.

23 DR. EPSTEIN: So can we be comfortable with a  
24 negative result if, presumably, there was more effective  
25 removal of particulates including intact red cells than

1 would be the current practice in making FFP. I'm sorry, I  
2 did use the wrong word, but that is exactly what I was  
3 trying to get at.

4 DR. STRONCEK: Before you leave, I know you  
5 alluded to studies on cryopreserving malaria parasites.  
6 When people do culture work with malaria for research, how  
7 do they store it? Do they use cryopreservatives or do they  
8 just freeze red cells?

9 DR. SYIN: Yes, we are usually using glycerol to  
10 preserve the red cells, to preserve those seeded parasites.  
11 Most of the time, we preserve the ring stage malaria  
12 parasite within the red blood cell.

13 DR. NELSON: Thank you.

14 The next presentation is Dr. Monica Parise from  
15 CDC.

16 **Monica Parise, M.D.**

17 DR. PARISE: Good morning.

18 [Slide.]

19 This morning I am going to talk about malaria,  
20 transfusion-transmitted malaria in the U.S. with mainly a  
21 focus on fresh frozen plasma as far as what we know about  
22 our transmission from that component.

23 [Slide.]

24 First, I am going to give a little bit of  
25 background on malaria in general and then transfusion-

1 transmitted malaria specifically. I will give then some  
2 information on the epidemiology of transfusion-transmitted  
3 malaria in the U.S., especially as relevant to potential  
4 transmission from fresh frozen plasma.

5 I will discuss a lookback we did at outcomes of  
6 recipients who received FFP from known malaria-infected  
7 donors and then I will close with a discussion of what is  
8 known about the risk from plasma from the literature.

9 [Slide.]

10 Malaria is a protozoan parasitic disease. It is  
11 caused by one of four species of human Plasmodium, which are  
12 Plasmodium falciparum, vivax, malariae, and ovale. It is  
13 generally transmitted from the bite of an infected female  
14 anopheline mosquito.

15 [Slide.]

16 It was eradicated in this country in the late  
17 1940s, but each year we have approximately 1,000 to 1,400  
18 reported cases in this country, almost all of them imported  
19 infections from U.S. travelers or from foreigners.

20 A few cases, generally less than 10 a year, are  
21 transmitted in the United States, and this includes  
22 transfusion-transmitted malaria, which averages 2 to 3 cases  
23 a year from organ transplantation and congenital  
24 transmission. About once every 1 to 2 years, we have a case  
25 or a few cases that are felt to have been acquired through

1 local mosquito-borne transmission in the United States.

2 [Slide.]

3 Of the imported cases that we have each year,  
4 about half occur in immigrants, refugees, residents of other  
5 countries including people who live in other countries who  
6 come to visit the United States, and the other half occur in  
7 U.S. travelers, which includes U.S. civilians and military.

8 A major risk factor for acquisition of malaria in  
9 these persons like travelers who live in the United States  
10 and go abroad, is a failure to make use of effective malaria  
11 chemoprophylaxis, and about 85 percent of these cases are  
12 associated with either the failure to take any preventive  
13 drug or the use of an ineffective drug or a noncompliant use  
14 of either an ineffective or an effective drug.

15 [Slide.]

16 I just want to talk briefly about the malaria  
17 transmission cycle. Basically, we will start with the  
18 mosquito. An infected mosquito injects a form of the  
19 parasite that is known as sporozoites that are in its  
20 salivary glands, and in feeding on a human and taking a  
21 blood meal, it injects those forms into human blood.

22 They are very brief lived in the blood. In less  
23 than 30 minutes, they are taken up by liver parenchymal  
24 cells and there they develop in the liver. It takes an  
25 average of about a week or two that that developmental stage

1 called the exoerythrocytic stage in the liver before they  
2 reach a stage that are released into the blood.

3           The liver cell ruptures and releases a form called  
4 merozoites into the blood. These rapidly enter red blood  
5 cells, they go through stages of development in the red  
6 blood cells, and once the parasites mature, the red cell  
7 ruptures, releases the parasites, which are taken up by  
8 other red blood cells, and thus, another erythrocytic cycle.

9           That cycle in the red cell is what causes the  
10 symptoms of malaria. Its duration averages 36 to 72 hours  
11 depending on the species of parasite.

12           Some of these parasites in the red blood cells go  
13 on to another stage of development along the sexual pathway,  
14 which produced gametocytes. This is the stage that then can  
15 infect another mosquito and propagate the transmission  
16 cycle.

17           The cycle in the mosquito varies. It takes from  
18 about 9 to 35 days. It depends on a variety of factors, the  
19 most important probably the ambient temperature and  
20 humidity, et cetera.

21           [Slide.]

22           The four species of malaria parasites do have  
23 somewhat differing biologic behavior, and one of the major  
24 differences is whether there is a liver stage. Two species  
25 do not have a persistent liver stage, and they are non-



1 relapsing species.

2           Those are Plasmodium malariae, and although it  
3 doesn't persist in the liver, it can persist in the blood  
4 for very long periods of time at very low levels, not  
5 causing symptoms for many years and even decades in both  
6 persons with and without immunity to malaria.

7           In the current donor exclusion criteria, here and  
8 in many other countries, we will never prevent all the cases  
9 of this particular species.

10           The other non-relapsing species is Plasmodium  
11 falciparum, and most symptomatic cases from this parasite  
12 come up within a few months of travel. Persons who have  
13 lived in malaria endemic areas for prolonged periods of time  
14 can develop a partial immunity, and they have been noted to  
15 have parasitemia, which can be asymptomatic for periods  
16 beyond a few months, although rarely beyond one to two years  
17 after leaving a malarious area.

18           This is a species that is responsible for almost  
19 all the deaths that occur both in this country and globally  
20 due to malaria.

21           There are two species that do have a dormant liver  
22 stage that can cause relapse. They are Plasmodium vivax and  
23 ovale. Even without treatment, the liver stage rarely lasts  
24 for longer than three years.

25           [Slide.]

1 Moving on to transfusion-transmitted malaria, it  
2 is rare in the United States, occurring in an estimated  
3 incidence of about 1 case per 4 million units collected. It  
4 has been associated with a high case fatality rate, probably  
5 due to both the compromised nature of transfusion recipients  
6 and often a delay in malaria diagnosis after transfusion.

7 There were 3 cases of transfusion-transmitted  
8 malaria reported to CDC from 1996 to 1998, and all were  
9 complicated Plasmodium falciparum infections, 2 of which  
10 were fatal.

11 Concern over these 3 cases led us to try to look  
12 more closely into the epidemiology of transfusion-  
13 transmitted malaria in the U.S., and I will give a little  
14 bit of information on that investigation, especially as it  
15 pertains to transmission from plasma.

16 There has been 1 non-fatal case of Plasmodium  
17 falciparum since then in 2000, but the work-up is still in  
18 progress and we don't have an implicated donor as of yet.

19 [Slide.]

20 1963 was really the first year we could get what  
21 we felt was adequate, well, at least somewhat adequate  
22 information about implicated donors, so we started there and  
23 looked from 1963 to 1999, and there were 93 cases of  
24 transfusion-transmitted malaria that were reported to CDC  
25 during those years.

1           Let me just say the information we got on many of  
2 these, especially the older cases, were from malaria--we  
3 publish annual malaria surveillance summaries in the United  
4 States, and any cases that are felt to be transmitted in the  
5 U.S., there is more detail on than the imported cases, which  
6 generally consists of one to three paragraphs of more  
7 detailed epidemiologic and clinical information. So, much  
8 of the information came from a lookback at records.

9           In 70 cases where the implicated blood component  
10 could be discerned, whole blood was implicated in 63  
11 percent, packed cells in 31 percent, and platelets in 6  
12 percent. So, in this series, plasma was not implicated in  
13 any cases.

14           We were able to implicate an infective donor in 67  
15 cases, and we also found that the incidence of transfusion-  
16 transmitted malaria in this country has decreased since the  
17 sixties and seventies, and has remained at a stable low  
18 level for the last 15 years.

19           [Slide.]

20           In preparing for this presentation, the other  
21 thing we did was go back to this data set on the cases of  
22 transfusion-transmitted malaria reported just to make sure  
23 we weren't missing anything, to see if any evidence existed  
24 for transmission from fresh frozen plasma in the unsolved  
25 cases where we weren't able to implicate an infective donor.

1           So, we first looked at how many case-patients were  
2 reported in that series to have received FFP. That, we were  
3 able to find in 10 of 92 cases where there was available  
4 information on yes/no plasma was transfused or not.

5           In 6 of the 10 cases where plasma we knew was  
6 transfused, there was an implicated donor identified. In 5  
7 of them, the implicated product was whole blood or packed  
8 cells. In the other 1, the implicated component was not  
9 mentioned. The patient had received packed cells,  
10 platelets, and plasma, but we didn't know, the records did  
11 not state what was the implicated infective component.

12           [Slide.]

13           What about the other 4 cases where there wasn't an  
14 implicated donor? Well, in looking at these, there was 1  
15 case that had received both packed cells and FFP. Both of  
16 the red cell donors were serologically negative, which is  
17 the main way we initially screen these donors, but had  
18 traveled, the records didn't indicate where, it was implied  
19 to a malarious area, we don't know, and the FFP donors  
20 couldn't be tracked down.

21           In another case, packed cells, whole blood, and  
22 FFP were all received, were all transfused. All the donors  
23 tested negative, but one donor had traveled to Ghana. It  
24 was unknown which component that donor donated.

25           In the other two remaining cases, the

1 investigation was incomplete, even all the whole blood  
2 donors couldn't be tracked down.

3           So, I think the bottom line here is that in this  
4 extra look, we didn't find any cases where evidence for  
5 transmission from the other components was definitively  
6 ruled out that would lead you to think could there have been  
7 from plasma.

8           [Slide.]

9           The other thing that we were asked by FDA to look  
10 at was to look back at recipients of fresh frozen plasma  
11 from known malaria-infected donors, just to ensure we had  
12 not missed anything.

13           When I say this, it was not an intentional  
14 transmission, but transfusion-transmitted malaria occurs  
15 because something has gone wrong, usually in the screening  
16 process, and a case slips through. Sometimes the plasma  
17 component may get transfused before that case is reported or  
18 known.

19           We felt that it was unlikely we were going to find  
20 this because it hadn't been reported to us through our  
21 system, but we went back and specifically asked. It is a  
22 small number, and it will not rule out possibly the  
23 possibility, but especially a positive result that we had  
24 not heard about would be very important to know.

25           So, we contacted blood banks where an implicated

1 donor has malaria starting in 1990 up to 2000 to ask if any  
2 fresh frozen plasma from the known infected donor had gone  
3 to a recipient, and if any had been, we asked that the chart  
4 of that recipient be reviewed and also review any other  
5 records that the blood bank may have related to follow up of  
6 that recipient to look for the diagnosis of malaria or an  
7 unexplained fever that could potentially be malaria.

8           There were 14 cases of transfusion-transmitted  
9 malaria reported from 1990 to 2000, as I said, one  
10 investigation still in process. Of the remaining 13, in 4  
11 cases, no FFP was transfused. It was either destroyed or  
12 had been sent for further manufacturing.

13           In 3 cases, it was transfused without evidence of  
14 malaria in the recipient. One recipient did not live long  
15 enough to have developed transfusion-transmitted malaria  
16 based on the range of incubation periods for transfusion-  
17 transmitted malaria in general that have been reported in  
18 the literature for the various species.

19           In 6 cases, the investigation is still pending.  
20 Either it has been very difficult to find these charts or we  
21 are waiting for review of the chart, et cetera.

22           [Slide.]

23           Finally, is there evidence in the literature for  
24 or against risk of transfusion by plasma? This mentions a  
25 little bit what was mentioned in the previous presentation.

1 Bruce-Chwatt had compiled cases that again were felt to be  
2 clearly under-reported, that were reported to the World  
3 Health Organization from 1911 to 1972.

4 Of about 2,000 reported cases, there is no mention  
5 on infection associated with plasma.

6 [Slide.]

7 I think you have heard about this study again in  
8 the last presentation, and just to recap, there was in the  
9 study by Lozner and Newhouser, there was no transmission  
10 seen from frozen or dried plasma.

11 In looking at liquid plasma that was stored for  
12 one day, there was 1 definite and 1 that was felt to be a  
13 probable transmission, and that had been stored for a week.  
14 There was 1 transmission which was felt to be doubtful.

15 So, I will stop there. Thank you.

16 DR. NELSON: Thank you, Dr. Parise.

17 Questions? Yes.

18 DR. STUVER: How complete is the reporting of  
19 malaria to the CDC, do you feel?

20 DR. PARISE: That is a good question. There have  
21 been some estimates down to try to look at the sensitivity  
22 of the surveillance system for overall malaria. It has  
23 varied. We think maybe on average, 50 percent. Some sites  
24 we have looked at have been as high as 70 percent, some as  
25 low as 30 percent. There haven't been a lot of studies

1 looking at it.

2           There is actually two surveillance systems in this  
3 country for malaria reporting. At CDC, we know that our  
4 system, which collects much more detailed information on  
5 travel and chemoprophylaxis, actually probably picks up less  
6 than an electronic reporting system. We are trying to  
7 integrate these two systems in a capture/recapture analysis  
8 done.

9           As far as transfusion-transmitted malaria, we  
10 think it is pretty good. We didn't find, for example, in  
11 this big investigation we looked at anything that was in the  
12 literature that we hadn't picked up.

13           We do think we tend to hear about the unusual  
14 things. We think we hear about, for example, if there is a  
15 case of malaria in somebody without risk factors that got  
16 mosquito-borne transmission, that we generally hear about  
17 it.

18           DR. NELSON: I have a question about the lookback  
19 of people who got transfused from a malaria donor. Were  
20 these donors parasitemic at the time of donation, or were  
21 these people who had a recent history of untreated or  
22 malaria, was it known that they actually were parasitemic?

23           DR. PARISE: Basically, there is very little  
24 information of what happens at the exact moment of the  
25 transfusion, because usually what happens is there is a



1 delay after a transfusion that the person gets malaria,  
2 then, there is a delay in the time it gets transmitted, and  
3 then it takes a while to get all the donors, the implicated.  
4 So, you end up usually screening these donors.

5 Unless you are lucky enough to have a segment from  
6 the bag, you are screening them a couple months later, and  
7 you do a blood smear, and in those cases, actually, the  
8 blood smear only picks up about a third of the patients who  
9 are parasitemic, but we don't know at the moment.

10 We have had some cases that have actually, when we  
11 were able to do PCR and test it, that we have picked it up  
12 by PCR, and not by a blood smear.

13 I can look at the data set, I don't have it right  
14 in front of me. Most of them are actually from travel, and  
15 not from having a history of malaria, but again that gets a  
16 little bit difficult because in most recent years, a lot of  
17 the cases have been in immigrants.

18 The majority of those have been from sub-Saharan  
19 Africa, and many of those people haven't had a symptomatic  
20 malaria infection since they were a child, so it is  
21 difficult to remember, I think.

22 DR. STRONCEK: On your 93 cases you reported,  
23 there was none attributable to fresh frozen plasma, but it  
24 sounds like from your lookbacks that the people really  
25 aren't looking that close for FFP as a source of malaria,

1 and then the question I have is, in 6 percent of the cases,  
2 platelets were implicated, did anyone break that down into  
3 whole blood platelets, which have more red cells versus  
4 apheresis platelets, which are relatively free of red cells?

5 DR. PARISE: Let me answer the second one first.  
6 That, I didn't do. I am not sure that level of detail would  
7 be in the summaries. It is a small number of cases, and if  
8 they were more recent, we could look at that just by trying  
9 to figure out what the blood bank was and calling them.

10 My understanding, and there are people here that  
11 work in the blood collection in FDA that probably know more  
12 about this than I do, but I believe that once there is a  
13 case reported, many blood banks would not transfuse anything  
14 from those donors until--well, one, until we find an  
15 implicated donor, so there is a whole range of donors that  
16 could be potentially implicated at the beginning.

17 So, there would be sort of a cutoff to try to not  
18 transfuse them. I think in the cases that did get  
19 transfused, what may have happened is that because of this  
20 delay in the report, those products got transfused before  
21 anybody knew that there was a problem.

22 This was very difficult. I mean it was hard to  
23 track down. In the ones where the investigation is still  
24 pending, since some of these had happened over 10 years ago,  
25 it was hard to find charts, many of the recipients had died.

1 One case had been closed for litigation, and the records  
2 could not be located, so it was difficult to get a lot of  
3 information from this.

4 DR. LINDEN: Is it known how many organisms are  
5 necessary to transmit the disease?

6 DR. PARISE: It is felt not to need very many. It  
7 is felt that about 10 organisms of Plasmodium vivax from  
8 some of these earlier studies that have been done can pass  
9 on malaria. We know it can happen from needlestick.

10 DR. SCHMIDT: Would you comment on this question  
11 of the P. malariae where there is a persistent for decades,  
12 as you say, it may be 30 years, how does that jibe with this  
13 proposed guidance of 3 years that you were talking about,  
14 Jay?

15 DR. EPSTEIN: That issue has been debated many  
16 times. We know that the history exclusion is not 100  
17 percent. It is estimated that it is at least 98 percent  
18 effective. The converse question of would there be a net  
19 benefit if you excluded lifetime for history of malaria  
20 and/or history of origin from a malarious area, such as five  
21 years exposure in childhood, and, you know, basically, the  
22 arguments have been that the risk and benefit don't warrant  
23 lifetime exclusions and that if you are going to have a  
24 temporary exclusion, three years is about optimal.

25 So, that is just where we have sorted out in prior

1 discussions, and I wouldn't claim it is perfect.

2 DR. PARISE: If you look in the U.S., at the  
3 number of cases that are reported that come up over three  
4 years in people that could potentially be semi-immune  
5 people, who used to live in malarious areas, 0.2 percent  
6 actually come up after three years.

7 DR. SCHMIDT: Could you tell us, were all of these  
8 cases as far as tested of the transfusion-transmitted, were  
9 they falciparum, do we know that?

10 DR. PARISE: No, they are not falciparum. Really,  
11 all the species are represented. When we went back to try  
12 to see what happened, according to which donors had met  
13 donor suitability criteria, but still, you know, they were  
14 out of a malarious area over three years, but something  
15 still came up versus those that came up, the traveled, say,  
16 within a year or within the criteria, the species  
17 distribution differ there.

18 In the ones that came up when everything went  
19 right, but still came up, as would be expected, *P. malariae*  
20 is the most frequent species. In the other ones, I don't  
21 have the chart here, but both *P. falciparum* and *vivax* are  
22 more frequent.

23 DR. HALEY: Mr. Chairman, I can comment on the  
24 three cases, two of which were fatal. Those were former  
25 residents of Africa who had revisited Africa within the last

1 year and were semi-immune, so they were not just travelers,  
2 they were people who had been previously infected.

3 DR. NELSON: Apparently a "hurricane" has just  
4 invaded this room. This is not only St. Patrick's Day, it  
5 is hurricane season, I guess.

6 We are going to take a break and hope that the  
7 "hurricane" goes away.

8 [Recess.]

9 DR. NELSON: To continue the discussion, Dr. Jed  
10 Gorlin from the Memorial Blood Centers of Minnesota is the  
11 next speaker.

12 Jed B. Gorlin, M.D.

13 DR. GORLIN: Thank you.

14 [Slide.]

15 DR. GORLIN: For those of you who are astute, that  
16 is plasmapheresis, my apologies.

17 [Slide.]

18 As has been explained by previous speakers,  
19 current malarial deferral criteria require that residents of  
20 areas not endemic for malaria, i.e., us nice folks from  
21 Minnesota, that we get deferred for 12 months if we visit a  
22 malarial area. There is a special DOD request for two-year  
23 deferral for visiting Korea, but that is peripheral to this  
24 issue.

25 Individuals who are from endemic areas or who have

1 had malaria are deferred for three years. Our specific  
2 variance request applies only to travelers, not those who  
3 have had malaria. It is, however, important to address Dr.  
4 Heintzelman's concerns on a broader perspective because the  
5 1994 guidance document is inconsistent with the CFR. So, it  
6 is worthwhile to consider the larger question.

7 [Slide.]

8 The genesis of this variance request was the  
9 observation that Memorial Blood Centers of Minnesota has the  
10 dubious distinction of having one of the highest rates of  
11 malarial deferral. This is, in part, because Minnesota is a  
12 wonderful place to leave in the winter. So, those are  
13 essentially travelers to typically Mexico when you go see  
14 the ruins.

15 Donors who are deferred even once for any extended  
16 period are less likely to come back. Whether you feel  
17 slighted or simply get out of the habit, this is a common  
18 observation among blood centers, and it pains us when we  
19 have to defer donors.

20 To retain donors, I entertained a plan to allow us  
21 to divert those deferred donors to plasmapheresis, which we  
22 perform by an automated collection device that Dr. Chiang  
23 Syin has elegantly described.

24 [Slide.]

25 We currently have two of these devices which

1 produce relatively cell-free components, and I give a  
2 reference that was documenting the relative leukoreduction,  
3 2 times  $10^7$  cells does sound like a lot. That is also  
4 essentially the cell mass that would be found in 1/250th of  
5 a mL of red cells, which is a pretty small spot.

6 Plasma is currently made, once collected in this  
7 device, is rapidly frozen in a blast freezer, that freezes  
8 down to -80. It then remains at least -18. Actually, our  
9 freezers are -35, but by regulation, at less than -18,  
10 awaiting completion of donor testing, which is typically  
11 done in one day, but may be longer.

12 In fact, since we rotate our FFP, like any other  
13 blood center, first in, first out. Most FFP is actually in  
14 the freezer for quite some time.

15 [Slide.]

16 What does the Code of Federal Regulations allow?  
17 Well, 21 CFR allows drawing of donors with risk of malaria  
18 for source plasma, as Dr. Heintzelman has pointed out,  
19 because it gives the exception, "freedom from any disease  
20 other than malaria transmissible by blood transfusion."

21 [Slide.]

22 What does it not allow, what does it preclude? It  
23 is CFR 640.32 specifically precludes this malarial  
24 exemption, i.e., it exempts the exemption for donors of  
25 plasma or cryoprecipitate.

1 [Slide.]

2 Well, that is the CFR. What is the science? I  
3 cite again what is probably the fattest textbook of  
4 transfusion medicine, Mollison. In that statement, the most  
5 recent editions say it is plasma which has been frozen or  
6 fractionated has never been known to transmit malaria.

7 We have heard in elegant detail about the  
8 prospective trial in 1943 among volunteer soldiers. I  
9 suppose if your choice was getting malaria in an unnamed  
10 Army hospital here in D.C. versus being shot at in the  
11 Philippines, I know what I would choose, but there were no  
12 cases among the 20 recipients of the frozen plasma.

13 [Slide.]

14 Dr. Chiang Syin has elegantly described his truly  
15 heroic chasing down of this retire Iranian physician,  
16 describing in rather elegant detail the single anecdotal  
17 case of a lung resection from an individual, a severe  
18 hemophiliac, who got homemade cryo.

19 Having been a hemophilia clinician for many years,  
20 surgery on patients with severe hemophilia prior to the era  
21 of available lyophilized concentrates was a challenging  
22 episode, and one wonders could this individual have gotten  
23 other blood products ordered by the intern at night. One  
24 will never know, there are no records. So; suffice it to  
25 say, it remains an anecdote.



1 [Slide.]

2 But I respectfully submit that CBER, in its  
3 wisdom, has done the experiment, and they have done the  
4 experiment by their own policy of not requiring recall of  
5 the frozen units, to wit: the Office of Compliance at CBER  
6 receives about 9,000 post-donation information reports  
7 annually from blood centers. The single largest category,  
8 many from my own blood center, results from donor travel to  
9 endemic areas.

10 Following such a PDI, only cellular products from  
11 prior donations are recalled, i.e., platelets and red cells,  
12 but not the frozen plasma.

13 [Slide.]

14 Since CBER has never historically required recall  
15 of FFP or other frozen components, one needs to pose the  
16 question why not. Well, that is because everybody knows, it  
17 is well known that FFP and its derivatives don't transmit  
18 malaria.

19 Okay. One therefore makes the calculation that  
20 about 1,500 units of plasma from donors who later report  
21 malarial travel are not recalled each year.

22 [Slide.]

23 In truth, there is greater demand for transfusion  
24 of red cells than there is frozen plasma, so not all plasma  
25 from whole blood-derived units lands up being transfused

1 into patients. From our own center, and I think a  
2 reasonable figure, about one-third of the plasma units  
3 collected land up being transfused, at least that is from my  
4 own center.

5 Hence, one may estimate that about 15,000  
6 experiments have been done without a known case of malarial  
7 transmission, and I derive that figure, simply saying 1,500  
8 per year PDI reports times a one-third chance that FFP was  
9 actually transfused, and going back to Dr. Parise's 30-plus  
10 years of experience of reasonably decent reporting since  
11 1963.

12 [Slide.]

13 So, in summary, Memorial Blood Centers of  
14 Minnesota requests a variance to allow the drawing of donors  
15 by automated plasmapheresis for the purpose of making plasma  
16 components.

17 I point out that the current restrictions in the  
18 CFR are inconsistent with the CBER policy of not requiring  
19 recall of plasma components.

20 [Slide.]

21 There is an overwhelming preponderance of  
22 historical data to complement the prospective 1943 study for  
23 the safety of this practice, and that a single anecdote  
24 using admittedly outmoded manufacturing methods should not  
25 preclude the allowance of this variance.

1 [Slide.]

2 Finally, I want to raise a unique concept that we  
3 have adopted. I hold two hats here. One is my hat as  
4 Medical Director of Memorial Blood Centers. The other hat  
5 is I am the Chair of the AABB Standards Committee.

6 On the AABB Standards Committee, we have adopted a  
7 policy to when variances are granted, to publicize that  
8 these variances are granted through a publication called  
9 "Standard Source," and this allows other blood centers or  
10 blood collection organizations to learn from the practices  
11 of others.

12 If there is something wrong about our standard,  
13 then, it gives us the opportunity to change it. If there is  
14 something else that others can gain from this exception, it  
15 is I think a worthwhile policy.

16 With that, I thank Dr. Heintzelman for his  
17 patience with my badgering and the committee for their time.

18 Thank you.

19 DR. NELSON: Thank you, Dr. Gorlin.

20 Comments? Questions? Yes.

21 DR. KOERPER: I am compelled to bring this up  
22 because it has been bothering me all morning. I wish to  
23 point out that the recipients of the frozen plasma in this  
24 article in 1943 were not necessarily volunteers. They were  
25 patients on the wards at St. Elizabeth's Hospital, which was

1 at that time a federal mental hospital. So, I would like to  
2 come up with another word to use rather than volunteers. I  
3 am not sure that these individuals volunteered to be  
4 transfused with this plasma.

5 DR. STUVER: I just have a couple questions. On  
6 your third slide, you said that 0.78 percent of your donors  
7 are being deferred for the malarial travel. What is that in  
8 absolute numbers of donors?

9 DR. GORLIN: We have about 85,000 donations a  
10 year, so that is something on the order of 7- or 800. We  
11 have actually had periods in the past of actually over 1  
12 percent. I took the 0.78 from the most recent figures.

13 Nationally, it represents a significant--when a  
14 donor walks in the door, about 10 percent of them get  
15 deferred before donating blood. The largest single category  
16 is hematocrit, but high on the list, after that, is malarial  
17 travel.

18 DR. STUVER: Also, do you have any data for the  
19 persons who are deferred for this reason, what proportion of  
20 them do not ever come back to donate again?

21 DR. GORLIN: We do not have a good way of tracking  
22 that. I wish I did, but we do not have that data.

23 DR. NELSON: You inferred that the travel history  
24 of donors for source plasma would have been the same or  
25 equivalent to those who donate whole blood in your

1 calculations, as I understand it. Is that correct?

2 DR. GORLIN: I am afraid I wasn't making any  
3 comments about source plasma. I was saying that the current  
4 CFR requirement allows you to draw source plasma regardless  
5 of malarial travel.

6 DR. NELSON: Yes, but if the donors who donate  
7 source plasma have a very low travel history and a very low  
8 exclusion, then, the members of exposed people to source  
9 plasma may have been significantly different than your  
10 15,000. That is the point I was making.

11 DR. GORLIN: Oh, I am sorry. Let me clarify. The  
12 CBER web site from whence I drew that data is derived from  
13 only those from volunteer blood centers, not from source  
14 plasma. Both sets of data are available on the CBER web  
15 site, but the numbers I was using, the 1,497 was from whole  
16 blood volunteer centers, and you are right, the reasons for  
17 deferral are quite different.

18 DR. NELSON: Other comments or questions?

19 [No response.]

20 **Open Public Hearing**

21 DR. NELSON: The American Association of Blood  
22 Banks, Dr. Katz, will you give us your wisdom on this?

23 DR. KATZ: Yes, I have no wisdom. I wanted to  
24 express my condolences to Dr. Gorlin for the meteorologic  
25 extremes that have necessitated this request for a variance.

1 I come from Iowa where it is a lot warmer.

2 The standards for blood banks and transfusion  
3 services promulgated by AABB have permitted essentially what  
4 Dr. Gorlin has requested for 30 years, and I do believe that  
5 the natural experiment that Dr. Gorlin has described should  
6 be considered by the committee.

7 CFR, however, precludes the practice, but the  
8 draft guidance that we are eagerly awaiting in its final  
9 form has used the terminology, and I quote, "products devoid  
10 of intact red cells," identical to that in the standards,  
11 and sounds to be permissive.

12 We would support a change in FDA policy, so that  
13 individual collection facilities would not need to request  
14 variances, and allow this to go forward.

15 In answer to one of the questions, we find at my  
16 center the dropout rate after deferrals other than  
17 hematocrit deferral of repeat donors, about 50 percent don't  
18 come back in a time frame of two to three years, so it is a  
19 very substantial loss.

20 DR. NELSON: Are there other statements? Celso?

21 DR. BIANCO: Celso Bianco, America's Blood  
22 Centers. We support the statement that was made by AABB. I  
23 have through surveys of our centers, I have estimated that  
24 annually we defer 50,000 donors a year among volunteer blood  
25 donors because they traveled to what is considered a

1 malarial zone.

2 We are trying to obtain more data, but  
3 essentially, most of this travel is to resorts in Mexico and  
4 the Caribbean.

5 I also would like to remind--and I hope that Dr.  
6 Parise will confirm that--that the last case of malaria  
7 associated with travel to the Western Hemisphere occurred in  
8 1982. Since 1982, all cases of transfusion-associated  
9 malaria have been associated with people that came from West  
10 Africa or African countries.

11 DR. PARISE: Actually, this data is in press for  
12 publication, and when I went back and reviewed it for the  
13 50th time, I actually found two cases in the eighties and  
14 nineties that were in travelers. Previously, I think I have  
15 presented that there was one. Both were in U.S. residents.  
16 I believe one was a U.S. traveler, and the other was a U.S.  
17 traveler who had been a Peace Corps volunteer.

18 So, these were sort of the non-immunes, so two in  
19 the last 20 years. One went to West Africa, and I can't  
20 remember where the second one went to.

21 **Open Committee Discussion and Recommendations**

22 DR. NELSON: Now, we would like to discuss and  
23 consider the questions. There were several questions that  
24 you asked on this, so I would wonder if you would review  
25 this.

1 DR. HEINTZELMAN: One thing I would like to remind  
2 you is that when we consider the incidence of malaria, and  
3 we look at transfusion-transmitted malaria, be it from the  
4 Northern Hemisphere or the Western Hemisphere, Africa, Sub-  
5 Sahara, wherever, the fact that there are so few cases could  
6 also indicate that our policy is very effective, not that  
7 these geographical regions are at lowest risk, but that our  
8 policies are very effective, and that when you look at the  
9 incidence of malaria not regarding transfusion transmission,  
10 but the incidence of malaria as a disease reported in the  
11 United States--and I am glad to have Monica here--but I  
12 think it is about 1,300, 1,400 cases of malaria in the  
13 United States each year.

14 Monica, are you shaking your head yes for the  
15 record?

16 DR. PARISE: That is right.

17 DR. HEINTZELMAN: I don't have an exact number,  
18 but it is not that there is no malaria, there is plenty of  
19 malaria here in the United States that is imported in, and  
20 some of it comes as a result of mosquitos on airplanes that  
21 get here, and some of it comes from travelers that are  
22 people that are residents of the United States, that are  
23 immunologically naive that go, another comes from foreign  
24 visitors that are diagnosed here.

25 So, there is plenty of malaria diagnosed in the



1 United States on an annual basis. I am sure you are all  
2 aware that when we have large influxes of foreign  
3 populations for whatever political climatic reasons that may  
4 bring them in, those numbers will generally go up on a  
5 survey basis.

6 So, questions to the committee.

7 1. An introduction question. Are the available  
8 scientific data sufficient to conclude that it is safe to  
9 prepare frozen plasma products for use in transfusion  
10 despite a history of malaria risk in the donor, and that  
11 applies to when the plasma is prepared by separation from  
12 whole blood, when looking at technique for preparation, when  
13 the plasma is prepared by autopheresis of any type of  
14 equipment, of which Dr. Syin presented a number of  
15 manufacturers that had equipment, and specifically, then,  
16 when the plasma is prepared by apheresis using the  
17 Autopheresis C device--which if I saw the data correctly,  
18 may have had reported a lower number of residual red cells  
19 than some of the other equipment that is out there, so a  
20 machine that in theory might produce fresh frozen plasma  
21 with fewer residual red cells.

22 Is there anything I can do to help?

23 DR. NELSON: Well, let's discuss these questions,  
24 the question and the three subs.

25 Comments? Yes.

1 DR. LINDEN: Did we see any data on residual red  
2 cells in plasma separated from whole blood?

3 DR. HEINTZELMAN: Not that I am aware of. Dr.  
4 Syin?

5 DR. SYIN: I have specifically asked the  
6 manufacturer about the residual red cell in the plasma  
7 through plasmapheresis.

8 DR. HEINTZELMAN: Not through whole blood. I  
9 believe that the intent of the question is that the  
10 preparation without a hard spin, as was discussed for some  
11 of the earlier studies, it is meant to imply that the  
12 residual red count is unknown and with lack of control in  
13 this case, so the logical conclusion is that it would  
14 probably have a higher residual red cell count than a  
15 specific autopheresis technique.

16 DR. SYIN: Yes, I believe that is the case because  
17 I think I went to NIH library to take out '70 or '80 books  
18 on plasmapheresis. The number is much, much higher than  
19 what is being reported by Baxter. I think Baxter also  
20 provided some data with Haemonetics PCS, I think that shows  
21 a PCS to have 10-fold higher red cells in their plasma.

22 DR. NELSON: The data you are discussing related  
23 to red cells and merozoites, but gametocytes can be  
24 extracellular, and it wouldn't cause symptoms in the  
25 recipient, but it might transmission if gametocytes survive.

1 Do gametocytes survive freezing?

2 DR. SYIN: No, gametocyte, by definition, is  
3 intracellular parasite, and the gametocyte will only  
4 transmit it to mosquito, and will not transmit it in human.

5 DR. NELSON: But those banana type things you see,  
6 they are extracellular for Plasmodium falciparum, aren't  
7 they?

8 DR. SYIN: They are intracellular.

9 DR. NELSON: So, that relates to cells, as well.

10 DR. SYIN: Yes.

11 DR. SIMON: As I said to one of the presenters  
12 during the break, to you also, that I am very impressed at  
13 the extent to which the FDA and CDC have really looked into  
14 this issue and gone back into the literature and found out  
15 all the information that is available, also, all the  
16 clinical information that is available now, and it seems to  
17 me, having seen all of this, that at least I am comforted  
18 that the variance could be granted and that we could answer  
19 yes to the questions.

20 I think as a practical matter, plasma has not  
21 transmitted unless I missed something in the discussion.

22 DR. HEINTZELMAN: You did not.

23 DR. SIMON: And the critical--

24 DR. HEINTZELMAN: You did not, frozen plasma.

25 DR. SIMON: Frozen plasma--and I think the data,

1 although I think they have done a very good job of telling  
2 us the possibilities here and there that could come up, I am  
3 certainly strongly persuaded that the investigations that  
4 have been done comfort one that there should be no risk to  
5 granting such a variance.

6 DR. HEINTZELMAN: The Public Health Service in the  
7 United States is incredibly fortunate to have at its  
8 disposal some places like CDC and NIH and the FDA to seek  
9 these kinds of collaborative efforts. So, I believe it is  
10 probably unique on the globe.

11 DR. NELSON: There are actually two questions  
12 here, though. The first one is are the data sufficient to  
13 conclude it is safe, and then the second one is balancing  
14 the risks and impacts on supply, what should be the policy.  
15 So, we have two questions to vote on, as I understand.

16 DR. SIMON: Right. I was speaking specifically of  
17 the first, but I would agree with the current FDA policy on  
18 the second.

19 DR. NELSON: One could vote yes or no to either  
20 one or both.

21 DR. McCURDY: If I understand it correctly, we  
22 have 25 instances where plasma from known infectious  
23 individuals have been given and not transmitted, that is, 20  
24 from the St. Elizabeth's study and 5 in the more recent data  
25 from CDC.

1 I am not enough of a statistician to be able to  
2 calculate what the 95 percent confidence limits are of zero  
3 out of 25, but I suspect it is probably somewhere between  
4 zero and 3 and zero and 5, so that the data are somewhat  
5 limited as far as the "n" is concerned.

6 The other comment that I have about the so-called  
7 FDA experiment of releasing all of this plasma is that in  
8 this, as well as many other things, gold is where you find  
9 it, and unless you are conducting a certain amount of active  
10 surveillance or a fair amount of active surveillance, you  
11 will miss them.

12 A postoperative fever is not likely to be  
13 attributed to transfusion malaria, particularly fresh frozen  
14 plasma, but it is going to be attributed to a number of  
15 other things which I think accounts in part for the frequent  
16 fatalities, and that the transfusion malaria is not  
17 recognized until it gets so bad that it almost is obvious or  
18 somebody picks it up on a routine blood smear, which is not  
19 routine anymore.

20 DR. NELSON: I would think that the CDC or  
21 somebody might be able to get some more data than the 25,  
22 and the study that I think might be useful is people who  
23 have received fresh frozen plasma or plasma that was later  
24 found that the person had a risk, to track down the  
25 recipients and look for serologic evidence in those

1 recipients of malaria and get a travel history and what have  
2 you.

3           What we don't know is of those 1,500, that most of  
4 them have traveled to the Caribbean, and most of them don't  
5 have malaria probably, I mean it is mostly travel history.  
6 The small number that were reported were people who  
7 subsequently developed malaria and probably were infectious  
8 at the time of donation, so that the numbers are rather  
9 fluid depending on how many exposures there really have  
10 been.

11           DR. McCURDY: Those five came from lookback, and  
12 the lookback, as many lookbacks, had its limitations in  
13 being able to track down particular recipients.

14           DR. NELSON: On the other hand, given the  
15 infectivity of malaria and the small numbers of organisms  
16 that could transmit if they were viable, you know, 25, they  
17 are really good negative cases, is in this instance, you  
18 know, better, more persuasive than with some other agents, I  
19 would think.

20           DR. SCHMIDT: Something perhaps belonging to the  
21 earlier discussion rather than the plasma issue, but my  
22 recollection of the reports in the MMWR, I think there have  
23 been two like maybe in the last five or six years where they  
24 reviewed the transfusion malaria. Most of the very few  
25 cases, the donor had given a faulty history, so that you can

1 write whatever you want, but it still doesn't work. It  
2 doesn't really apply to the plasma end of it, but--

3 DR. HEINTZELMAN: That is one of the reasons why  
4 we have, on a side note, been readdressing the malaria  
5 guidance document and have had prior presentations with the  
6 BPAC, looking at furthering the questions, so that we can  
7 try to identify these people and be more clear and what it  
8 is we are trying to understand from their travel history,  
9 you are correct.

10 DR. FITZPATRICK: I don't think we can ignore the  
11 93 cases, though, that CDC--the 93 reported cases that they  
12 did the lookback on and found on definite implication of a  
13 fresh frozen plasma product in those cases.

14 So, while they have some unresolved cases in  
15 there, I think you have to add those to the 25 in the  
16 literature because that is 36 years of history of  
17 transfusing products that may have had malaria in them.

18 DR. McCURDY: Actually, I think the 5 cases that I  
19 was referring to are the ones from the lookback, and it is  
20 my understanding that of the other 88 cases or so, either  
21 the fresh frozen plasma was not transfused or, on lookback,  
22 they couldn't locate the recipient, so that those 93 cases,  
23 I think only 5 are carefully enough worked up so that you  
24 can be sure. The others are iffy and dependent upon passive  
25 surveillance again.

1 DR. FITZPATRICK: Monica, it says implicated  
2 product. Do you know if they got FFP in any of those other  
3 cases?

4 DR. PARISE: No. When we found an implicated  
5 donor and knew the implicated product, none of them got FFP.  
6 Basically, on this lookback, there were 13 that we were able  
7 to look at. I mean it was hard enough to go back to 1990, I  
8 can't go back to the seventies, but there basically, there  
9 were only 3 that we were able to look at well, that they  
10 could find the records or have finished it, that they knew  
11 that they got, you know, it was a malaria-infected donor,  
12 they knew somebody got FFP, and there was no evidence of  
13 malaria as far as could be determined from the chart.

14 So, there was only 3 that we could add in there.

15 DR. McCURDY: That makes it 23, not 25.

16 DR. SIMON: But I guess the question is, is this  
17 an area in which one needs greater numbers or further study,  
18 or are we comforted by the totality of the data back to I  
19 guess the forties, and that is the argument I was making.

20 I obviously can't exclude that a postoperative  
21 fever here or there might be due to malaria, but there is so  
22 much absence of data to implicate the plasma here over many  
23 years with some very good efforts made by scientists and  
24 presented to us today to look for, that I feel very  
25 comforted.



1 DR. STRONCEK: I agree with Paul, there is only  
2 one study, it is the 1943 study and the three donors. The  
3 study in 1943, honestly, some of the volumes were small,  
4 there was 80 mL's in some of them, so if there is a dose  
5 effect, the FFP off whole blood is about 200 to 250 mL's, I  
6 am not sure on the Autopheresis C, but it is probably  
7 higher, and we don't know what the levels of Plasmodium were  
8 in there.

9 So, to me, it is iffy. If someone were to come  
10 up, you know, a student that is working for me, and said is  
11 it worth repeating that study, maybe, maybe not, but it is  
12 only one study.

13 DR. NELSON: Comments were made earlier about  
14 volunteers, and actually we have learned a great deal about  
15 malaria from volunteers using drugs and other things, and I  
16 agree that we don't know whether the volunteers were you,  
17 you, and you, or whether the volunteers were really  
18 volunteers, but nonetheless, a volunteer study I don't think  
19 would be out of the question to help answer this question.

20 DR. McCURDY: Didn't we hear that there are now  
21 primate models that could be used, and volunteers are no  
22 longer necessary? It wouldn't be too difficult a study to  
23 do if one were set up to study malaria in a primate model.  
24 I am not quite sure where there might be any support for  
25 such a study.

1 DR. NELSON: I think that the presence of data is  
2 somewhat underwhelming, but the absence of data is perhaps  
3 somewhat reassuring, but those are different levels of  
4 assurance, I think.

5 DR. MITCHELL: I am still concerned about the--I  
6 mean I understand the epidemiological data, that has not  
7 shown transmission--but I am still concerned about the  
8 theoretical basis, and if we know that malaria can withstand  
9 the freezing process, and we know that there are, in fact,  
10 red blood cells that are in fresh frozen plasma, it seems  
11 that there is a significant number of red blood cells in  
12 frozen plasma, then, there is the theoretical risk of this  
13 transmitting, and perhaps the reason that it hasn't been  
14 transmitted is because of the policies that are currently in  
15 place, and that is why I would be hesitant to relax the  
16 policies that are currently in place.

17 DR. FITZPATRICK: But the policy that is currently  
18 in place allows us to not recall those products and  
19 transfuse them, so the policy that is in place and is being  
20 used is while not a controlled study, is what we see  
21 reported by CDC, are the results of, as Dr. Gorlin said,  
22 decades of a sort of semi-prospective study, which says that  
23 this is the policy that is being followed.

24 There has been no recall of frozen products  
25 because we found an error in post-donation information and

1 have a traveler to a malarial area. So, those products have  
2 been in circulation and being transfused.

3 DR. NELSON: I would like to remind, though, that  
4 there are two series of questions. One is are the data  
5 adequate, and secondly, should the FDA continue its current  
6 policy. I still think one could answer those questions  
7 differently.

8 Maybe we ought to stick with the first question  
9 first, or are we ready to vote on this?

10 DR. McCURDY: It would seem to me that it might be  
11 possible and feasible for one or more blood centers, who is  
12 affected by this, merely to conduct a lookback on the  
13 recipients of plasma that may not have been recalled,  
14 whereas, the red cell products were, that is, post-donation  
15 information used to conduct a lookback.

16 DR. NELSON: The current policy doesn't allow--  
17 maybe I am wrong--doesn't allow fresh frozen plasma, it  
18 allows source plasma, right? So, wouldn't that be more  
19 difficult for a lookback?

20 DR. HEINTZELMAN: I think what you are talking  
21 about is that we allow a malarial risk for the collection of  
22 source plasma for further manufacture, because we exempt the  
23 question about malaria, but we do not do that for what we  
24 call our whole blood donor questionnaire.

25 DR. NELSON: So that the current policy, there

1 wouldn't be any fresh frozen--no, there would be because the  
2 risk came up later. Okay.

3 DR. KOERPER: And that fresh frozen plasma is  
4 already--that is the 1,500 units a year we are talking  
5 about. Those are where post-donation, it became known that  
6 the donor had traveled to malarious areas. Any cellular  
7 components are withdrawn if they haven't been transfused,  
8 but the FFP is allowed to be transfused, so that is already  
9 happening, so I guess the question is whether anybody could  
10 now track the recipients of those units of FFP.

11 DR. NELSON: Again, it was travel.

12 DR. KOERPER: Yes, we don't know that the donors  
13 were infected.

14 DR. HEINTZELMAN: Right. That could easily be  
15 1,500 units that had no Plasmodia in them, we just don't  
16 know.

17 DR. NELSON: Jay.

18 DR. EPSTEIN: I just want to add one more  
19 perspective. The question is how good is the, quote,  
20 unquote, "FDA experiment," where we have been allowing  
21 transfusion of units that were in inventory in the face of  
22 post-donation information.

23 Well, one way of getting at this is to ask, well,  
24 what is the likelihood that a traveler to a malarious area  
25 has malaria, and what Dr. Parise told us is that there are

1 about 1,400 cases a year, about half of which are in  
2 travelers.

3 I asked, well, how many travelers are there, and  
4 the answer is about 20 million a year. If you assume that  
5 the malaria is even 50 percent under-reported, then, the  
6 malaria rate in travelers is about 1,400 out of 20 million,  
7 or about 1 in 14,000.

8 If you were to assume that it is at the same rate  
9 in people who fail to give travel history, and that is, of  
10 course, an unverified assumption, but it would be the worst  
11 case, it would imply that there is no selection by history.

12 Then, the risk of malaria at that level would  
13 represent the entire experience estimated by Jed Gorlin for  
14 the U.S. blood system, and he estimated that there were  
15 15,000 exposures based on post-donation history. So, if the  
16 risk was only 1 in 14,000, and the total experience with  
17 units not captured is 15,000, you might never have seen one.

18 Now, of course, the post-donation information  
19 itself may be under-reported, so this could be off by some  
20 factor, I don't know what factor, but even if, say, 50  
21 percent of such information is not reported, you are still  
22 sort of in the same ballpark where you might not see a case,  
23 and, of course, also, you have to factor in that blood  
24 recipients may die before their infection is recognized.

25 So, I am not sure that that information is

1 compelling. The second point that I would raise is there is  
2 a difference in magnitude. If we talk about continuing the  
3 current policy, which leaves on the shelf what got on the  
4 shelf, compared to a policy that says it is safe to collect  
5 units prospectively in the face of travel history to a  
6 malarious area, in that case, what we have learned from  
7 Celso Bianco is that there would be 50,000 candidate donors  
8 a year from whom we could proactively prepare FFP either  
9 from whole blood or by apheresis.

10 That then compares to the current level of what is  
11 being said is about 1,500. Now, 1,500, of course, isn't  
12 accurate because there is under-reporting, but, you know,  
13 even if you said that there was 10-fold under-reporting,  
14 which I think would be an overestimate, that is still 15,000  
15 compare to now allowing 50,000.

16 So, I think just in terms of looking at numbers  
17 coming through, in other words, accepted donors, products on  
18 the shelf, you are talking about a significant change in the  
19 potential risk. In other words, if you believe there is  
20 some finite potential risk, then, there is a big difference  
21 saying that the units on the shelf are okay, because there  
22 are only so many of those, whereas, if we change the policy  
23 to accept donation in the face of history, we are really  
24 opening the door quantitatively to a multiplier of a  
25 potential finite risk.

1 DR. NELSON: Thanks for summarizing my thinking on  
2 this.

3 DR. STUVER: Also, too, I mean it may be that  
4 those persons who identify themselves post-donation as  
5 having that history, are different from those who defer  
6 themselves in the very beginning with respect to their risk.

7 DR. McCURDY: One thing that occurred to me, I  
8 wonder what the need is for fresh frozen plasma collected in  
9 this fashion. It seems to me that with the possible  
10 exception of AB fresh frozen plasma, FFP is not in  
11 particular short supply. You can always use perhaps a  
12 little bit more for fractionation, but I am unaware that  
13 there is a dying need for additional need for FFP from blood  
14 centers.

15 DR. NELSON: The other argument is retaining the  
16 donor, I guess that is one of the things that was made.

17 DR. HOLLAND: Paul Holland, Sacramento Blood  
18 Center.

19 I would like to speak to the issue of we are  
20 losing donors through a whole variety of mechanisms, some  
21 theoretical, some real, and the point was made that about 50  
22 percent of people that are deferred, the actual term is  
23 "rejected" in their view, don't come back. I think that may  
24 be even an overestimate.

25 In our center, where we try to recapture and hold

1 these people as research donors, we can salvage some of them  
2 through that period of time, but people who are rejected  
3 don't tend to come back. We are already insufficient in  
4 blood supply. We import blood from Europe. So, any way,  
5 with minimal risk, that we can use these donors and keep  
6 them in the system, to get them back to donating whole blood  
7 or platelets, I think would be worthwhile.

8           So, from the perspective of a regional blood  
9 center, anything you can do to not unnecessarily waste  
10 donors, reject donors, I suggest and I hope that you will  
11 do.

12           Thank you.

13           DR. CHAMBERLAND: I guess this is a question for  
14 Dr. Gorlin. If the variance were to be granted, I guess I  
15 was just curious, how it would work and how well you think  
16 it would work. Do you have some sense that as people  
17 presented themselves for donation and were told their  
18 malaria travel history precluded them from donating, that  
19 they would be willing to donate through apheresis, and the  
20 time to donate through that process versus a routine  
21 collection of a unit of blood, is it very different, would  
22 you realistically do you think capture some of these people  
23 with this alternative?

24           DR. GORLIN: I think we realistically would. I do  
25 not want to overestimate the magnitude of this program. We