

1 document, I read somewhere in the document that the 20  
2 year was an "arbitrary" time. And so if it really is  
3 completely arbitrary, I would throw out 10 just to  
4 stimulate discussion. It's another arbitrary time  
5 period.

6 CHAIRMAN SALOMON: The way I understand  
7 they went after this is you've got a 1 year point, a  
8 5 year point and a 20 year point. Now, you've thrown  
9 in the 10 year point. Okay. So let's take this as  
10 what you have to do for a year, what you have to do  
11 for 5 years, what you have to do for 10 and do you  
12 have to do anything for 20? How about that as a basis  
13 for some discussion?

14 DR. MULLIGAN: I think 20 makes everyone  
15 nervous. I'm curious to directly talk about 20 versus  
16 something like 10 in terms of what's the precedent in  
17 terms of toxicities, long-term toxicities coming on in  
18 20 years as opposed up until 10.

19 DR. CHAMPLIN: The obvious long-term  
20 toxicity is radiation induced cancer. And solid  
21 tumors may take even over 20 years to develop after  
22 radiation exposure. And if you assume insertional  
23 mutagenesis, it may in fact have some similar long-  
24 term outcome, you need for that end point a long time  
25 to evaluate it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Autoimmune disease, and I couldn't give  
2 you the number off the top of my head, but I would  
3 think that would be a much smaller -- probably 5 years  
4 would be plenty to look at autoimmune outcome.

5 And so in situations where mutagenesis  
6 would not a concern, a none integrating plasmid,  
7 perhaps in that situation 5 years might be a  
8 sufficient follow-up. If mutagenesis is a concern,  
9 then you probably do need 20.

10 DR. SAUSVILLE: That word exempt is even  
11 more pertinent to this field of so-called Von  
12 Economos' encephalitis and the latent incidence of  
13 Parkinson's. I mean, what it was, whether it was an  
14 exposure or a virus is clearly epidemiologically  
15 relevant beyond 20 years.

16 DR. BISHOP: Indeed, I wanted to concur  
17 with Mr. Champlin. In your briefing document I think  
18 we outlined scenarios for Hodgkin's Disease where some  
19 of the problems with leukemia may not appear until  
20 about 5 to 9 years afterwards and we plateau at 15  
21 years. Problems with thyroid, breast and other solid  
22 malignancies will not become apparent until about 15  
23 years following therapy. And this is data that's  
24 pertinent to this conversation because if, for  
25 example, as you pointed out you're looking at a single

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 event occurring at the time of therapy that could lead  
2 to oncogenesis, this may not be apparent until many  
3 years later.

4 The 20 year period was actually discussed  
5 at the last meeting and it was recommended that this  
6 would be an appropriate time frame to look at.

7 The other thing I wanted to point out is  
8 the outline that we've proposed in the tier 1, 2, 3  
9 system really pertains to potential policies that  
10 would apply to gene therapy for long term follow-up.  
11 It does not at all address the safety data that would  
12 be collected as part of a traditional phase 1, phase  
13 2, phase 3 trial which is critical to the development  
14 of each product.

15 So, clearly, when I used the word exempted  
16 there, this was a word that was used by this Committee  
17 last time with this particular example and the idea  
18 from that is not to exempt patient follow-up from  
19 safety follow-up that would be specific to the  
20 particular product, but exempted from a requirement,  
21 a broad policy requirement or broad requirement that  
22 would be outlined in the guidance document that would  
23 try to be an umbrella to catch all potential  
24 scenarios.

25 DR. CHAMPLIN: One would hope that the

1 long-term follow-up beyond 5 years could be like on a  
2 post card and nothing that's more onerous than that,  
3 because clearly you're looking for major late events.  
4 And the big problem is one gets increasing numbers of  
5 people being followed in the long-term is just the  
6 enormous amount of paperwork for often a smaller  
7 negligible return. So you really want to make that  
8 aspect of the follow-up very simple and  
9 straightforward and, hopefully, not very labor  
10 intensive.

11 DR. BISHOP: I use the word questionnaire  
12 rather than post card.

13 DR. MULLIGAN: So to try to move ahead --

14 DR. SIEGEL: If we want a target, it'll be  
15 easy to target for those that carry risk factors such  
16 as insertion or replication or latency where we know  
17 what we're targeting. For this class you're going to  
18 have provide some guidance as to what we're going to  
19 want to target in the long-term follow-up for these  
20 particular types if we go to long-term follow-up.

21 As of yet, the reason for the long-term  
22 follow-up we've heard is just that it's gene therapy  
23 and we're worried. And so if we're worried, I don't  
24 know is it worry that a post card is going to solve?  
25 Are we worried about cancer and if so, then we don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 want 1 or 2 year, we want 20 years? Are we worried  
2 about everything, in which case we would want --

3 CHAIRMAN SALOMON: Okay. We gave you  
4 that. I mean, Dick proposed that -- and I was just  
5 letting the discussion go on before trying to come up  
6 with a consensus, and I'm not ready to do a consensus  
7 yet. But what Dick proposed was that if the risk or  
8 the putative risk was mutageneses, that you probably  
9 needed a 20 year horizon. That if it was  
10 autoimmunity, you could capture that within a 5 to 10  
11 year horizon. So that was his way of dealing with  
12 your question.

13 DR. MULLIGAN: I think it would be useful  
14 to go back now and look at what is long-term according  
15 to the vector, for instance. So if it's a cancer risk,  
16 I think there is a consensus that 20 years is a  
17 sensible type of thing. And we could then arbitrarily  
18 say for integrating vectors, mutageneses, insertional  
19 activation the "long-term follow-up" has to be on the  
20 order of that.

21 If it's a vector that has potential for  
22 autoimmune disease, we might decide that long-term  
23 follow-up is a 5 year long-term follow-up.

24 For an ex vivo I think that's a very  
25 reasonable way to do it rather than have one long-term

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 follow-up.

2 CHAIRMAN SALOMON: I agree. I think  
3 that's where the Committee's going.

4 Now, I mean I had some specific questions  
5 like why is the plasmid vector looking at table 2 in  
6 tier 2? I mean, it's very low integration potential  
7 and it's not replicating, it's not latent and we're  
8 not requesting long-term follow-up now. How did it  
9 end up in tier 2 if this as a test for the sense of  
10 this system?

11 DR. WILSON: That's, I think, what we've  
12 been hearing from the Committee that you didn't want  
13 to have any gene transfer categories going into a  
14 long-term follow-up situation that didn't include  
15 collection of this kind of safety data that we've just  
16 been talking about. I thought that was the  
17 conversation that we had in November with the  
18 exception of the ex vivo cells. And you've reiterated  
19 and actually now expanded that discussion to now  
20 include those --

21 CHAIRMAN SALOMON: So the way I would  
22 think about the discussion today, I would put plasmids  
23 in tier 2 --

24 DR. SIEGEL: No, no. That's the opposite  
25 of what you're telling us. That may be why you think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 tier 1 is too low.

2 Tier 2 is the low risk products, the ones  
3 you told us we didn't need to worry about. And now  
4 you're telling us these low risk products we should be  
5 doing clinical follow-up. That's why they're in tier  
6 2.

7 Tier 1 reflects that this Committee said  
8 that there's some things that are not replicating that  
9 are going into cells that are just going to last a  
10 short period of time where we don't even need to do  
11 that clinical follow-up. We can eliminate tier 1 if  
12 that's your sense.

13 CHAIRMAN SALOMON: I think that you're  
14 getting the idea here and what you'd exactly end up  
15 doing is okay with me. I mean, in other words, either  
16 you put plasmids in tier 1 and you add some long-term  
17 follow-up in tier 1 or you leave plasmids in tier 2  
18 and there's nothing that I know of in tier 1 right  
19 now.

20 DR. MULLIGAN: Well, I think we were on to  
21 something before when you're thinking of the different  
22 long-term. If you go back to the tier 1 and make Jay  
23 less nervous about what would be some long-term  
24 follow-up for a tier 1 thing.

25 Let's take a tumor vaccine, you would make

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the case there's not a risk of insertional activation,  
2 therefore there's not this long-term cancer risk.  
3 Therefore, there's certainly not the 20 year follow-up  
4 necessary. And so we come up with what is the long-  
5 term follow-up necessary. And that satisfies, I  
6 think, our interest in having some reasonable follow-  
7 up. And so let's say it 5 years or something like  
8 that, you happy?

9 DR. SIEGEL: I'm happy with whatever  
10 advice I get that's scientifically based. I think  
11 that the problem was maybe some misunderstandings  
12 about what we meant by tier 1 and tier 2. But as I'm  
13 putting together what the advice of the Committee is,  
14 if we were to eliminate tier 1, which is probably an  
15 extremely small number of things in any case, but then  
16 look at what we've put into tier 2 and say that 20  
17 years may be longer than needed for some of those;  
18 that within tier 2 we can recreate a tier 1 of those  
19 that we only need 5 or 10 years because they're not  
20 significant cancer or latent infectious disease risks  
21 but have other risks that could be addressed shorter,  
22 that's where we would wind up.

23 CHAIRMAN SALOMON: That's fine. That  
24 actually works. I was just saying that in November  
25 the examples that we were giving, which is why I stuck

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 plasmids in tier 1 and was saying all the things I was  
2 saying, was that's what the Committee was trying to  
3 tell you, was that an ex vivo gene transfer with a  
4 plasmid into a cell that had a very short survival in  
5 the patient would be an example of what we thought a  
6 tier 1 would be. Not with no follow-up, though.  
7 Okay. Anyway.

8 DR. SAUSVILLE: But there are uses of  
9 plasmids where they're being put into artificial  
10 viruses and run systemically. It's very different  
11 than that.

12 So, again, merely saying something is a  
13 plasmid should be X or Y I think is ludicrous. I  
14 think it needs to be based on the usage that you're  
15 contemplating.

16 DR. MULLIGAN: I mean, I hate to  
17 completely change the way I categorized these things,  
18 but if you didn't do it by vectors but you actually  
19 did it in terms of the issue; that is there are long-  
20 term follow-up issues that relate to autoimmune  
21 disease, that relate to cancer. And although they  
22 breakdown somewhat in terms of vectors, I think we're  
23 hearing that it really depends. It depends on what  
24 gene it is and so forth.

25 Couldn't we come up with a tiering system

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's more based on the nature of the safety risk and  
2 not so specifically tied to that issue?

3 DR. SIEGEL: The intent here is basically-  
4 -

5 CHAIRMAN SALOMON: To be honest, that's  
6 what you're trying to do.

7 DR. SIEGEL: That it's not based on the  
8 vector per se, but on specific characteristics of the  
9 vector that link closely to those risks. At least  
10 that's what the Committee said in November, that  
11 whether the vector can be latent, whether it  
12 replicates, whether it inserts the genetic material,  
13 and the rest of those things.

14 CHAIRMAN SALOMON: Right. And, Jay, I  
15 think that the answer is that that is what this tier  
16 system is. I mean, what they've done in table 1 is  
17 give you the tier. It's not by vector. Then they  
18 gave you in table 2 what vectors fell into the tiers.

19 DR. MULLIGAN: Yes, but I think we're  
20 talking about gene products that are independent of  
21 vector that lead to certain sorts of safety issues,  
22 right? You'd have to figure by gene product then and  
23 not by vector, right?

24 So let's say an autoimmune issue can be  
25 given by different vectors, then they fall into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 different categories, but that risk we may now be  
2 saying would require less of a follow-up than the 20  
3 year.

4 CHAIRMAN SALOMON: I think the difficulty  
5 here, and there's no way to solve it today, is just  
6 what you bring up, Richard. And that is that in  
7 addition to the class of the vector and what the  
8 vector will do; integrate, not integrate, become  
9 latent, not become latent, all of which is relevant  
10 risk wise is in addition the gene product being  
11 delivered. But I mean I think everyone here knows  
12 that. I mean, if you put in an anti-A poctosis gene  
13 product, that would be completely different than the  
14 same vector delivering, I don't know, a cytokine.

15 DR. MULLIGAN: I actually just think that  
16 the most productive way to go ahead is to talk a  
17 little more about the different time periods that  
18 would constitute a long-term follow-up, autoimmune  
19 versus cancer. I actually think if we could come to  
20 some consensus about that, that's a better template  
21 than to add in okay, now what poses a autoimmune risk.

22 Let's take cancer, what poses a cancer  
23 risk is certainly an insertion. And that'll  
24 categorize retrovirus vectors or AAV.

25 CHAIRMAN SALOMON: Growth factor, right?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I mean if I put in a growth factor and put it in the  
2 liver, just making something up, and it sits there and  
3 kicks out a growth factor for the next five years,  
4 that's a cancer risk, right?

5 DR. MULLIGAN: I think it's the only way  
6 to go about this is to -- I think most people would be  
7 most comfortable by us, I think, giving a sense of  
8 whether there is this blanket 20 year long-term  
9 follow-up or whether there's a tier system in terms of  
10 the length of time of that long-term follow-up.

11 CHAIRMAN SALOMON: I'm okay with the tier  
12 system. I'm just saying that there's a lot of  
13 different things, not just integration is going to be  
14 risk with cancer. That was my only point.

15 DR. MULLIGAN: If you're treating a  
16 metabolic disease where the gene product is not  
17 oncogenic, you know, Goucher's Disease or something.  
18 I mean, you wouldn't need 20 years of follow-up for  
19 that, per se. I mean, in terms of the cancer risk you  
20 may depending on the nature of the vector, but the  
21 gene product, per se, would be considered safe.

22 CHAIRMAN SALOMON: Right. Yes, that's  
23 actually a really good point. That's an example of  
24 where the gene product wouldn't be as important or  
25 would be relevant, but it would be relevant in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 positive way in terms of the risk implications.

2 So I think what we're saying here, again  
3 now trying to bring some consensus, is that what we're  
4 concerned about is that we make a reasonable demand of  
5 sponsors in gene therapy for long-term follow-up, not  
6 unreasonable demands. And I think in that regard the  
7 Committee and the FDA is on the same page.

8 Twenty year follow-up seems to be a  
9 consensus for gene therapy protocols that have a  
10 cancer risk, and we would have to take those --  
11 cancer risk would have to be decided. Yes, it's  
12 certainly going to be influenced by the vector itself,  
13 insertional, mutagenesis being the example that we've  
14 given several times, but it also could be mediated by  
15 the gene product being delivered, in an example of the  
16 growth factor I mentioned or an anti-A poctosis gene  
17 product.

18 Then there is autoimmunity, and in that we  
19 feel would probably be managed well into a 5 to 10  
20 year follow-up.

21 And then there would be examples in which  
22 5 years or less intensive follow-up would be adequate.  
23 Be, for example, cells that were modified ex vivo that  
24 had relative short lives that could be at least  
25 targeted and demonstrated in the patient that it was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 given. And under those circumstances that would be,  
2 perhaps, suitable for no longer than a 5 year follow-  
3 up period.

4 Are we okay there?

5 MS. LAWTON: I just want to say for the  
6 record I don't think stating a 5 year follow-up is  
7 appropriate for all of those tier 1 level. I think  
8 that still has to be on a case-by-case basis that we  
9 look at some of that. And 5 years may not be  
10 appropriate, that's all.

11 CHAIRMAN SALOMON: It may not be  
12 appropriate being too short or too long?

13 MS. LAWTON: Too long.

14 CHAIRMAN SALOMON: Well, I mean, I guess  
15 there I don't know how to go any further. I mean if  
16 you give us a specific product, then we can have a  
17 discussion on what basis scientifically you're going  
18 to prove that less than 5 years is okay. And if you  
19 can, I'm a scientist, just show me the data basically.

20 Suzanne, you give me a desperate look a  
21 minute ago.

22 DR. EPSTEIN: I think it's kind of hard to  
23 put a time limit on autoimmunity and it's hard to put  
24 a limit on which products do and don't have a risk of  
25 generating autoimmunity. That's all. I don't object

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 to your guess. It's as good as any guess.

2 CHAIRMAN SALOMON: I think.

3 DR. CHAMPLIN: You know, I don't know off  
4 the top of my head the latent period following  
5 exposure and the development of autoimmune disease in  
6 this type of scenario. I mean, there's probably data  
7 out there. Vaccine autoimmune diseases that occur.

8 My impression is that these occur  
9 relatively quickly and that 5 years would be on the  
10 outside of the risk period. But if somebody knows  
11 more than me, I'd be happy to hear it.

12 DR. EPSTEIN: Well, if you look at  
13 vaccine, then you're only able to say you think it was  
14 causative. If you assume some kind of temporary  
15 relationship, that's very hard to answer and those  
16 studies have not really been done properly, and  
17 they're being attempted now.

18 But when the cause of autoimmune  
19 conversion is unknown, might be some virus, some  
20 environmental exposure and so on, it can be extremely  
21 delayed. It's just not known.

22 DR. O'FALLON: In the breast implant  
23 controversies there were claims that the autoimmune  
24 response was 9 or 10 years after the implants. I  
25 think 10 years is barely long enough.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. MULLIGAN: I think that this issue of  
2 the case-by-case is going to be taken care of by the  
3 FDA and the IND. So I think that this policy issue,  
4 we can't do on a case -- it has to be kind of a crude  
5 sort of arbitrary 5 years, 10 years sort of thing.  
6 And that doesn't effect in any way, really, how the  
7 FDA wants to specifically for a particular IND decide  
8 how long they want to follow-up. Because otherwise it  
9 would be impossible for us to come up with something.

10 CHAIRMAN SALOMON: I think my feeling here  
11 is we've given you a consensus. It hasn't really  
12 varied that much from the beginning.

13 I don't know if it's exactly what you  
14 wanted, Jay. But I think it reflects our best sense  
15 of what we're willing to publicly commit to in a field  
16 in which there's very little data. And in fact, I  
17 should just point out that my comfort is diminished in  
18 that, for example, we heard yesterday that the most  
19 common cause of adenovirus infections in transplant  
20 patients, and that's also in the paper that we got,  
21 was basically reactivation, which means adenovirus is  
22 latent. Yet when we look at table 2 it's marked as no  
23 latency.

24 So, I mean, there just is -- that may be  
25 that adenoviral vectors so far as we know has no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 latency, but that doesn't seem to be the biological  
2 situation. So I'm just saying that even with the most  
3 expert people in the world working on this stuff,  
4 we're not even really clear about the details.

5 DR. SIEGEL: I'm sorry, I'll let you speak  
6 in just a second.

7 But let me just say because you seem -- in  
8 asking what I wanted and saying it's the most you're  
9 willing to commit to, there seemed to be some  
10 inference that I was asking for something less  
11 stringent. I just want to be perfectly clear that I  
12 was asking for something that was clear and science  
13 based. And that in fact what you're recommending to  
14 us now, as I understand it, is substantially less  
15 stringent than what we've designed based on what you  
16 recommended last November in the sense that we  
17 designed a program in which there would be 20 years of  
18 clinical follow-up because of all these uncertainties  
19 about what all these -- for the vast majority of  
20 trials. And now you're telling us for significant  
21 numbers we should be considering 5 and 10 year follow-  
22 up. The only issue of less stringency is for that  
23 extremely small number where we said we didn't even  
24 need that, you're saying no those should also have 5  
25 years of follow-up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And I hear what you're saying. If I've  
2 said something differently, tell me I'm wrong, but --

3                   CHAIRMAN SALOMON: One thing you're wrong  
4 about is I don't believe that anyone up here has given  
5 you any sort of percentages for how many things would  
6 we think needs 20 years follow-up and how many  
7 specific protocols would need 5 year follow-up, and  
8 anything in between.

9                   I think that what the Committee doesn't  
10 want to do is tell you every single gene therapy  
11 protocol until otherwise notified is a 20 year  
12 guaranteed follow-up or a 50 year guaranteed follow-  
13 up.

14                  DR. SIEGEL: You haven't given us  
15 percentages. You've given us science based guidance  
16 that if there are not specific oconological concerns,  
17 that most of the other types of concerns for long-term  
18 follow-up don't require that long a follow-up. And if  
19 that's what we're hearing and if that's your opinion,  
20 then that's something that we can implement. And I'm  
21 just saying that's a move toward to less stringent.

22                  Because you were asking are you not giving  
23 us -- suggesting that I wanted you to give us  
24 something less stringent than what I -- I just wanted  
25 to make sure we were clear on the motivations. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 heard my negative reactions to proposals only because  
2 I wasn't sure what they were. I think there was a  
3 communication problem. And because there was a  
4 suggestion that they weren't based in science. And  
5 recognizing that, I think you're right. I know you're  
6 right, especially in this field, that we need to  
7 address public concerns, not just scientific concerns.  
8 You have to address public concerns in a  
9 scientifically valid way because if you simply say  
10 we're going to do long-term follow-up not on the basis  
11 of scientific concerns but public concerns, you have  
12 then not a clue where to start at what you follow-up  
13 on. You know, we should archive every organ specimen  
14 and do full examines and x-rays and everything on  
15 everybody forever. If there isn't a scientific basis,  
16 then you don't know where to go. And we're stuck  
17 implementing something where we don't know where to  
18 go.

19 I'm much happier where we are now with the  
20 discussion that is based on -- can be as conservative  
21 as you want it to be, but it fundamentally needs to be  
22 based on risk so we know how to design it to be  
23 appropriately conservative.

24 CHAIRMAN SALOMON: Well, I think  
25 essentially that's well said, Jay. I mean, what the

1 Committee is trying to say is if you're worried about  
2 malignancy risks, then we're looking at 20 year  
3 follow-up. If you're worried about autoimmune risks,  
4 Suzanne's comment taken into context and appreciated,  
5 we're talking about 10 year or so follow-up.  
6 Depending on other risks that might be defined with  
7 other projects, maybe 5 year follow-up is okay. So  
8 we're just trying to give sponsors and the FDA the  
9 flexibility in a field in which a lot of the rules are  
10 not known.

11 DR. SIEGEL: Latency and latent infectious  
12 risk is one we haven't specifically discussed time  
13 lines on, but that's another area where you have to  
14 estimate time.

15 DR. MULLIGAN: It's just I'm not sure if  
16 we want to at this point talk about any of the  
17 vectors. Do you want to do the -- I just had one  
18 point specifically about the adeno vector that echoes  
19 in a different way what Ann said.

20 And that is, you know, the vectors,  
21 although they're in various stages of getting into  
22 work very effectively, those would certainly be a  
23 latent case. There's no question. And the pox -- I  
24 can't cite any studies, but there's clearly  
25 nonpermissive pox viruses that upon infection do not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 kill, necessarily, the cells. Now how long they  
2 persist, I don't know. I don't know the information.

3 But certainly adeno would have the  
4 potential to give very long term gene in the  
5 guttedadeno form. So I think that's -- I would see  
6 that more like an AAV type of situation.

7 DR. NOGUCHI: I think what we really are  
8 saying is that science can take us to perhaps a couple  
9 of weeks ago, because that's when the latest science  
10 is. And that the public concern, there is some basis  
11 for the reason that you're right, science doesn't know  
12 everything. We only know what we know. And it's very  
13 hard for us as scientists to know what we don't know  
14 and predict what it's going to be. And that's the  
15 precise point about your guttedadeno.

16 DR. MULLIGAN: Well, I'm just saying that  
17 you actually simplify things since they were kind of  
18 out lyers in the old tier 2 system, maybe they  
19 shouldn't be exceptions. It just makes it simpler.

20 CHAIRMAN SALOMON: I think we made some  
21 progress on that.

22 Now there are a series of very specific  
23 questions, some of which we kind of addressed. It's  
24 11:15. I'm trying to figure out how to do this.

25 What I would suggest, but again this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for discussion, would be to spend another 15 or 20  
2 minutes and try and go through these four specific  
3 questions that haven't been addressed and then -- no.  
4 What do you want to do?

5 DR. WILSON: It just seems to me that at  
6 this point that may be mute since we really need to go  
7 back and revamp our systems, our proposal. And so I  
8 think for the sake of time, if that's all right with  
9 you, Dr. Siegel, that we're happy with the discussion  
10 we've had and we need to, obviously, refine our  
11 proposal taking into account your comments today.

12 CHAIRMAN SALOMON: Jay, that's okay?  
13 Philippe? All right.

14 DR. BISHOP: We'll be back.

15 CHAIRMAN SALOMON: I was afraid of that.

16 DR. SIEGEL: I think Amy also, she  
17 described a process as well that involves a lot of  
18 scientific and clinical and pragmatic input into  
19 what's collected and how. And there's no question  
20 this is going to be a process. Nonetheless, while  
21 it's being designed we're also doing it. You know, we  
22 don't have any choice but to be implementing while  
23 we're designing, and so these are useful discussions  
24 and I think we'll come back with something that  
25 reflects our interpretation of today's discussions for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 further discussion.

2 CHAIRMAN SALOMON: I think that's great.  
3 And I think that maybe in not the next one, but maybe  
4 soon we could get some specific protocols presented to  
5 the Committee. I think at this point the concerns that  
6 I've expressed before is that there's nothing that  
7 sharpens the mind than a real protocol to deal with.

8 DR. SIEGEL: Perhaps what we can do is  
9 some specific marked up protocols if we want to do it  
10 publicly. Not specific real protocols.

11 CHAIRMAN SALOMON: We can do role playing,  
12 maybe.

13 DR. SIEGEL: There's enough of them that  
14 we could do.

15 CHAIRMAN SALOMON: I'd like to play --  
16 well, I'll just tell you later what I'll play.

17 So at this point I just ask, is there  
18 anyone in the audience, the sponsors, that having  
19 listened to the conversation today feel that some  
20 comment is appropriate? I certainly don't want to  
21 exclude you from all the fun. Okay. They'll take  
22 anything we come up with.

23 And the heck with you guys. Twenty years.

24 I just want to go on record that the  
25 problem, and we've said this before, is just practical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 implications of this is that even if we go to let's  
2 say 10 year follow-up -- I don't even want to get into  
3 the dramatics of 20 year follow-up -- for an  
4 investigator at an institution whose may not even have  
5 tenure at the time that they're proposing this sort of  
6 thing, to demand that the institution in signing off  
7 on that person's NIH grant or -- well, yes. Or  
8 arrangement with a biotech company that isn't a big  
9 multinational pharma company that would be definitely  
10 around in 20 years, that my dean would have to sign  
11 off on this -- I just have no idea how that -- I just  
12 can't imagine that happening. I just don't see how  
13 that's going to happen. And so there's a real  
14 practical issue that really scares me here. I mean,  
15 I'm doing what's right in saying these things up here,  
16 but the other part of me is going "Oh my, God."

17 DR. CHAMPLIN: Realistically what centers  
18 are going to have to do is to create an office where  
19 you're going to have a staff of people and as part of  
20 a contract to do a gene therapy trial is to provide  
21 the 20 year funding for that office to do the long-  
22 term follow-up. And the responsible thing is also to  
23 be sure that that follow-up beyond the 5 year point is  
24 very short and so it doesn't become onerous on  
25 anybody. And so it needs to be are you alive, do you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have cancer and have you had a major illness in the  
2 last year, and that's sort of it.

3 And there's sort of an irresistible  
4 impulse of organizations to create longer and longer  
5 forums and you get a 50 pager for your annual follow-  
6 up, and that just is going to be unworkable. But as  
7 long as one can keep it short and sweet and really to  
8 the very succinct, it's probably doable.

9 CHAIRMAN SALOMON: The frightening thing  
10 here is, I mean what Dick's saying is correct. We  
11 could have institutions make groups up that would  
12 follow this. The first question is is that bigger  
13 institutions, of course, will have an easier time of  
14 doing this than smaller institutions. And that is not  
15 a prejudice or a bias that I'm very comfortable with  
16 creating. But we are going to create that.

17 A second thing would be right now if we  
18 implement these, I'm afraid that these rules will get  
19 implemented much more quickly than any sort of change  
20 in the way the NIH funds my grants. And I just can't  
21 see how the NIH is going to give me funding for 20  
22 years, you know, based on my follow-up. And in the  
23 absence of that, you're basically knocking us out of  
24 gene therapy, and I'm helping in this Committee, which  
25 I realize.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. CHAMPLIN: But it could be \$10 a  
2 patient a year or something if it's a very simple  
3 long-term follow-up.

4 CHAIRMAN SALOMON: Right. But correct me  
5 if I'm wrong, but I don't think that there's a  
6 mechanism right now at the NIH for me to ask for \$5 at  
7 .50 cents a dollar for the next 10 years -- I mean .50  
8 cents a year for the next 10 years. I don't think I  
9 can do that.

10 I mean, I have to account every year. So  
11 they'd either have to make some congressional thing  
12 that a grant could be 10 years long, which I can't  
13 wait for that one. It just worries me here that  
14 there's a lot at stake here --

15 DR. SIEGEL: Well, it worries us. That's  
16 part of my concern about being science based, because  
17 the more that we ask for the less research will be  
18 done. I think there's no question, or the dollars  
19 won't be spent and other aspects of research that  
20 could have been done. And so we are defining with the  
21 help of this Committee is that minimal amount of long-  
22 term data that's necessary to get adequate safety  
23 collection -- and certainly our philosophy as  
24 discussed in November, it would be to try to simplify  
25 the long-term data collection and focus it again

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scientifically around those items that are of specific  
2 concern. That said, however, first of all we'll focus  
3 it around safety, but there are going to be other  
4 efficacy and activity questions that people have  
5 already raised. But also I can't imagine we're  
6 talking -- what it took to track patients to collect  
7 data from them, to put that data together to submit it  
8 to an agency in a database, I don't think we're going  
9 to be talking about \$10 a patient.

10 CHAIRMAN SALOMON: No, I was being  
11 facetious and so was Dick, I think. But the point I'm  
12 trying to say is this isn't a science base issue now.  
13 I want to make sure that's really clear. I'm not  
14 talking science.

15 Yes, everything you said is correct; we  
16 want science based reasons for doing things, at least  
17 as Phil says, to the extent that we know.

18 I'm not talking about science now. I'm  
19 talking about practical policies. If you go forward  
20 as we're recommending you to do, and at anytime in the  
21 next year or two, you know, we get this finally down  
22 to an implemented policy, that day if there isn't  
23 equal efforts on the part of the NIH and other funding  
24 agencies to deal with the issue that this creates, an  
25 unfunded mandate to the FDA is really annoying. An

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 unfunded mandate to academic scientists in gene  
2 therapy is a tragedy, because you close us down.

3 DR. SIEGEL: We won't close you down, you  
4 just won't be able to conduct business in a manner  
5 that you consider appropriate and safe, right?

6 CHAIRMAN SALOMON: We won't be able to  
7 conduct business according to a manner in which you'll  
8 allow us to hold an IND.

9 DR. SIEGEL: And what you're telling us is  
10 we should require you to do it, right? Let's just be  
11 clear about that.

12 CHAIRMAN SALOMON: I understand.

13 DR. SIEGEL: That's why we're here talking  
14 to the public so that we don't impose on a community  
15 requirements that they think are inappropriate.

16 CHAIRMAN SALOMON: We got it. I'm not  
17 making you out to be the bad guy here.

18 DR. SIEGEL: I misspoke, because sometimes  
19 we do inappropriately impose requirements that people  
20 don't consider appropriate. But we certainly want  
21 input from the communities involved.

22 CHAIRMAN SALOMON: I'm saying if you do  
23 this appropriately and we don't go to the NIH and  
24 Congress and the other funding agencies and make sure  
25 that this is done correctly and that we get the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 support from these agencies, the day you do this it's  
2 an unfunded mandate until we do it and it'll kill the  
3 gene therapy in academic medicine.

4 DR. SIEGEL: If it does funded, then funds  
5 will be used for this instead of something else, and  
6 that's important to know.

7 DR. MULLIGAN: I think that two the event  
8 that this was a science based discussion, we did come  
9 up with something, I think the next chapter is exactly  
10 now whittling that in a practical fashion. And the  
11 practical fashion is titrating now down requirements  
12 the nature, the complexity requirements to a point  
13 that in everyone's judgment will allow gene therapy to  
14 go ahead.

15 So I really was looking at this in a very  
16 philosophical and a science based way and not  
17 factoring in whether this would kill gene therapy.  
18 But I think from my point of view, which may be  
19 different than Jay's, I actually think that there is  
20 some sort of negotiation, practical negotiation that  
21 now has to be done based on this to see whether or not  
22 anything we would propose could actually be carried  
23 out.

24 For instance, you know you'd really love  
25 to know if all the institutions, how many deans or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 whatever heads of hospitals would possibly agree to  
2 something like this.

3 CHAIRMAN SALOMON: Well, I'm agreeing you  
4 will have a lot of difficulty. So I don't know that  
5 we're really disagreeing.

6 The question here is if as we discussed it  
7 today, we go beyond 5 and start talking about 10 and  
8 20 year follow-ups for specific categories of gene  
9 therapy or gene delivery protocol, that's all I'm  
10 talking about. If we do that, that day the absence of  
11 funding arrangement to cover that will essentially  
12 take many of us out of those types of gene therapies.  
13 That's all I'm saying.

14 DR. MULLIGAN: I'm just saying that I  
15 think that now the next thing we ought to address, not  
16 at this meeting, but we need to address exactly the  
17 precise road map for trying to implement something  
18 like this.

19 CHAIRMAN SALOMON: My point. Yes.

20 DR. SIEGEL: Well, that's important  
21 because, in fact, we've had these long term  
22 requirements in place for some years and they haven't  
23 -- the amount of data for a variety of reasons, many  
24 very valid that we've collected, have not really been  
25 satisfactory. And so I think one of the important,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and perhaps the most important condition as we talk  
2 about focusing these, is not so much it's not just  
3 focusing and so that the research is possible, but  
4 focusing as to data that we actually believe can be  
5 collected. And when you talk about that system where  
6 the university makes the commitment to do this, the  
7 thing that runs in the back of my mind is, you know,  
8 there's another concern. Not whether or not they'll  
9 commit to do it, but whether or not they'll do it once  
10 they've committed to doing it. You know, even with  
11 the best of intentions if we have a system that  
12 without much of an enforcement and that isn't very  
13 actually accomplishable, it's not clear how much data  
14 we're going to wind up collecting.

15 DR. MULLIGAN: In fact, I would argue that  
16 this issue enforcement, you're going to have to give  
17 us a better sense of that, too, because that'll  
18 certainly influence the administrators if they are  
19 making obligations and there's a very clear cut  
20 enforcement guideline, they're going to be very  
21 worried.

22 DR. SIEGEL: Well, we're exploring that.  
23 Suffice to say from the FDA point of view our  
24 regulatory relationship is largely with sponsors,  
25 secondarily with investigators and very little with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 institutions. We can require the sponsor to commit to  
2 do certain things. If they don't do it, we can take  
3 certain actions. Although if they're out of business  
4 or dropped the product, there are limitations in the  
5 strength of that hammer in terms of our ability to  
6 require certain actions. We've sought additional  
7 factors in certain cases, like civil money penalties.  
8 We can continue to seek those.

9 But not to go into details, the importance  
10 is to know there's limitations in what we can do,  
11 although there's a significant amount we can do. But,  
12 again, it's largely with the sponsor. And when we're  
13 starting to talk about the institution, there's more  
14 limitations, although the NIH, obviously, has more  
15 relationships with institutions and to some extent may  
16 have some other abilities that will need to be further  
17 explored.

18 CHAIRMAN SALOMON: Amy and then Ed.

19 DR. PATTERSON: Yes. So this is an  
20 important issue. I didn't want to leave untouched  
21 Dan's comments about NIH funding. And as OBA is not  
22 a funding entity of NIH, I'll make my comments on this  
23 fairly brief.

24 But I think it's important for everyone to  
25 understand that NIH funds a number of long-term

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 follow-up studies longer than 5 years, 10 years, 20  
2 years. Epidemiologic studies.

3 Your proposal to do long-term follow-up  
4 needs to be part of your initial application, and it  
5 needs to be scientifically meritorious and well  
6 founded. And it would be on those principles that  
7 long-term follow-up would be funded.

8 The second point is that I think that this  
9 discussion about NIH support and the scientific merit  
10 of the studies that are contemplated underscores the  
11 need to get much broader input and expert input into  
12 the design of these studies and the types of data  
13 collected. I mean, the Committee's discussion here is  
14 a good start down that pathway, but I think we really  
15 need much broader in depth consideration of how to  
16 design these studies; what are the end points, how  
17 will the data be reported particularly because again  
18 you may be looking for rare events and you're going to  
19 have to be able to look at that data critically.

20 DR. SAUSVILLE: That in a way does  
21 dovetail with a point that I wanted to make in that  
22 in this formulation of how to go after these long-term  
23 results we've factored into the participation of  
24 institutions, universities. And certainly where the  
25 university was, as we discussed yesterday, party at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 some level to the generation of the product, one can  
2 imagine that being relevant. However, that's not  
3 always the case. And, in fact, some biotech companies  
4 have actually not gone through universities but gone  
5 to individual investigators at free standing  
6 hospitals, etcetera.

7 So by that way of thinking about it, then  
8 this type of mandate falls solely on the shoulders of  
9 ultimately the small company that is going to  
10 potentially regard that as an additional impediment to  
11 being in this field. And, indeed, what was just  
12 injected by Amy, the idea that scientific merit would  
13 go into this, obviously if this was put into a study  
14 section context, those interests could be quite  
15 diverse from those of the industrial sector.

16 So, I think there are a number of complex  
17 relationships here that are being mixed together. I  
18 guess I share the concern that there needs to be  
19 broader input because the effects of a rule that is --  
20 or a policy that is not responsive to all these  
21 different possibilities could, I think, be very  
22 problematic.

23 CHAIRMAN SALOMON: I'd also point out that  
24 in terms of Amy's point, yes, there are examples of  
25 long-term follow-up, but most of us are applying for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 R01 grant awards in which, as far as I'm concern,  
2 there's no way to ask for ten years of funding on a 5  
3 year grant award.

4 So the point still is that there has to be  
5 some sort of NIH decision made, and I hope it's not  
6 that every gene therapy protocol has to go to some  
7 special type of study section or some special sort of  
8 application. But, I mean, that's the kind of thing  
9 that we have to think about.

10 DR. O'FALLON: I think there was a passion  
11 around the table that if we don't do this, we might  
12 destroy gene therapy. Now there needs to be an equal  
13 passion that all of us to work together to keep this  
14 simple enough that it doesn't destroy gene therapy.  
15 And I think we really got to concentrate on that  
16 simplicity.

17 DR. PATTERSON: ~~Ida~~. one more  
18 passion, and that is that we do it right and really  
19 design the studies well.

20 CHAIRMAN SALOMON: I think we just need to  
21 keep straight the idea that designing the studies is  
22 not as important as the long-term follow-up issues;  
23 that part has to be designed well. Right? I mean, I  
24 don't think that there'll ever be or is it appropriate  
25 to seek consensus on how to design all gene therapy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 trials for the rest of the world.

2 DR. SIEGEL: Well, I assume maybe you're  
3 talking about epidemiological studies that go across  
4 those studies.

5 CHAIRMAN SALOMON: And I was trying to  
6 clarify -- I agree with that part. And that's what I  
7 was trying to clarify.

8 Okay. Well, a little passion is good.

9 So, amazing, albeit you could point out  
10 that we didn't have the break that we were supposed to  
11 have, but I'm going to avoid that and take credit for  
12 the fact that we're only 5 minutes off schedule to  
13 introduce Dr. Noguchi.

14 Okay. I did make a major goof here.  
15 Okay. No, Dr. Noguchi, you're not introduced.

16 So what Gail has just pointed out to me is  
17 that we have two prior requests to address the  
18 Committee as part of the open public hearing, and I  
19 would invite anyone else in the audience that this  
20 would be a time that they could also step forward.

21 So, talking about timing here, what I  
22 guess that -- and I the way, it makes more  
23 sense -- Phil, just before you leave. What I was  
24 thinking of doing was having the open public hearing  
25 and then break for lunch, come back and start with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 you. Would that be okay?

2 Then we're going to have the open public  
3 hearing. We're going to have a break. And then we're  
4 going to go to Phil instead of lunch. Okay. We'll work  
5 on that.

6 Okay. Just to show you how flexible we  
7 are, I wish someone would tell this to my wife how  
8 flexible, I mean. Is that we're going to have a break  
9 now, and then we'll come back and do the open public  
10 hearing and go right into Dr. Noguchi's thing.

11 Thank you all. See you back in about 15  
12 minutes.

13 (Whereupon, the meeting was adjourned at  
14 11:40 p.m. until 12:00 p.m.)

15 CHAIRMAN SALOMON: Find our seats. We can  
16 get started with this last set of the session.

17 Okay. One of the things that -- I was  
18 told that the break is just from the Committee. That  
19 there was some concern that we weren't being efficient  
20 enough in recognizing speakers. And to that extent,  
21 that's my fault as Chairman. That's one of the things  
22 I'm supposed to do well. So, I apologize to everybody  
23 and we'll make more of an attempt to be looking  
24 around.

25 If people can help me by not just sort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 jumping in and find the people who are speaking, who  
2 want to speak in some sort of order, that would be  
3 helpful as well.

4 Okay. So, this last of the session begins  
5 with -- I believe we just go in order, right? Okay.

6 So I'd like to ask Dr. Sally Seaver of  
7 Seaver Associates to step up and address the  
8 Committee.

9 DR. SEAVER: Thank you very much for the  
10 opportunity to address the Committee. And since the  
11 manufacturing group is being very quiet, I decided I  
12 would speak out. And let me tell you first a little  
13 bit about my different affiliations.

14 I'm, as you realized, a consultant. I  
15 work with people on all sorts of biological products.  
16 And I work with them on issues relating to the  
17 manufacturing and control of those products, including  
18 working with responses to the FDA.

19 I'm also chair of a committee at the  
20 United States Pharmacopeia, and it's the committee on  
21 gene therapy, cell therapy and tissue engineering, and  
22 also chair of the whole complex actives division,  
23 which includes that committee and five other  
24 committees in biotechnology, blood products, vaccines  
25 and dietary botanical dietary supplements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   .                   And finally, many people know me from  
2                   organizing various conferences, quality aspects and  
3                   manufacturing aspects in the biological fields.

4                   Today the opinions I'm giving are strictly  
5                   my own. I'm not representing anyone or any of these  
6                   other possible modes, or any client.

7                   And I want to comment on the disclosure,  
8                   the proposed rule that Dr. Noguchi will be discussing  
9                   in a few minutes on the disclosure for  
10                  xenotransplantation and gene therapy.

11                  I read the 70 pages and as with most  
12                  proposed rules from the FDA or final rules, I very  
13                  much appreciated the first 61 pages that sort of  
14                  discussed the rational for the rule and the sections  
15                  of the rules. And the message that came through to me  
16                  was that what the FDA was asking for people to  
17                  disclose were things that were not confidential, that  
18                  were very often disclosed anyhow. And that it would  
19                  help assure the patients of the safety of trials.

20                  Therefore, the issue I have that I'd like  
21                  to address today is a section that's on page 67 of  
22                  that whole long 70 page disclosure, and it's section  
23                  601.52(c)(6) where it actually lists the information  
24                  you disclosed. And it's a multi-inch paragraph on  
25                  what they want. And one of the things listed is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ancillary products used during production.

2 And let me back off a bit and bring people  
3 up to speed on what ancillary products are. And  
4 basically they are components used during  
5 manufacturing that really should not be present in the  
6 final product. And so they can include growth  
7 factors, cydicines, media formulations, antibodies  
8 used to purify a cell fraction or a gene therapy  
9 product. The actual bioractor and cell culturing  
10 device has been suggested by the FDA as possibly an  
11 ancillary product. Agents used to purify the product,  
12 which could include the columns, the enzymes you might  
13 use, and as I said, media components.

14 So, in general this if you really -- one  
15 iteration of this is basically everything you use to  
16 manufacture this product.

17 Now the conflict comes in in the other  
18 wording where the FDA said that you can hold  
19 confidential information back. And let me give you  
20 some examples in this area and let me state right here  
21 that most companies consider this information highly  
22 confidential.

23 So, for instance, if I'm in adenovirus and  
24 I've been producing and my 293 cells beginning, the  
25 fact that I've now moved to a "better less replication

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 competent producing cell line" like PerC6 cells  
2 potentially would have to be disclosed. The fact that  
3 I've moved away from using cesiumchloride to separate  
4 by virus two columns and how many columns I use  
5 because after all, none of the column resin should be  
6 in the final products so that they are by definition  
7 an ancillary product. What enzymes I might use to  
8 chop up my xenogeneic product or remove DNAs from my  
9 product, both gene therapy and xenogeneic might have  
10 to be disclosed, and quite frankly sometimes if it's  
11 simply benzonase, it has been disclosed.

12 But in organizing conferences, I can tell  
13 you that our ability to get people to discuss in  
14 detail their production schemes is usually not that  
15 successful. And even for approved products most of  
16 the time if the company goes and discusses in  
17 particular a purification process, they very often  
18 don't disclose which product it is. If you're clever,  
19 you can figure out which one it is. But in the  
20 general disclosure it's not there.

21 If they disclose which product it is, they  
22 very often don't disclose all these details like media  
23 formulations, exactly what purification they did,  
24 etcetera. And I'm including companies that have  
25 really delivered a lot of information to the public,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 including Genentech. If you watch very closely when  
2 they exclude some really important details, they don't  
3 tell you which product.

4 And what I'm concerned about this rule is  
5 that it links the product with the exact media you  
6 might use, saline, etcetera, etcetera, and method of  
7 purification. And when I'm concerned is that this is  
8 going to cause a lot of disputes, a lot of extra time  
9 both on the part of the client and the FDA, and  
10 potentially even some appeals.

11 Some of my clients, they don't have a lot  
12 of problem disclosing media because they say fine, I'm  
13 just using the standard media or something like that.  
14 But they're very sensitive about the way they purify.  
15 Other clients I have are like no, I'm not going to  
16 tell them anything about the media. I consider that  
17 highly confidential because we've discussed what we  
18 might in a response to this rule. But I don't mind on  
19 purification because it's the same purification that  
20 I've heard Joe Blow talk about.

21 So, it's very company-to-company dependent  
22 on what people consider confidential. And it's also  
23 not clear how much detail the FDA is asking for  
24 ancillary products, and it's not clear that it will be  
25 applied uniformly for each reviewer to reviewer for an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 application.

2 So, in conclusion -- and finally, even if  
3 it were -- if you do give some of these ancillary  
4 product, it's not clear to me personally, and  
5 therefore I can't explain it to my clients, how the  
6 patient is necessarily going to be able to interpret  
7 this information. All right. How do they know the  
8 difference between DMEN F-12, somebody's proprietary  
9 media? Okay.

10 So, in conclusion, number one, I'd really  
11 urge the FDA preferably to delete ancillary products  
12 from this list of things to disclose. And if they  
13 want to include it, to please define exactly what you  
14 mean by ancillary products. And I hope, Phil, you'll  
15 do that in your next section.

16 Please help the sponsors understand why  
17 you want this info and for what purpose. And please  
18 expect some appeals.

19 I believe ancillary products should be  
20 disclosed in the IND. They should be discussed with  
21 the FDA. They should be shown to be safe before you  
22 start the trials. That's not what anyone is  
23 disagreeing with. What we're disagreeing with or  
24 concerned about is the disclosure of information  
25 that's often which most people consider highly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 confidential.

2 Thank you.

3 CHAIRMAN SALOMON: Thank you, Dr. Seaver.

4 The next speaker whose requested time is

5 Dr. Michael Werner and representing BIO.

6 MR. WERNER: Thank you.

7 I'm actually not a doctor, but thank you.

8 It's the easiest -- I'll take an honorary degree.

9 Well, good afternoon. Thanks for the  
10 opportunity to provide comments on the proposed rule  
11 from FDA concerning disclosure of certain data from  
12 human gene therapy an xenotransplantation experiments.

13 Michael Werner. I'm Bioethics counsel for  
14 BIO, the Biotechnology Industry Organization. BIO  
15 represents more than 950 biotechnology companies,  
16 academic institutions, state biotechnology centers and  
17 related organizations in all 50 states and 33 other  
18 nations.

19 The biotechnology industry, as many of you  
20 know, has historically supported public discussion  
21 about the implications of new technologies. Company  
22 have recognized the need for and the value of this  
23 kind of public discussion and public dialogue. And  
24 this principle has been taken to heart in particular  
25 by gene therapy and xenotransplantation companies.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           Biotech companies doing gene therapy  
2 research have participated in public discussions about  
3 their clinical trials at meetings of the NIH  
4 Recombinant DNA Advisory Committee for many years.  
5 And when appropriate, companies doing  
6 xenotransplantation have also participated in public  
7 meetings about their experiments.

8           Although BIO supports public dialogue, we  
9 have some very real concerns, however, with the FDA  
10 proposal. According to its preamble the proposal  
11 calls for the vast majority of material submitted  
12 along with an IND to be made public. The proposal  
13 seems to be predicated on the notion that this  
14 information is already in the public domain, and that  
15 is simply incorrect.

16           Although gene therapy and  
17 xenotransplantation companies have made some  
18 information publicly available, the type of  
19 information to be disclosed under the FDA proposal is  
20 much broader in scope.

21           Release of the vast majority of IND data  
22 would provide potentially misleading information to  
23 the public and could also lead disclosure of trade  
24 secret and confidential commercial information. This  
25 could cause serious competitive harm to the companies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 trying to develop products using gene therapy and xeno  
2 transplantation to cure disease and reduce human  
3 suffering.

4 Now, over the years companies engaged in  
5 gene therapy and xenotransplantation have been  
6 forthcoming about their research. However, as the  
7 industry matures and companies get closer to  
8 commercializing products, issues concerning public  
9 release of confidential commercial information become  
10 more salient.

11 Simply put, routine disclosure of this  
12 information will make it significantly more difficult  
13 to develop products that can be brought to market. In  
14 the end, patients will suffer because potentially  
15 lifesaving products either will be delayed or won't be  
16 commercialized.

17 For decades FDA has kept the information  
18 contained in an IND confidential. In fact, the  
19 existence of an IND is confidential information. The  
20 Congress and the courts have consistently endorsed the  
21 public policy reasons for this approach. But this  
22 proposal represents a dramatic, and to our way of  
23 thinking, troubling change in FDA policy.

24 It's important to note that BIO has  
25 proposed a plan regarding the disclosure of data from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 gene therapy trials. Under the BIO plan oversight  
2 agencies would have access to data from clinical  
3 trials according to FDA time tables for reporting of  
4 adverse events and serious adverse events.

5 A committee of experts at the agencies  
6 would analyze the data, recommend regulatory action if  
7 necessary, and make a public report. And we continue  
8 to believe that this would provide the agencies and  
9 the public with important data while protecting trade  
10 secrets.

11 BIO and its member companies are engaged  
12 in a thorough scientific, legal and competitive review  
13 of the FDA proposal. We'll be filing official  
14 comments that lay out our thoughts in more detail.

15 Thank you very much.

16 CHAIRMAN SALOMON: Thank you very much.

17 Is there anyone else in the audience today  
18 that would like to add their comments to the public  
19 docket?

20 Yes, sir. If you can step up and identify  
21 yourself.

22 MR. MCKAY: I'm Malcolm McKay, Vice  
23 President of Quality and Regulatory Affairs for Cell  
24 Genesis, a gene therapy company.

25 Very briefly with regard the proposed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 system for long-term follow-up, we urge you to  
2 consider the original plan that FDA put forth with the  
3 three different tiers and then allow the sponsor to  
4 have individual discussions with the FDA based on  
5 scientific merits as to whether or not that company  
6 fell into tier 1 and was exempt from long-term follow-  
7 up, or tier 2 or 3.

8 It might be that we might be in tier 2 for  
9 phase 1 and phase 2 and then go back into tier 1 for  
10 phase 3.

11 With regard to the public disclosure of  
12 INDs, Cell Genesis supports FDA's ability to discuss  
13 these issues in public, and we intend to write to the  
14 docket with our comments. But we are concerned about  
15 the issue of publishing an entire IND, the amendments  
16 and the annual reports on the Internet. We believe  
17 that that form, sharing the information with the  
18 public, will not serve the public.

19 An IND is a very complicated document.  
20 The flavor of the IND often changes with subsequent of  
21 submission. And so the public wouldn't know where to  
22 look to find out what's current about a particular IND  
23 or a particular clinical trial. And we've proposed  
24 that the FDA allow us to use integrated summary  
25 format. It's friendly, it's consistently familiar

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with NDA's and it would give the company an  
2 opportunity to summarize the pertinent information in  
3 a succinct and easy to follow manner.

4 Thank you.

5 CHAIRMAN SALOMON: Thank you very much.

6 I certainly won't comment on any of the  
7 stuff that we're going to talk about now, but just in  
8 terms of your comment on the long-term follow-up rule,  
9 I think it is the intention of the Committee to allow  
10 the FDA based on scientifically driven data reporting  
11 to negotiate what the follow-up for a vector should  
12 be. I don't think anyone on this Committee suggests  
13 that as data evolves and our understanding of gene  
14 therapy improves, that that shouldn't be an option.

15 Dr. Noguchi.

16 DR. NOGUCHI: Thank you very much for  
17 staying as long as you have, and of course, for the  
18 continued public comments that we've heard. While I  
19 won't speak directly to the comments because, after  
20 all, we're still awaiting an evaluation of all the  
21 comments submitted to the docket, I would encourage  
22 everyone here don't let Florida happen again. We take  
23 all comments. We look at each one carefully. There  
24 are no chads and each voice counts.

25 It is my privilege, actually, to be able

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to talk to you about the proposed rule. This is an  
2 effort that has involved literally tens if not close  
3 to a 100 different individuals both currently at FDA  
4 and previously at FDA, including several of our guests  
5 around the table.

6 And I'd like to go through, first, a  
7 little bit of the philosophical aspects of it and then  
8 to go through some of the details in, I hope, a short  
9 time.

10 Now just to speak to the complexity, in  
11 fact Dr. Seaver correctly points out many things are  
12 involved in a gene therapy experiment. This is an  
13 example of a report that was in *Nature* several years  
14 ago, or I guess last year, in which there was very  
15 encouraging data presented that perhaps a certain type  
16 of immunodeficiency disease, that GammaC-R for X-SCID  
17 or severe combined immunodeficiency might actually be  
18 treatable by a gene therapy. But to do that  
19 peripheral blood mononuclear cells were taken out of  
20 the -- in these cases, these are the type of  
21 individuals that literally live in bubbles, cannot  
22 leave the hospital and there is no treatment for them,  
23 unlike the first gene therapy patient in this country.

24 They run over an FDA approved or an FDA  
25 regulated column that has monclonal antibodies and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 other regulated product into a Petri dish, which is  
2 another FDA regulated device. You take a viral  
3 vector, obviously another FDA regulated biologic, put  
4 it on fibronectin coated plate. Fibronectin itself is  
5 a regulated product, as is the flash. This is  
6 transduced, but that can't be done unless you also  
7 have stem cell factor, Fit-3, Interleukin-3, PEG-MDF,  
8 all of which have or will be used in clinical trials  
9 as a single entity.

10 You put those altogether and what you come  
11 out with, with a fairly high level of transduction are  
12 cells which are now expressing the gamma-c receptor.  
13 And in several cases very encouraging results are  
14 seen. Several of these children that actually left  
15 the hospital, they've been vaccinated, they're going  
16 to school.

17 Desirable outcome, extremely complicated  
18 background on how we get there.

19 Part of the reason and the need for a  
20 disclosure rule on gene therapy and  
21 xenotransplantation is that these are products of  
22 nature as biologics. Even as far ago as Sr. Francis  
23 Bacon, "Natura enim non imperatur, nisi parendo," or  
24 basically "Nature cannot be ordered about, except by  
25 obeying her." A different way of looking at that is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we only know what we know as of yesterday and tomorrow  
2 we'll probably be shown that we're wrong. Or another  
3 way to put it is "In nature there are no rewards or  
4 punishments; there are consequences." And our FDA  
5 reviewers and others always say "For every intended  
6 consequence, there are a 100 mostly unknown unintended  
7 consequences that we must address."

8 And I'd like to go through some of those  
9 that we've seen through the years.

10 Edward Jenner, for example, was a  
11 brilliant scientist. He said he saw some -- the maids  
12 who milk cows never got smallpox, but they had these  
13 funny little pox marks on their arms. And he said I'm  
14 so convinced that I can vaccinate people and prevent  
15 the disease, that I'm going to do the classic  
16 experiment. I'll treat my children first, then  
17 myself. Which he did. And fortunately, his children  
18 were protected. And this became a fairly widespread  
19 type of treatment, but it almost died an early death  
20 because in Italy there's an epidemic of syphilis  
21 because the transmission was done from lesion to  
22 lesion to lesion. And the needle got contaminated  
23 with syphilis somewhere along the way. Again, we  
24 didn't know what we didn't know.

25 In 1901 was the start of biologic

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 regulation where a horse named Jim was used to prepare  
2 antiserum for diphtheria. Now diphtheria was a  
3 therapeutic that was very, very useful and could  
4 reverse diphtheria. More children died from  
5 diphtheria than has ever been effected by polio, so it  
6 was a devastating disease.

7 12 children died not of diphtheria, but  
8 they died from tetanus because poor Jim, the horse,  
9 contracted tetanus in the meantime and it was  
10 transmitted through that antitoxin.

11 Jonus Salk successful himself inoculated  
12 over 11,000 men, women and children with his killed  
13 vaccine. Once it was commercialized, the very first  
14 lots that were prepared when you go from a 10 liter  
15 carbide to about a 10,000 liter fermented, every  
16 forgot you had to stir the virus or else you wouldn't  
17 get inactivation of it by formaldehyde. And in fact,  
18 many of the first people that were inoculated with the  
19 first commercial version of the vaccine came down with  
20 polio.

21 This continues on. Once you knew how to  
22 inactivate polio, RSV, respiratory syncytial virus,  
23 was the next one to be attempted. After all, we know  
24 how to inactivate, keep everything stirred, simple.

25 The first time that was done when the next

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 season came around for RSV, men, women and children  
2 died who were vaccinated, whereas the controlled group  
3 didn't. That was because that we think now that there  
4 was something to do with T1 and T2 immunity. There is  
5 no RSV vaccine to this day. There is a monochrominal  
6 antibody as a therapeutic, but as preventative, we  
7 still don't know how to do this.

8 University of Pennsylvania this last year  
9 or two years ago now, an 18 year old patient died in  
10 experimental gene therapy, others received the same  
11 dose, did not have this type of an adverse event both  
12 in this trial and other trials. Do we know what  
13 killed the patient here? Human subject -- we really  
14 don't at this time.

15 Even a toavirus vaccine, approved in the  
16 year 2000 was with withdrawn when it was widely used.  
17 It prevents infantile diarrhea, but in a very few  
18 select cases it causes intussusception.

19 All this is merely to say that for  
20 biological products mother nature will let us push her  
21 a little bit, but she always comes back and tries to  
22 tell us "You know, maybe you don't want to go this  
23 particular route."

24 Now, this is the proposed rule to get into  
25 that. They short named it FDA as the proposed rule on

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 public disclosure. This is the longer official title,  
2 Availability, etcetera. It was published on the 18th  
3 of January with a 90 day comment period, so that'll be  
4 sometime this month on April 18th will be the final  
5 comments. And, again, we encourage everyone to please  
6 respond to the docket either in writing or by email.  
7 At last count we had something like 40 written  
8 comments and close 90 email comments, all of which  
9 will be read, evaluated and used to reformulate and  
10 see where we need to go with this proposed rule.  
11 Remember, it's a proposal. It's not a final.

12 The scope and the purpose is for gene  
13 therapy and xenotransplantation. Mr. Werner did point  
14 out that FDA for decades has had a policy for INDs.  
15 We are speaking specifically for gene therapy, which  
16 is a decade old.

17 Part of the reasoning behind the rule is,  
18 in fact, that gene therapy and xenotransplantation  
19 have a different experience than other areas of  
20 clinical research with FDA regulated products. They  
21 represent unique areas of clinical research that have  
22 potential for risks that are really unusual; that is  
23 it's not just the human subject or patient that may  
24 be the subject to adverse. It could be the surgical  
25 team, as in the case of xenotransplantation you could

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have transmission to offspring whether they're verdant  
2 or inadvertent, and things of this nature.

3 We've seen what the complexities of  
4 products are. It's not just a single product, it is  
5 a therapy with multiple aspects to it.

6 Part of the reason of the rule is to  
7 provide, actually, a consistent amount of information  
8 for public discussion and public access.

9 This rule, by the way, was not promulgated  
10 in response to the 1999 death of a gene therapy  
11 individual. It started in 1994 when there was this  
12 public discussion and a departmental committee, the  
13 National AIDS Task Force, that asked the question  
14 whether or not there was duplication of effort between  
15 the NIH RAC and the FDA review process. We went  
16 through a number of discussions with this, but the  
17 critical question that was asked at that time is even  
18 by 1994 there was a tradition and I would say global  
19 public acceptance by the community, by the sponsors,  
20 by the academic and especially the pharmaceutical  
21 industry to present a large amount of data that would  
22 be available publicly. This did include things that  
23 we'll get into later.

24 The issue at that time was could be  
25 transfer review, sole review responsibility to the FDA

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and guarantee that access. And the answer is no. As  
2 you've heard by our own laws, even the existence of an  
3 IND is traditionally and by our own laws not  
4 acknowledgeable unless it's been publicly disclosed  
5 elsewhere. This, as we said, provide a consistent  
6 amount of information, it will enhance patient  
7 awareness and consumer protection. After all, if  
8 you're entering a gene therapy trial and you're not up  
9 to date on all the types of adverse events that can  
10 happen, whether they're large or small, and this goes  
11 for the sponsors, by the way. Up to date information  
12 needs to be available by one means or another.

13 It will help, we think, ensure accurate  
14 and up to date informed consents as they're being  
15 written and updated. And a small but a significant  
16 part of the rule is allow FDA to fully participate in  
17 public discussions.

18 Now, for gene therapy and  
19 xenotransplantation, we do have that full access to  
20 discuss things. Part of it is because the sponsors  
21 have been exceedingly well versed and have been  
22 willing to discuss these things knowing that the  
23 issues are going to be primarily safety related at  
24 this early stage of the game.

25 Now what is disclosable, and this is an

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 important aspect of it. Patient information not  
2 disclosable under any federal statute.

3 Trade secret information is not  
4 disclosable. We used the narrow definition that was  
5 I think 1968 by the district court. It's a productive  
6 process, it's not the idea; that is as things like  
7 incipients, and we will concede that certain types of  
8 ancillary products very well would fall into this sort  
9 of a category.

10 There's a limited amount of commercial  
11 confidential information and we're basing this on our  
12 experience with the National Institutes of Health  
13 Recombinant DNA Advisory Committee, the departmental  
14 Xenotransplantation Working Group and its various  
15 meetings that it's had, public meetings. And now the  
16 Secretary's Advisory Committee for  
17 Xenotransplantation.

18 The key factor here, commercial  
19 confidential means that information which can give a  
20 competitive advantage to a competitor that will  
21 disadvantage the innovator.

22 What is disclosable or what we are  
23 proposing to be disclosable. This will be product and  
24 patient safety data and related information. Included  
25 in this will be the preclinical data, animal data that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very often can help define or help guide us away from  
2 situations in which there may be potential and adverse  
3 risk.

4 Name and address of sponsor.

5 Clinical indications to be studied and the  
6 protocol for each planned study. We have heard in the  
7 past as an example that even the design of a clinical  
8 trial is commercial confidential. It puts us in a bit  
9 of puzzlement since most of the trials and certainly  
10 many of the trials in gene therapy are only gone  
11 forward when FDA has had extensive modifications  
12 implemented in that, and in many cases FDA will  
13 consider some of this to be our intellectual property  
14 rather than anyone else.

15 There is written informed consent for as  
16 provided in 50.27 of this chapter. Although FDA does  
17 not in itself regulate informed consent, we clearly  
18 view this as an important means of assuring adequate  
19 patient safety as we go forth.

20 Identification of the biological products.  
21 Dr. Seaver is correct, if we just looked at this there  
22 are a number of subparts here. I think that the  
23 question of ancillary products is a good one that we  
24 will need to address.

25 While we would acknowledge that some

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ancillary products or things that might be considered  
2 ancillary such as a design and types of columns and  
3 how many columns are being used could be considered in  
4 the nature as commercial confidential.

5 In terms of the producer cell lines, what  
6 we have seen just from the few examples I've shown  
7 you, is that a cell line or a biological material that  
8 is thought to be "better or safer" very often is not.  
9 That does not mean that it's disqualified, but what  
10 this does mean is that we need to know and have  
11 everyone know what the risks of any particular  
12 biological product area. In fact, many with the  
13 exception of the RSV vaccine that we saw before where  
14 there is no present one, we have gone through many  
15 different tragedies with biological products, but it's  
16 always been on the basis of understanding, knowing the  
17 adverse events, the risks and getting actually the  
18 acceptance by the public who participate in these  
19 trials, which sometimes have very adverse events  
20 associated with them.

21 Biologics are different from drugs in the  
22 sense that there may or may not be a dose related  
23 phenomenon. It very well may be idiosyncratic and the  
24 person's response.

25 IND safety reports.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   And then information submitted in the  
2                   annual report. Now, if we look at the actual  
3                   requirements of the annual report, the amount of  
4                   information required is rather sketchy. We've gone  
5                   further in this proposal to outline the types of  
6                   information that has been required by the NIH  
7                   guidelines which sponsors have been routinely  
8                   submitting information.

9                   One aspect that we're talking about here  
10                  is the regulatory status of the INDs, such as whether  
11                  it's on hold, in effect, inactive or withdrawn. Some  
12                  of this comes down to the fact that far too much of  
13                  our time is spent over the phone with the media trying  
14                  to save "Well, is such-and-such on hold" and "Is such-  
15                  and-such on hold. What does that hold mean. Does  
16                  that mean somebody has done something bad." And the  
17                  reality of the administrative mechanism that FDA has  
18                  to really say wait a minute, we want more information  
19                  is the clinical hold.

20                  We think that in many respects, actually,  
21                  this kind of information can help demystify the fact  
22                  that clinical hold is not a good or a bad thing, it's  
23                  a part of the process.

24                  Then finally there is number ten, which is  
25                  a clause which allows the Director of CBER on very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 unusual, very rare occasions to require that even if  
2 all safety information has been provided and we have  
3 no objections on a safety basis to disallow an IND to  
4 move forward, that in fact we can invoke only by  
5 petition to the Director, a lengthy process. And if  
6 it's determined that we need ethical issues to be  
7 discussed, we need to ask the question this can be  
8 done, we think it can be done safety but should it be  
9 done at all, this would be the clause that we would  
10 invoke in order to have a public discussion such as  
11 the RAC, such as at this Committee, such as at other  
12 advisory committees.

13 We're making a proposal on this could be  
14 disclosed. The reason that it reads this way is for  
15 several reasons, the first of which is that it's  
16 simple to say get all this data, put it in a database  
17 and then make it public on a periodic basis. That's  
18 a useful concept if, in fact, the population is small.  
19 We anticipate that the number of patients and the  
20 number the trials for gene therapy will grow. We  
21 anticipate that some day there will be products, we  
22 could be wrong. As we do that the amount of  
23 information is going to grow, FDA is not. That can we  
24 can guarantee you.

25 What we are asking, therefore, and part of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this is to help assure that the sponsor will be able  
2 to look at what is trade secret, always what patient  
3 information is there and to determine what commercial  
4 confidential information is there and official and  
5 redacted version of each submission to an IND  
6 including the original IND. The redacted document  
7 would not contain patient information, trade secrets  
8 and a certain limited amount of commercial  
9 confidential information, certain limited amount.

10 The idea, and this again is because we  
11 don't want to get in a position where everything has  
12 to go through a Freedom of Information request, but to  
13 rather make it in a publicly available format, a  
14 proposal, is that after a certain amount of time being  
15 used to make sure this is administratively correct and  
16 is accurate, it would be forwarded to a public docket.

17 Now, our public dockets office has said  
18 that each IND number will be used to create an IND  
19 docket with the same number. Through the life of the  
20 IND then, this information of redacted form would be  
21 submitted to the docket, the official document which  
22 has both confidential commercial, patient information  
23 and trade secrets would continue to be submitted.

24 Now, this is a radical change in a way,  
25 but what it is also is a recognition that ten years of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 experience of gene therapy and xenotransplantation  
2 have suggested to us that in fact public disclosure  
3 has not been detrimental to commercial development;  
4 that in fact very often even those things such as an  
5 adverse event help to shape how the field moves  
6 forward. And that in fact currently it has lead to  
7 the public confidence that up to recently we have  
8 enjoyed in the area of gene therapy.

9 We believe that for all the reasons given  
10 before, that if we can learn, especially from the  
11 lessons from the past throughout the history of  
12 biologics regulation that biologics are different,  
13 that they require a certain amount of openness and  
14 that science is always evolving, then in fact what can  
15 be imagined will be done. And we know this will be  
16 done with hope. It must be done with humility, and by  
17 this we mean not the hubris that our scientific  
18 decisions must be the end point, they are a part of  
19 the end point, but we have to also acknowledge that  
20 today's science, today's dogma, is going to be  
21 tomorrow's dog meat. As science changes, so does our  
22 interpretation of what is important.

23 And finally, as we move forward, it always  
24 needs to be done with compassion.

25 Thank you very much for your kind

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 attention.

2 CHAIRMAN SALOMON: There'll be T-shirts on  
3 sale in the lobby with that last one. It'll help the  
4 database.

5 Thank you, Phil. That was, as always,  
6 done extremely well.

7 I have no official questions to guide what  
8 happens now and yet there is a provision for  
9 discussion here. And so I very much have no agenda  
10 and my objective is that everyone get a chance to  
11 speak.

12 Abbey.

13 MS. MEYERS: Well, I just want to say from  
14 the patient community this is probably one of the most  
15 important that FDA has ever done. It's  
16 extraordinarily important.

17 And I was at the last RAC meeting and they  
18 voted unanimously that there should be more  
19 disclosure. There's some type of regulation that went  
20 through the RAC. And one of the problems is, of  
21 course, that there seems to be a general secrecy in  
22 the field. And the only thing that people get is  
23 basically the news that's released to Wall Street.  
24 And writers pick that up and they reprint it in the  
25 newspapers. And while on the one hand industry is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 saying we need to attract more patients into these  
2 clinical trials, they still want FDA to keep the whole  
3 thing secret. So if you call up and ask FDA is there  
4 a clinical trial on gene therapy for some disease,  
5 they can't even tell you if there's an IND.

6 So, I think that the lessons that we've  
7 learned in the last 10 years since gene therapy  
8 started, which is actually a little more than 10  
9 years, is that if biotech, agriculture industry had  
10 been as opened and there had been a forum for public  
11 discussion like the RAC for biotech foods, then we  
12 wouldn't be facing this big problem we are today. I  
13 mean, people are scared to death of biotech engineered  
14 foods. Why? Because it was done behind closed doors  
15 and it was in secret. And we can't afford that to  
16 happen with gene therapy.

17 I mean, nobody's going to die for lack of  
18 an engineered tomato, but you know people are going to  
19 continue to die from these horrible diseases,  
20 especially genetic diseases, which is my area of  
21 concern, unless this field moves forward. And because  
22 of the death of this young man at the University of  
23 Pennsylvania, I think that we're teetering on the edge  
24 here. That the public is losing its faith in the  
25 government handling these things right, and this is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the solution to put this out on the Internet so that  
2 people can see that 100 people went into a trial and,  
3 hopefully, 95 of them are still alive.

4 What frightens people is the fact that  
5 they read about it in *The New York Times* or they see  
6 it on 60 Minutes that 100 people were in the trial and  
7 a 100 of them are dead.

8 So, this is the solution. And I urge you  
9 all to support this solution. I agree perfectly; take  
10 out the things that might be patentable or the trade  
11 secrets, but let us know the trial is going on and let  
12 us see what the adverse events have been.

13 CHAIRMAN SALOMON: Alison, you looked like  
14 you wanted to make a --

15 MS. LAWTON: Yes. Thanks.

16 I actually agree with your last comment  
17 there, Abbey. Although we're probably coming from  
18 opposite sides here, I think generally I would say  
19 that we recognize the need, absolutely, for public  
20 debate and for the FDA's need to have public debate as  
21 well. And for that very reason industry's need to  
22 have public debate.

23 I think the big question I have is is this  
24 proposed rule the right way to do it. And my  
25 perception of the proposed rule as it is is, no,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that's not the way to do it. And I would like to see  
2 more time allowed to have more discussion around the  
3 best way to do it to provide the patients and the  
4 public with that information in the best possible  
5 form.

6 One thing we talked about earlier in  
7 discussing the follow-up of clinical trials, for  
8 example, we talked about the need for the scientific  
9 aspect of advising what's needed versus just what's  
10 required from a public perception point of view. And  
11 I would come back to similar type of thing around  
12 this.

13 During the last couple of days we've also  
14 heard, for example, there's over 200 INDs on gene  
15 therapy trials. And I'm not sure if people have any  
16 concept of just to try and get the information that  
17 you're talking about, Abbey, to go through that docket  
18 room and to look through volumes and volumes of data  
19 of 200 INDs is not the best way to get this  
20 information out to the public.

21 So I think from the safety perspective and  
22 what trials are ongoing, there's a better way to do  
23 this. I don't have the solution here. We will  
24 definitely be commenting to the docket. But what I  
25 really encourage FDA is that you allow the time. And

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 I know that you will be reading the comments to the  
2 proposed rule, but to make sure that we have the  
3 appropriate time to look at the best way to do this  
4 for all of the industry, public, patient and FDA  
5 needs.

6 DR. NOGUCHI: Thank you for those  
7 comments. And, of course, we are going to look very  
8 carefully and see what the next step is going to be.

9 Just one slight correction. The actuality  
10 of the docket is that, in fact, it will be electronic.  
11 You may come and look at it in person, but each  
12 submission as of right now for about the last six  
13 months, everything coming in is both available in hard  
14 copy as well as electronically.

15 MS. LAWTON: I'm just trying to get across  
16 the amount of information for somebody to have to go  
17 through to actually try and come up with a question  
18 around the safety of a gene therapy trial, for  
19 example.

20 DR. NOGUCHI: That is a good point. This  
21 is not at all examining any database or search  
22 capabilities. It's simply saying we have determined  
23 that for these two areas we think this is a limit of  
24 what is commercial confidential and what is not. But  
25 not anything about retrieving the information.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MS. MEYERS: Do you agree, though, that  
2 adverse events are not proprietary information, or you  
3 think they are?

4 MS. LAWTON: Adverse events currently are  
5 not proprietary information because they're reported.  
6 Investigators have to report them through to the NIH  
7 anyway. And, obviously, with the establishment of the  
8 safety database that is something that will be  
9 publicly available. And so that is very definitely a  
10 very important aspect that should be made publicly  
11 available. The question is again how do we do that  
12 and is it better to have expertise in looking at those  
13 adverse events and analyzing it and, again, putting it  
14 into context within the types of patient populations.  
15 All of those aspects, I think, we have to think very  
16 carefully about.

17 MS. MEYERS: Well, I want to just register  
18 my feeling that there should be no delays in this.  
19 These regulations or changed regulations, whatever you  
20 do about all these little questions, the main thing is  
21 information about adverse events should go on the  
22 Internet. And the reason is that when the Gelsinger  
23 family agreed that their young son should go into  
24 that, they had no idea that animals had died in the  
25 preclinical testing. They had no idea that people who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were in the test before them had abnormal liver enzyme  
2 levels after the test. And they need a fighting  
3 chance. Patients need a fighting chance.

4 And when you're sitting there and thinking  
5 about whether you should put your child or your  
6 mother, or your spouse into a trial and if you're not  
7 getting the whole truth in the informed consent  
8 document because, of course, IRBs are overworked and  
9 under funded and everything else, we need to know the  
10 whole truth. The patient community needs it.  
11 Otherwise, it's going to end up on 60 Minutes and the  
12 whole field is at risk.

13 CHAIRMAN SALOMON: Again, I have no agenda  
14 today but to make sure that everybody gets a chance to  
15 comments. There are lots of issue that we could get  
16 into that I don't think really we're set up with the  
17 time today to do that and to try and define, for  
18 example, a universe of what should be in a public  
19 disclosure or how it should be shown to families,  
20 should be on a web, you know, what is it that you'd  
21 find when you opened the website. I think those are  
22 the kinds of details that have to be worked out  
23 between the FDA, between the sponsors in industry and  
24 done in a way, Abbey, that responds appropriately to  
25 your concerns that accurate, interpretable, accessible

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information be available to patients. And I think  
2 that's, from my limited view at this point, where the  
3 real concerns are.

4 You're not going to be able to allow a  
5 family member going to a website to interpret complex  
6 results in an animal model. It's not appropriate.  
7 It's not fair. It's not going to communicate, nor is  
8 it going to contribute, I think, to what you want; a  
9 sense of reassurance that everything's on the table.

10 So I think those are the issues, those are  
11 the details that are going to need to be worked out.

12 DR. SAUSVILLE: I'd like to share those  
13 thoughts and actually extend them to the extent that  
14 in both biologics and in so-called small molecule  
15 drugs the nature of the preclinical toxicology studies  
16 are actually to cause, if possible, toxicology, toxic  
17 effects. And I must say I'm very concerned that the  
18 undiluted and uninterpreted and unfiltered information  
19 of that type could be very problematic and actually  
20 hinder patient access to otherwise very reasonably  
21 constructed clinical trials.

22 DR. SIEGEL: What, of course, would go on  
23 the website would be -- what is proposed is some  
24 redacted versions of what comes to the FDA. And I  
25 think for a similar -- notwithstanding the fact that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we have rather sophisticated scientist sponsors in  
2 general for similar reasons don't like to send us  
3 undiluted, unexplained animal data. Our animal  
4 studies come with explanatory summary reports and  
5 interpretations.

6 DR. SAUSVILLE: Right. What I just heard  
7 is that there was a desire for an Internet disclosure  
8 at one level of either of what actually is, and even  
9 if you extend this to adverse events, the initial  
10 occurrence of one adverse event in a clinical trial,  
11 again, taken out of a clinical context, could be very  
12 problematic. I mean, the usual rules for  
13 stopping clinical trials actually call for more than  
14 one adverse event.

15 So I think this is a very complex area.  
16 And while I -- I mean to be clear, I mean I'm very  
17 both personally and professionally amenable to the  
18 idea of a constructive dialogue to how to do this.  
19 One has to be concerned that access that without  
20 interpretation could ultimately be deleterious.

21 CHAIRMAN SALOMON: Richard and then who  
22 else.

23 DR. CHAMPLIN: So I always support that  
24 the patient should have access to reasonable  
25 information and be aware of pending adverse events.

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 One has to keep in mind a couple of things.

2 The specific organ toxicities in animals  
3 often are not reproduced in man. It's very dependent  
4 on the species and the metabolism of drugs. And so if  
5 liver toxicity occurred in the dog, that doesn't  
6 necessarily mean that it's going to happen in humans.  
7 So one can be misled if there's detailed information  
8 about toxicology data in other species.

9 The other issue is you're of course  
10 interested in the observed versus the expected. And  
11 in given disease state there's a background of adverse  
12 events happening in leukemia patients, for example,  
13 undergoing chemotherapy. And that when you get the  
14 raw adverse event data, it's not clear exactly how  
15 much is truly attributable to an investigational agent  
16 and how much is to be expected in the standard  
17 treatment of those patients. And so one has to  
18 somehow keep this all in context, and whatever  
19 information is provided has to be given with the  
20 balance of that overall discussion.

21 CHAIRMAN SALOMON: Amy.

22 DR. SIEGEL: Well, the rule suggests that  
23 trade secret information and patient identifiers would  
24 be redacted. Other than that, the documents would be  
25 essentially what is submitted to the FDA would be

1 submitted also and redacted form for posting on the  
2 Internet.

3 Is there some commercial redaction?

4 DR. NOGUCHI: A limited amount, yes.  
5 That's the proposal.

6 CHAIRMAN SALOMON: Any and then --

7 DR. PATTERSON: I wanted to make a comment  
8 because I think it's an important issue about  
9 providing an analysis and context for information  
10 that's provided to the public on what Ed was just  
11 talking about.

12 There has also been out for public comment  
13 a perhaps synergistic or complimentary proposal from  
14 NIH regarding the reporting of adverse event and other  
15 safety information to NIH. The interplay of these two  
16 proposals is something that I think needs to be  
17 explored further. But part of our proposal that would  
18 involve FDA cooperation or collaboration and input is  
19 the establishment of a national data assessment board  
20 that would meet in close session and would look at all  
21 the data reported, would look at the data being  
22 entered into the comment database between the two  
23 agencies, and would report out perhaps on a quarterly  
24 basis to the RAC and on an annual basis in some sort  
25 of written summary report of the findings and report

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the information in context, do analysis across trials,  
2 across vector class, across clinical indications.

3 So, I just wanted to put that on the table  
4 that I think the federal agencies are sensitive to the  
5 fact that merely putting up raw information is not  
6 necessarily beneficial.

7 MR. GROSSBARD: Elliott Grossbard.

8 Something that Dr. Siegel said prompted me  
9 to think of unintended consequences. And you're quite  
10 right that on many submissions sponsors in describing  
11 toxicology studies, for instance, indulge in a fair  
12 amount of what might be called spin control, and the  
13 FDA reviewers are very sophisticated and I've over the  
14 years come to see how it just kind of gets ignored or  
15 blown off and handle in appropriate matter.  
16 Reasonable people can disagree.

17 In a redacted version if companies were to  
18 indulge themselves in this kind of activity around  
19 safety studies, this could lead to an interesting  
20 interchange with FDA, something short of a labeling  
21 meeting perhaps, but a discussion about whether the  
22 company's interpretation is appropriate for  
23 presentation to the public and lead to kind of an  
24 ongoing engagement around a scientific interpretation  
25 that could be a distraction in many ways.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 CHAIRMAN SALOMON: Alison.

2 MS. LAWTON: Just to follow-up on that  
3 comment. I think that's part of what I was trying to  
4 get at as far as we need more time to work through how  
5 we do this and how the timing of the information is  
6 made available, how the information is made available.  
7 Because that's one example where information that  
8 could be made publicly available immediately would be  
9 with a company's perspective that might change in the  
10 future once the FDA's had a chance to look at it. And  
11 so the timing could be key.

12 Likewise, with adverse events. You know,  
13 a serious adverse event may have a very different  
14 perspective when you first get that to having follow-  
15 up information to find out actually the reason that  
16 serious adverse event occurred.

17 So, they're both examples of why we need  
18 to really sort out the details around the processes  
19 and how the information is made publicly available.

20 CHAIRMAN SALOMON: I just think a point  
21 following sort of where Abbey was going, is that yes,  
22 of course. And I support that, as I already said.  
23 However, we also shouldn't go so far as to say that if  
24 data is there that maybe isn't fully interpreted or  
25 understood, often times because it might take a year

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

(202) 234-4433

1 or two or 20 more events to really put it into  
2 context, that's not necessarily an a priori argument  
3 not to have that in the public domain in some form.  
4 Because we shouldn't assume that patients and  
5 patients' families can't go to the investigator in the  
6 process of a true informed consent and say "Well, you  
7 know, at the website I read about such-and-such and  
8 I'm concerned about it."

9 I don't necessarily see that as any sort  
10 of a negative thing. That is, in some sense, very  
11 positive aspect of a partnership between the  
12 physicians -- we're asking the patient and the  
13 patient's family to take a risk. And I think that  
14 what we have to fight for, too, here is reasonable,  
15 responsible information transfer, but still not fight  
16 for no information transfer until it's 5 years later  
17 and it's happened 25 times, and we know the exact  
18 molecular mechanism.

19 DR. SIEGEL: Well, it should be noted it's  
20 not envisioned that the patients would be the only  
21 consumer of this information. There are other  
22 investigators, that there are physicians and so forth.

23 CHAIRMAN SALOMON: That's important  
24 context. Thank you, Jay.

25 Michael.

1 DR. O'FALLON: Phil, I think you said this  
2 process which lead to this massive document which I  
3 memorized every word of, of course, has been 6 or 7  
4 years in the making, right? So certainly sponsors  
5 have participated, is that not correct?

6 MS. LAWTON: No, that's incorrect. No.

7 DR. O'FALLON: They have not?

8 DR. NOGUCHI: No. This particular rule,  
9 as many rules do, they go through a number of  
10 interactions. While we can say it started a number of  
11 years ago, events certainly propel it one way or  
12 another.

13 We have indicated that we would be  
14 proposing this rule for some time, and even on that  
15 scale other events have interfered or gotten in the  
16 way, other priorities come in there. So, it's -- no.  
17 In this particular -- this is a proposed rule. This  
18 has no part of a public discussion and it's not by any  
19 means meant to be the final, but it is meant to be the  
20 beginning.

21 DR. SIEGEL: It's the nature of the rules  
22 we have by which we can make rules, which are governed  
23 by law. They're not just rules. And that in order to  
24 ensure that there's a fair public input and we're not  
25 getting input from some people and not others, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 whatever, that while it was several years in the  
2 making internally there were broad general  
3 discussions. It's at this point that the proposal is  
4 out, which is really only a limited period of time,  
5 for more formal input on the specific proposal.

6 MS. CHRISTENSEN: Janet Christensen with  
7 Targeted Genetics.

8 I think that one of the issues that --  
9 I've actually read this so many times I could probably  
10 give you chapter and verse. I think a lot of us have  
11 in preparing our comments to the docket.

12 One of the concerns, however, is that when  
13 you start talking about criminal prosecution for  
14 perjury if the redaction is not appropriately carried  
15 out, I find that personally very scary. You know, I'm  
16 going to do my very best job, but if we don't see eye-  
17 to-eye, I may be criminally prosecuted. And that's  
18 one of the aspects of that proposal that I find very  
19 concerning.

20 When you do that in there, besides being  
21 the full employment act for regulatory lawyers and  
22 regulatory professionals, which I must say I have some  
23 bias towards, we have to start bringing in legal  
24 staff. And we are in order to be prospectively  
25 protecting our interests and keeping me out of jail,

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 okay. I like my freedom.

2 I think that you inadvertently lay on a  
3 tremendous amount of additional bureaucracy and legal  
4 review to ensure that we are following the law. And  
5 for that reason I believe that there's a figure in --  
6 as proposed as having an impact of about \$840 a year  
7 to each company. I would suggest that with this  
8 additional legal review, not only for intellectual  
9 property, not only for securities and exchange  
10 information, and as well from the regulatory law  
11 perspective, that figure is going to be significantly  
12 higher.

13 So, that would probably -- and I would  
14 encourage everybody on the Committee to take a good  
15 look at that particular aspect. Because I think that  
16 can have -- I think it has a potential to  
17 significantly have a negative impact on working with  
18 the agency. I think we've worked very well with the  
19 agency, as well as NIH, but we'd like to continue to  
20 maintain those relationships. But when you put the  
21 threat of prosecution in there, it has a quelling  
22 effect.

23 Thank you.

24 CHAIRMAN SALOMON: So the question I had  
25 in follow-up to that is who will do the redaction? I

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 guess in one version that I had heard, and this is by  
2 no means quoting anyone in the FDA, but in one version  
3 I heard was that reaction would be actually done by  
4 the companies, which I thought was brilliant because  
5 that way the companies (a) could control what they  
6 redacted and therefore you didn't have to have any  
7 fights or issues, and of course it was a tremendous  
8 savings in FDA activity, which I also thought was  
9 positive given the sparse amount of funds.

10 So if redaction is being done by the  
11 companies, and again this is a question not that I'm  
12 saying that anyone from the FDA told me they would do  
13 that, why is this an issue then of perjury and -- I  
14 didn't follow that.

15 DR. NOGUCHI: Any laws that are -- or any  
16 regulations that are put forward by FDA are  
17 sanctionable; that if you don't follow the  
18 regulations, there is this whole series of steps that  
19 are available, those being one of them. If you lie to  
20 the FDA, that is against the regulation and is  
21 sanctionable. So it's in that nature where to be fair  
22 that where industry and sponsors are talking about  
23 legal counsel, we have legal counsel as well. And  
24 that was one of the -- it's not a stipulation, but  
25 they felt that it would be worth reemphasizing that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this is not meant to be a voluntary kind of a thing  
2 that it would be nice to do, but that it is in fact  
3 something we've spent a lot of time and effort  
4 determining and we feel that it's in the public  
5 interest to do that. For the out lyers there are  
6 these sanctions which are not unique to this  
7 particular rule. They apply to all FDA rules. That's  
8 really the nature of it.

9 I will say about the redaction, part of  
10 this it's not really -- it's on certainly CBERS part,  
11 but the device industry does have -- all 510Ks have  
12 redacted version. This is one level of clearance that  
13 is approved. And I believe it's being implemented for  
14 PMAs as well. So part of it is based on other FDA  
15 experience. The redaction is done by the companies.

16 DR. SIEGEL: But as part of the reasons  
17 for the underlying question, it's anticipated under  
18 this proposal that the FDA would do some checking of  
19 the amount of redaction. That, you know, some  
20 companies for competitive reasons or other reasons  
21 might choose to redact more materials than we thought  
22 were appropriate. And if that became extensive, it  
23 potentially could undermine the whole point of the  
24 rule.

25 On the other hand, if you acknowledge

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there's limitations on FDA resources, so there have  
2 been no -- the anticipation would be that the chore of  
3 redacting would be by the sponsors with an expectation  
4 that that would be largely sufficient and with spot  
5 checking and with appropriate remedies which, in many  
6 cases, would simply involve just a telephone call and  
7 a discussion of what happened. But in some cases, it  
8 might involve more severe actions if there were  
9 problems.

10 CHAIRMAN SALOMON: Alison.

11 MS. LAWTON: Yes. I was actually just  
12 going to make a comment on the redaction as well along  
13 the lines that Jay just said, around as we heard from  
14 Sally as one example around the different level of  
15 redaction that might take place by companies. And I  
16 think one of the questions around this proposed rule  
17 is what's acceptable and what's not. And that's not  
18 clear at the moment.

19 You know, in the proposed rule there are  
20 definite ways to deal with that, such as putting  
21 companies on clinical holds if the level of redaction  
22 is too much or inappropriate. So those are the types  
23 of things we still have to work out the details.

24 CHAIRMAN SALOMON: Yes. I think in  
25 thinking about this, the concern that you'd actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 face potentially criminal investigation for perjury is  
2 -- that was good. I like drama. I didn't mean that to  
3 sound a criticism.

4 But I'm not quite sure that that's a very  
5 large risk over what exists right now. In other  
6 words, what I could see you being accused of perjury  
7 for is if there was an existing rule that you  
8 disclosed adverse events in animal trials or in  
9 clinical trials and you didn't, then you would of  
10 course be against the rule. But I'm thinking that's  
11 not too far from where you're at today.

12 So I guess I'm -- in part of this  
13 discussion not quite sure what the incremental injury  
14 is here vis-a-vis a perjury charge.

15 MS. CHRISTENSEN: I think the thing that  
16 caught me is in looking at previous proposed rules  
17 I've not found that language, per se, included in  
18 there. And so perhaps this is a newer trend we're  
19 going to see in proposed rules. So it's more of you  
20 don't usually find, for example, that there's -- for  
21 example, those proposed rules for GMPs issued in May  
22 of '96, there wasn't a thing in there that if you  
23 don't comply with this you'll be potentially up for  
24 criminal prosecutions. I think Phil and Jay's  
25 comments are on the mark.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   But again, you know, we have to react  
2 looking specifically at the proposal as published in  
3 the *Federal Register*. So whether or not it's high  
4 drama or not, it certainly got my attention, as well  
5 as a number of other people's attention. And I think  
6 I just wanted to float that out there just so people  
7 get an idea. Because what I don't want to have  
8 happen, and this is me personally, I don't want to  
9 have happen that the synergistic relationship that we  
10 have with the regulatory agency -- the relationship we  
11 have with NIH/OBA is harmed or teased apart in an  
12 unhelpful way for the patients, for product  
13 development and moving forward. That was my point.

14                   CHAIRMAN SALOMON: Abbey.

15                   MS. MEYERS: It's so interesting because  
16 I run a charity, a nonprofit and I can't negotiate  
17 with the IRS. I can't tell them what I think should  
18 go into the regulations for nonprofit. IT's crazy,  
19 you know, to hear that the regulated industry wants to  
20 tell the regulators how to regulate them. That I  
21 can't adjust to it. But I wish I had that kind of  
22 relationship with the IRS.

23                   But when you're looking at this,  
24 understand the way this happens on Main Street.  
25 People are sitting out there saying "Ah, the FDA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 controls my food, my drugs, the cosmetics, the x-ray  
2 machines, the mammograms and everything," and each one  
3 of those we go in there and tells them how to regulate  
4 it.

5 Another thing. All of my children have  
6 been in clinical trials. I had to signed informed  
7 consent documents for all of them. The drugs they  
8 went on had killed animals. I looked at the sentence  
9 and I said to the doctor "Explain this to me. What  
10 happened here." And after I had all the knowledge  
11 that I could, I made the judgment to put them on the  
12 medicine. I'm not stupid. You can't be so  
13 paternalistic. Doctors are learning that everyday.  
14 If the patient doesn't learn enough from the doctor,  
15 he goes home and he gets on the Internet, and he finds  
16 out the truth anyway. And if I want to find out the  
17 truth about 99 percent of these people's products, I  
18 go on the Internet and I look at what they've been  
19 releasing to Wall Street. I find out more from Wall  
20 Street than I can from the FDA. Something has got to  
21 change here. Consumers won't stand for it anymore.

22 So tell them that the animals died and put  
23 a little footnote next to it that says "They died  
24 because they fell out of their cage, not because of  
25 the drug." But tell them the truth, because if you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 don't, you increase your liability and you lose the  
2 public faith.

3 DR. SIEGEL: I just wanted to make clear,  
4 and I know you know this, Abbey, but when we make new  
5 regulations we are compelled and we are not compelled  
6 in the sense that we do so reluctantly to consult  
7 publicly. We consult publicly with regulated  
8 industry, and I must say in many cases we hear  
9 important perspectives from regulated industry of the  
10 implications and impact of the regulations that we may  
11 not always have appreciated. But we don't consult  
12 just with public industry -- with regulated industry,  
13 that's why we are here. We are a consumer protection  
14 organization. We're regulating industry. We want to  
15 hear from consumers, we want to hear from  
16 academicians, we want to hear from scientists. We  
17 want to hear from all concerned parties inn order to  
18 do what is in the best public interest.

19 I don't know how the IRS operates in that  
20 regard, but if they are able to make rules without  
21 public consultation, I would be a little bit surprised  
22 because that wouldn't seem appropriate to me.

23 CHAIRMAN SALOMON: Well, I think actually  
24 the comment about the IRS isn't is fair since we know  
25 that in Congress, which is a public environment, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there is all kinds of input from the regulated  
2 industry, which is the public. And they're in the  
3 process of changing the tax laws as we sit here  
4 discussing these regulations.

5 So, I don't think that it's quite an  
6 unreasonable situation to have regulated industry  
7 trying to work in a partnership with the FDA as long  
8 as we realize the FDA isn't bound by anything that a  
9 specific company or a group of companies demands, but  
10 it doesn't mean you can't be heard. I think that's  
11 probably a very positive aspect of things, not a  
12 negative.

13 I think that from what I'm hearing here,  
14 again, this discussion wasn't intended to finish, but  
15 it seems like the dynamic that Abbey and Alison in a  
16 way have kind of set up is the right one for the next  
17 discussion. And that is how much and what kind of  
18 data should be available to the public that addresses  
19 really well exactly what Abbey wants, and that is a  
20 sense of confidence, a sense of participation, a sense  
21 of partnership and how much of that is going to be --  
22 how much information is not going to be put on there  
23 that would be considered private, competitive. And by  
24 the way, I don't think most patients really care  
25 whether you use a real complicated growth factor mix

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to get out gene transcribed trials. I mean, I don't  
2 think that's the kind -- so I think that when you  
3 really -- you know, well there isn't going to be a  
4 fight, "Well, I really want to know about the stem  
5 cell factor."

6 DR. SIEGEL: But, no, the patients may not  
7 care, but public discussion of safety concerns. If  
8 you want to start doing aggregate data and be able to  
9 discuss in a public forum what is the safe or an  
10 unsafe way to proceed, it might be quite critical that  
11 you know which growth factors went into which product.

12 And so, again, it's not simply that the  
13 patient is the sole consumer of these data, which  
14 isn't to undermine the issue you're saying, and I do  
15 think we need to carefully look at everything. But  
16 it's important to realize all the perspectives.

17 CHAIRMAN SALOMON: Well, I guess, Jay,  
18 what I was -- what maybe isn't either quite clear here  
19 is -- I agree -- I understand what you were saying  
20 back to me.

21 My thinking is is that if there's really  
22 an issue that gets brought forth to a committee like  
23 this, whether we have to close it to get at part of  
24 it, you know, that there's some secret factor that we  
25 need to know about for us to make a decision, yet

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 maybe isn't going to be shared with all the other  
2 competitor companies, I certainly agree with that.

3 My thinking was that this public  
4 disclosure policy and what we've been talking about  
5 isn't really for that sort of a user. I thought it  
6 was more for the daily experience with the whole field  
7 of gene therapy and xenotransplantation. What trials  
8 there are and what they're about, and who needs them.

9 DR. SIEGEL: That's a wrong perception.  
10 It's important to note that feeding into this rule is  
11 not simply making sure that things are available on  
12 the Net that patients can read or investigators, but  
13 also facilitating our ability to share with NIH data  
14 that they wish to be able to present in public,  
15 facilitating our ability to share with this Committee  
16 data that we would prefer to be able to discuss in  
17 public rather than behind closed doors, facilitating  
18 our ability when symposia are held, you know, why do  
19 these mice die at the last RAC meeting, what's going  
20 on with adenoviruses, why did Jessie Gelsinger die in  
21 a RAC meeting of November '99. Facilitating our  
22 ability to speak to information that we have in hand  
23 which may well involve a lot of that type of detailed  
24 information, and that's an important underlying reason  
25 for this. This is not to be viewed simply as a way to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 put all this information for public consumption in a  
2 raw form for people to read on the Internet, although  
3 it does involve doing that and we're hearing some  
4 comments that there might be other ways to address  
5 other concerns without doing that.

6 You know, I want to reiterate what Phil  
7 said, and I'm here trying to explain not particularly  
8 defend any one position at this point in time.  
9 Although, obviously, I was involved in development of  
10 the proposed rule. But I want to repeat what Phil  
11 said that it's very important to us that we have as  
12 much input from as broad a spectrum as possible to  
13 encourage people.

14 We can insert, and I suppose insert these  
15 proceedings into the docket so that what is said here  
16 will be part of the formal record considered. But I  
17 know that there's a lot that's underlying what people  
18 are saying that isn't going said, and I would  
19 encourage people to put that out. To put that in  
20 paper in whatever detail they wish to communicate and  
21 get it into the docket, because we really do want to  
22 be able to consider all of the concerns and options as  
23 we work on this rule.

24 CHAIRMAN SALOMON: I think that's an  
25 excellent clarification, Jay, and that actually helps

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 me see it in a broader light.

2 I would tend to bring this to a close now.  
3 I would also invite any other comments from the panel  
4 or from the audience.

5 If not, I want to thank everyone who  
6 attended the meeting and contributed.

7 I'd like to thank Gail Depolito and Bill  
8 Freas and Rosanna Harvey, and the others of the FDA  
9 staff for whom work an incredible amount of time and  
10 energy into making these things happen. And I  
11 certainly appreciate it.

12 We'll see you in the next FDA meeting,  
13 which will have a title next time, right?

14 (Whereupon, at 1:17 the meeting was  
15 adjourned.)

16

17

18

19

20

21

22

23

24

25

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

CERTIFICATE

This is to certify that the foregoing transcript  
in the matter of: BIOLOGICAL RESPONSE MODIFIERS  
ADVISORY COMMITTEE

Before: FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION  
AND RESEARCH

Date: FRIDAY, APRIL 6, 2001

Place: HOLIDAY INN  
8120 WISCONSIN AVENUE  
BETHESDA, MARYLAND

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.



**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)