

1 the test results. Tracking clients using these
2 strategies can be expensive and ultimately
3 ineffective. Individuals who learn of their status
4 later in their infection may have poorer health
5 outcomes than those who learn of their status
6 relatively early. Knowledge of infection is also
7 key to clients adopting safer behaviors, thereby
8 reducing the number of partners potentially exposed
9 to HIV.

10 Rapid testing is essential to enhancing
11 the effectiveness of HIV testing in high-risk
12 communities. Because the majority of individuals
13 tested through these programs are at highest risk
14 for HIV infection, and because tracking positive
15 clients that do not return for their test results
16 can be expensive and ineffective, it is critical
17 that these programs have the ability to inform
18 someone of their HIV infection as quickly as
19 possible.

20 By allowing the use of rapid tests in
21 outreach settings, these programs will be able to
22 increase the number of individuals aware of their
23 serostatus, and increase the number of HIV-infected
24 persons accessing primary health care and
25 prevention services. Use of rapid testing is also

1 cost effective, reducing costs spent on tracking
2 clients to provide test results, higher health care
3 costs for clients entering care after their
4 infection has progressed, and costs associated with
5 potentially more people being infected with the
6 virus.

7 As part of its Strategic Plan, CDC
8 recommends the adoption of rapid testing
9 technologies to enable testing in nontraditional
10 settings, such as street outreach programs, social
11 venues, and public service sites, so that clients
12 do not have to return for their results. Adopting
13 rapid testing for use in providing outreach CTR
14 services is an essential strategy in reaching the
15 CDC goal of increasing the number of HIV-infected
16 individuals aware of their serostatus. The
17 Strategic Plan also notes that providing outreach
18 testing in communities with high HIV prevalence
19 plays an important part in ensuring that all
20 individuals at risk for infection have access to
21 testing, particularly communities of color.

22 The CDC's Revised Guidelines for HIV
23 Counseling, Testing, and Referral also lay out
24 clear guidelines for incorporating rapid testing
25 technologies into the continuum of CTR services.

1 The Revised Guidelines discuss approaches to post-
2 test counseling, given that rapid tests provide
3 only preliminary HIV positive results and require
4 confirmation.

5 In addition, CTR programs already have
6 similar experiences from providing indeterminate
7 results that occur using current testing
8 technology. The Revised Guidelines also note that
9 single session counseling programs to use with
10 rapid testing have been successfully implemented at
11 several test sites and have been readily accepted
12 by clients.

13 As representatives of the front-line
14 HIV/AIDS programs in each of the 65 jurisdictions
15 directly funded by CDC, NASTAD members recognize
16 the critical role that rapid testing can play in
17 enhancing the effectiveness of CTR programs.
18 NASTAD has long supported the approval of rapid
19 testing and its use in outreach settings.

20 During the FDA hearing held May 18, 2000,
21 NASTAD went on record with its strong support for
22 expedient FDA approval of rapid tests, emphasizing
23 that data supports the safety and efficacy of rapid
24 testing, as well as its important role in HIV
25 prevention. NASTAD can see no further reason for

1 delaying the approval of rapid tests or preventing
2 their use in outreach settings by trained
3 personnel.

4 Counseling, testing, and referral programs
5 supported by health departments serve as the
6 cornerstone of this nation's effort to ensure
7 knowledge of HIV serostatus. Health departments
8 have implemented a continuum of CTR services in
9 both clinical and non-clinical settings, and using
10 a variety of testing technologies, to promote
11 access to HIV testing. Health departments have
12 demonstrated their ability to adapt new testing
13 technologies and still guarantee high quality
14 services.

15 Given the importance of early knowledge of
16 serostatus, and that outreach CTR programs provide
17 necessary access to individuals at high risk,
18 NASTAD believes it is essential that rapid testing
19 be eligible for a waiver under CLIA to allow
20 administration of rapid tests in non-clinical
21 settings by trained staff other than certified
22 laboratory personnel. NASTAD recommends quick
23 action on both the approval of rapid testing and
24 the granting of a waiver under CLIA.

25 Thank you.

1 DR. NELSON: Thank you. Unless there are
2 urgent questions, I'd like to move on. The next
3 person that has a plane or something to catch,
4 Elissa Passiment, representing the American Society
5 for Clinical Laboratory Service. And the committee
6 has your statement, so if you can do it in five
7 minutes or summarize it or something, particularly
8 if there are any different ideas than have been
9 presented already.

10 MS. PASSIMENT: Good afternoon. As was
11 mentioned, you do have my statement, the statement
12 of the American Society for Clinical Laboratory
13 Science. I am not going to read the entire thing,
14 but rather to highlight a couple of important
15 points.

16 First of all, ASCLS, which was the
17 American Society of Medical Technology, is actually
18 one of the organizations that founded the whole
19 concept of leveling testing in regulation so that
20 as the technology improved and as technology moved
21 forward, that access to quality testing could be
22 guaranteed, and could be guaranteed without the
23 burden of incredible regulation. However, we, when
24 we advocated for this back in 1988 and 1989, did
25 point out that there will always be a need to

1 consider the risk of harm to patients when the test
2 results are incorrect, and that's what we need to
3 discuss with most of the infectious disease waived
4 category, and that would include HIV.

5 Our major concern is that if we had been
6 following the 1995 CDC guidelines, we believe that
7 most of the waived tests that would be approved
8 would be tests that we could live with and we would
9 support. However, with the FDA's change in their
10 guidance documents and how they're going to review
11 the tests for possible waived categorization, we
12 are concerned that the true accuracy and precision
13 of testing is not going to be ensured.

14 So, therefore, we believe that there is a
15 potential that tests will be waived, and HIV has
16 the possibility of being one of them, that will
17 pose a risk of harm to the patient. That risk of
18 harm has already been described to you, what will
19 happen if a patient is accidentally told that they
20 are positive versus negative, so I'm not going to
21 go into that.

22 We are concerned, however, that that risk
23 of harm not be minimized in an effort to identify
24 those individuals in this country who have HIV.
25 While the public health and the identification of

1 those individuals is very, very important, the
2 correct identification of those individuals is even
3 more important.

4 As already stated, we support the
5 advancement of technology when it provides improved
6 patient access to safe and quality testing. We
7 believe that waiving these advancements not only
8 must be done, but there has to be careful
9 assessment of risk of harm.

10 We believe that the time has come for a
11 more formal, informed, evidence-based public
12 discussion of the risk of harm versus access to
13 testing needs, and that this dialogue should take
14 place with Congress, the various federal agencies,
15 practitioners, manufacturers, and the consumer, to
16 set priorities in a regulatory system for waived
17 categorization of laboratory tests, and we look
18 forward to providing impetus and participating in
19 such a process.

20 I thank you for the time, and I encourage
21 you to read the remainder of our statement. Thank
22 you.

23 DR. NELSON: Thank you very much.

24 The next person, Catherine Ayers from
25 CLMA, and I'm not quite sure what that represents.

1 Is Catherine Ayers here? No?

2 Okay, Ron Zabransky from ASM, American
3 Society for Microbiology.

4 DR. ZABRANSKY: Thank you. Mr. Chairman,
5 members of the committee, the American Society for
6 Microbiology, that is, the ASM, appreciates the
7 opportunity to submit comments to FDA's Blood
8 Products Advisory Committee regarding the issue of
9 waiving HIV tests from certain requirements of CLIA
10 1988. My name is Ronald Zabransky. I'm a member
11 of the Laboratory Practices Committee of ASM's
12 Public and Scientific Affairs Board.

13 The ASM is the largest single life science
14 society in the world, with more than 42,000 members
15 representing a broad spectrum of subspecialties,
16 including microbiologists who work in biomedical,
17 clinical, public health, and industrial
18 laboratories. The mission of the ASM is to enhance
19 the science of microbiology to better understand
20 basic life processes and to promote the application
21 of this knowledge for improved health and well-
22 being.

23 Twenty years ago, AIDS was first
24 recognized. In the intervening period, medical,
25 social, and economic manifestations of this

1 devastating disease have become well known. In
2 recognition of the increasing worldwide pandemic
3 and the need to reach new populations at risk,
4 specifically women, children, racial and ethnic
5 minorities, and those living in rural and small
6 urban areas, the ASM supports the development and
7 licensing of rapid, sensitive, and specific
8 diagnostic tests for HIV infection. It also
9 supports efforts to review current AIDS strategies
10 to arrest the spread of HIV infection.

11 However, it must be recognized that
12 erroneous diagnostic test results, that is, false
13 negatives and false positives, will have
14 catastrophic consequences. Infected patients could
15 go undiagnosed and could continue to represent an
16 unrecognized reservoir of infection. Non-infected
17 patients would suffer the emotional trauma
18 associated with the diagnosis of this potentially
19 fatal infection. It could be said that no other
20 laboratory test is weighted more seriously than the
21 one for the diagnosis of HIV at this time.

22 It is for this reason that ASM opposes the
23 HIV antibody test, waiving it as with CLIA
24 regulation. Granting such a waiver would undermine
25 the purposes of CLIA in providing safe and high

1 quality lab testing. The intent of CLIA to provide
2 waiver from regulation is only for those tests that
3 feature the most simple and basic of testing
4 mechanisms, not for moderate and high complexity
5 tests such as HIV tests.

6 HIV tests require QC, proficiency testing,
7 and confirmatory testing to validate results. It
8 is important to point out that even though waived
9 tests are deemed simple to perform, erroneous
10 results are indeed possible and can be devastating.
11 Furthermore, a rapid test producing a result, let's
12 say, in 15 to 20 minutes, has no impact on its
13 complexity categorization.

14 Our comments here are consistent with the
15 FDA Modernization Act of 1997, which defined waived
16 tests as laboratory examinations and procedures
17 that have been approved by the FDA for home use or,
18 as determined by the Secretary, are simple
19 laboratory examinations and procedures that have an
20 insignificant risk of an erroneous result. And
21 you've seen the other, the subdefinitions.

22 Although it is conceivable that a simple
23 and accurate test can be developed, it should be
24 noted that currently all positive HIV antibody
25 screening tests performed in clinical laboratories

1 are repeated in duplicate and confirmed as positive
2 by a more specific test method. Clearly, the
3 accuracy of tests performed by certified medical
4 laboratory personnel still requires appropriate
5 oversight. Furthermore, it must be recognized that
6 an HIV test performed incorrectly cannot be
7 classified as one that poses no unreasonable risk
8 of harm to the patient or to the contacts of the
9 patient.

10 ASM's concern about the FDA's
11 consideration of HIV tests for waiver is further
12 heightened by the recent studies conducted by the
13 Health Care Financing Administration that Ms. Yost
14 just described. With the lack of quality control
15 and adherence to manufacturer's instructions
16 documented by the HCFA study, there is no guarantee
17 that untrained users of a waived test will seek
18 confirmatory tests to verify positive test results,
19 or even inform the individual tested of the
20 significance of those results, whether they be
21 positive or negative.

22 Without CLIA-mandated quality control,
23 proficiency testing, and personnel standards, there
24 is no mechanism for assuring that tests are being
25 performed correctly. Tests conducted in

1 unregulated environments cannot provide patients
2 and health care professionals with the same degree
3 of assurances as those tests conducted in
4 environments subject to quality control,
5 proficiency testing, and the availability of
6 professional counseling. The CLIA waived category
7 does not provide a mechanism to assure any of this.

8 The alternative approach, such as a
9 limited public health use, would be one avenue that
10 could be considered. This would at least assure
11 access to testing, as well as providing the proper
12 oversight as dictated for non-waived tests.

13 Prompt diagnosis of HIV and accessibility
14 of testing is critical to the effective treatment
15 of HIV-infected patients. Testing must be safe,
16 valid, reliable, and meaningful for patients and
17 health care providers. Emphasis should be placed
18 on the safety and the accuracy-- and I define
19 accuracy here as comparing to a reference method--
20 of HIV test results to ensure that the appropriate
21 patient care is provided to tested individuals.

22 Thank you, and I would be most happy to
23 respond to any questions that the panel may have.

24 DR. NELSON: Okay. Thanks very much. I
25 would like to, if nobody has burning questions, I

1 would like to move on.

2 The next person is Deanna Sykes,
3 California Office of AIDS. Is she here?

4 Again, if you could be brief, and any
5 repetitive comments that are a repetition of what
6 was already presented, if you could just say "I
7 agree" or something.

8 DR. SYKES: Well, I've got some slides,
9 but I'm going to skip right over the parts that
10 everybody has already showed, because we've heard a
11 number of things repeatedly. I do have some
12 slides. Okay, we're going to get started.

13 I'm basically here just to present
14 California's perspective on this, and in fact I
15 think our perspective is kind of important because
16 we're pretty heavy hit in the HIV/AIDS public
17 health realm. One of the things that we've done to
18 try and deal with the epidemic, of course, is to
19 try and expand into population areas that are at
20 high risk, and we've done that with OraSure. We've
21 been able to go out. We've got 21 mobile vans in
22 our state that go out and access high-risk
23 populations, and currently nearly a quarter of our
24 testing is done in these sites, so this is very
25 impactful for us.

1 It's interesting, though, that even though
2 we have been able to access these high-risk
3 populations, testing doesn't equal results. And as
4 you have already heard over and over and over
5 again, we are not getting--we are getting a really
6 high no-show rate in these groups. I've got a
7 table to show you.

8 If you can see this, what you can see is
9 that across every single high-risk group, you've
10 got a much higher no-show rate, failure to return,
11 in the outreach settings than in the regular
12 settings. What this means, of course, is that
13 rapid testing would be hugely beneficial in these
14 sites, and to the extent that it couldn't be
15 applied to these sites, it really has greatly
16 decreased value.

17 One number I want to point out here, in
18 our outreach settings, over half of the people with
19 a positive test result do not come back and learn
20 their HIV status, which means, we have to assume,
21 that they're walking around assuming that they're
22 negative.

23 I want to talk a little bit about our
24 experience with rapid testing. When does 20
25 minutes equal an hour? Our experience with the

1 SUDS test basically was that, although the test
2 only took about 20 minutes, having to send it to a
3 lab made it take an hour or sometimes more.
4 Because we had to run them one at a time, it was
5 costly and inefficient.

6 And we found, when we tried to batch the
7 tests so that it was less expensive in terms of
8 technical resources and so on and so forth, that
9 our clients didn't come back. They were a little
10 bit more likely to come back if we said "Come back
11 in two hours" than if we said "Come back in two
12 weeks." It decreased the no-show rate by less than
13 30 percent. Same day testing is not the same thing
14 as rapid testing.

15 One of the lessons that we learned is that
16 in order to gain the benefits of rapid testing, it
17 really does have to be rapid. Okay? If it has to
18 go to a lab, if it takes an hour in an outreach
19 setting, we lose these people. They don't come
20 back.

21 In order to implement it in a widespread
22 fashion, it has to be an efficient and cost
23 effective method. Okay? That means that there
24 can't be personnel requirements that we have to
25 have a lab technician on every single one of our

1 mobile vans, or with our outreach workers who are
2 walking with backpacks. Okay? This makes the
3 difference between access and not access for this
4 group, in this setting.

5 It looks like we've got rapid tests that
6 are going to be both rapid and efficient. We don't
7 know yet. We're still collecting data. We're
8 going to hear about it. But whether they're really
9 rapid and efficient in settings of intended use may
10 depend on how they're categorized under CLIA.

11 Okay, we already know the CLIA waiver
12 stuff. They have to be simple, they have to be
13 accurate. They have to be easy enough to do that
14 we're not going to get bad results. I'm not going
15 to talk about that.

16 The question really that we've all been
17 trying to address is, should an HIV test, even if
18 it meets those criteria, should it be considered
19 for waiver?

20 Here are the arguments that I've heard
21 against it. The impact of receiving an HIV test
22 result is of too great consequence for it to be
23 granted waived status. The second argument: Rapid
24 HIV tests can be utilized in moderate complexity.

25 I'm going to argue against both of those

1 things, based on the experience that we've had in
2 California. Highest risk populations, highest no-
3 show rates, highest positive no-show rates. We
4 already know that outreach settings are the places
5 we need to have rapid tests. They are also the
6 least feasible for moderate complexity testing.

7 In fact, our lab people have assured us
8 that if rapid tests are not waived, there will be
9 personnel requirements that will basically prevent
10 us from using them in these settings. You have to
11 understand we're talking about small groups of
12 people going out to access high-risk populations in
13 the middle of the night, at all different times of
14 day. The personnel costs would be enormous if we
15 had to comply with moderate complexity
16 requirements.

17 How will CLIA categorization impact the
18 delivery of HIV test results? I've heard a couple
19 of folks talk about the fact that we want to make
20 sure that counseling is available. Well, in
21 California, if rapid tests were CLIA waived, the
22 test results would be given by the same people who
23 are giving them now, our trained counselors. Each
24 and every counselor is trained by our Office of
25 AIDS staff.

1 The differences? Everybody would get
2 their results. Everybody would get result-specific
3 counseling. The 600 people a year in California
4 who test positive and don't come back for their
5 results, they would get hooked up to services. We
6 would contact their partners. They would know
7 their status. They would change their behaviors.
8 And they would get their results sooner.

9 In sum, I think there are really enormous
10 public health benefits to making this accessible to
11 everybody, to as many people as we can get to.
12 Virtually any personnel requirements are going to
13 limit or even eliminate the accessibility in many
14 of these settings in our state. I would like to
15 see the committee consider at least the CLIA waiver
16 for these tests for those reasons. I think that
17 the other issues can probably be dealt with.

18 Thank you. Questions? Yes, sir?

19 DR. HOLLINGER: Just a couple of
20 questions. What effort has been made to find out
21 why the 50 percent who are no-show really never
22 came back? What were the major reasons they didn't
23 come back? And what assurance can you give me that
24 if there was a rapid test, that these patients
25 actually would be part of that 50 percent that

1 would come for the rapid test?

2 Many times it's fear of knowing what the
3 answer is. They may not come, they may not be in
4 the group that would come for the rapid test in the
5 first place, whether you gave it to them right away
6 or the fact that they would have to wait. So I'd
7 like to know what effort there has been to find out
8 about that 50 percent.

9 DR. SYKES: Okay, very good questions. In
10 fact, some of those questions we're hoping to
11 answer with the CD study that we're hoping to do,
12 so I don't have all the answers.

13 I can tell you that we tried to look and
14 see why those 50 percent who are positive didn't
15 come back in the outreach settings, and it's not
16 because they have a different testing history or
17 because they have different demographics or--you
18 know, they didn't come back, so we couldn't ask
19 them. But we compared all of the data that we have
20 on them, their risk behaviors, their demographics,
21 their testing history, and so on and so forth, and
22 none of those have given us an indication.

23 My suspicion is that it is the case that
24 people who are doing riskier things, such as drug
25 use and so on and so forth, are also simply less

1 likely to come back and also more likely to be
2 infected, so it's not the fact of their infection
3 that made them less likely to come back, but of
4 course that's the critical point for us.

5 As far as whether or not they would test,
6 that's one of the things that we hope to address in
7 a CD study that we hope to do. I think that is
8 probably a fair assumption, that we might lose a
9 few folks that wouldn't come in at all, but in many
10 cases the people who come in to test intend to get
11 their results. They don't come in to test without
12 getting their results. They lose their nerve.

13 Okay?

14 So if we get to keep them for 15 or 20
15 minutes and counsel them and talk to them, we stand
16 a much better change of giving them their results.
17 It's certainly possible that some of them will bolt
18 for the door or some of them won't come in at all,
19 but that's--

20 DR. NELSON: Do you have any data on--
21 well, one of the issues that's been raised is the
22 adverse consequences of an erroneous result, either
23 a false positive or a false negative, and either of
24 those could happen, but with the SUDS testing or
25 with the populations, you must have had false

1 positives and false negatives. Do you have any
2 data on what the consequences were? Because any of
3 these tests would have to be--nobody would put
4 somebody on antiretroviral therapy based on a rapid
5 test. You would have to confirm that. Or no
6 sensible person would.

7 DR. SYKES: Yes, you're exactly right. In
8 fact, in some of the SUDS, there were a couple of
9 studies that were done, and we've talked to some of
10 the Respect Too folks who are working in Long Beach
11 right now. And what they do there, of course, is
12 they give a preliminary positive while they wait
13 for confirmation.

14 And according to their experience, the
15 folks who get that preliminary positive, first of
16 all, they do come back for confirmation or whatever
17 the other disclosure is. And, secondly, the
18 Respect Too folks say they have been able to
19 predict in most cases, based on the risk category
20 that the person falls into, the predictive value of
21 that result. If this is a person who is not at
22 very high risk, we know that the predictive value
23 of that result is not very high. For folks who
24 fall into fairly high, it's commonsensical in some
25 sense, but borne out by that statistic.

1 The clients have not had a big problem
2 with that. Most of them have either come in and
3 said, "I'm relieved" or "It was kind of nice to
4 have that time to mentally prepare for what was an
5 actual positive."

6 DR. NELSON: Brief comment?

7 DR. CHARACHE: I'd like to ask the same
8 question I asked earlier. If you have the capacity
9 to do the testing in the middle of the night with a
10 high school graduate, do you care whether the test
11 has been waived or is considered moderate
12 complexity? In other words, as long as you meet
13 your goal for accurate, rapid, do you mind if it's
14 not waived?

15 DR. SYKES: You know, frankly, I don't
16 care what word we use. I really don't care what
17 word we use. If we can get the testing to the
18 people who need it, that's clearly what we're
19 interested in.

20 DR. CHARACHE: So if it were moderate
21 complexity under the three or so things that were
22 outlined by HCFA a few minutes ago, that would be
23 okay?

24 DR. SYKES: Well, I actually wanted to
25 address one of the things that you mentioned.

1 Interestingly, a number of our most effective
2 counselors are not high school graduates. They
3 come from the risk groups that they're accessing,
4 and these are people who have been trained by
5 Office of AIDS. And in fact, another thing that I
6 should mention, most of these folks are using the
7 OraSure, so they're already doing the specimen
8 collection successfully.

9 And I guess we could get, you know,
10 somebody. We could fire them, or we could get
11 somebody else to do it, but in fact we have--we're
12 doing proficiency testing in terms of their
13 counseling ability and in terms of their specimen
14 collection ability, and the educational requirement
15 itself could be an issue for us. If we have to
16 deal with it, we will, but don't underestimate how
17 much things like that may impact the practicalities
18 of implementing this program.

19 DR. NELSON: Yes? Go ahead.

20 MS. KNOWLES: Forget it.

21 DR. NELSON: Okay. Oh, Paul?

22 DR. McCURDY: Just one question.

23 Presumably your counselors are trained.

24 DR. SYKES: Yes, they are. Yes, they are.

25 DR. McCURDY: Do they receive more or less

1 training than somebody might receive who does the
2 test? I think the concern, at least mine, is that
3 a waived test can be done virtually by anybody,
4 anywhere, with or without training. And I think
5 the issue or the major issue is training, whether
6 they are adequately trained, and I suspect that you
7 can train somebody to do the test more rapidly and
8 more easily than you can train somebody to do the
9 counseling.

10 DR. SYKES: I would have to agree. I
11 think the counseling part is very difficult. Every
12 single person who counsels in our testing site is
13 trained by our cadre of trainers from the Office of
14 AIDS, and in fact they would all be trained in--you
15 know, they are also trained in the specimen
16 collection, and if this were available to us, they
17 would of course be trained in the same setting,
18 probably expanded training, on actually doing the
19 test.

20 So we wouldn't have just anyone in
21 California doing the test, because in fact if we
22 are allowed to do it, it's only the Office of AIDS
23 and its organizations who would be allowed to do
24 it. I don't know, you know, obviously I can't
25 speak for the rest of the country, but I share your

1 concern about having it available to just anyone.

2 DR. NELSON: Okay. Thank you.

3 DR. SYKES: Thank you.

4 DR. NELSON: The next person is Lee
5 Richardson, CLIAC, whatever that--

6 MR. RICHARDSON: The Clinical Laboratory
7 Improvement Advisory Committee, CLIAC, advises the
8 Secretary of Health and Human Services on
9 laboratory practice and public health. The members
10 of CLIAC are concerned that tests for HIV infection
11 might be considered for categorization as waived
12 tests. We do not believe that the waived test
13 category is appropriate for HIV tests, for several
14 reasons.

15 First, the waived category does not
16 provide any mechanism to assure provision of pre-
17 analytic or post-analytic interventions that are
18 essential to meaningful HIV testing. Second,
19 several recent studies have shown that laboratories
20 performing waived testing frequently fail to follow
21 current manufacturer's instructions or required
22 quality control measures.

23 We do share your concern to make HIV
24 testing more broadly and rapidly available. We
25 urge you to investigate other available avenues,

1 such as moderately complex testing or certificate
2 for limited public health testing, for expanded
3 access to HIV testing without compromising the
4 quality of the tests or information provided to
5 tested individuals.

6 Thank you for this opportunity to provide
7 comments. And, Mr. Chairman, I yield back some of
8 my time.

9 DR. NELSON: Thank you very much. That
10 was terrific. Questions or comments? No? Thank
11 you.

12 Richard George from OraSure?

13 DR. GEORGE: Most of the comments I was
14 going to make have been already made, so I will
15 make mine very brief, and I will dispense with the
16 slides. I won't need those.

17 I just want to say that this is almost
18 like deja vu from the days when we were talking
19 about approving home testing. I hear the same
20 concerns, the same fears from this group that I
21 heard those days, none of which really
22 materialized.

23 I want to say that there is a tremendous
24 need out there for rapid tests. Since 1996,
25 OraSure Technologies, then called Epitepe, has been

1 promoting oral fluid testing, oral fluid testing
2 being a way to take testing to people who don't
3 come in necessarily to conventional testing sites,
4 or who might resist testing when blood drawing is
5 required.

6 Since its introduction, the need for a
7 noninvasive device that can be used in any setting
8 has been proven by a steady increase in its
9 acceptance by public health agencies. In 2001 we
10 anticipate more than 800,000 devices will be used
11 by various public health and community based
12 organizations to test people at risk for HIV
13 infections. This is a 45 to 50 percent increase in
14 the use of OraSure over the year 2000.

15 The major disadvantage of OraSure testing
16 is that it still requires that samples be sent to
17 the laboratory. Results are not available
18 sometimes for as long as one to two weeks. A
19 person must return to the counseling and testing
20 site to learn the results. Many people choose not
21 to return.

22 Rapid testing is a logical next step to
23 OraSure testing. We anticipate that, if given
24 waived status, these rapid tests can be performed
25 in practically any setting by appropriately trained

1 personnel. OraSure Technologies believes, and has
2 based their market estimates on the use of OraQuick
3 in outreach programs, doctors' offices, and testing
4 and counseling centers. We also believe that
5 OraQuick will potentially replace OraSure testing
6 in many of these settings. Use of rapid tests
7 clearly will allow more people to learn their HIV
8 status.

9 And just in closing, I would like to point
10 out that if new innovations such as rapid testing,
11 such as OraQuick, are discouraged, then companies
12 such as OraSure will never consider delivering to
13 the public health and to the U.S. market other
14 needed tests for infectious diseases such as HCV,
15 HBV, and things like syphilis.

16 So, again, I think that a lot of the
17 things said here have a lot of validity, but I also
18 think that we have to really understand that we're
19 not talking about delivering inaccurate testing
20 that will be used in isolation. There will still
21 be confirmatory testing. These things have been
22 studied very carefully, and will continue to be
23 studied very carefully by the CDC and by the
24 companies. So I urge you to consider a way to
25 deliver these tests in a way that they can be used

1 for the populations most in need.

2 Thank you.

3 DR. NELSON: Yes, David?

4 DR. SCHMIDT: Is OraQuick presently used
5 in other countries?

6 DR. GEORGE: OraQuick right now is being
7 used in a number of other countries, mostly in
8 African countries. We have done a number of
9 studies that have been presented at various
10 meetings. It has not yet been published in the
11 peer reviewed literature.

12 We did a study in Thailand where we looked
13 at 1,000 high-risk subjects, with extraordinarily
14 good results. It's being used by the CDC in a
15 number of studies in the United States and in
16 Africa. It's being used by Johns Hopkins
17 University for a large study in pregnant women in
18 India. So many, many thousands of OraQuicks have
19 been used in studies by very prestigious
20 organizations in the past two years.

21 DR. MITCHELL: You mentioned previously
22 that you were comparing this to the home HIV
23 testing. Now, my understanding is that a home HIV
24 test, that you have to send in the sample in order
25 to get the results. Is that--

1 DR. GEORGE: Well, I was comparing the
2 comments to what--

3 DR. MITCHELL: I'm sorry. Is that
4 correct?

5 DR. GEORGE: No, I'm not comparing rapid
6 testing to home testing. I was comparing a lot of
7 the concerns that I hear expressed to those
8 concerns that I heard expressed about the dire
9 consequences of approving home testing.

10 DR. MITCHELL: Thank you.

11 DR. NELSON: The next is Rob Christenson
12 for American Association for--Paul?

13 DR. McCURDY: I have one question. We
14 heard earlier today that somebody was using a
15 glucose testing apparatus of some sort and
16 inserting the strips upside down. Clearly they
17 weren't following the manufacturer's instructions.

18 DR. NELSON: Well, maybe they were having
19 an insulin--

20 [Laughter.]

21 DR. McCURDY: Who knows? But one of the
22 criteria, I presume, or one of the more important
23 criteria is to design something so it's very
24 difficult, if not impossible, to use it wrong. And
25 my question is, how is yours designed from that

1 standpoint?

2 DR. GEORGE: One thing I think that should
3 go without saying, but I'll say it anyway, is that
4 you can't believe how rigorous the criteria is that
5 FDA applies to approving any test, rapid tests
6 being no exception.

7 The ability to perform the test, how well
8 we study the instructions, how well we can prove
9 that people who are the intended users of our tests
10 perform those tests, all of those things we have to
11 validate and provide that validation to the Food
12 and Drug Administration before we can get approval.
13 The instructions are gone over with a fine tooth
14 comb.

15 We are very much studying whether or not
16 people can follow the instructions for performing
17 an OraQuick test correctly and get the right
18 results. That's a big part of our clinical trials.

19 DR. McCURDY: There's a difference,
20 however, between personnel who have a background
21 and training, which is what laboratory staff have,
22 versus somebody who may have no background and very
23 limited training, other than perhaps attempting to
24 read the instructions. A waived test is something
25 that presumably could be used by anybody, and I

1 guess the problem is, how much training is
2 necessary to use the test correctly.

3 DR. GEORGE: I will give you a good
4 example that I think will partially, at least,
5 answer your question. OraSure samples are being
6 collected by the same type of people that we are
7 proposing to perform the OraQuick test. These
8 people are required by the Food and Drug
9 Administration to be trained individuals.

10 More than 30 million OraQuick tests have
11 already been performed worldwide. It has been
12 shown time and time again that these people can
13 collect the samples correctly. Performing the
14 OraQuick test is only marginally more complex than
15 collecting an OraSure sample. So I think the
16 answer is, these people can be trained.

17 DR. HOLLINGER: Just a clarification. Is
18 the OraQuick, it has been approved for home use, is
19 that correct?

20 DR. GEORGE: It is not. It has not been
21 approved for home use anywhere, and we are not
22 seeking approval for home use.

23 DR. STRONCEK: This may be a dumb
24 question, but I know the waived tests, anyone can
25 perform the test, but can anyone buy the equipment

1 and do the test if it's waived, like a glucose
2 meter? You know, anybody with diabetes can buy one
3 and do their blood sugars at home. If this is a
4 non-waived test, can any store or any establishment
5 buy one of these apparatus and offer the tests, for
6 whatever reason?

7 DR. NELSON: Sure, yes, if they've got
8 enough money. Yes?

9 DR. MACIK: There are other tests that are
10 waived tests. For example, the home PT monitor,
11 you have to have a prescription to get the
12 instrument and to get the reagents. So you have to
13 have a doctor's prescription to get--

14 DR. STRONCEK: What--

15 DR. MACIK: Home prothrombin times.

16 DR. STRONCEK: Oh, okay.

17 DR. MACIK: You have to have a
18 prescription to get the reagents, to get the
19 monitor, so it's still under control. It's not
20 like you walk into a store and can buy it.

21 DR. CHARACHE: Just addressing that point,
22 we have been told at CLIAC that the criteria for
23 the waived test can be much more liberal than the
24 criteria for a moderate complexity test.
25 Similarly, the home use test has even more liberal

1 criteria of sensitivity and specificity than a
2 waived test. So if it's home use, it's
3 automatically waived, but the reverse is not the
4 case.

5 DR. NELSON: Next speaker is Rob
6 Christenson, American Association for Clinical
7 Chemistry. Again, if you could--

8 DR. CHRISTENSON: I'll cut the statement
9 down a lot. Hi. My name is Rob Christenson. I'm
10 a professor of pathology at the University of
11 Medicine School of Medicine, and director of
12 clinical chemistry, tox, and rapid responses at the
13 University Hospital in Baltimore, and today I'm
14 representing the American Association for Clinical
15 Chemistry, which actually is comprised of 10,000
16 professional laboratory directors and scientists
17 working in hospitals, independent laboratories, and
18 also in the diagnostics industry worldwide.

19 In recent years, technological advances
20 have allowed manufacturers to develop new and
21 simpler laboratory testing devices which make it
22 easier for individuals with less testing training
23 to accurately perform tests that previously could
24 only be conducted in sophisticated laboratories.
25 This technology-driven trend is clearly likely to

1 accelerate in the future.

2 Also clearly there are great benefits to
3 simple waived tests, such as the potential for
4 diagnosing and treating the patient earlier and
5 reducing overall health care costs. However, as we
6 move forward into this dynamic and fast-growing
7 area, it's important to remember that no device is
8 foolproof, and that errors can and in fact do
9 occur.

10 Although AACC generally supports placing
11 simple and highly accurate devices in the waiver
12 category, we believe it is important that the FDA
13 recognize that some tests, if inaccurate, present a
14 greater risk of harm to the patient and the public
15 than others. AACC believes that these tests should
16 receive a higher level of scrutiny than the waiver
17 category currently provides.

18 It is our view that the HIV test is such a
19 test because an inaccurate result, either a false
20 positive or a false negative, could have
21 devastating results for the patient, his or her
22 family, and potentially society. AACC also
23 believes that it is critical that all positive HIV
24 tests be subject to confirmation.

25 Although AACC believes that manufacturers

1 can develop highly accurate HIV tests, we have
2 serious reservations about permitting tests with
3 significant patient and public health implications,
4 such as HIV testing, in laboratories that are not
5 subject to periodic inspection, either private or
6 public; are not required to have trained health
7 care personnel perform the tests; and are not
8 required to participate in proficiency testing to
9 evaluate the accuracy of their testing.

10 Ironically, the only substantive
11 requirement placed on waiver facilities, that they
12 follow manufacturer's instructions, is not being
13 followed. According to the recent HCFA study that
14 we heard about earlier, nearly one-half of all
15 waiver facilities that were reviewed failed to
16 perform or appropriately follow the manufacturer's
17 instructions. We're concerned that these findings,
18 which indicate possible QC problems in these
19 facilities, might result in mistakes that could
20 harm the patient and the public.

21 And, finally, the AACC recommends that the
22 FDA, in conjunction with its partner agencies,
23 manufacturers and laboratories, develop a list of
24 tests and/or diseases such as HIV that should not
25 be waiver at this time because of significant risk

1 of harm to the patient and the general public. As
2 part of this process, the agencies may wish to
3 consult the appropriate congressional leaders, such
4 as the authors of the statute, regarding which
5 criteria, risk of harm or ease of use, should take
6 precedence in making waiver determinations.

7 On behalf of AACC, I want to thank you
8 very much for your attention and the opportunity to
9 comment on this critically important health care
10 issue.

11 DR. NELSON: Thank you. Does anybody have
12 questions?

13 The next is Robert Neri, CLMA: Leadership
14 in Clinical Systems Management. Is he here?
15 Robert Neri? No?

16 And the final one is Vince Stanci. Is he
17 here? He didn't identify--no? Okay, so we're
18 done. Does anybody else want to make any comments
19 that haven't already been made? Yes? Okay. Could
20 you identify yourself? I don't have you listed.
21 Sorry.

22 MR. JENNY: I do have slides. I have
23 slides. Richard Jenny.

24 Yes. I am Deputy Director of the Division
25 of Lab Quality Certification in the New York State

1 Department of Health Wadsworth Center. We
2 conducted an investigation of waived testing that
3 was very similar to HCFA's, and in fact our
4 observations and conclusions were also very
5 similar, if not identical, and Judy has done a
6 wonderful job in summarizing those findings, so I
7 won't belabor the point.

8 J-E-N-N-Y. Actually, I'm filling in for
9 Dr. Lorraine Clarke, who is Division Director.

10 There is an important observation I'd like
11 to share with you. It's on slide number eight in
12 the presentation, if you can find that. But while
13 we're looking, we refer to Certificate of Waiver
14 test sites in New York as limited test sites.
15 These are sites that provide testing at the point
16 of care, limiting their testing to the use of
17 waived devices and PPMP. Yes, I did share the
18 presentation with the committee, so it is on your
19 table.

20 Now, approximately one-third of the
21 limited test sites in New York are in fact
22 affiliated with a permit laboratory, so these
23 limited test sites have access to expertise in the
24 clinical laboratory, and in fact often do seek
25 guidance and consultation in their lab practices.

1 And the slide is intended to compare the compliance
2 with accepted standards of lab practice among
3 affiliated sites and the freestanding or
4 nonaffiliated sites. And we may not be able to
5 find the presentation.

6 In essence, to summarize that slide, on
7 survey we asked or we determined whether
8 laboratories--yes, very good. We asked whether or
9 we evaluated whether the laboratory followed
10 manufacturer instructions for quality control,
11 whether the laboratory documented its quality
12 control, and whether it had policies and procedures
13 in place when in fact there was a need for
14 corrective action.

15 And among those limited test sites that
16 are affiliated with a permanent laboratory, about 3
17 percent of them were cited for not following
18 manufacturer instructions for quality control,
19 compared to a noncompliance rate of 46 percent
20 among those laboratories performing glucose not
21 affiliated with test sites. Thirty percent of the
22 laboratories not affiliated with a permanent
23 laboratory did not record their quality control,
24 and 57 percent did not have policies and procedures
25 in place for corrective action. And that pattern

1 holds for virtually all analytes that we reviewed.

2 And again, this is a follow-up to some of
3 Judy's comments, comparing affiliated with
4 nonaffiliated laboratories. We surveyed 278, and
5 again, about a third are affiliated. Twenty-two
6 percent of the nonaffiliated laboratories had no
7 documentation of training. Eighty-one percent
8 placed devices into use without verifying their
9 performance. Twenty-four percent did not have a
10 current SOP, compared with 5 percent of the limited
11 test sites that had access to consultation and
12 guidance.

13 The point to be made in comparing
14 affiliated with nonaffiliated, ostensibly these
15 sites are exactly the same. They are staffed by
16 personnel with similar professional credentials,
17 performing the same test, but it makes a difference
18 having access to parties that in fact can provide
19 proper consultation.

20 So, last slide, please, our conclusions.
21 Compliance with accepted standards of lab practice,
22 our observation is, lacking documented lab
23 proficiency or documentation of competency, there
24 is no assurance that the delivery of lab services
25 poses no unreasonable--that should be unreasonable-

1 -risk of harm. As noted by HCFA, personnel do in
2 fact welcome education and training opportunities.
3 There needs to be developed an appropriate and
4 effective regulatory and outreach program, outreach
5 training opportunities for staff who otherwise
6 don't have access to such training.

7 Manufacturers need to assume greater
8 responsibility for training certification of
9 analysts. That's a common statement made by these
10 test sites as we visit them, that they are somewhat
11 disappointed with manufacturer support and would
12 look to much more training and education on the
13 part of manufacturers. And we certainly would
14 support such manufacturer involvement, to the point
15 of perhaps certifying the competency of analysts
16 using their devices.

17 The New York State Department of Health
18 urges the FDA to approve, as quickly as possible, a
19 rapid HIV test, and in its deliberations on access
20 to those technologies, that it consider the
21 capabilities of test sites to deliver reliable
22 laboratory services. Thank you.

23 **QUESTIONS FOR THE COMMITTEE AND VOTES**

24 DR. NELSON: Yes?

25 DR. SCHMIDT: This very last point you

1 made is, I guess, the first time we've heard this.
2 And I guess the question is, if the educator is the
3 detail man, it doesn't work very well. He gets
4 paid by how many he sells. And I guess there is no
5 way of enforcing a situation where a company has to
6 make sure that the users of a product are
7 adequately trained, so I guess we're just stuck
8 with that. Is that correct?

9 MR. JENNY: I don't know if that's
10 entirely true. The drug testing industry, SAMSHA,
11 which is developing rules for workplace drug
12 testing, they are now considering the use of on-
13 site devices for drug testing, and in their rules
14 they are requiring certification of analysts,
15 possibly by manufacturers. In fact, it's stated in
16 the rule that it be provided by the manufacturer.

17 DR. NELSON: Comment?

18 DR. GEORGE: Just to answer your question,
19 OraSure, as a condition of approval, we have to
20 train the laboratory, we have to require the
21 laboratory to demonstrate proficiency by sending a
22 panel of specimens that they have to analyze,
23 correctly identify, and return to us, and
24 successfully complete that before we are permitted
25 to make the first shipment to that laboratory.

1 DR. CHARACHE: That's obviously exemplary,
2 but I wonder if all manufacturers of rapid tests
3 could be counted on to do the same thing?

4 DR. GEORGE: If FDA requires it, they can
5 be.

6 DR. NELSON: All right. Are there any
7 other people that want to comment? Hopefully not.

8 Is Elliot here? Yes. Let's then go back,
9 let's have some discussion, but let's have
10 discussion around the questions, and there are
11 three questions. First of all, I'll read them, and
12 you all have them.

13 Considering the known benefits and risks
14 of rapid HIV testing, should FDA consider the
15 possibility of removing all CLIA quality assurance
16 oversight for such tests, i.e., waive simple and
17 accurate HIV testing from CLIA, under its proposed
18 criteria?

19 Now, that seems to me to be a yes or no
20 question, maybe yes, no, or maybe, but I hope we'll
21 vote on yes or no.

22 The second question is, if not, what are
23 the criteria that should be applied in making
24 waiver decisions for these tests?

25 That's not a yes or no question, and I

1 would propose that we, depending on the outcome of
2 the first question, that we change the order in
3 which these questions are considered, considering
4 second what is now the third question:

5 If rapid tests are not waived, and that
6 is, if the committee votes not to waive, is it
7 appropriate to pursue other approaches under CLIA,
8 e.g., limited public health use, to promote wider
9 access to rapid HIV testing?

10 And then maybe we can discuss the other
11 options, if there are, you know, what other options
12 there are as a third issue. Is that reasonable?

13 DR. COWAN: Well, something that we would
14 like to get a sense from the committee on is the
15 sorts of studies that should be done to consider
16 these tests for waiver. What sort of data are you
17 looking for?

18 DR. NELSON: So you want to go to one,
19 two, three instead of--

20 DR. COWAN: One, two, three I think would
21 be a better, more logical way to go.

22 DR. NELSON: Okay. Let's have a
23 discussion, then, by the committee on the first
24 question. Yes?

25 DR. SIMON: I was going to start, by way

1 of clarification, it seems to me we've heard a lot
2 from the professional societies in terms of the
3 disadvantages of waiver as a technique or a
4 methodology to use, and a lot of data to support
5 that that can lead to inaccuracy and incorrect test
6 results, but yet we have the obvious public health
7 urgency of trying to make these available. So it
8 kind of directs us to the number three option, it
9 seems to me. I wonder if--

10 DR. NELSON: That's what I thought.

11 DR. SIMON: And I suspect other people are
12 thinking. Can you expand a little bit about what
13 you could do--

14 DR. NELSON: Yes. What is the public
15 health option? You know, what is the FDA thinking,
16 or what are our options as a public health test?
17 Could you clarify that?

18 DR. COWAN: Sure. Well, let me back up
19 for just one second. The point of number one, I
20 think, was just to get to whether we should include
21 rapid HIV tests in the context of the draft
22 guidance that CDRH is coming up with, since they
23 have some deadlines that they want to meet to put
24 out this guidance. And we would like to know
25 whether rapid HIV tests should stand apart from

1 that guidance or should be included as a general
2 test under that guidance. That's really our
3 reasoning, I think, behind number one.

4 To get to Dr. Simon's question, I guess,
5 limited public health use, where we're coming from
6 there is the CLIA definition of limited public
7 health use. In other words, at the present time,
8 for the data--since we don't have data, to have
9 these tests classified as moderately complex and
10 then have them used--yet expand access for those
11 tests using the limited public health use option or
12 the other couple of options that Judy Yost had
13 mentioned before.

14 Is there something more that I can expand
15 about?

16 DR. SIMON: Those are the options, what
17 she mentioned, like the van?

18 DR. COWAN: The van, right, the temporary
19 site, the mobile van sort of thing.

20 DR. SIMON: So people would have to have
21 demonstrated--they would have to meet the
22 requirements for moderately complex?

23 DR. COWAN: Correct. What we're talking
24 about here is waived versus moderately complex.

25 DR. SIMON: So if we do say yes to number

1 one, does that mean that you would move to waive
2 these tests based on the urgency?

3 DR. COWAN: That would probably be the
4 case, that there really would not be any--there
5 wouldn't be an exception for rapid HIV tests under
6 the guidance, and the criteria that are established
7 for waiver under the guidance would apply to rapid
8 HIV tests as they would to any test that would be
9 considered for waiver.

10 DR. SIMON: But if we say no--I mean, I'm
11 trying to just clarify--if we vote "yes" on number
12 one, that means the test would be waived?

13 DR. COWAN: No, no, no. That means that a
14 test could be waived, if it met the criteria for
15 waiver.

16 DR. SIMON: So it would still have to meet
17 the criteria. But if we say no to number one, then
18 it would not need to meet the criteria?

19 DR. COWAN: No. If you say no to number
20 one, that means the rapid HIV test would be pulled
21 out and would have specific other criteria that
22 would have to be applied to it for consideration
23 for waiver, which gets us to number two.

24 DR. SIMON: Okay, now I see. Okay.

25 DR. NELSON: The way number one is worded,

1 it's rather tenuous, "consider the possibility." I
2 mean, I suspect FDA is already considering the
3 possibility and, you know, with--

4 DR. SIMON: So if we remove it, then we
5 need to give you other criteria to consider for how
6 to make it available?

7 DR. COWAN: Yes.

8 DR. NELSON: So even if we vote "yes" on
9 number one, we still have to answer number two,
10 because that is the points to consider in this
11 possibility? Is that--

12 DR. COWAN: Dr. Epstein wanted to make a
13 comment.

14 DR. NELSON: I don't understand the way
15 this works.

16 DR. EPSTEIN: Maybe I can explain what
17 we're trying to say here. There isn't an option
18 whether to categorize tests or consider requests
19 for waiver. That's what the act provides.

20 What's at issue here is, what should be
21 the criteria for waiver? Now, CDRH, part of FDA,
22 is coming forward with a guidance which has been
23 shared with you and publicly for comment, which has
24 set a certain standard for the waiver
25 determination. We have the opportunity here to say

1 that that guidance doesn't apply to the HIV rapid
2 tests, or we could say it doesn't apply to HIV
3 tests in general.

4 We would then have to provide a separate
5 guidance that said exactly what did apply to HIV
6 tests, since it's not optional to categorize them
7 and consider waiver requests. That, we must do.
8 The only issue, when we say "should we consider,"
9 is should we consider the criteria as put forward
10 in the draft guidance? That's the issue.

11 Does that help anybody?

12 DR. NELSON: No.

13 DR. EPSTEIN: I see a lot of puzzled
14 faces.

15 DR. NELSON: I guess the sticking issue,
16 really, is that the adverse consequences of an
17 erroneous test are not insignificant as applied to
18 HIV.

19 DR. EPSTEIN: Well, that's right. Well,
20 again, I think it's for the committee to decide
21 which concerns are pertinent, but the issue is
22 whether having the removal of the quality assurance
23 and quality control standard and proficiency
24 monitoring required for a moderate complexity test
25 is compatible with how we think testing can be

1 properly performed.

2 Additionally, as has been pointed out,
3 under the waived scenario the threshold for being
4 allowed to offer the test is fairly minimal. You
5 register with HCFA, you pay your fee biennially,
6 and you say that you're going to comply with
7 manufacturer's instructions. On the other hand,
8 you're not inspected and you're not subject to
9 proficiency controls.

10 So that's point one, and although we think
11 that may open the door to inaccuracy, the
12 countervailing argument would be, "Well, the trials
13 have shown that, you know, untrained users get
14 accurate results, that it's not in fact less
15 accurate than in professional hands, you know,
16 under further controls."

17 But then there's this whole other
18 dimension, which is the notion that it's also being
19 offered in a setting where we haven't made any
20 stipulations about pre-test counseling, post-test
21 counseling, training of the operator as a
22 counselor. Okay? And simply none of that applies.
23 And so it's one step removed from more typical
24 medical settings in which a test result gets
25 provided. So we're saying that's another impact of

1 waiver, and it's under the umbrella of what do we
2 mean by risk.

3 DR. KOERPER: Jay, if we say no to number
4 one and then we start saying what are the criteria,
5 this would then result in development of a
6 different set, a different category for this one
7 particular test.

8 DR. EPSTEIN: That's correct. We would
9 then have to come up with a guidance document that
10 said exactly what criteria we would be applying to
11 an HIV rapid test.

12 DR. KOERPER: But that criteria could
13 include the on-site presence of counselors who
14 would spend time counseling the individual before
15 and after they got the test results, or--

16 DR. EPSTEIN: Well, yes. I mean, it would
17 need to somehow be within the four corners of the
18 act, and there might be a lot of head-scratching.
19 But yes, conceivably, if it's the committee's
20 feeling that the risk of inaccurate results
21 provided in the outreach setting is so great that
22 there should be stipulations about counselor
23 training for operators, I mean, we can consider
24 that. But we would have a whole new task, which is
25 to consider criteria, and they would have to be

1 legally within our authority, and we would have to
2 work on that.

3 DR. NELSON: John?

4 DR. BOYLE: Two of the things we know
5 about task performance, which is part of the whole
6 testing issue, is that it tends to be different in
7 natural settings than it does in experimental
8 settings, so it will behave differently in the bath
9 house than it did in the lab. And in either
10 setting, it tends to change over time, and as
11 likely to degrade or improve, particularly as you
12 lose your instructions, you think you're so
13 proficient you don't have to do them anymore.

14 So the key issue in keeping uniformity is
15 some kind of monitoring, and as I understand it, if
16 we vote for waiver, we give up all forms of
17 monitoring. If we vote for public health use,
18 there may be monitoring because the public health
19 department feels obligated to, but it is not
20 required to under the act. And what I'm not clear
21 on is whether or not monitoring is a criteria that
22 is used in some other way to make these things
23 available, but still to keep that criteria.

24 DR. NELSON: Yes?

25 DR. MACIK: I guess I have a real problem

1 here, because if we look at what laboratories do,
2 laboratories now as it stands, if the test goes to
3 a laboratory, the laboratory has no interaction
4 with the patient. You know, you send this HIV test
5 off to a laboratory and they send the answer back
6 to the doctor or clinician who ordered the test,
7 and they don't have any counseling now. There's
8 nothing about the test that is being ordered now
9 that is in any way linked to counseling, you know.

10 So yes, it is a better test. I believe
11 that what you do in a laboratory, that the current
12 laboratory standard tests are better than the rapid
13 test. I mean, 99.9 percent specificity is better
14 than 98 percent. But I think we're taking a leap
15 of faith, thinking that the better test is also
16 associated with better care of the patient when it
17 may not be. If you don't, as many of the results
18 have shown us, you're not getting the results of
19 that test into the hands of somebody who is going
20 to adequately counsel the patient.

21 And if you have a rapid test that's
22 available, and perhaps we can come up with some way
23 of saying that in order to do this rapid test, you
24 have to also provide counseling, maybe limit it to
25 organizations who could do that, maybe that would

1 be helpful. It would be helpful if we did that
2 right now with our current test, because I know
3 many physicians, family medicine, may send an HIV
4 test and get the result back, but what counseling
5 that patient gets is highly variable. One, do they
6 ever get their answer? And, two, do they ever get
7 any counseling?

8 So I think, you know, really we're
9 spending a lot of time talking about counseling
10 which is what needs to be done, but I don't think
11 the current test does that at all. So now we're
12 requiring a--you know, talking on waiver, saying
13 the waiver then has to be associated with
14 counseling, as if that's something that's already
15 happening, but it's not.

16 So I think there's a lot of issues here at
17 hand, that we are putting a stronger requirement on
18 a waived test for the counseling or association
19 with a patient than we are with the current
20 laboratory test. And so, you know, there are a lot
21 of issues here that I don't think are necessarily
22 being approached.

23 DR. KOERPER: Can I just comment very
24 quickly?

25 DR. NELSON: Yes.

1 DR. KOERPER: I don't know what the law is
2 in other states, but in California the law is that,
3 (a) you have to get permission to do the test.
4 Before you get that permission, you have to do
5 counseling, and you also sign that you will provide
6 post-test counseling as well. I mean, it's the
7 law.

8 DR. MACIK: But the laboratory doesn't
9 have anything to do with that. The clinician does.

10 DR. NELSON: Well, the lab can't do the
11 test unless--

12 DR. MACIK: Unless the clinician orders it
13 and sends it to them, and the clinician--

14 DR. NELSON: And assures the patient has
15 been counseled. Otherwise, the lab won't do the
16 test. At least most places I think this is true.

17 Go ahead.

18 DR. CHARACHE: Two things. I want to
19 speak to Question 1, when we get to it, but--

20 DR. NELSON: We're there right now.

21 DR. CHARACHE: We're there. Okay. I'm
22 going to speak then with both my hats on. I'm an
23 ID consult, and I'm also a laboratory QC person.

24 I think we've got to separate very sharply
25 two issues. One is whether a test should be waived

1 or not, and the other is the need for a rapid, on-
2 site, accurate test. So I think we should just not
3 talk anymore about the need for a rapid on-site
4 test. I think we all can perceive the public
5 health and medical needs for that to happen.

6 Not all tests should have to be done in a
7 laboratory, off-site, with the turnaround time
8 associated with it. Perhaps all confirmatory tests
9 require that, but certainly not the screening tests
10 which are sufficiently accurate to be used for
11 screening in such sites.

12 So if we can get away from that issue and
13 just talk about now the issue of whether the test
14 should be waived or moderate complexity, or in some
15 cases high complexity if you're doing PCR or
16 whatever, there are four issues, three we have
17 talked about, and one I would add, that made CLIAC-
18 -and also they established two meetings ago a
19 working group to work on just the waived testing
20 issue, which four members of the parent committee
21 were on, and I was one of the people on that
22 committee, reported back to CLIAC. And CLIAC came
23 out strongly in favor of making waived tests
24 moderately complex tests with structures, and you
25 have heard three of them outlined, that make it

1 feasible to have them done where they're needed,
2 where the patients may be.

3 Now, the four that I will just comment on,
4 one is the problem with the current guidelines
5 proposed by the FDA. They are extremely
6 permissive. We talked about the issue of the
7 definition of accuracy. We heard from Judy Yost
8 that 32 percent of the waived tests that were
9 surveyed in the eight states did not do quality
10 control the way the manufacturers required that it
11 be done.

12 The FDA's new guidelines does not require
13 any QC be done. It recommends it, but it doesn't
14 have to be done. Whereas if it were moderately
15 complex, they would have to do the QC that the
16 manufacturer recommends. So there is a lot of
17 permissiveness in the new FDA guidelines that
18 headed us away from the waived tests on that
19 category.

20 The second issue is the issue of off-label
21 use. Now, we've heard that we don't have to worry
22 about that in terms of the blood supply in the use
23 of these, because the FDA does surveillance of the
24 laboratories that provide blood. There is no
25 surveillance for the waived testing that would be

1 used elsewhere. We know that the urgency we've
2 been hearing about is for high prevalence
3 populations.

4 We've heard that there are 96,000
5 laboratories already registered for doing only
6 waived testing, 46,000 of which don't follow
7 manufacturer's directions or have QC problems,
8 either because they don't have the directions or
9 because they misuse them, and I think that number
10 added up to 64 percent of 96,000.

11 We know that if a test has been licensed
12 for use as a screening procedure in those waived
13 tests, it may be used off-label for a definitive
14 answer. The best example of this is the screening
15 for Group A Strep. It's required that you follow
16 all negatives with a culture because of the
17 sensitivity of the test, but they're not followed
18 with a culture in a very high percentage of the
19 labs. So it can be used off-label, and there is no
20 follow-up by the FDA for off-label use.

21 We can't predict. These tests will not
22 only be used in high prevalence populations, but in
23 physicians' offices, or perhaps for women about to
24 deliver, which may be a low-risk population, so
25 they know whether the mom gets AZT or whatever when

1 the fetus is born. So it's the off-label use that
2 I think is a very major question. And then I think
3 this issue of the false positives and the
4 definition of what a high risk is becomes very
5 important.

6 The fourth and final thing which I would
7 point out is the precedent that would be set. If
8 the definition of risk to the patient, risk of harm
9 if the test is erroneous, is defined only as an
10 analytical risk--can I see if that line is there or
11 not there? Or is that color pink or just a very
12 dark shade of white, or whatever you want to call
13 it? And that precedent is that if you don't define
14 risk of harm as risk to the patient of harm from a
15 false positive or an erroneous result, then we get
16 into trouble with other tests which are very simple
17 to do but have a high medical or social or
18 emotional risk attached to them, such as genetic
19 testing.

20 CLIAC has recommended and is leaning
21 towards emphasizing that things like definition of
22 genetic tests which involve whole kindreds should
23 not be waived because of the risk of harm, which is
24 not the risk of getting the test wrong as you do
25 it, but the total risk of the entire procedure,

1 pre-analytical and post-analytical as well. So
2 that is, I think, what was behind CLIA's very
3 strong stand on advising that the other
4 alternatives to test availability and rapid
5 turnaround time of information be pursued, rather
6 than the waived testing strategy.

7 DR. NELSON: Yes?

8 DR. FITZPATRICK: One quick thing. The
9 problem that we heard with OraSure is not that it's
10 not waived, but that it has to go back to the lab
11 to be done. In my former life I was the head of a
12 lab in a hospital, and there were a number of
13 ancillary labs that were doing waived tests, and
14 the problem of bringing them under control in a
15 hospital setting is enormous enough.

16 Yet doing 92,000 of them throughout the
17 country that are certificate-waived, as HCFA has
18 professed to do, is an awesome task. And if 48
19 percent of them are already not doing what they
20 should, it's phenomenal to me that in their
21 presentation they said, "The number of waived
22 laboratories continues to increase, as do the
23 number of waived tests." Okay? It's a process
24 that seems to be out of control, and it sounds like
25 CLIA is trying to put it under control.

1 So if we add to that by suggesting that
2 HIV be waived, just in the face of what to me is--
3 there is a definite need for the rapid availability
4 of test results to the population that needs them.
5 The agencies that are asking for that are
6 responsible agencies who want to help and counsel
7 those individuals, but I believe under what HCFA
8 presented to us there is a means for them to
9 deliver rapid results to those people under
10 moderate complexity.

11 So that would allow us to meet a moderate
12 solution here while CLIA works out how we deal with
13 this waived test problem. And that is that there
14 is a way to provide rapid results to the
15 individuals for the 20 minutes that they wait, even
16 though there might be a high school degree problem,
17 but we don't know the extent of that problem, with
18 the person administering the test.

19 So I think the counseling issue and those
20 things are things that kind of cloud the issue. To
21 me the issue is, should we add to this burden of
22 waived tests, or should we say that you need to
23 provide them rapidly under the methods that HCFA is
24 allowing, so that we can have rapid response to
25 this problem with AIDS? And it appears to me that

1 we can probably do that.

2 DR. NELSON: I have a question for the
3 FDA. One is the issue raised by Deanna from
4 California, and that is, her conclusion was that if
5 the test were not waived, it would require added
6 personnel and added costs that would preclude its
7 being available in settings where it is needed to
8 up the 50 percent of people who get tested and
9 don't get the results, or who don't ever get
10 tested, because they need to--you know, their blood
11 or they need to go to some particular site, and it
12 can't be done on-site.

13 Does FDA see a way that, in the absence of
14 a waiver, that this personnel and cost problem can
15 be solved? Or is Deanna correct in her assumption
16 that unless we do a waived test, that the costs
17 will not--that the cost of doing the test where
18 it's needed can't be done?

19 DR. COWAN: I'm not sure it's really an
20 FDA question, but--

21 DR. NELSON: Well, the reason I'm asking
22 the FDA is because the FDA would, if it's not
23 waived, would enforce what rules there are.

24 DR. MITCHELL: I'd like to address that
25 question, if you don't mind.

1 DR. NELSON: Oh, fine. Sure.

2 DR. MITCHELL: And I'd like to do it in
3 the capacity, for four years I was the Deputy
4 Director of the Kansas City Health Department, and
5 for seven years I was the Director of the Hartford
6 Health Department, which serves a 70 percent black
7 and Latino population. Also, I've worked in free
8 clinics and done a lot of counseling and testing
9 myself. I also was in charge of QA/QC for
10 laboratories, not only in the health departments
11 but in also a number of the other clinics, and
12 particularly including gay clinics and those
13 targeted at black and Latino populations, and ran a
14 couple of STD clinics.

15 I think that the moderate complexity with
16 the limited public health use does have a lot of
17 benefit in this case. I think that it's very, very
18 workable. I think the local health departments
19 know, first of all, the importance of getting the
20 counseling and testing done and getting the rapid
21 response immediately, and they have a sense of who
22 can do it locally, you know, which agencies have
23 the capability of performing the test. Also, you
24 know, there are state laws, and even if there
25 weren't state laws, I think that health departments

1 would require the counseling and testing, both the
2 pre-test and the post-test counseling.

3 There is also the issue of follow-up, and
4 I think that, you know, if there is not a
5 requirement--you know, the laboratories, if it's
6 available, if it's a waiver test, you can't really
7 require that there be follow-up to any kind of
8 screening. And I think that the limited public
9 health use would allow the health departments to
10 make sure that there is a required follow-up, you
11 know, recognize it as a screening test and make
12 sure that there's a follow-up, and also make sure
13 that people come back. You know, as the evidence
14 has shown, when people get the information that
15 they're likely to be positive on a test, they are
16 much, much more likely to return or likely to
17 follow up, and particularly in the black and Latino
18 community.

19 The issue about the high school degree and
20 requirements of high school education, I think that
21 that could be an issue but it's probably not going
22 to be a significant issue. Most of our, you know,
23 our experience is, most of our outreach workers
24 were high school educated. I personally didn't
25 feel that that was necessary, but it seemed to

1 work. The outreach education and testing seemed to
2 work with the requirement. We were able to find
3 people, high school educated, who had the skills in
4 order to get out into the community and have a
5 rapport with the people in the community. So, I
6 mean, although I prefer that a high school degree
7 not be required, I think that it's workable. It's
8 a workable portion of what is being asked for.

9 DR. NELSON: Thank you. Are we ready to
10 vote on this, or is there more comments? Yes,
11 Mary?

12 DR. CHAMBERLAND: A couple of things. One
13 is, I guess that is one of the big concerns I have,
14 is that if we were to vote--do we have enough data
15 or information to make a definitive decision about
16 Question No. 1? Because the limited public health
17 access requires the test to be categorized as
18 moderate complexity, and I'm not sure that I've
19 heard whether we know, if that can be evaluated,
20 what would it take to--would it be feasible, would
21 it be practical, for a health department, under
22 limited public health access, to use that, to get
23 the test available in the community?

24 Oftentimes we raise this question that the
25 person from the California Health Department

1 raised, concerns about having a moderate complexity
2 test, to have it categorized as moderate
3 complexity, and the personnel issue. And Ms. Yost
4 from HCFA was shaking her head negatively, meaning
5 no, that's not quite right. So I wanted a little
6 clarification on that.

7 But, I mean, I would be concerned if we
8 vote "no", that if we say that these tests are not
9 waiverable under any circumstances, that if we fall
10 back on the limited public health access option, do
11 we really know with confidence right now that that
12 is implementable, you know, to have a moderately
13 complex test implementable? You know, I totally
14 agree with Ms. Charache's comments that really what
15 we want, it doesn't matter what we call it, but we
16 want a test available at the point of access, where
17 we can get results delivered in a very quick period
18 of time. So that's one point.

19 Another is the point that you make, that
20 the FDA has made, is that we consider the known
21 benefits of rapid HIV testing under the proposed
22 criteria. And I guess I'm a little concerned
23 because the proposed criteria are really only draft
24 proposed criteria, and in all probability, given
25 some of the comments we have heard, as things

1 usually evolve, this guidance may indeed change and
2 in its final form may differ, perhaps
3 significantly, from its draft. So we're being
4 asked to vote on something that is actually
5 somewhat a moving target, and we don't know at this
6 point what the final outcome is going to be.

7 And then, finally, I wondered--you know,
8 Dr. Onorato spoke that there are a number of
9 studies underway at CDC, and could potentially
10 provide some data that would be helpful in trying
11 to assess this question. So I guess my concern is
12 that if we vote, you know, a vote in some sense may
13 be premature, in that we don't have enough
14 information or data at this point. And if we say
15 these tests are not waivable, it really would
16 more or less shut a door. Now, FDA is always free
17 to not take our advice, etcetera, and whatever, but
18 I guess it's my concern.

19 DR. COWAN: If I could just make a comment
20 about that, I am not sure that voting "no" for
21 number one is actually shutting a door. It would
22 be shutting a door on considering waiver for these
23 tests under the draft FDA guidance. There would
24 still be the opportunity for considering these
25 tests for waiver, but applying other criteria,

1 special criteria, to the rapid HIV tests to allow
2 them to be considered to waiver.

3 DR. CHAMBERLAND: What Jay Epstein talked
4 about, developing a separate guidance?

5 DR. COWAN: Yes, right.

6 DR. NELSON: That's Question No. 2, right?

7 DR. COWAN: Right, so I just wanted to
8 make sure we understood that we're not saying, by
9 voting "no" on number one, we're not saying we can
10 never waive a rapid HIV test. Rather, it's saying
11 what makes a rapid HIV test special? What criteria
12 should we apply to rapid HIV tests that aren't
13 necessarily applied to all other tests that are
14 covered under the draft FDA guidance?

15 Although your point about this being a
16 work in progress is correct, all the comments have
17 been received, and I don't know what those comments
18 are at this point and how much the document will
19 change. But you're right, we do have to take that
20 into account.

21 DR. SIMON: But is my understanding
22 correct, you need this committee to vote today
23 because if you're going to pull these tests out of
24 that document, you need to know that now?

25 DR. COWAN: That is correct.

elw

1 DR. SIMON: Right, and if we vote "yes" on
2 number one, we're basically applying the same
3 criteria for waiving these tests as are applied to
4 glucose or whatever.

5 DR. COWAN: Exactly.

6 DR. SIMON: If we vote "no" then somebody
7 has got to come up with different criteria.

8 DR. COWAN: Which is number two, and
9 that's what we're asking for.

10 DR. NELSON: Yes, Paul?

11 DR. McCURDY: It seems to me that we are
12 in pretty much general agreement about the need for
13 quality control and proficiency testing. It also
14 seems to me that if the test is waived, there is
15 limited if any managerial control over what's going
16 on in the quality control.

17 Taking a quick look at the guidelines, the
18 draft guidance, there is some discussion in there
19 about quality, the need for quality control, also
20 the need for a fail-safe, essentially no result if
21 you didn't do the test right. I'm not sure there
22 is anything very wrong with the guidance, even as
23 applied to this, except that I don't think that
24 what I know so far about these rapid tests would
25 survive under the guidance. And I think that

1 unless you can impose, on a waived test, a
2 requirement for proficiency testing and quality
3 assurance, then I think it doesn't fit the
4 criteria, those tests don't fit that criteria.

5 DR. NELSON: Pat?

6 DR. CHARACHE: The reason I said there was
7 no requirement for quality control is because the
8 word "should" is used, and when CLIA asked to use
9 the word "must" for all the requirements for
10 quality control, that's not in there. And anytime
11 you say that this should be done, it means that it
12 doesn't have to be, and a detail person can say,
13 "Oh, well, that costs you more money and you
14 really don't have to do that."

15 DR. COWAN: I can actually comment on
16 that. This gets into something that's very tricky.
17 The word "must" makes it a legal requirement, and
18 this is only a guidance. In a guidance we need to
19 use words like "should," where by using "must"
20 we're turning it into a regulation, which is a very
21 different sort of a thing. So that may explain why
22 that language was used. I understand your concern,
23 but we do have some legal guidelines that we have
24 to follow.

25 DR. NELSON: Did you want to say

1 something?

2 DR. HOLLINGER: Yes. There are many
3 layers here, obviously, these questions, and I
4 would sort of agree with what Paul has said also
5 about there needs to be an oversight on a test like
6 this. I'm still not--I still don't have any data
7 or I haven't seen any data which really tells me
8 that the people who are not showing up initially
9 would be the ones who would be detected with a
10 rapid test, that they are going to be the ones that
11 are going to come in. I don't know that for a
12 fact, and I don't think I've seen any data that
13 would suggest that.

14 The second thing is that once you get a
15 test result, we talk about counseling, but what are
16 you going to counsel? The counseling here is that
17 you've got a positive, let's say--you want to know
18 if they've got a negative test, but you can say
19 you've got a positive test, and then the answer is,
20 you've got to follow that up. How are they going
21 to do that? You've got insurance problems here,
22 you've got cost of test to do that.

23 Are they going to leave? If these are the
24 people that weren't showing up, would be the ones
25 who would not show up initially for those tests

1 that were done in the regular laboratory, will they
2 now, with the tests that are to follow, show up for
3 the results of those tests when they come back? I
4 mean, there's a lot of it.

5 But if we assume that we're going to do a
6 rapid test, and I think rapid tests are very good
7 for these things, then I think it needs to have
8 oversight to make sure that the tests are done
9 properly, like any test should be.

10 DR. NELSON: I think that every possible
11 comment has been made at this point. Let's vote on
12 number one, since you want to vote: "Considering
13 the known benefits and risks of rapid HIV testing,
14 should FDA consider the possibility of removing all
15 CLIA quality assurance oversight for such tests,
16 that is, waive simple and accurate HIV testing from
17 CLIA, under its proposed criteria?" Underline
18 "under its proposed criteria," which includes no
19 monitoring.

20 All of those voting yes?

21 [A show of hands.]

22 DR. NELSON: All of those voting no?

23 [A show of hands.]

24 DR. NELSON: Abstentions?

25 [A show of hands.]

1 DR. NELSON: The consumer?

2 MS. KNOWLES: No.

3 DR. NELSON: Industry?

4 DR. SIMON: Well, I don't know if I

5 misunderstood. I'm voting yes because my

6 understanding is that voting yes means you would

7 apply the same criteria to these HIV tests as you

8 would to any other test, is what I thought people--

9 DR. NELSON: No. No, it said removing all
10 CLIA quality assurance--

11 DR. SIMON: You would consider whether to
12 waive the test based on the same criteria you would
13 for glucose, hemoglobin, or whatever. That's what
14 the "yes" vote means, I think. Am I not correct on
15 that?

16 DR. COWAN: Should I clarify that?

17 DR. NELSON: Yes, please do.

18 DR. COWAN: I think Dr. Simon is correct.

19 What we're asking is, should a rapid HIV test be
20 considered the same as any other test for waiver?

21 DR. NELSON: I don't know whether I voted
22 for Bush, Gore, or--

23 [Laughter.]

24 DR. SIMON: That means that the same
25 standards for quality assurance, etcetera, would be

1 applied to these tests as to other tests. I think
2 most of the people--

3 DR. COWAN: Or lack thereof. They're
4 really both the same thing, when it comes right
5 down to it. Should rapid HIV tests be considered
6 the same as any other tests, or is there something
7 special about a rapid HIV test for which we should
8 consider other criteria for waiver?

9 DR. SIMON: So you would apply the same
10 criteria for waiver that you would to other tests,
11 if you vote yes?

12 DR. COWAN: Same as a glucose test, same
13 as a hemoglobin test, yes.

14 DR. SIMON: And if you vote no, you would
15 come up with special criteria for HIV tests?

16 DR. COWAN: Correct.

17 DR. SMALLWOOD: Results of voting on
18 Question 1: There were no "yes" votes; 15 "no"
19 votes; 2 abstentions. The consumer rep agreed with
20 the "no" vote. The industry rep agreed with the
21 "yes" vote.

22 DR. NELSON: So now that the majority were
23 "yes" votes--

24 SEVERAL VOICES: "No" votes.

25 DR. NELSON: --"no" votes, rather--what

1 special criteria would you like to propose that--
2 and I think one criteria was that there be some
3 oversight that is not now required for a dipstick
4 or something like that, a urine dipstick or a
5 glucose test. And without specifying necessarily
6 what that can be, I think we could give the FDA
7 that advice, because the details I think are
8 difficult to enumerate.

9 DR. COWAN: I should point out--I'll defer
10 to the CLIA people. Correct me if I'm wrong.
11 There are specific regulations that we have to
12 follow for waiver, and oversight isn't one of them.
13 Is that correct?

14 DR. CHARACHE: That's correct.

15 DR. SIMON: See, that's why I think the
16 committee misunderstood when you voted the way you
17 did.

18 DR. NELSON: I'm sure we did.

19 DR. SIMON: If what you say is, then you'd
20 want it moderately complex. You wouldn't want them
21 to waive it, you'd want it--

22 SEVERAL VOICES: That's right.

23 DR. SIMON: --you'd want them to follow
24 the current criteria.

25 SEVERAL VOICES: No.

1 DR. FITZPATRICK: Under the current
2 criteria, they could put this under it. There's
3 nothing to preclude them, from the way this test is
4 done, under the current criteria, to waive it. It
5 would meet the specificity--

6 DR. SIMON: It would meet the requirements
7 for waiver.

8 DR. FITZPATRICK: It looks like it would,
9 yes.

10 DR. MITCHELL: Mr. Chairman, yes, I agree
11 that by the way we voted, you know, previously they
12 could have put it under any of the classifications,
13 depending on each individual test. But I believe
14 that it is important that it be considered a
15 moderately--what is it?--a moderately complex test,
16 and that we have the limited public health use,
17 because first of all this--I mean, in some of the
18 other tests, many of the other tests are not
19 screening test. Many of the other tests are
20 monitoring tests, and to me those are very
21 different. Monitoring tests are very different
22 from a screening test.

23 So that as a screening test, and
24 particularly a screening test with such importance,
25 where the results are so important, I think that

1 the moderately complex with the limited public
2 health use is the appropriate requirements.

3 DR. STUVER: Yes, I mean, I think that
4 that's what I was hearing, that people were feeling
5 more that there should be oversight, and so it
6 kicks it into the other category of being regulated
7 as moderately complex. And so in some ways then
8 Question 2 becomes irrelevant, if we're not
9 thinking that it should be waived, or the special
10 criteria in fact would make it moderately complex.

11 DR. NELSON: I think the second question
12 says that if it doesn't meet the current criteria
13 for waiver, are there other criteria that we could
14 apply to this test, that would allow it to meet a
15 waiver criteria? Is that--

16 DR. COWAN: Yes. If I could offer some
17 suggestions for things to consider, and that would
18 be, what types of studies would you like to see
19 performed to give you a comfort level with a rapid
20 HIV test that would allow you to waive it? Also,
21 what is it that makes a rapid HIV test special,
22 that would--what sort of concerns do you have about
23 rapid HIV tests that would not allow it to qualify
24 as an ordinary test, for want of a better term,
25 under the draft guidance

1 DR. CHARACHE: I think there are two
2 issues that pertain to the process of waiver, as
3 among other things in the document. One of the
4 most important is the definition of risk of harm.
5 One of the things that CLIAC, for example, was very
6 concerned about was the waiver of the tumor marker
7 for bladder cancer. The test was easy to perform,
8 but obviously the risk of harm to the patient, if
9 you looked at the post-analytical part, was there.

10 So that if harm is defined too narrowly,
11 then perhaps HIV would never be able to be waived
12 because of the pre-analytic counseling that is
13 required now in many states, and because of the
14 post-analytical, not the analytical part, which is
15 the easy part. So it's the definition and how the
16 FDA applies the issue of risk of harm that's
17 triggering the concern here for oversight and
18 quality control and proficiency testing, being sure
19 it's accurate.

20 I think the other piece that would require
21 monitoring or review of the labs that are doing it,
22 is the question of using this as a definitive test
23 rather than as a screening test, which is its
24 intent.

25 DR. BOYLE: It might move things along if-

1 -there has been a sense that the committee thinks
2 that there should be oversight, meaning oversight
3 of training and quality control of this particular
4 test. If we say that it does, then it takes away
5 the issue of other criteria or other studies,
6 because it definitely moves it out, as I
7 understand, from the waiver category. So if we
8 took a vote on whether or not we think that
9 oversight is necessary for this particular
10 screening test, then that would definitely move us
11 on to the third question.

12 DR. NELSON: Okay.

13 DR. SCHMIDT: I think you were right in
14 asking for the last question next, because after
15 that we're into a lot of hypotheticals. If you do
16 this, you do this, you do this. We can't give one
17 or even a set of criteria with the background of
18 knowledge we have. That's the FDA's responsibility
19 to bring those forward to us.

20 DR. COWAN: At the same time, I'd like to
21 remind the committee that we really don't have data
22 to consider in terms of the performance of this
23 test, of these types of tests, in the hands of lay
24 versus professional users and that sort of thing.

25 DR. SCHMIDT: If there are no data, we

1 certainly shouldn't be making decisions here.

2 DR. COWAN: Right, which is the reason for
3 the second question, what types of information do
4 we need to come to a decision like that, which will
5 help CDC to perform the correct studies?

6 DR. NELSON: Well, I think we need a
7 clinical trial of this test, the benefits, how
8 many, as Blaine has talked about, how many people,
9 what proportion of the people that need to be
10 identified and counseled and gotten into therapy,
11 etcetera? How effective is this? And then what,
12 if any, are the adverse consequences of erroneous,
13 and somebody who gets a false positive and never
14 comes back?

15 And I assume that that's part of the CDC
16 study, so I would say that we would like to see the
17 results of the CDC study. Is that fair?

18 DR. CHARACHE: I'd also like to see the
19 results of the educational program that HCFA is
20 going to do to ensure that the laboratories on
21 their own do quality control and follow
22 manufacturer's directions.

23 DR. FITZPATRICK: This is a problem that
24 goes beyond an HIV test. I mean, HCFA can do a
25 program this year, and because of the personnel

1 that staff these offices and organizations,
2 turnover is a tremendous problem. So you can do an
3 education this year, you come back 18 months from
4 now and you have all new personnel and you have to
5 start all over again.

6 So, I mean, if you were to ask me what
7 criteria should be done, I think you should use the
8 home use criteria and have two categories,
9 moderately complex and home use, and waivers should
10 be done away with. And what we should address, to
11 make life easier for those organizations that need
12 the rapid results, is it possible, could they
13 demonstrate through training and competency, for a
14 moderately complex test, that a non-high school
15 graduate is competent to address and administer the
16 test? And if that were possible, to waive the high
17 school requirement and demonstrate through training
18 and competency assessment that the user is
19 competent, then can they use the test?

20 DR. MACIK: Part of the reason I abstained
21 from voting is, I don't have enough data on this
22 whole issue to really say anything. But one of the
23 questions that gets back, something that Mary made
24 think about was, you know, the states have certain
25 criteria. You have to have them sign up to take

1 the test. You have to give counseling.

2 What does it matter whether the test
3 you're using is a moderate complexity that goes to
4 a laboratory? If the test you're doing is a waived
5 test that you're doing there, the state law would
6 apply if you're doing a test, wouldn't it?

7 You know, I mean, the laboratories don't
8 do the counseling. The laboratories don't order
9 the test. They don't, you know, pick the people
10 who need a test. All they do is do the test very
11 well, to give you a result, but they don't do
12 anything as far as following up counseling with the
13 patient.

14 So if it's a waived test, I mean, the
15 state still would require you report it if it's
16 positive. If you're going to--you know, you could
17 put perhaps some stipulation on this test that it
18 is a screening test, therefore it has to be
19 confirmed with, you know, the confirmation test. I
20 don't know why that wouldn't be available in this
21 type of test, and still have something that's
22 available to people, that can be done by a non-high
23 school trained.

24 And I actually like the idea of the fact
25 that--you know, we tend to put things into such

1 tight categories. Make it home test or moderate.
2 You know, come up some different ways to address
3 the issue.

4 DR. KOERPER: The laboratories in
5 California are, their license is on the line if
6 they run an HIV test without the signed consent
7 form.

8 DR. MACIK: But like they're not going to
9 get the test unless the clinician orders it.

10 DR. KOERPER: No, no. But my point is, if
11 there's no oversight over these waived tests
12 because there's no oversight, they could be doing
13 tests on anybody. Nobody's coming in there and
14 saying, "Where is your consent form?"

15 MS. KNOWLES: Right, without the consent.

16 DR. KOERPER: Now, I would love to hear,
17 are you getting consent forms for all these tests
18 that you're doing?

19 DR. SYKES: We get signed consent forms in
20 confidential tests, but in anonymous tests we get
21 verbal consent, so the lab doesn't have any
22 evidence of consent whatsoever. So the possibility
23 of cheating, so to speak, already exists.

24 DR. SMALLWOOD: Please use the microphone.

25 DR. SYKES. Okay. Just to repeat what I

1 just said, we get signed consent forms in
2 confidential testing. In anonymous sites, we get
3 oral consent, and the only witness to that is the
4 counselor who is in the room, so the labs right now
5 are running tests on the verbal assurance of the
6 counselor that consent was given. So I don't think
7 that, you know, having a waived test would change
8 that.

9 DR. NELSON: No, it's still a consent, but
10 with this waived--if there was a waiver, consent
11 would not be necessary.

12 DR. KOERPER: I'm curious how that would
13 apply to the law in California.

14 DR. CHAMBERLAND: Is that true?

15 DR. KOERPER: I mean, people would still
16 have to technically obtain consent before they ran
17 this test.

18 DR. NELSON: I guess that's true. If it
19 didn't go to a lab, this person would still have to
20 get consent. Although I don't know how that would
21 be monitored.

22 DR. KOERPER: Well, that's my point.
23 There's no way to monitor the type of consent, of
24 pre-test counseling and consent that was obtained.

25 DR. NELSON: Let's--

1 DR. CHAMBERLAND: Ken, can I ask Ida
2 Onorato to address some of this that has come up
3 about the issues surrounding consent? I think we
4 need some clarification.

5 DR. ONORATO: I just, what I want to
6 clarify is that the process of obtaining consent
7 and doing pre- and post-test counseling for HIV
8 tests has nothing to do with what kind of a test it
9 is or the categorization of the test. That process
10 is based on recommendations developed by CDC and
11 the Public Health Service, and they have been since
12 1985, and are published by CDC as PHS/CDC
13 guidelines; as is the process of requiring
14 confirmatory testing, is also a CDC/PHS guideline
15 or recommendation as to how HIV testing should be
16 done. It really has nothing to do with whether the
17 test is done by a rapid test, an EIA, Western Blot,
18 an OraSure collection device, or anything else.

19 So informed consent is required for all
20 HIV testing in the U.S., according to CDC
21 guidelines, and in addition I think all states have
22 some statement of regulation or laws about this.
23 Now there may, in addition, be something written in
24 the package inserts about this, but in fact those
25 all refer to, as I understand it, CDC

1 recommendations. And Elliot, maybe you can talk to
2 what--I mean, you or Jay can talk about that.

3 DR. EPSTEIN: Well, I agree with what you
4 have said about consent, but with respect to
5 counseling, in the case of the home sample
6 collection test system and in the case of the
7 OraSure oral fluid collection system, FDA took the
8 point of view that counseling was part of the test;
9 that we could not regard the test as safe and
10 effective for its intended use without regarding
11 the counseling as an integral part. In other
12 words, we looked at the test as actually a test
13 system.

14 And so I think that we potentially could
15 look at the HIV rapid test the same way, and say
16 that because the counseling is part of the test, it
17 raises it to moderate complexity because of the
18 issues that have been raised about risk related to
19 adequacy or lack of adequacy of counseling. Now,
20 I'm not saying we necessarily want to go that
21 route, but I think the precedent of regarding the
22 counseling as integral to the test already exists.

23 DR. ONORATO: But then let me ask you, who
24 monitors that? How is there any connection between
25 getting back the results of this test and the

1 counseling? Because the counselors who do these,
2 this counseling, actually have nothing to do with
3 the laboratory.

4 DR. EPSTEIN: Well, yes, that's right. In
5 the case of the OraSure, we actually did specify a
6 relationship between the laboratory and the
7 counseling individual. In other words, it's not
8 the lab that does the counseling, but the provider
9 of the service was responsible for the counseling.
10 And we have taken that exact same point of view
11 with respect to the home sample collection system,
12 which is, you know, blood spots.

13 So it's true that in the standard medical
14 environment there's no relationship between the
15 laboratory and the counselor, but the presumption
16 is that the counselor is part of the medical
17 setting where the test was provided. In other
18 words, how it got ordered and how the results get
19 provided is within the medical setting.

20 The whole issue here, as I understand it,
21 is that the CLIA act deals with categorization
22 based on the complexity of the test, but the issue
23 that it has presented to us is that under waiver,
24 waived laboratories can offer the test and there's
25 very little restriction on what they might be. I

1 mean, it can be, you know, it can be storefronts in
2 malls, it can be individuals who have registered
3 and, you know, pay their biennial fee, and there's
4 no oversight.

5 So, you know, the problem is that we sort
6 of are under two different rubrics, trying to get
7 to where we want to get to. We have, you know, the
8 CLIA statute which deals with categorization based
9 on test complexity, and then we have the
10 implication of waiver which deals with permissive
11 settings, and they are really not linked ideas,
12 which is the thing that you have pointed out and
13 that Gail Macik has pointed out.

14 But FDA potentially can link them, if we
15 regard some of the ancillary aspects of testing as
16 linked to the test. And I'm only saying that we at
17 least have that precedent for the oral fluid
18 collection system and for the home blood spot
19 collection system, and those two cases are spanning
20 both a home use product and one which is not home
21 use, which has to be provided by a trained operator
22 that is ultimately under some oversight by the
23 manufacturer. Because the manufacturer was
24 obligated, as Richard George said, by FDA to assure
25 that they don't sell it to anyone who doesn't take

1 responsibility for the training of the operator,
2 including proficiency testing of the operator.

3 So there are these different models, and
4 I'm just trying to clarify that whereas I agree
5 that the issue of counseling and what happens in
6 the setting where it occurs is not itself linked to
7 a complexity determination, FDA does have the
8 ability to regard parts of that larger environment
9 as integral to the test. And I think, without a
10 lot of depth of consideration, that that in and of
11 itself would kick tests up to moderate complexity
12 if we say that's part of the test.

13 DR. MACIK: I guess I still don't
14 understand, because by linking it to the test,
15 you're now putting, where the laboratory is
16 required to follow the rules of CLIA, but you have
17 linked it to a clinician or a counselor to that
18 patient to follow the rule, who has really--over
19 whom CLIA has no authority, over the clinician.
20 But now you're saying for the laboratory test to be
21 done, you're going to link a counseling component
22 to it that requires a second professional
23 counselor, to the laboratory's ability to perform a
24 test. So I'm having some problems how you are
25 getting that clinician into the idea of performing

1 a test.

2 DR. EPSTEIN: Well, I didn't say
3 clinician.

4 DR. MACIK: Or professional or counselor.
5 In their laboratory--

6 DR. EPSTEIN: --a trained counselor.

7 DR. MACIK: Okay, so I guess counselor
8 isn't going to come from the laboratory, counselor
9 is going to come from somebody who is clinically
10 involved with that patient or involved with that--

11 DR. NELSON: yes, but the lab, to do the
12 specimen, can require whoever submits the specimen
13 to have the patient and the counselor sign a
14 certification that they've done this, and that is
15 as I understand the way the system works now.

16 DR. MACIK: Yes, but then you'd have to
17 have some ability to--what if the counselor says,
18 "I'm not going to sign that piece of paper"? Then
19 the lab says, "I'm not going to do the test"?

20 DR. KOERPER: That's exactly right.

21 DR. NELSON: That's exactly right.

22 DR. KOERPER: The lab cannot do the test
23 if they don't have that signed piece of paper.
24 That's how they are linked.

25 DR. NELSON: That's it.

1 DR. KOERPER: The lab must have that piece
2 of paper before it will run the test, before it can
3 legally run the test.

4 MS. KNOWLES: And this has been happening
5 since 1985, when FDA first approved these tests to
6 begin with, the original test.

7 DR. CHARACHE: I think what we're also
8 getting back to is the definition of a test,
9 because CLIA does say you divide tests on the basis
10 of complexity, but it defines a test as having
11 three parts. The analytical part is only one part
12 of the complexity. The other is the pre-
13 analytical, which includes consent as well as other
14 things, and the post-analytical. So I think what
15 we're hearing is that the FDA is now paying
16 attention to all three components of the test, as
17 opposed to just the analytical component.

18 Also, CLIA says that each laboratory,
19 moderate complexity and high complexity, has to
20 have a clinical consultant available. That person
21 doesn't have to be on board, and it can be the
22 person who directs the lab, but they have to be
23 able to refer patients or clinicians to a clinician
24 who can talk to them about the test.

25 DR. NELSON: Has the discussion adequately

1 dealt with your Question 2? We've discussed a lot
2 of criteria. Or do you want something more
3 specific or more--

4 DR. COWAN: I have a feeling that I'll be
5 shot if I say that I want any more. If you'd like
6 to go on to number three--

7 DR. NELSON: Yes, I would.

8 DR. COWAN: --you are the chairman, you
9 have that prerogative.

10 DR. NELSON: Okay. Let's deal with number
11 three, and I'm not sure that's clear. But if rapid
12 HIV tests are not waived, is it appropriate to
13 pursue other approaches under CLIA, e.g., limited
14 public health use, to promote wider access to rapid
15 testing?

16 Is there any comment on this? Yes?

17 DR. CHAMBERLAND: I just have a question
18 for HCFA. How often has the limited public health
19 access route been used, and can you give us an idea
20 for what kinds of tests?

21 MS. YOST: I don't have any specific data,
22 but I know that a lot of people have availed
23 themselves of it. I don't have that data with me.
24 But definitely a lot of state laboratories have
25 availed themselves of that, because we've worked

1 with them to do that.

2 DR. NELSON: Yes? From CDC?

3 DR. HEARN: Our data is a little bit old,
4 but we actually do have data. Early on in the CLIA
5 program we took a look at that. At that time there
6 were 2,500 certificates covering 14,000
7 laboratories. I don't know if that is close to the
8 same number today, but that was about in '95, but
9 that's what the picture was at that time.

10 MS. YOST: We definitely have a lot using
11 the temporary testing site and the mobile
12 laboratories, as well. I think the whole AABB uses
13 the temporary testing site.

14 DR. NELSON: Okay. Before we vote on
15 this, there was a submission by Dr. Gibson from the
16 Council of State and Territorial Epidemiologists,
17 and I won't read it because you have been given it,
18 but I will read one sentence.

19 "CDC is in the process of studying the
20 issues related to the availability of rapid tests.
21 We ask that any decision on the CLIA waiver status
22 of HIV rapid tests be deferred until data bearing
23 on these issues is available." And so that's for
24 the record, and I guess kind of that's what we've
25 done.

1 Okay, let's vote on Question 3. How many
2 would answer "yes" to Question 3, which is up on
3 the board?

4 [A show of hands.]

5 DR. HOLLINGER: We'll vote on anything.

6 DR. NELSON: What?

7 DR. HOLLINGER: We'll vote on anything.

8 DR. NELSON: How many "no" votes?

9 Abstentions?

10 DR. NELSON: Consumer?

11 MS. KNOWLES: Yes.

12 DR. SIMON: Yes.

13 DR. SMALLWOOD: The results of voting on
14 Question No. 3: There were 15 "yes" votes. There
15 were no "no" votes and no abstentions. And both
16 the consumer and industry representatives agreed
17 with the "yes" votes.

18 Just for the record, I wanted you to know
19 that there are 17 individuals eligible to vote on
20 this question, and apparently there are two that
21 were missing when we took a vote just now.

22 DR. NELSON: Right. Okay. It wasn't that
23 close, anyway. We're now at 4:15, in case you
24 wanted to know. The next item, and I think we do
25 need to discuss this, is revision of the uniform

1 donor history questionnaire, and the first speaker
2 is Alan Williams, who is with the FDA.

3 SEVERAL VOICES: Can we take a break?

4 DR. NELSON: Yes. Why don't we stand up
5 for a few minutes, but not too long. Could we be
6 back in like 5 or 10 minutes, maybe 10 minutes?

7 [Recess.]

8 DR. NELSON: I apologize for all the
9 people who waited for this part, but please, this
10 is very important. Actually we were talking about
11 testing all day, but the questionnaire has preceded
12 and is probably more efficient in many ways than
13 all the testing that's being done.

14 **REVISION OF UNIFORM DONOR HISTORY QUESTIONNAIRE**

15 DR. WILLIAMS: Thanks very much. I am
16 Alan Williams, with the Division of Blood
17 Applications in the Office of Blood since the end
18 of January. I was going to cover some background
19 as well as provide introduction, but much of the
20 background is covered in the review paper that was
21 shared with the committee, so I will show one slide
22 for context and then proceed with the introduction.

23 Our group of presenters are into
24 streamlining, so they're going to do that with the
25 informational presentation, and then we will

1 present a set of questions which I will introduce
2 here. We very much would like to get key comments
3 by the committee here, if possible. We'd like to
4 take more minor comments which can be submitted
5 direct to FDA within the next couple of weeks. But
6 we would particularly like to avoid any discussions
7 that might constitute wordsmithing of individual
8 questions, because that takes a lot of time and
9 there are probably some good reasons for not trying
10 to do it this afternoon.

11 So, my one context slide. Most of the day
12 has been discussions of lab activities, and
13 actually we frequently get the question, why should
14 we in fact qualify donors when we have lab testing
15 that really is so elegant and so good at reducing
16 post-transfusion infection risk? And I would just
17 like to review four elements as to why donor
18 qualification by a screening process is important.

19 First of all and most importantly, to
20 maximize blood safety, to reduce the threat from
21 known agents for which laboratory screening tests
22 exist. As you all know, there are window periods,
23 there are other reasons for false negative testing,
24 and it provides an extra layer of safety to not
25 having that donation collected at all and subjected

1 to laboratory testing.

2 Second element there, there are unknown
3 threats with no laboratory screening test in place,
4 and in some cases, certainly from history you can
5 think of examples, but in the future should we have
6 an agent for which we need to provide a margin of
7 safety and no test exists, we owe it to ourselves
8 to understand the question process, to have the
9 highest level of predictive accuracy that we can in
10 identifying donors at risk.

11 Secondly, we should have an accurate
12 process to minimize donor loss due to inappropriate
13 deferral. These are wasted blood donors if they
14 are excluded from donation for no good reason and
15 they are in fact safe.

16 Thirdly, we need to minimize the negative
17 operational impact. This can include burdens
18 placed on the donors themselves, on the blood
19 centers, and things like submission of post-
20 donation information, which in effect means that a
21 donor does not respond appropriately to a question
22 that is placed to them at the time of the blood
23 donation, but come back later with information and
24 might have to stimulate recalls and other
25 procedures that are quite inefficient and

1 unnecessary if the questioning was done optimally.

2 And then, fourthly, one that doesn't
3 really get a lot of attention, but why collect a
4 unit of blood and have the staff exposed to it
5 through the collection and testing process if you
6 don't need to? It's wise to minimize staff
7 exposure to infectious diseases.

8 Please jump to slide 9. Now, the Uniform
9 Donor History Task Force which you'll be hearing
10 about today is a multi-institutional task force
11 established at the encouragement of the Food and
12 Drug Administration, and in fact FDA has had
13 liaison participants, Judy Ciaraldi, Robin Biswas,
14 and John Lee. I was a member of the task force
15 before joining FDA, and now I am also a liaison.

16 The goals will be reviewed by Dr. Fridey,
17 but primarily it's with the aim of streamlining and
18 improving the overall questionnaire to make it more
19 efficient, not only to make it so it can be done
20 more quickly and more efficient, but in doing so,
21 probably it's best to focus on the most important
22 questions so that you get better predictive value
23 out of those questions.

24 The speakers today are Dr. Joy Fridey, who
25 is the chairperson of the Uniform Donor History

1 Task Force. She'll be giving an update on the task
2 force progress; and, secondly, Dr. Sharon Orton,
3 who is with the American Red Cross Holland
4 Laboratory and has conducted now numerous focus
5 groups to assess donor comprehension of the
6 screening questions, including one published paper.

7 Now, the questions that we would like you
8 to think about in the course of these presentations
9 and jot some notes, particularly some of the key
10 items that you'd like to express, first of all, is
11 the task force using the best overall approach in
12 revising the donor screening instrument with
13 respect to the donor comprehension studies that
14 have been conducted and are being planned?

15 Secondly, identification of questions that are
16 proposed for elimination from the questionnaire,
17 either elimination overall or movement to the
18 information sheet. And I've just said the third
19 one, transfer some question content to the
20 information materials.

21 Question 2: Are the following elements of
22 the redesigned questionnaire instrument
23 appropriate? First, the use of capture questions
24 to identify individuals who are candidates for more
25 in-depth questions, and travel would be a very good

1 example of where this might work. Secondly, is
2 there a need, and what would be best process to
3 ensure on-site reading and understanding of the
4 questionnaire by donors? C, use of separate
5 medication and medical condition lists that can be
6 expanded at local medical director discretion.
7 And, finally, provision of a user manual for the
8 donor screening process, in effect, a product
9 insert for the screening process.

10 Final question has to do with suggestions
11 as to how the FDA should look at the task force
12 product, once the final product is available.
13 Looking for suggestions about what criteria and end
14 points should FDA use to review the content of the
15 task force questionnaire; the format of the
16 questionnaire, both the structure of the questions
17 as well as the secondary structure of the
18 questionnaire overall; the studies of attention and
19 comprehension that have been conducted. And,
20 fourthly, probably the most difficult aspect of the
21 whole issue, how should we and how should we look
22 at the estimated impact of changes in the donor
23 screening questionnaire with respect to blood
24 safety? We're talking about validation of the
25 questions against the ultimate impact on blood