

FOOD AND DRUG ADMINISTRATION

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

VACCINES AND RELATED BIOLOGICAL PRODUCTS
ADVISORY COMMITTEE

9195 01 AUG 10 11:21

OPEN SESSION

Friday,
July 27, 2001

The Committee met at 8:30 a.m. in the Grand Ballroom at the Gaithersburg Holiday Inn, Two Montgomery Village Avenue, Gaithersburg, Maryland, Dr. Robert S. Daum, Chairman presiding.

PRESENT:

ROBERT S. DAUM, MD	Chair
NANCY COX, Ph.D.	Temporary Voting Member
KATHRYN EDWARDS, MD	
THEODORE EICKHOFF, MD	
WALTER L. FAGGETT, MD	
BARBARA LOE FISHER, MD	
SAMUEL L. KATZ, MD	
STEVE KOHL, MD	
JUDITH D. GOLDBERG, Sc.D.	
DIANE E. GRIFFIN, MD	
MARTIN MYERS, MD	Temporary Voting Member
GEOFFREY SCHILD, MD	Temporary Voting Member
MARK STEINHOFF, MD	Temporary Voting Member
DAVID S. STEPHENS, MD	
NANCY CHERRY	Executive Secretary

OPEN

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

A-G-E-N-D-A

Call to Order and Administrative Matters 3

Question 1

 Discussion 5

Open Public Hearing

 DR. BART CLASSEN, 64
 President and CEO of Classen
 Immunotherapies

 DR. PAUL GLEZEN, 66
 Professor Baylor College of Medicine

Question 1 (cont.)

 Final Vote Results 115

Question 2

 Discussion 116

 Individual Votes 179

 Final Vote Results 205

Question 3 & 4

 Discussion 207

P-R-O-C-E-E-D-I-N-G-S

8:39 a.m.

1
2
3 CHAIRMAN DAUM: Good morning. I apologize
4 for banging on a gavel, particularly at this time of
5 the day, but my bell is broken. So, until we have
6 that repaired --

7 DR. EDWARDS: Maybe that wasn't
8 accidental, Bob.

9 MS. CHERRY: Someone needs to go to the
10 Mall Of America and get a new one.

11 CHAIRMAN DAUM: So, we have administrative
12 matters. Let me turn the floor over to Nancy.

13 MS. CHERRY: I have none.

14 CHAIRMAN DAUM: We have no administration
15 matters, which is a good thing.

16 So we'll move right away to asking Dr.
17 Levandowski, whom I've seen, to begin with posing the
18 questions for the Committee's discussion. And then we
19 will talk about further procedure when he's done.

20 We've been having a huddle on a procedural
21 matter, and the procedural matter just relates to how
22 to divide up this morning's discussion.

23 What we're going to do is look at the
24 first question, which is a complicated question
25 relating to efficacy of the FluMist vaccine for which

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we heard yesterday.

2 I've then asked Dr. Mink to initiate the
3 Committee's discussion on this first question by
4 summarizing the viewpoint of FDA, what the FDA thinks
5 is important regarding this efficacy question.

6 And then we will stop and have Committee
7 discussion on the efficacy, and then we'll repeat the
8 whole procedure for question two, which concerns
9 safety.

10 MS. CHERRY: And then we have an open
11 public hearing somewhere in there.

12 CHAIRMAN DAUM: And we'll have an open
13 public hearing, Nancy, I promise, somewhere in there.

14 So, let's roll with question one and Dr.
15 Mink.

16 DR. MINK: Question for efficacy and the
17 Committee we're asking for a vote: Are the data
18 adequate to support the efficacy of Flu Mist in:

19 (a) The pediatric and adolescent
20 population from 1 to 17 years of age? If so, please
21 discuss the appropriate schedule, i.e., one dose vs.
22 two doses. If two doses are recommended, please
23 discuss the age range for this regimen and the
24 recommended timing, i.e., the interval for the doses.

25 Also please discuss the adult population

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 18 to 64 years of age.

2 In your discussion please address the
3 adequacy of the challenge data submitted in support of
4 efficacy against H1N1 influenza strains.

5 If the data are not adequate for specific
6 age ranges, please discuss what additional data should
7 be requested.

8 George, Dr. Daum has asked me to reshow
9 slide 40 from my presentation yesterday.

10 CHAIRMAN DAUM: Who is George?

11 DR. MINK: I'm sorry. Any George.

12 CHAIRMAN DAUM: Good morning, George.

13 DR. MINK: We got it all down right.

14 CHAIRMAN DAUM: George, we get a sense of
15 how you're coming.

16 DR. MINK: The efficacy conclusion from
17 yesterday's presentation, first efficacy against
18 culture confirmed influenza-like illness was
19 demonstrated one or two doses in healthy children from
20 15 to 17 months of age in year one and again after
21 revaccination in year two.

22 At one site, however, contrary to protocol
23 when cultures were obtained in the first 11 days after
24 immunization influenza-like illnesses occurred in
25 children who shed cold-adapted influenza viral

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 strains.

2 In adults an effectiveness study was
3 performed. We do not have efficacy data against
4 culture confirmed illness in adults. In this
5 effectiveness study in adults there was no significant
6 decrease in any febrile illness during influenza
7 outbreak periods, which was the primary end point in
8 the study.

9 Secondary end points including sever
10 febrile illness and febrile upper respiratory
11 infections did have demonstrated efficacy, however the
12 lower bound for SFI was 1.4 percent and for FURI was
13 5.5 percent in CBER-generated confidence intervals.

14 Also we have no field efficacy data for
15 H1N1 in either the pediatric efficacy trial or in
16 effectiveness experience in adults.

17 In a challenge virus study performed in
18 pediatrics it was challenged against vaccine strain
19 H1N1. And the adult wild-type challenge there were
20 only about 30 subjects who were in study
21 participation.

22 Anything else, Dr. Daum?

23 CHAIRMAN DAUM: No, I think that's a
24 superb start. And we're going to want to use you, of
25 course, and the sponsor's group as a resource in our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 discussions, so we may need you to come back.

2 But you could probably scoot back to the
3 FDA table if you would. And if we could have the
4 lights.

5 Could we get the question back on and sort
6 of leave it on, George, when you have a moment. This
7 George.

8 And so now I'd like to have sort of just
9 general Committee discussion regarding this question,
10 number one. And please feel free in your discussion
11 to ask people to show you stuff that you saw yesterday
12 that you want to see again or hear framed again.

13 And let's sort of do it generally at
14 first, and then we'll begin to focus on the questions
15 themselves.

16 So, the floor is open. Dr. Goldberg, Dr.
17 Edwards, Dr. Katz.

18 DR. GOLDBERG: What data do you have about
19 repeated administrations of the vaccine over more than
20 two years? I mean, I think there was a little bit of
21 data shown, but I just want to make sure that I
22 understand all of the data. So how far have you gone
23 with repeated annual administration of this vaccine in
24 adults and in children?

25 CHAIRMAN DAUM: It's a question for the

1 sponsor, I guess.

2 DR. GOLDBERG: Yes.

3 CHAIRMAN DAUM: This is George also. Yes.
4 It makes it easy for me. You want to see a slide,
5 just ask for George.

6 DR. MENDELMAN: These are the data in
7 children for repetitive dosing. The 4,771 for second
8 annual season. 1,999 for a third. And 549 for a
9 fourth consecutive season.

10 CHAIRMAN DAUM: Yes. That's a good way to
11 proceed. We're going to have questions right about
12 this issue, and I still have the sequence with Dr.
13 Edwards next. So Dr. Katz.

14 DR. GOLDBERG: One more second.

15 CHAIRMAN DAUM: Sorry. Dr. Goldberg then
16 Dr. Katz.

17 DR. GOLDBERG: Is there anything in adults
18 and do you have an efficacy data on the third and
19 fourth administrations?

20 DR. MENDELMAN: The efficacy data is only
21 for the first and the second year, it was a two year
22 study in the efficacy trial on children.

23 The effectiveness trial on adults is a
24 single year, single season study.

25 DR. GOLDBERG: Okay. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Dr. Katz, just about this.

2 MR. KATZ: Just about that slide, Paul.
3 When you give us numbers such as those, those are just
4 the vaccine recipients, not the controls, is that
5 correct? When you say there are 2700 children 1 to 8
6 years of age; is that 2700 vaccine recipients or 2700
7 total in the study?

8 DR. MENDELMAN: Vaccine recipients.

9 MR. KATZ: Thank you.

10 DR. MENDELMAN: Individual unique
11 children.

12 CHAIRMAN DAUM: Dr. Griffin about this
13 slide and then Dr. Schild about this slide. This is
14 a popular slide.

15 DR. GRIFFIN: Well, I think it's sort of
16 a crucial slide for some of the questions.

17 And that's whether you have any data on
18 serology on what boosting actually did and how
19 necessary boosting was, because in general these were
20 the same vaccine that was given over and over, right?
21 Or these are different formulas in the first, second,
22 third, and fourth years?

23 DR. MENDELMAN: In the pediatric trial the
24 H1N1 strain changed between year one and year two.
25 The year three they did not change. In year four they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 did change. So, there were several formulations that
2 were given to the children.

3 In the revaccination in year three it was
4 a safety study only there was no serology in year
5 three, however we did do serology in the fourth study
6 season. And the children in the H1N1 group had a
7 boost in their antibody responses. Most of the
8 children to age 3 in B were already seropositive from
9 prior years of vaccination.

10 DR. GRIFFIN: We heard the conclusion, but
11 I don't remember seeing the data with respect to the
12 fact that they tended to respond to only two of the
13 components the first time and you really needed a
14 second dose to get a response to all three. Do you
15 have data that you can share with us on that?

16 DR. MENDELMAN: Yes, and Dr. Belshe's
17 slides in the -- backup, George.

18 CHAIRMAN DAUM: Dr. Edwards be patient.
19 Your next when we finish this issue.

20 DR. MENDELMAN: These are the data from
21 the subset and study 006. After two doses of primary
22 vaccine in the first year, you can see the 96 percent
23 seroconversion and baseline seronegative children for
24 the H3N2 in the B strain and the 61 percent
25 seroconversion for the H1N1 strain.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GRIFFIN: This is after two doses?

2 DR. MENDELMAN: This is after two doses.

3 DR. GRIFFIN: Do you have any data for
4 what happens after one dose?

5 DR. MENDELMAN: Oh, sure.

6 DR. GRIFFIN: I assume it's worse.

7 DR. MENDELMAN: I can tell you what's in
8 my brain.

9 DR. GRIFFIN: Okay.

10 DR. MENDELMAN: The seronegative children
11 after dose one to H1N1 was 16 percent after one dose.

12 DR. GRIFFIN: 1.6?

13 DR. MENDELMAN: Sixteen percent.

14 DR. GRIFFIN: Oh, 16. Okay.

15 DR. MENDELMAN: And then boosted to the 61
16 percent as noted on the slide. The response at H3N2
17 was over 90 percent after the first dose and the
18 response to the B virus was about 89 percent after the
19 first dose.

20 CHAIRMAN DAUM: So there's a difference
21 with H1N1, obviously, in terms of the --

22 DR. GRIFFIN: That's the main problem it
23 sounds like.

24 CHAIRMAN DAUM: This issue. Okay. This
25 issue. Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: In terms of the dose, the
2 one or two doses, the question has to do with
3 efficacy. Because as I recall the efficacy after one
4 dose despite the immunological data was almost equal,
5 is that correct, at least to the H3N2, but --

6 DR. MENDELMAN: For both the H3N2 and the
7 B, and the one dose cohort the efficacy was at 90
8 percent for those two strains after a single dose,
9 88.9 percent.

10 CHAIRMAN DAUM: But then H1N1 becomes the
11 issue again?

12 Dr. Kohl?

13 DR. KOHL: It's reiterating this the same
14 question, and I don't think you can answer it. The
15 question is do you need two immunizations.

16 DR. MENDELMAN: I'm sorry. State again.

17 DR. KOHL: The question is do you need two
18 doses for children.

19 CHAIRMAN DAUM: A rhetorical question.

20 DR. KOHL: And the data, at least in my
21 mind, is not added by the serological response since
22 you've already shown us that the serological response
23 doesn't necessarily correlate with protection. And it
24 looks like unless you have data that you haven't shown
25 us yet, that there's no efficacy with H1N1 other than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 challenge because there weren't any H1N1 in those
2 years.

3 Per chance, did you challenge any
4 individuals who only got one dose in that pediatric
5 challenge study, or were they all double dosed?

6 DR. MENDELMAN: Well, they were all
7 revaccinated in the second season.

8 DR. KOHL: So they were triple dosed?

9 DR. MENDELMAN: And then the challenge was
10 five to eight months after that second season dose.

11 DR. KOHL: Okay. The question is do you
12 need more than one immunization to protect against
13 H1N1? And the answer is we don't know?

14 DR. MENDELMAN: My answer would be to get
15 optimal protection against all three strains, you
16 would need two doses.

17 DR. KOHL: But you're just saying that;
18 you can't support that?

19 DR. MENDELMAN: We know that if you have
20 a high immune response for serum HAI, that does
21 correlate with the efficacy. And there are data, as
22 you saw in the adult trial, that in spite of a lack of
23 a response to the H1N1, those adults were still
24 protected against H1N1. In the young seronegative
25 child we want an optimal response to all three strains

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and the data we're presenting is that one dose was
2 sufficient for the H3N2 and the B. H1N1 didn't
3 circulate for five years between '95 and this year.

4 So, it's in a sense a combination vaccine
5 that we want. Going from 16 percent seroconversion to
6 61 percent would mean to me as a clinician that we're
7 maximizing the response of H1N1 circulated.

8 DR. GREENBERG: I think Bob Belshe has
9 historical data in his head and has other comments to
10 make about two doses versus one dose.

11 DR. BELSHE: Yes. For Dr. Kohl, actually
12 I think this data shows that the second dose of at
13 least trivalent vaccine serological shows infection
14 with H1N1 virus after one dose. So this is, in a
15 sense, an equivalent challenge although it's a
16 challenge with trivalent vaccine.

17 And, George, could you put up the H1N1
18 historical efficacy data?

19 Now, there's really quite a good
20 literature on efficacy of H1N1 vaccine. The largest
21 study was actually conducted by Dr. Edwards, and she
22 might want to comment on that as well.

23 A summary of the 11 efficacy trials with
24 H1N1 vaccine is shown here. The first five are
25 monovalent vaccine and you can see the efficacy has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ranged from 34 percent to 100 percent depending on the
2 study.

3 Second series of studies are for trials
4 using bivalent H1N1 with H3N2 vaccine, the largest one
5 being Dr. Edwards' study, which showed in year one 78
6 percent efficacy against H1N1 and in year four of that
7 study in which H1N1 circulated, 91 percent efficacy.
8 That study includes both children and adults.

9 And then we've presented here the two
10 studies with trivalent vaccine. The children's
11 challenge model, which shows 83 percent efficacy and
12 the adult challenge model, which included H1N1.

13 CHAIRMAN DAUM: Thank you, Dr. Belshe.

14 DR. GREENBERG: Bob, do you want to just
15 briefly comment on your historical trials of one dose
16 versus two doses, which was another question?

17 DR. BELSHE: Yes. We've had an
18 opportunity to examine both bivalent vaccines and
19 trivalent vaccines for one dose versus two doses. And
20 really the best data is from AV006 demonstrating 16
21 percent serologic response rate with dose one, 61
22 percent after two doses.

23 CHAIRMAN DAUM: Thank you.

24 There are some people here that may have
25 comments about this very issue. I have Drs. Schild,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Myers and Faggett. Are they all about this issue?

2 DR. SCHILD: Yes, but mine is a more
3 general issue.

4 CHAIRMAN DAUM: Can you hold then? I'll
5 put you on the general list.

6 Dr. Myers, this issue?

7 DR. MYERS: The data is all pooled, and I
8 was wondering if it's possible to see both efficacy
9 and the immunogenicity data specifically for the 12 to
10 24 month old child?

11 DR. MENDELMAN: In the FDA briefing
12 document and the slide that Dr. Mink showed yesterday,
13 the efficacy and my memory is 84 percent of the
14 children under two years of age.

15 CHAIRMAN DAUM: Do you want to see it
16 again, Marty? We can get to work on that while we
17 hear someone else's comment.

18 CHAIRMAN DAUM: Drs. Mink and Geber, and
19 et al.

20 DR. MYERS: Well, for example, this is
21 pooling all of the data. We're being asked
22 specifically about a one year recommendation. And the
23 immunogenicity data we just saw was pooled data from
24 15 months to 71 months. And I suspect the H1N1
25 response is different in the first year of life than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it is in the third year of life.

2 CHAIRMAN DAUM: Dr. Mink is mobilizing
3 George and we shall have the data you wish in a
4 moment.

5 I want to stay fixed on this issue. Dr.
6 Faggett?

7 DR. FAGGETT: Dr. Myers asked my question
8 already. Thank you.

9 CHAIRMAN DAUM: Dr. Stephens this issue.

10 DR. STEPHENS: I have a question
11 concerning the timing of the dose. In the 06 study
12 the timing of the dose was 60 days, yet the proposal
13 is for 30 days? Can you clarify that difference?

14 DR. MENDELMAN: The timing was 60 plus or
15 minus 16 days. So 46 to 74 days.

16 DR. STEPHENS: But your request is for 30
17 days.

18 DR. MENDELMAN: Correct.

19 George, can you go to the GMT responses
20 and AV007.

21 In the lot consistency trial, AV007 we did
22 a sub-analysis. In that study 500 children were
23 dosed. 100 received placebo, the other four groups
24 were three consistency lots 100 children each and a
25 100 children getting efficacy vaccine. And in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 study children could receive vaccine as early as day
2 28.

3 And these are the time interval data. If
4 children got a dose -- where -- day 28 to 41 on this
5 column here compared to if they got day 42 to 60. And
6 you can see the seroconversion rate are similar in
7 this analysis for each of the three strains.

8 CHAIRMAN DAUM: Thank you. WE're ready to
9 see the data that Dr. Myers asked for. Could we put
10 them up, George, please?

11 DR. MENDELMAN: If I could just comment.
12 Also in the FDA briefing document they note this
13 analysis in their document.

14 CHAIRMAN DAUM: Thank you, Dr. Mendelman.

15 DR. MYERS: And is there any data for 12
16 through 15 months in the serology.

17 CHAIRMAN DAUM: Let's look at the data you
18 asked for here first, since they're ready.

19 DR. MINK: This is the efficacy by age
20 that was requested. I didn't bring a pointer. But to
21 go through, you can see -- thank you.

22 Under 24 months there were 223 subjects
23 that were in the analysis. Any strain, which includes
24 H3N2 and B -- remember there's no H1N1 field data --
25 the efficacy against any strain was 84.7 percent. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what I noted yesterday for you is there are wide
2 confidence intervals, especially against type B. And
3 the reason being that there's such a small number is
4 what we presume.

5 There's efficacy that was comparable for
6 all of these age groups, but again all the n's are
7 small so some of the confidence intervals, especially
8 against type B, are pretty wide.

9 Did you want to see gender and ethnicity,
10 too? I don't remember. Slide 15 please.

11 CHAIRMAN DAUM: Dr. Faggett would like to
12 see that.

13 DR. MINK: Okay.

14 CHAIRMAN DAUM: So as long as you're up
15 there, let's do it.

16 DR. MINK: There were no differences noted
17 in efficacy against any strain for males and females,
18 and they were comparable, obviously, to the analysis
19 for the whole study cohort. Remember this is subjects
20 enrolled in two doses, which is different than the
21 primary n point, which was subjects who had definitely
22 received doses.

23 And then for ethnicity, there was about 85
24 percent of the subjects that were caucasian and 155
25 that were non-caucasian. And the efficacy wasn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 appreciably different between the groups in any
2 strain.

3 Wide confidence intervals again when the
4 numbers are small.

5 CHAIRMAN DAUM: Thank you very much.

6 DR. KOHL: Can I thank you for finally the
7 ethnicity data. We've been asking for that for years
8 and I think is the first time we've ever seen
9 ethnicity data presented to us.

10 CHAIRMAN DAUM: We're asking both FDA
11 folks and sponsor folks to be very nimble with their
12 data this morning, and I recognize that. We're asking
13 them to put up slides out of sequence and on virtually
14 no notice. And the Committee thanks you in advance,
15 because it's very helpful to our deliberations.

16 We're going to move on to a different
17 subject now. Dr. Edwards is the next speaker, then
18 Dr. Katz and Dr. Schild.

19 DR. EDWARDS: Well, I guess the first
20 question that I raised my hand for was how many
21 patients or how many children between 12 months to 15
22 months have been immunized? This is indicated for 12
23 months, and I'm not sure I know how many kids 12 to 15
24 months have been enrolled. So, that was the first
25 question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And then I did want to comment on the data
2 that Dr. Belshe put up about the study that I
3 conducted and reported in 1994 regarding H1N1:

4 Maybe I could comment while they're
5 getting the number of children between 12 to months.

6 I think one of the issues that's really
7 important for people to understand is that the cold-
8 adapted vaccine sometimes will have a much more brisk
9 immune response with the H3N2 and sometimes much more
10 brisk response with the H1N1. And interestingly, our
11 study that we did that was NIH funded that enrolled
12 5,200 plus people, we found during that time that the
13 H1N1 was very immunogenic and, indeed, generated a
14 much higher -- well, a significantly higher immune
15 response with the cold-adapted vaccine than the H3N2
16 did, which is really in contrast to what we're seeing
17 in the Aviron study.

18 So, I think that there is some variability
19 between the strains bearing different H1N1.

20 Our study was done with the single dose of
21 cold-adapted vaccine in all children. And, in fact,
22 our children only received a one to ten dilution of
23 the vaccine. So, obviously, a less concentrated
24 vaccine.

25 In that situation, as Dr. Belshe showed,

1 we had one year that was matched very nicely with the
2 vaccine strain and one year that was a drift strain.
3 And just as he had mentioned, the efficacy in adults
4 and children was exactly as he said, 78 and 90 percent
5 for culture confirmed disease.

6 In contrast, the H3N2, which was less
7 immunogenic that year, in terms of culture confirmed
8 disease, and granted there are many caveats. This is
9 a drop vaccine. We did not have the funding to do the
10 intensive surveillance that was done, so those caveats
11 are all there. But the efficacy with one dose of H3N2
12 was 59 percent for a drifted strain and 56 percent for
13 a well-matched strain.

14 So I think that the vaccine does have
15 efficacy after a single dose for H1N1 and for H3N2,
16 even if it's not an optimal immune response. But
17 whether that's what is wanted, whether the optimal
18 response after two dose versus one dose is something
19 we need to discuss more fully.

20 DR. KOHL: Kathy, is this the same vaccine
21 as Aviron's?

22 DR. EDWARDS: No, it's not. This is a
23 vaccine made by a different manufacturer; the same
24 master strain, however. And, again, I'm not trying to
25 say this is comparable, but I'm just saying that there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are some differences in the immune response to
2 different vaccines.

3 CHAIRMAN DAUM: Thank you.

4 Dr. Katz?

5 DR. MINK: I can answer the age question
6 if Aviron doesn't have the data. Do you want me to do
7 that one first?

8 Under 12 to 15 months of age, according to
9 Dr. Rida and our statistical review, we have
10 accountable 200 children in studies in the FDA
11 database.

12 DR. MENDELMAN: This slide is the updated
13 numbers. The cut off data in the FDA briefing
14 document was as of April 30th.

15 The statisticians from Aviron are working
16 on the number between 12 and 15. And Dr. Mink, I
17 believe, will be correct; it'll be in that range.

18 The cut off shown here, 12 to 18 months of
19 age, is 813 FluMist recipients, 19 to 35 months of age
20 3,395 and then you see the other breakdowns.

21 CHAIRMAN DAUM: Dr. Mink, do you want to
22 comment?

23 DR. MINK: I can just give you the numbers
24 that we have in our database, if you'd like.

25 From 12 to 15 months for FluMist

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recipients our n is 200. From 16 to 19 months the n
2 is 507. For 20 to 23 months it's 547. And that gives
3 us a total of 1254 subjects under 24 months of age.

4 CHAIRMAN DAUM: Not incompatible with
5 these data, just a different way of breaking them
6 down?

7 DR. MINK: Different age group and an
8 early dataset.

9 CHAIRMAN DAUM: Thank you.

10 Now I think we're ready to go on to Dr.
11 Katz.

12 MR. KATZ: My comment, really, rather than
13 question is a much more generic one. But we've been
14 asked to look at data supporting the efficacy of
15 FluMist. And I'm very comfortable with what we've
16 seen. But I think it has to be made very clear to the
17 public that, you know, influenza is but one infection
18 of what we're going to be coping with. And the
19 overall reduction of acute febrile respiratory illness
20 is going to have to include respiratory syncytial virus,
21 the parainfluenza viruses, the adenoviruses. And my
22 concern is not with the vaccine, but with how it's
23 presented to the public and the health providing
24 community in that there will be great disappointment
25 if we still see lots of febrile respiratory illness,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which we certainly are going to, but it's not going to
2 be due to influenza viruses.

3 I think it's terribly important to prevent
4 influenza virus illness. And as Paul Glezen and
5 others have shown very convincingly it doesn't even
6 have to be respiratory illness. It can be ill-defined
7 febrile illness, particularly in the younger infants
8 whom we're discussing now.

9 So, I think there has to be a great deal
10 of clarity whatever the decisions are and however it's
11 eventually presented that we're preventing
12 specifically influenza virus illness and not
13 respiratory disease in daycare centers and in infants
14 in the first years of life.

15 CHAIRMAN DAUM: We do, of course, have the
16 same problem with the current immunization vaccine
17 schedule, do we not?

18 MR. KATZ: Right, but it's poorly if at
19 all used in the pediatric age population, except
20 perhaps for high risk children. Whereas, I think the
21 ease of administration of a nasal vaccine and avoiding
22 the pin cushion effect I think will have very definite
23 assets.

24 And there are already people in the
25 pediatric infectious disease community who are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pushing for universal immunization of infants and
2 children, both to protect them and to interrupt
3 transmission to adults.

4 CHAIRMAN DAUM: On this point, before I
5 call on Dr. Schild whose next and Dr. Kohl, would
6 someone care to comment about the data in adults that
7 there was no decrease in acute febrile illnesses? I
8 believe those data was taken from a time when
9 influenza virus was very heavily circulating in the
10 community. And vis-à-vis Dr. Katz' question, I was
11 curious as to what comment sponsors or FDA or
12 Committee members, or anyone had about that issue.

13 DR. GREENBERG: I think Kristin Nichol
14 will comment.

15 DR. NICHOL: Sure. I would certainly be
16 interested in commenting.

17 It is absolutely true that the primary n
18 point for the clinical effectiveness trial in health
19 adults did not show a statistically significant
20 reduction as we discussed yesterday. The primary n
21 point or outcome definition that we selected for that
22 trial was any febrile illness. And that was very
23 sensitive but nonspecific outcome.

24 Recall that this was a clinical
25 effectiveness trial designed to very broadly access

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 impact across a number of different outcomes, not only
2 illnesses per se, but also health care use.

3 I think it's important to recognize that
4 even if the primary n point is negative, is there
5 something else that these data can tell us that is
6 important and useful regarding the question does the
7 vaccine work? That is, is the vaccine efficacious,
8 which is a slightly different question from the
9 primary question in the clinical trial, which was is
10 the vaccine clinically effective across a broad range
11 of health economic parameters.

12 If one is interested in asking does the
13 trial provide useful information on whether or not the
14 vaccine works, is it efficacious, which I believe is
15 the question in front of this Committee, then I think
16 it's important to ask which is the most appropriate
17 outcome definition to look at and by what way it's
18 measured.

19 And I believe that then one looks at the
20 most specific illness definition that we included as
21 the prespecified definition, and that would be febrile
22 upper respiratory illness. We recognized that when we
23 were looking at different illness definitions in the
24 study planning stage and from some studies published
25 since that trial was conducted, including that Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Arnold Manto published in the Archives of Internal
2 Medicine, I believe last year looking at the positive
3 predictive value of various clinical syndromes; we do
4 believe that the febrile upper respiratory illness
5 definition, which most closely approximates the CDC's
6 ILI surveillance definition, is the most specific for
7 influenza.

8 So, that's why I look at the most specific
9 illness definition in asking the question does the
10 vaccine work in adult populations rather than it is
11 clinical effective across a number of outcome
12 parameters.

13 Then the question is what is the most
14 efficient way to measure that. And I'm, perhaps, a
15 bit chagrined in admitting in retrospect we chose for
16 the primary n point only, to look at proportions of
17 people having any event. And recall we were looking
18 at any febrile illness.

19 Well, it turns out that people can have
20 more than one event because many of the febrile
21 illnesses are not due to influenza. And so if one
22 wants to look at the most efficient way to measure the
23 outcome, one should look at events rates.

24 So I would propose if the question is does
25 the vaccine work, that one might look at events rate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and in particular at the febrile upper respiratory
2 illness category or the severe febrile categories as
3 being more specific. And if you look at the slide
4 shown here -- I don't have a pointer -- but you can
5 see that the reductions are as they are shown.

6 I don't want to shine this in anybody's
7 eyes. With more precise estimates than we saw when we
8 were looking at proportions. And, in fact, the lower
9 confidence bounds for those reductions I have here. I
10 don't have them on the slide. I apologize for that.
11 But for febrile upper respiratory illness, the lower
12 confidence bound for the percent reduction is 12.7 and
13 it goes to 33.2 as the upper bound for the 95 percent
14 confidence interval.

15 CHAIRMAN DAUM: Thank you very much for
16 that helpful comment. Comment on this point? Dr.
17 Goldberg?

18 DR. GOLDBERG: Excuse me. Dr. Nichol --

19 CHAIRMAN DAUM: Dr. Nichol?

20 DR. GOLDBERG: Am I correct in your saying
21 that for any febrile --

22 CHAIRMAN DAUM: Dr. Goldberg, if you could
23 get that mike right up close.

24 DR. GOLDBERG: For any febrile illness you
25 used the proportion of patients with the event? For

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 essentially first event?

2 DR. NICHOL: For the primary --

3 DR. GOLDBERG: And for the others you used
4 an event rate? Is that what --

5 DR. NICHOL: For the primary outcome a
6 single outcome in measure --

7 DR. GOLDBERG: Right.

8 DR. NICHOL: -- we looked at the
9 proportion of people having any febrile event. We
10 also measured the proportion of people having these
11 outcomes as well.

12 DR. GOLDBERG: Okay.

13 DR. NICHOL: And we showed that on a
14 previous slide.

15 The point I'm making is there's a single
16 primary outcome, but then if we're asking --

17 DR. GOLDBERG: Back up. On this slide am
18 I seeing the effectiveness --

19 DR. NICHOL: These are event rates. These
20 are event rates.

21 DR. GOLDBERG: Also for any febrile
22 illness, is that an event rate as well?

23 DR. NICHOL: These are all event rates.

24 DR. GOLDBERG: Okay.

25 DR. NICHOL: The numbers of episodes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. GOLDBERG: That's all.

2 DR. NICHOL: I'm sorry.

3 DR. GOLDBERG: Thank you.

4 CHAIRMAN DAUM: We'd like to move on now.

5 Thank you, Dr. Nichol.

6 To Dr. Schild, whose been patient and
7 eager to raise a point for our consideration.

8 DR. SCHILD: A general point, Chairman.

9 Like Professor Katz, I've found quite a
10 lot of satisfaction in the efficacy data presented
11 yesterday. However, it would be good to see field
12 data for H1N1 virus.

13 But I'd like to address the issue of the
14 protective efficacy in the face of antigenic and
15 genetic variation of the viruses. We had good data
16 about a two year period of antigenic drift for the
17 H3N2 virus, which showed good cross protection. And
18 I think it would be highly desirable in the long run
19 to know much more about protective efficacy of all
20 three types of vaccine in relationship to progressive
21 antigenic and genetic drift of the virus.

22 And also in the long run, to be able to
23 relate that sort of information by immunological
24 markers. There's considerable scope for learning much
25 more about the sort of protective efficacy induced by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 this virus in terms of antibodies local in circulation
2 and in terms of other markers.

3 In terms of antigenic variation, I think
4 it would be interesting also to know a bit more about
5 the neuraminidase contribution. These are long term
6 issues. I don't think they're issues that can be
7 resolved within a short period of time.

8 CHAIRMAN DAUM: There are influenza
9 experts here who might like to say something about
10 that, or we'll just take it as a reflection?

11 Dr. Schild, we thank you for your
12 reflection.

13 Dr. Kohl, then Dr. Snider, and Dr.
14 Stephens.

15 DR. KOHL: We're going to spend a lot of
16 time on side effects later on, I guess. But my
17 question is related to the interaction of side effects
18 and effectiveness. And what I'd specifically like to
19 ask Aviron is do you have any data, since there are a
20 lot of side effects; some of them bothersome. I think
21 there's an increased fever, especially in young
22 children, which might bring these kids into the
23 emergency rooms. There's clearly a huge increase in
24 "a runny nose," which on some occasions might bring
25 very young children in to see their private doc. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that is balanced by the effectiveness, the number of
2 cases of influenza that are prevented and saving
3 money.

4 So, have you or any of your colleagues
5 done a time benefit analysis, a cost benefit analysis
6 to see what this is going to do in the trenches to the
7 pediatrician and the pediatric patient?

8 DR. GREENBERG: Before we answer that
9 question, getting back to how many children between 12
10 and 15, we were digging through to give you that
11 number. That's 271. I can't remember asked, but you
12 all wanted to know the number.

13 Steve, the question you asked is about
14 fever and runny nose. And I think the best thing to
15 do would be to call up the -- Paul, you're doing that?

16 DR. MENDELMAN: Dr. Kohl, I can tell you
17 the number of differences. The percent with low grade
18 fever between vaccine and placebo.

19 DR. KOHL: No, but that's not what I want.
20 I want to know a cost benefit analysis if it's been
21 done.

22 DR. MENDELMAN: Well, I understand the
23 question.

24 DR. KOHL: You had done that for adults
25 and it's included in the packet and \$30 looks like a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 break even point. What does it look like for kids?

2 DR. MENDELMAN: Are we talking about
3 economic issues?

4 DR. KOHL: I'm talking about economic
5 issues.

6 DR. MENDELMAN: Okay.

7 DR. KOHL: But it's more than economic
8 issues. It's life issues for pediatricians and
9 pediatric patients.

10 DR. MENDELMAN: Okay. There's an article
11 coming out in Pediatrics next month. The article is
12 a cost economic analysis based on data from the
13 efficacy trial AV006 and a list of assumptions
14 therein.

15 The analysis team was Brian Luce's group
16 at Medtap International and the various investigators,
17 Dr. Belshe included and Dr. Zangwill and others who
18 are the investigators in the 06 trial.

19 There were two numbers. As I remember
20 them, if the parent has to take off time from work for
21 two hours to take the child in for an immunization,
22 the data look identical to data that Dr. Mary
23 Nettleman has published previously with the
24 inactivated vaccine in children. And that is, about
25 \$4 to \$5 cost savings.

1 If there's an alternative to give vaccine,
2 like a vaccination clinic where the parent doesn't
3 have to take time from work, again identical to the
4 inactivated vaccine data that Dr. Nettleman and her
5 group has published, it's about \$28 cost savings.

6 CHAIRMAN DAUM: Steve, you could feel free
7 to return to this issue when we get to discussion
8 point four, which is what additional data you would
9 like to see generated. But I'd like to move on to --

10 DR. GLEZEN: Dr. Daum, could I make a
11 comment that directly responds to Steve's question?

12 CHAIRMAN DAUM: I think you may.

13 DR. GLEZEN: I'm Paul Glezen from Texas.

14 The last slide that Paul showed yesterday
15 looked at the relative risk of visits for acute
16 respiratory disease in zero to 14 days after
17 vaccination and compared it to prevaccine rates and
18 rates 15 days and greater. And now in three years
19 data with almost 15,000 doses administered, the
20 relative risk for a visit for a acute respiratory
21 illness is less than one for all acute respiratory
22 disease categories.

23 So, from that standpoint we don't see any
24 increased burden on the medical care system by these
25 side reactions to the vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Thank you, Dr. Glezen.

2 Before you sit down, are you today, Dr.
3 Glezen, distinguished academician from Baylor or are
4 you speaking now on the sponsor's behalf?

5 DR. GLEZEN: Well, I don't know how to
6 separate that.

7 CHAIRMAN DAUM: Well, we need to know that
8 you can't. So, thank you very much.

9 Do you have affiliations with the sponsor?
10 We need to know how to interrupt your comments.

11 DR. GLEZEN: Okay. The study that we're
12 doing in Texas is based on an NIAID grant, but Aviron
13 provides the vaccine and, of course, holds the IND on
14 the vaccine. And we have, obviously, participated in
15 a lot of safety evaluations for Aviron, which will be
16 submitted to the FDA for this consideration.

17 CHAIRMAN DAUM: I thank you, sir. And
18 thank you for your comments.

19 Dr. Snider, you wished to make a comment?

20 DR. SNIDER: I wanted to ask a couple of
21 questions as they relate to efficacy. I know that the
22 manufacturer's not asking for an indication at this
23 time in persons 65 years of age and older. However,
24 there was some data presented or someone alluded to
25 the fact that there was some information available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that suggested that combination of the inactivated
2 vaccine, which we know in the older age groups is not
3 as efficacious as it is in younger age groups,
4 typically that protection might be boosted by having
5 FluMist and the inactivated given in combination. And
6 so although I understand the reason why people over 65
7 were randomized to placebo in FluMist, I just wondered
8 if there was any data for those over 65 as it relates
9 to receiving both vaccines?

10 And also had a question about that I
11 haven't raised, and that is -- I mean, I think I know
12 the answer, but I'd like to hear the answer about the
13 concomitant use of antivirals neuraminidase
14 inhibitors, for example?

15 CHAIRMAN DAUM: Do you want to comment on
16 that?

17 DR. GREENBERG: There were two questions
18 asked. One was the question about over 65 combination
19 therapy and the other was the susceptibility of these
20 vaccines to antivirals?

21 DR. SNIDER: Yes.

22 DR. GREENBERG: So the first question, the
23 combination experiments were mentioned by Dr. Murphy
24 yesterday. And those were not Aviron studies, those
25 were studies carried out by Dr. John Treanor and

1 colleagues, whose in the audience, And I think Dr.
2 Murphy pretty well summarized them yesterday showing
3 added effect of a combination. But those are not
4 Aviron studies.

5 If you have a more detailed question, I
6 think you have the PI for those studies here.

7 As far as the second question goes, we do
8 have antiviral data which I think is being called up.
9 And the vaccine are susceptible both in neuraminidase
10 inhibitors and to the older antivirals rimantadine and
11 amantadine.

12 DR. SNIDER: Could someone remind me of
13 the magnitude of the marginal benefit of adding
14 FluMist to inactivated?

15 DR. GREENBERG: Dr. Treanor, can you just
16 step up?

17 CHAIRMAN DAUM: Before you start, I
18 apologize, we need your name and affiliation.

19 DR. TREANOR: Okay. John Treanor,
20 University of Rochester in Rochester, New York.

21 CHAIRMAN DAUM: And relationship with the
22 sponsor?

23 DR. TREANOR: We have participated in a
24 number of NIH funded studies that involved cold-
25 adapted vaccine in the years prior to it becoming

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FluMist, and then also where the vaccine was supplied
2 by Aviron.

3 And the study that we're referring to here
4 was done quite a number of years ago using a cold-
5 adapted vaccine which was monovalent H3N2, because our
6 observation had been that in nursing homes pretty
7 exclusively in terms of influenza A viruses, we would
8 see outbreaks of H3N2. And so that study randomized
9 nursing home residents to receive inactivated vaccine
10 and then either intranasal placebo or intranasal cold-
11 adapted H3N2 virus. And this was done over a three
12 year period of time.

13 And in nursing homes where there were
14 outbreaks of influenza A we saw about a 50 percent
15 reduction in the rate of laboratory confirmed
16 respiratory illness due to influenza A in recipients
17 of combined vaccine.

18 Now, I think, you know, there are
19 obviously several things to keep in mind about that
20 study. It's relatively small. It was designed really
21 as a pilot study and not a pivotal trial and it
22 involved monovalent vaccine in a fairly unique
23 population of nursing home residents who are
24 extraordinarily susceptible to illness due to
25 influenza A in that sort of intense exposure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 environment.

2 CHAIRMAN DAUM: Thank you very much.

3 Let's move on to Dr. Stephens next. It
4 his about this very issue? Okay. Then I'll put you
5 on the list. There's Dr. Stephens, Dr. Griffin, Dr.
6 Edwards.

7 DR. SNIDER: Bob, I didn't get an answer
8 to my question.

9 DR. GREENBERG: I'm sorry.

10 CHAIRMAN DAUM: Could you speak into the
11 microphone?

12 DR. GREENBERG: Yes, I put up this slide
13 and the antiviral part? As I said, and maybe it went
14 by too quickly, the vaccines are -- I think I -- we
15 have the two neuraminidase inhibitors here, rimatadine
16 and amantadine. And what you have here are pairs, the
17 wild type parent and the cold-adapted and inhibitions.
18 And as you can see, when the wild type virus has a
19 sensitive neuraminidase A sort and has a sensitive
20 neuraminidase. And the type As are susceptible to
21 amantadine and rimantadine.

22 And a B virus, do we have that here? Yes.
23 B virus is resistance, as you would expect.

24 CHAIRMAN DAUM: Thank you.

25 Maybe I should check. Dr. Snider, does

1 that take care of your questions? Good.

2 Dr. Stephens is next and then Dr. Griffin,
3 Dr. Edwards.

4 DR. STEPHENS: My questions concern
5 efficacy in the older adult population and
6 specifically data in the 50 to 64 year old group. I
7 think that's the other end of the spectrum that there
8 may be limited data concerning efficacy.

9 And the second question concerns efficacy
10 in immuno-compromised populations, which is an area we
11 haven't heard a lot of data on at this point.

12 CHAIRMAN DAUM: Let's hear the answer to
13 that and remember that it isn't really part of
14 question one, but might be something to revisit under
15 discussion point number four.

16 DR. STEPHENS: It is part of the question.
17 It's part B.

18 CHAIRMAN DAUM: Let's hear the answer to
19 that, because it's an important part of question one.

20 DR. GREENBERG: We're not totally seamless
21 in calling up slides.

22 DR. NICHOL: Forgive me if I'm creating a
23 little delay here in moving forward and asking some
24 questions.

25 With regard to the clinical effectiveness

1 trial in the healthy working adults, a subgroup
2 analysis has been done using both an under 40 and
3 greater or equal to 40 age split, which approximates
4 a 50/50 split in terms of the age distribution, the
5 participants.

6 We've also looked at an over 50 versus
7 under 50 split, and there's no evidence of a decrement
8 in the benefit of the vaccination in the older age
9 group, as I recall, in any of the outcomes that were
10 looked at. Because of the subgroup analysis some of
11 the numbers, obviously, are small. But in terms of
12 interaction between age and effectiveness, there's no
13 evidence of an interaction.

14 Does that --

15 DR. STEPHENS: Do you have this broken
16 down between 50 and 64 is the specific question?

17 DR. NICHOL: Yes. I'm sorry, I guess
18 that's where I created some confusion. This is the 40
19 split and then these are -- it's not quite the way I
20 was expecting the data to come up, but this is an
21 analysis looking at the 50 over versus under 50
22 showing statistically significant p-values for
23 effectiveness. But what the question really is, I
24 believe, is is there a difference in effectiveness
25 between under 50 and 50 and over. And there's no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence of fall-off in effectiveness. Those p-values
2 when comparing under 50 to 50 and over are generally
3 all about .5 or greater.

4 Does that --

5 DR. STEPHENS: Yes, that's helpful. And
6 the n number on these data?

7 DR. GREENBERG: The numbers over 50 were
8 what, Paul? The numbers are getting smaller.

9 DR. MENDELMAN: The numbers are
10 approximately 439 FluMist recipients and 200 plus
11 placebo recipients in that analysis that you just saw,
12 50 to 64.

13 And this is the analysis you just asked
14 for, and Kristin, if you could present that?

15 DR. NICHOL: Right. What we've shown here
16 in terms of percent reduction and outcomes, the
17 comparisons are between under 50 versus 50 and over.
18 So it's a question of is there a difference in
19 effectiveness. And you could look at occurrence of
20 illness or days of illness across all of the various
21 outcome definitions. You'll see that the -- oh, I'm
22 sorry. The n's are up there.

23 The n's are 3,920 for under 50 for all
24 participants and 641 for participants 50 years of age
25 and over. And again, as you'll look across the rows

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there, the p-values are for differences in efficacy or
2 effectiveness between the age groups.

3 CHAIRMAN DAUM: A couple of those
4 comparisons the p-values are significant. Do you want
5 to make any comment on those or not?

6 DR. NICHOL: The ones that are significant
7 here are in the categories of missed work or
8 healthcare provider visits where it looks as if there
9 was some difference by age group. And I will just note
10 that in those cases, it appears as if the benefit was
11 greater in the older age group both for the category
12 of febrile upper respiratory illness.

13 CHAIRMAN DAUM: The healthcare provider
14 was --

15 DR. NICHOL: Pardon me?

16 DR. DAUM: The healthcare provider is --

17 DR. NICHOL: Right, both missed worked and
18 healthcare provider visits looked as if there was a
19 greater reduction in the older age group.

20 CHAIRMAN DAUM: Thank you. That was
21 pretty nimble.

22 Dr. Griffin?

23 DR. STEPHENS: A second part of the
24 question --

25 CHAIRMAN DAUM: Sorry.

1 DR. STEPHENS: -- had to do with the
2 immuno-compromised --

3 CHAIRMAN DAUM: These two partners are
4 getting to me a little bit. I'm sorry. You want to
5 state the question again, David.

6 DR. STEPHENS: Well, my concern, we've
7 heard a little bit of data about the HIV -- there was
8 a small study in the HIV population. There is some VA
9 data. But I mean, obviously, influenza is an
10 important issue in immuno-compromised populations, and
11 I just wanted to feel reassured, if you will, that the
12 efficacy in those populations, renal failure for
13 example, diabetes; those populations in adults that
14 may benefit most from this vaccine. Do you have data?

15 DR. GREENBERG: No, we don't. We do not
16 have efficacy data in those high risk populations.

17 CHAIRMAN DAUM: And it's keying in on that
18 part of what you said that I thought you could reraise
19 that as part of discussion point four what additional
20 data are needed. And I agree with you.

21 DR. GREENBERG: I do want to remind you,
22 although I know you know it, we're not seeking an
23 indication for those populations.

24 CHAIRMAN DAUM: Dr. Edwards?

25 DR. EDWARDS: Yes. I wanted to talk a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 little bit about a practical issue, and that's because
2 I'm a mother and also a pediatrician.

3 I know that many times children have runny
4 noses constantly. So the practical issues of the
5 administration of the vaccine in the face of URIs or
6 how is a pediatrician or a family practitioner going
7 to -- what kind of instructions practically do you
8 have? And do you have any data if there is some runny
9 nose present whether the take is okay or whether
10 adverse events are unacceptable? I know we're not
11 talking about safety. I'm just talking about
12 efficacy. But if you have a little safety, you might
13 want to throw it in.

14 CHAIRMAN DAUM: We wouldn't be offended.

15 DR. BELSHE: There is no backup slide on
16 this, but there is some anecdotal data.

17 First of all, let me comment a little bit
18 about the way in which we collected data and the
19 children given a placebo versus vaccine, the normal
20 allantoic fluid.

21 I got the impression that people were
22 concerned that normal allantoic fluid was causing 20
23 percent runny nose. That's not the case. We enroll
24 children and selected only children without a runny
25 nose at time zero on day zero, and then gave them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vaccine or allantoic fluid intranasally. And by the
2 time those children get home, the mother's check on
3 the diary card on day zero 10 percent of the time that
4 children have runny nose. And then on day two it goes
5 up to 20 percent and it stays at 20 percent for the
6 duration of the diary card.

7 So what we're seeing is a return to normal
8 baseline rate of 20 percent runny nose in children on
9 any given day. And so it's not the normal allantoic
10 fluid, in my opinion, that's causing that 20 percent
11 rhinorrhea, it's just the nature of children 20
12 percent of the time have a runny nose.

13 So, in year four of the efficacy field
14 trial we did enroll a small new cohort and changed the
15 entry criteria so that they could be enrolled and have
16 runny nose. And there is just a handful of data on
17 that, and the data do not suggest, although it is
18 almost anecdotal to be so small, that runny nose in
19 anyway inhibits response to the FluMist. There's no
20 inhibition.

21 CHAIRMAN DAUM: No inhibition of what?

22 DR. BELSHE: In a small number.

23 CHAIRMAN DAUM: Of what? Of immune
24 response or --

25 DR. BELSHE: Of antibody response to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FluMist.

2 CHAIRMAN DAUM: Thank you, Dr. Belshe.
3 That was a helpful orientation.

4 Is there other Committee input on this
5 question one? Dr. Griffin?

6 DR. GRIFFIN: Part of question 1(a) is if
7 two doses are recommended, please discuss the age
8 range for this regiment and the recommended timing of
9 the doses.

10 Okay. We talked a little bit about the
11 timing, but I haven't seen any data that supports the
12 current request that it be for children under the age
13 of 9. And so I just wondered where that data comes
14 from that chooses that cut off point for two doses
15 before 9 and once does after?

16 DR. MENDELMAN: In part, we accepted the
17 epidemiological data and the decisions of the
18 inactivated vaccine for two doses to be administered
19 to children under 9 years of age if they've not been
20 previously vaccinated. And then children over 9 would
21 receive a single dose.

22 And Dr. Glezen in the audience could
23 comment further. I believe he presented data at ACIP
24 and possibly to this Committee in the past. I think
25 Paul would be the right person to address that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 epidemiologically.

2 CHAIRMAN DAUM: Would you like to hear
3 that, Dr. Griffin?

4 DR. GRIFFIN: Yes, please.

5 CHAIRMAN DAUM: Okay. Dr. Glezen, are you
6 available, willing?

7 DR. GLEZEN: Yes. Paul Glezen.

8 We've looked at this in relation to the
9 recommendation for inactivated vaccine, and I think
10 that's the origin of this recommendation is that
11 traditionally inactivated vaccine we recommend two
12 doses for kids under 9.

13 We considered this related to when natural
14 priming occurs. Because if a child has been primed by
15 natural infection with flu, they respond very well to
16 inactivated vaccine now we're talking about, not live.
17 And in our longitudinal studies of children in the
18 Houston Family Study, and I know Bill Gruber had some
19 data and we talked about this at the time. We found
20 that almost all children have had experience with all
21 three circulating strains by the time they enter
22 school at 5 or 6 years of age. And we thought that
23 this could be safely dropped. But for some reason or
24 other when I proposed this, there was some technical
25 reason that had to do with studies of -- well, I can't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 remember. But it was rejected. And the rejection came
2 from an objection by the FDA liaison to the ACIP at
3 that time, I remember.

4 But basically the studies show that kids
5 respond very well. Now, when it comes to the live
6 attenuated vaccine our experience is that a single
7 dose, and when you look at the data for one dose, that
8 mostly comes from Houston. We found that one dose is
9 effective. In all our previous studies we've used a
10 single dose. And whether we're talking H1N1 or B or
11 H3, we've found that one dose has provided very good
12 protection so that we haven't felt the necessity to
13 use two doses for any of the kids. But I'll leave
14 that argument until later.

15 DR. GREENBERG: Can I just --

16 CHAIRMAN DAUM: On this very point?

17 DR. GREENBERG: Yes.

18 CHAIRMAN DAUM: Okay.

19 DR. GREENBERG: I just wanted to clarify
20 for Diane and for the Committee, it's two doses for
21 children under the age of 9 for the first time. Once
22 they have received the vaccine, it's one dose.

23 CHAIRMAN DAUM: Dr. Eickhoff, this very
24 point.

25 DR. EICKHOFF: A question for Dr. Glezen.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Would you be content with just a single dose for a 15
2 month old child?

3 DR. GLEZEN: My main consideration is
4 public health implications. I think that being the
5 current state of affairs that if we gave a 1,000 kids
6 a single dose, we'd be a lot better off in giving 500
7 kids two doses for the community and our general
8 health status. And it's pretty hard from a public
9 health standpoint to recommend two doses when we're
10 not doing a very good delivering vaccine to our total
11 population. So if we can get single dose to
12 everybody, we'll be a lot better off.

13 CHAIRMAN DAUM: But that's not the choice
14 before the Committee. We're asking you to --

15 DR. GLEZEN: Yes, I understand that. I
16 understand that.

17 CHAIRMAN DAUM: step into a perfect world
18 where there's a 100 percent coverage and everyone does
19 the right thing. Do we need one or two doses?

20 DR. GLEZEN: Right. Well, I've been
21 tempted to get up several times when you've talked
22 about H1N1, because this past winter we gave vaccine
23 in the face of an H1N1 epidemic in Texas. 5,000 kids
24 were given H1N1 New Caledonia strain. This was a new
25 variant and previous studies had shown very little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 cross protection by previous H1N1 strains. And we were
2 quite delighted to find that a single dose resulted in
3 apparent good protection from our unblinded study in
4 that we only saw one breakthrough. And if we looked
5 at the culture positive illness in age eligible kids
6 in the same community and compared it to culture
7 positive illnesses in vaccine recipients, and this is
8 a rough very crude estimate of efficacy, it would have
9 been 91 percent protection against H1N1 culture
10 positive illness in our study last winter.

11 And that was a total of several hundred
12 kids being cultured, so that I feel very comfortable,
13 the efficacy standing point with one dose in any age
14 group.

15 DR. KOHL: Paul, these were previously
16 unvaccinated. Paul Glezen. These were previously
17 unvaccinated children who got one dose of vaccine?

18 DR. MINK: And the youngest age group:

19 DR. GLEZEN: The youngest, 18 months. And
20 the youngest -- over 2,000 got their first dose. And
21 there were 3,000 -- we had the data broken down by
22 whether or not they got vaccine 98, 99 or 2000,
23 whether they had multiple doses and all that. And the
24 protection looks good for both delivery of vaccine in
25 99 or 2000.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN DAUM: Dr. Griffin had a comment
2 or question for Dr. Glezen also? No. A comment on
3 this issue?

4 DR. GRIFFIN: Yes. I just want to make
5 sure I understand that what the basis of the data on
6 which we're being asked to vote, basically, on a two
7 dose schedule and the age range which a two dose
8 schedule would be recommended. And it's my
9 understanding that this is based purely on the ACIP --
10 those CDC recommendations for the inactivated vaccine
11 and that there are no specific data addressing this
12 point from Aviron.

13 CHAIRMAN DAUM: Well, I think we heard
14 some about sero-conversion rates for H1N1 with one and
15 two doses. And I think --

16 DR. GRIFFIN: No, we didn't that broken
17 down by age and so we didn't have that broken down by
18 age in this range from, you know, under two, under
19 three, under four -- you know.

20 CHAIRMAN DAUM: Let's take that point to
21 the finish line. Do we have those data broken down by
22 age, because they're obviously very important to this
23 issue?

24 DR. GREENBERG: We don't have it on a
25 disk, but we can get it. It's in -- we have it, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we don't have in front of us.

2 Are you saying we can get it and bring it
3 to the Committee? So can we just table that. Can
4 Diane wait --

5 CHAIRMAN DAUM: We can. We can.

6 I have Dr. Katz and Steinhoff scheduled as
7 the next two. I'd like to try and ask the Committee
8 now to really bring their thoughts to bear on question
9 one and to focus now on comments that have to do with
10 your ability to directly deal with this question.

11 What I'd like to do is have issues that
12 haven't been raised and need clarification flushed out
13 in the next few minutes. Then go to the open public
14 hearing, which you must do before a vote, and then
15 vote on this question one. Because we need to spend
16 time on question two, the safety question, the same
17 depth as this and it's very important that we come to
18 some closure.

19 So, I have Dr. Katz and Steinhoff
20 scheduled to speak. We hope that Dr. Greenberg, et
21 al, can provide these serology data for us on the two
22 dose one dose issue. And then I'd ask additional
23 speakers to really address question 1, issues that
24 haven't been raised.

25 Dr. Katz?

1 MR. KATZ: I'll defer to the Congressman
2 from Maryland.

3 DR. STEINHOFF: I'm not a congressman,
4 thank you very much.

5 Actually, this is an observation about the
6 two dose for the first time immunization of infants.
7 It's an observation.

8 And that is if you want to do that and if
9 you think about the child as the child goes through
10 time, if you ask for two doses and then another dose
11 the next year, which is the intention, that child will
12 get three doses in a 12 month period.

13 If you say well one dose is enough, and
14 from what I've seen it looks like one dose probably is
15 enough in terms of effectiveness and efficacy, perhaps
16 not for immunogenicity. If one dose is enough, then
17 that child will get two doses within a 12 month
18 period.

19 CHAIRMAN DAUM: Thank you. That's very
20 helpful.

21 Are we ready? Okay, give me a signal or
22 something, that's what to do.

23 As we approach the thinking on the
24 question, I would remind the Committee to try and do
25 a mental gymnastics exercise which is very important.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And that is to consider in your voting on this
2 question and dealing with it the data that are
3 submitted in the BLA. We've heard a lot of data, some
4 in it, some not in it and I'm not I must say 100
5 percent certain myself which is which. And we might
6 ask Dr. Mink and Geber and anyone else at the table to
7 speak to that issue before we actually come to it. But
8 the Committee is asked to reflect on data on the BLA
9 in addressing these questions.

10 Dr. Edwards?

11 DR. EDWARDS: I think one of the problems
12 that I'm having is trying to separate what has been
13 presented for the licensee of this product and the
14 bulk or a lot of data that has existed before with a
15 slightly different product. And also the data that is
16 still out there that we hope will shed some light on
17 some of the struggles we're having.

18 I think we're being asked to license a
19 vaccine -- or to recommend the licensing of a
20 vaccination for children that are one to two in age
21 and we have 200 children that are in that group.

22 We're being asked to vote whether one dose
23 is adequate and the data that we have with this
24 product is, at least in 006, is less than 200
25 children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So we're being asked to make important
2 decisions on really relatively small numbers. That's
3 not to say that the previous experience wouldn't
4 suggest that one dose may be adequate and that it may
5 be adequate in young children. But I think it's very
6 difficult to know, and perhaps this is just rewording
7 what you were just warning us about, what we are to
8 comment on.

9 CHAIRMAN DAUM: Thank you very much. I
10 must say before we go to Dr. Greenberg, I know you're
11 there, we are not voting to license anything. And
12 it's very important we understand that.

13 DR. EDWARDS: I know. I'm sorry. I know
14 what I'm supposed to be doing, so I'm sorry I
15 misspoke.

16 CHAIRMAN DAUM: But it's important that
17 everybody understand. We are voting merely to advice
18 the FDA of our opinions about the questions that we're
19 being asked. And so it's an important distinction.

20 Dr. Greenberg?

21 DR. GREENBERG: I was confused by Dr.
22 Edwards comments. It's 1812 under 2 years of age. I
23 wasn't sure whether you said there was 200 under 2
24 years of age.

25 DR. EDWARDS: No.

1 DR. MINK: For efficacy minus --

2 DR. GREENBERG: Yes, right exactly
3 correct.

4 DR. MINK: For efficacy data the --

5 DR. GREENBERG: And the second thing is
6 the efficacy data, you've seen our efficacy data.
7 There is no more efficacy data coming in.

8 DR. MENDELMAN: There are four trials, and
9 Dr. Mink showed these yesterday on her slide. In the
10 BLA that was filed at the end of October, that is
11 study AV006, the two year efficacy data. Study 11,
12 which is the H1N1 challenge data. And then the two
13 trials in adults, AV003 that Dr. Nichol presented
14 yesterday and AV009. Those are the data to support
15 licensure as we're proposing it for adults and
16 children.

17 The data Dr. Glezen noted to you, which is
18 NIH grant, it's a community protection trial in
19 children 18 months to 18 years of age multi-year.

20 And the data Dr. Glezen was noting to you
21 is the effectiveness data of the cold-adapted vaccine
22 FluMist in the trial that he's conducting. Those data
23 have not been presented to the FDA. And in our
24 discussions with the FDA Dr. Glezen's trial is a large
25 safety trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In our discussions with the FDA we were
2 not asking for herd immunity claim based on Dr.
3 Glezen's study when that study was filed several years
4 ago.

5 So that data is available to the
6 community. The ACIP and eventually it will be
7 published for the readership and for public health
8 issues. It's nice to know the data that Paul's
9 quoting, but it is not needed for the application.

10 CHAIRMAN DAUM: Dr. Katz, this very issue.

11 MR. KATZ: Now the lower half of that
12 slide, Paul, I don't understand. It's 9 to 17 years
13 and then it says 12 to 18 months and 19 to 35 months.

14 DR. GREENBERG: You've found a mistake.

15 MR. KATZ: I really only wanted the
16 numbers, Harry.

17 DR. MENDELMAN: Let me have the pointer.
18 Thank you. Okay.

19 The numbers are over 12,000 children 1 to
20 8 and over 6,000 children 9 to 17. So this is in
21 error. And over 19,000 children total.

22 We probably better, George, to go back to
23 the other slide.

24 This is the table that the FDA has in
25 their briefing document as of April 30th and we wanted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to update it with the current numbers in the database
2 for the Committee. And the numbers as noted to you,
3 1812 under 2 years of age. And on the next slide, if
4 you just focus on the children under 3 years of age --
5 George, could you go back to the prior slide? Thank
6 you. It's 813 children 12 to 18 months of age and
7 over 3,000 children 19 to 35 months of age.

8 Dr. Mink is correct, there's 265, whatever
9 the number I gave Dr. Greenberg, children between 12
10 and 15 months of age. So 600 children are over 15
11 months of age in this cohort.

12 Historically we have looked at the data
13 with the cold-adapted vaccine with the same master
14 donor virus. The numbers are 800 children under 18
15 months of age and approximately 265 children under 12
16 months of age. Historical data, not Aviron data, not
17 under review by the FDA the number is 271 in the 12 t
18 15 month age group.

19 CHAIRMAN DAUM: Thank you.

20 Dr. Snider, you had a new comment about
21 question one that we haven't discussed before.

22 DR. SNIDER: I had a question because with
23 all the data I'm tending to get lost, but this is not
24 a one time vaccine, presumably an annual vaccine we
25 would anticipate based on our experience with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

1 inactivated vaccine that the efficacy may vary from
2 year to year and with different types.

3 I'm trying to remember how many years of
4 efficacy data we have for the different age groups, if
5 the sponsor could remind me of that, I would be most
6 appreciative.

7 CHAIRMAN DAUM: Let's ask Dr. Mink first
8 to respond to that?

9 DR. MINK: I want to restate what Dr.
10 Edwards said, that the number of children from 15 to
11 24 months in the efficacy trial was around 230 plus or
12 minus. So those kids, that's for efficacy data, those
13 are the total number that submitted in the BLA. And
14 then the next year, they're all a year older.

15 So I don't have those final figures off
16 the top of my head, but probably Aviron has those.

17 DR. GEBER: And if I could just add, I
18 think that there is confusion about what data are in
19 the BLA and what are not. It's perhaps, as Dr.
20 Mendelman has said in his first discussion of it, a
21 little bit easier for the efficacy data.

22 The only studies under consideration and
23 submitted to the FDA that are going to be submitted in
24 the current plans to the FDA are: The AV006 years one
25 and two; the AV011, which was the vaccine challenge

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 study in children; AV009, which was the effectiveness
2 study, and; AV003, which was the wild-type challenge
3 study in adults.

4 These other data are not under
5 consideration by us. They've of interest and helpful
6 to you, but not in our decision regarding licensure.
7 And so we would like -- our comment is if you could
8 focus in your discussion in your vote on those data.

9 CHAIRMAN DAUM: Thank you, Dr. Geber.

10 Dr. Katz, please?

11 MR. KATZ: A great deal of the questions
12 in the last moments have really related to the very
13 young children and this, obviously, is focused in part
14 because of the evidence that's been presented of the
15 morbidity of influenza infection in that age group.
16 This may belong in discussion point 4, but the
17 question that occurs to me is we're talking about 12
18 to 15 months of age, that's when we give MMR. And the
19 question is, are there any studies that have been done
20 with simultaneous administration of vaccine along with
21 another live virus preparation?

22 CHAIRMAN DAUM: Varicella might also be
23 added into that question.

24 MR. KATZ: It eventually will be MMRV.

25 CHAIRMAN DAUM: We still give Varicella

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 now.

2 DR. MENDELMAN: There's a study ongoing
3 now, it's study AV018 it's in children 12 to 15 months
4 of age. The projected sample size to address the
5 question is it safe to give MMR Varivax and FluMist
6 together? Is it immunogenetic for both of the standard
7 vaccines, is it also as immunogenetic for FluMist
8 recipients. So that study has approximately 200
9 children enrolled of the 1200 proposed. And based on
10 enrollment and timing, those data in that age group
11 would not be available for at least another year.

12 MR. KATZ: Thank you.

13 CHAIRMAN DAUM: And you're right, of
14 course, it is definitely an additional data issue that
15 should be put on the list.

16 Dr. Katz? What's your name? Kohl.
17 Sorry.

18 DR. KOHL: This goes specifically to the
19 question Sam asked. Does Steve Black have any data to
20 this issue and the Kaiser Group, did they have any
21 concomitant immunizations with the live vaccines?

22 DR. BLACK: No.

23 CHAIRMAN DAUM: Thank you very much.

24 DR. MINK: And the youngest age in that
25 study was 18 months also.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Thank you, Dr. Mink.

2 DR. MINK: I'm sorry. It was one year to
3 17 years.

4 DR. BLACK: The age group went down to one
5 year, but concomitant vaccines were excluded.

6 DR. MENDELMAN: In all of our trials we by
7 protocol precluded an inactivated vaccine being
8 administered within two weeks and a live viral vaccine
9 being administered within 30 days.

10 CHAIRMAN DAUM: Thank you.

11 I'd like to maybe take a break, from the
12 discussion that is, and ask for the open public
13 hearing to go on. And then, hopefully, Dr. Greenberg
14 will have these data that we're seeking ready. And
15 then we can begin to consider the question dead on.

16 So, let's go to the open public hearing.

17 As I understand it, we have two scheduled
18 speakers. And we call on the first one, Dr. Bart
19 Classen. Is Dr. Classen here? Good morning.

20 DR. CLASSEN: My name is Bart Classen. I'm
21 President and CEO of Classen Immunotherapies. We do
22 vaccine safety work.

23 I was impressed by really the lack --

24 CHAIRMAN DAUM: Do you have any
25 affiliations with the sponsor? We need to know that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. CLASSEN: No affiliation with the
2 sponsor.

3 CHAIRMAN DAUM: Thank you.

4 DR. CLASSEN: I was really sort of
5 impressed by the lack of long term safety in this data
6 that was presented yesterday. And I would like to
7 remind the panel that you cannot determine the safety
8 of a vaccine based on solely on 42 days of follow up.
9 Attenuated viruses have different chronic adverse
10 event profiles in the wild viruses. And there's also
11 concern about contaminated viruses and contaminating
12 DNA in this production process.

13 It's also important to remember that in
14 the target population there's very, very low mortality
15 or chronic sequelae from influenza. And I would urge
16 the FDA and urge the panel that before their approval
17 of this vaccine, that the infrastructure is in place
18 to look at the long term safety of this product,
19 including the effect on many chronic diseases that we
20 are seeing that have been linked to vaccines and are
21 epidemic in this country now, including asthma, autism
22 and diabetes.

23 Thank you for the opportunity to speak.

24 CHAIRMAN DAUM: And, Dr. Classen, as
25 always we're grateful for your comments. Thank you.

1 Let's move on to the second scheduled
2 speaker, who is Dr. Paul Glezen. Dr. Glezen, could
3 you also remind us who you are and your affiliations
4 with the sponsor?

5 DR. GLEZEN: Thank you, Mr. Chairman.

6 I'm Paul Glezen, Professor at Baylor
7 College of Medicine.

8 The main things I want to address concern
9 our community study in Texas, which is an NIH
10 sponsored grant and the vaccine is provided by Aviron
11 and as such, we've had a lot of interaction with
12 Aviron, the sponsor of this application in preparing
13 safety data and assessments. But I do not have any
14 interest in Aviron financially and I'm not a
15 consultant for Aviron or have any other connection
16 other than our collaborative efforts in this trial.

17 When I prepared my remarks I did not
18 anticipate the questions that were raised yesterday
19 concerning safety. And I wanted to just clarify the
20 study that we're doing and how we're assessing safety,
21 because this information will be submitted along with
22 the application eventually.

23 As you all know, this is an open label
24 trial where we are attempting to immunize the children
25 18 months to 18 years in a community, Temple-Belton,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 in Texas. It's a community of about 70,000 people.
2 And there are approximately 20,000 age eligible
3 children in that community, so it's a fairly large
4 undertaking.

5 The focus of a safety assessment has been
6 on the ascertainment of SAEs in the first 42 days
7 after vaccination. And there are several levels of
8 our ascertainment.

9 One is we give the parents a laminated
10 card telling them what to report and how to report it.
11 We give them a refrigerator magnet. They've either
12 had a postcard follow-up at 6 weeks to let us know
13 that everything was okay or there is a telephone
14 contact. And the follow-up has been at least 98
15 percent for that.

16 Now, in addition most of these subjects
17 are patients of Scott & White Clinic, which is a large
18 multi-specialty clinic located in Temple. And because
19 of this availability, we on a monthly basis enter in
20 the medical record numbers of all of the subjects and
21 search the medical records for any encounter,
22 particularly emergency room or hospital encounters.
23 And so we are searching not just for the 42 days, but
24 42 days after the last dose of vaccine has been
25 administered for all of the subjects. So we do have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fairly long term surveillance for serious adverse
2 event for the large majority of the children in our
3 study who are patients of Scott & White Clinic.

4 Now, the secondary assessment has looked
5 at the occurrences of illnesses including LRI that
6 might be associated with natural influenza virus
7 infection. And one of these searches includes what we
8 would consider rare events such as encephalitis,
9 myocarditis, pericarditis, Guillain-Barrè Syndrome,
10 febrile seizures, anaphylaxis and whatever. And in
11 that particular population we have found none of these
12 rare events occurring within 42 days of vaccination
13 for the children who are patients of the Scott & White
14 Clinic.

15 Now, the analysis for medically attended
16 acute respiratory illness, or just the common acute
17 respiratory illnesses associated with flu, the
18 structure of the study was suggested by Marie Griffin,
19 who is a member of the DSMB appointed by NIAID for our
20 study. And in this analysis we look for all of the
21 common acute respiratory disease diagnoses; upper
22 respiratory illness, lower respiratory illness and
23 otitis media and sinusitis.

24 What we do is compare the relative risk
25 then for the occurrence of these events, and this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a medically attended now. We're looking for visits to
2 the clinic. Zero to 14 days after administration of
3 the vaccine and we compare that in the same population
4 to their pre-vaccine experience, and then 15 and over
5 days after vaccination. And this is done for the
6 period beginning with the day that the first dose of
7 vaccine is given until 42 days after the last dose of
8 vaccine is given.

9 So, for each year this encompasses a
10 period of four to five months.

11 Now, as shown in the slide that Paul
12 reshown this morning, for the first two years we
13 found a relative risk less than one for each of the
14 acute respiratory disease categories, including LRI.
15 And we've now had a preliminary look at year three,
16 which shows the same thing. And this then includes a
17 total of almost 15,000 doses administered to 9,700
18 children over a three year period.

19 We could probably refine this data if
20 there's specific questions about pneumonia or about
21 different age groups. But we don't have all this now.

22 And I will say that the date, of course,
23 is controlled by season since we know that during the
24 period we're administering vaccine, the incidents of
25 these different acute respiratory illnesses increases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as we approach mid-winter and also by age, of course.
2 So it's controlled, it's a Poisson regression type
3 analysis controlling for both season and age.

4 The other question I wanted to clarify is
5 yesterday in the FDA presentation they said that we
6 accepted children with asthma. I want to qualify
7 that. We exclude children who have moderate or severe
8 asthma. We have included children who have mild
9 intermittent reactive airway disease who defined by
10 not on chronic therapy and not having had treatment
11 for asthma in the emergency room or the hospital for
12 the prior year before vaccination.

13 And in that study, also, of those children
14 with mild intermittent asthma, we haven't seen any
15 increase in any of the respiratory events in the first
16 14 days after vaccination.

17 Now, I'd like to just resume to the points
18 that I wanted to emphasize about peripheral matters,
19 or not really peripheral, but more public health
20 matters that relate to the possible licensure of this
21 vaccine.

22 First, I want to say that I support the
23 application for licensure of the live attenuated
24 vaccine. And there are two considerations which I
25 think make this particularly important at this time.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 One is the vaccine supply. At a time when
2 the priorities for annual influenza vaccination have
3 been broadened to include everyone over 50 years of
4 age, we have been stymied by late delivery of vaccine
5 and for influenza, late delivery is essentially no
6 vaccine.

7 Even before the change and
8 recommendations, we did not produce sufficient vaccine
9 to cover all the persons given priority for
10 vaccination. Suddenly the inactivated vaccine supply
11 appears vulnerable. Persons concerned about global
12 supplies of influenza vaccine have stated that live
13 attenuated vaccine can be produced in quantity more
14 readily than inactivated vaccine.

15 Availability of a live attenuated vaccine
16 would not change the priorities for use of inactivated
17 vaccine for high risk patients. However, it would
18 allow clinics to reserve inactivated vaccine for high
19 risk patients and at the same time not deny protection
20 for healthy persons who can receive the live
21 attenuated preparation.

22 I also wanted to emphasize the importance
23 for instituting some sort of protection for children.
24 The FluMist efficacy trials have focused attention on
25 the role of influenza viruses in acute lower

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 respiratory tract infections of children. To put this
2 information into perspective, I would like to quickly
3 review data on global disease burden recently
4 published by the JAMA by Michaud, et. al., from the
5 Harvard Medical School.

6 Now, I hope I can press the right button
7 here. Which one is it? Yes, it's a slide. Oh, okay.
8 There it is. All right. I'm sorry, I had the wrong
9 one.

10 This is an analysis of global disease
11 burden that's based on the top ten causes of
12 disability-adjusted life-years or DALYs. DALYs for
13 disease or health conditions are calculated that the
14 sum of years of life lost due to premature death and
15 due to disability. DALYs incorporate a discount rate
16 for time preference and an age weighing factor that
17 take into account the higher social value given young
18 adults in most societies.

19 One important implication is that DALYs
20 weigh the burden of disease for children and the items
21 here that particularly relate to children are lower
22 respiratory tract infections, perinatal conditions,
23 diarrheal disease, vaccine-preventable disease and
24 nutritional deficiencies. Less than those of young
25 adults, for example, HIV.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now, furthermore, since the number of
2 deaths listed for LRI are those that are estimated
3 globally for children less than 5 years of age, that's
4 about 4 million deaths a year in children less than 5
5 years of age, this ranking completely disregards
6 pneumonia and influenza mortality of predominately the
7 elderly that is used in the U.S. and many other
8 countries to measure the impact of influenza
9 epidemics.

10 And for pathogens that contribute to LRI
11 mortality, obviously influenza is only one of many,
12 but it is the most important. And, of course, it's the
13 only one for which we have a method for prevention.

14 Now, I'd like to say, though, that to put
15 this into some sort of context and what we ought to be
16 thinking about, if we had a pandemic next year which
17 had anything like the pathogenicity of the 1918 flu,
18 we'd see a greater number of DALYs than all of the top
19 10 here combined caused solely by influenza. So that
20 has to be part of our consideration also.

21 Now, the authors state that there's a
22 strong case for the U.S. to invest in health research
23 to reduce the major causes of burden of diseases that
24 are not treatable or preventable with the current mix
25 of interventions and healthcare delivery systems both

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 nationally and globally. This has happened with HIV
2 and AIDS. So when you look at the funds invested in
3 AIDS research per DALY, it's \$85 per DALY. However,
4 when we look at acute respiratory disease down here,
5 you can see that it's only .50 cents. So we've come,
6 I think, far short of our obligation to carry out
7 research that might ameliorate this problem.

8 Now although mortality from LRI is low in
9 U.S. children, serious morbidity is extremely high.
10 This graph illustrates surveillance at Texas
11 Children's Hospital in Houston for 1998/1999. And you
12 can see the peak of visits through the emergency room
13 here corresponds and correlates with the occurrence of
14 influenza. This is the surveillance for influenza
15 that's illustration here at the hospital. And this is
16 the hospitalization peak that's associated in children
17 with the influenza epidemic.

18 Now, this peak of visits here correlates
19 not only at Texas Children's, but nationally with
20 periods when emergency rooms are clogged up, when
21 hospital beds are full and when the hospitals must go
22 on drive-by status, as Texas Children's frequently
23 does.

24 The hospitalization rates attributable to
25 influenza based on the viral surveillance are as high

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in children less than 5, if you include all this, as
2 for elderly adults during flu epidemics. So the rates
3 are very high. And, in fact, the rates in older
4 children equal the rates of adults with chronic
5 underlying conditions under 65 years of age. And only
6 about 20 percent of these children have underlying
7 conditions, so these are mainly healthy children who
8 are hospitalized during influenza epidemics.

9 This shows the rates for Medicaid children
10 in Tennessee. This was published by Kathy Neuzil last
11 year the New England Journal. And these are extremely
12 high rates for the low income kids. And this is
13 almost as high as the rates that we've seen with RS
14 virus in Medicaid kids. For RS virus it's about 7
15 percent. For flu it's about 5 percent. And they went
16 to great effort in their study to eliminate periods of
17 time in their analysis when RS virus was circulating.
18 So they tried to limit this analysis just to periods
19 when influenza virus was active.

20 So it's clear that healthy children are
21 susceptible and vulnerable to serious complications.
22 And they also found appreciable numbers of outpatient
23 visits and courses of antibiotics related to influenza
24 virus infection.

25 So I think that there's good evidence that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 children could benefit from universal immunization.

2 Now, one obvious deficiency of the current
3 influenza control program is the lack of protection
4 for infants who have the highest complication rate
5 from influenza virus infection. Numerous studies have
6 shown that children develop poor immune responses to
7 both licensed and activated vaccine and the live
8 attenuated vaccines. However, this can be remedied by
9 full implementation of current or proposed controlled
10 measures. Influenza immunization is recommended
11 currently for women who will be in the second or third
12 trimester of pregnancy during the influenza season.
13 Relevant antibodies generated by this immunization are
14 transmitted to the infant and should provide
15 significant protection during the first six months of
16 life. The effectiveness of maternal immunization for
17 infant protection needs to be confirmed. But then the
18 infants would also benefit by a universal immunization
19 by older children such as demonstrated in the recent
20 CDC trial of children in day care showing that there
21 was secondary benefit to household contacts by
22 preventing spread in those kids. So if the older kids
23 are immunized, actively immunized with the live
24 attenuated vaccine, then there should be sufficient
25 indirect protection combining this with maternal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 immunization for the infants who are at the highest
2 risk of influenza virus infection.

3 So, in conclusion I would certainly
4 support the application of the sponsors of this
5 because I think this will facilitate a recommendation
6 for universal immunization of children.

7 Thank you.

8 CHAIRMAN DAUM: Thank you very much, Dr.
9 Glezen, for taking the time to come and share your
10 thoughts with us.

11 It's 10:25 here in the Eastern time zone,
12 and we're going to take a short ten minute break. We
13 will begin 10:35 with the Committee addressing the
14 question.

15 (Whereupon, the proceedings went off the
16 record at 10:25 a.m. and resumed at 10:35 a.m.)

17 CHAIRMAN DAUM: Could everybody please get
18 themselves settled so we can get to work?

19 Before the break one of the questions that
20 was raised had to do with immune response in young
21 children. Some new data or some data have been
22 circulated to us for review. And perhaps Harry or
23 Paul want to quickly walk us through what this sheet
24 shows as Nancy passes it out.

25 Are these data in the BLA?

1 DR. MENDELMAN: Yes.

2 CHAIRMAN DAUM: Yes. Thank you.

3 DR. GEBER: Yes, they are.

4 DR. MENDELMAN: Okay. In order to address
5 the question, the statisticians are working fast and
6 furious.

7 Part of the issue around immunogenicity
8 with the strain changes from year to year is how do
9 you summarize an H1 when it's changed or an H3 or B.
10 So what we've done is go to the data at hand from the
11 study AV007, which was the lot consistency trial. And
12 these are two tables were taken from the clinical
13 study report that was submitted in the license
14 application the end of October. So maybe you could
15 turn to the back table 42 first.

16 DR. EDWARDS: I don't think we have this
17 table on this side.

18 DR. MENDELMAN: Okay. We're getting more
19 copies.

20 DR. EDWARDS: Okay.

21 MS. CHERRY: There are more copies coming.

22 DR. MENDELMAN: So let me just try to
23 introduce it. This trial was conducted in children 12
24 to 36 months at the Southern Kaiser UCLA HMO with Dr.
25 Kent Zangwill and Joe Ward as the PIs.

1 The age breakdown is 12 to 17 months, 18
2 to 23 months and 24 to 36 months.

3 And Dr. Janet Wittes of Statistics
4 Collaborative was involved with this analysis and can
5 also speak to these.

6 If you look at table 42, the second page
7 of what was handed out to you, it shows the serum HAI
8 titer prior to any vaccination by lot and the efficacy
9 vaccine was one of the arms of the trial and placebo.

10 And the scatter I think you can see here
11 is that in this trial of this year, whether you're 12
12 to 17 months, 18 to 23 or 24 to 36 months, most of the
13 children, if not all, had an undetected serum HAI
14 titer.

15 Now the question here I have from my group
16 is -- okay. So you see the H1, the H3 and the B. Oh,
17 it's up here. Okay. You won't be able to see this.

18 CHAIRMAN DAUM: Remember that few besides
19 yourself can see that as you point these out.

20 DR. MENDELMAN: Right. Okay.

21 The three age groups big ticket 12 to 17,
22 18 to 23 and 24 to 36 months. And the strain
23 designation, H1N1, H3N2 and B are noted here as H1, H3
24 and B.

25 The point is really to look at the titer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 less than one to four and you see that these are
2 numbers of children. So, most of the children, just
3 look at the H1, 17 of the 18 children have no
4 detectable titer in this lot before they get dosed.
5 If you look at H1 here, 24 to 24 are seronegative, 19
6 of 19 are seronegative before receiving this lot. 12
7 of 12 are seronegative to H1 and the placebo 28 of 28
8 are seronegative.

9 Similarly for the H3, and I'll just go
10 through the youngest age group. 14 of 18 are
11 seronegative for the H3 to this lot. 18 of 24 are
12 seronegative. 15 of 19 are seronegative to H3 for lot
13 3. The efficacy vaccine 10 of 12 are seronegative.

14 And for the B, likewise the children are
15 seronegative before entering the trial.

16 If you look at the 18 to 23 month olds,
17 it's still the case; that if you look at the number of
18 participants in the next row down, titer less than one
19 to four, they're all unprotected based on serum HAI.

20 That's just telling you that based on
21 these three age groups they'll all entering the trial
22 without antibody to the various strains.

23 Now, if you look at table 64, which is the
24 first page, and this again it's broken by lot and I
25 just think it's easiest to focus on the row that says

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rate --

2 CHAIRMAN DAUM: Excuse me. Before you go
3 on, can you just go back to table 42 for a minute?
4 I'm probably having trouble interpreting it.

5 DR. MENDELMAN: Okay.

6 CHAIRMAN DAUM: But it looks to me like
7 the children sort of have a binomial, it's not the
8 right word, by biphasic distribution when they either
9 have no antibody or undetectable antibody, or lots.
10 Is that not the way you see it, particularly the 24 to
11 36 and 18 to 23 month old kids?

12 DR. MENDELMAN: Could you repeat that
13 analysis?

14 CHAIRMAN DAUM: I don't know, it's really
15 not an analysis.

16 DR. GREENBERG: Bob, I'm just looking at
17 this. Obviously, this has just come up. But, yes,
18 but you are correct, but I think Paul has -- that is
19 absolutely correct. The point I think Paul was
20 getting at is that the large percentage that were
21 seronegative in these groups.

22 CHAIRMAN DAUM: And that point is clear.
23 But there's a substantial minority have antibody.

24 Okay. Let's go on, Paul. Thanks.

25 DR. MENDELMAN: Okay. Understood,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct.

2 So the next slide, table 64 presents the
3 immune response to two doses, which is the proposed
4 regiment in the children of this age group. That's
5 now shown up here.

6 So looking at the 12 to 17 month old
7 children, and I would focus on the rate percent. So
8 88 percent are sero-converting to lot 1, 100 percent
9 to lot 2, 74 percent to lot 3 for the H1N1.

10 For the 18 to 23 month old 90 percent
11 sero-converting, 92 percent, 79 percent.

12 For the 24 to 36 month old 80 percent, 93
13 percent and 83 percent.

14 DR. GRIFFIN: Can I just ask for -- oh,
15 that's for H1. Never mind,

16 DR. MENDELMAN: Okay. For the H3N2 for
17 the 12 to 17 month olds it all 100 percent sero-
18 conversion.

19 DR. GRIFFIN: But is your definition that
20 of sero-conversion, because these are the same data as
21 before you have a number of children who start out as
22 already seropositive; that you've had a fourfold rise
23 over an above what they had or this is the rate that
24 is seropositive?

25 DR. MENDELMAN: They all start out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 seronegative in this table.

2 DR. GRIFFIN: Oh. So you're taking only
3 the sero-negative?

4 DR. MENDELMAN: Yes.

5 DR. GRIFFIN: So you have 20 or 30 percent
6 that were seropositive.

7 DR. MENDELMAN: They're not in this table?

8 DR. GRIFFIN: Okay.

9 DR. MENDELMAN: They're not presented.
10 It's only those that have no antibody.

11 So for the H3N2 they're all sero-
12 converting at 12 to 17 months of age. At 18 to 23
13 nearly all are sero-converting, 196, 100 percent. And
14 the 24 to 36 months likewise.

15 In the B virus -- and can you move this
16 up, George? The 12 to 17 months all a 100 percent
17 sero-conversion of those three lots. 93 percent in
18 the 18 to 23 month olds, 100 and a 100 and all 100
19 percent to the 24 to 36 month olds.

20 So we see that there's also the efficacy
21 vaccine and the placebo you can see for comparison.
22 But the immune response overall looks similar to two
23 doses of the live attenuated vaccine at each of these
24 three cuts in the age group.

25 CHAIRMAN DAUM: One clarifying question,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 please. If in the case of someone with no antibody to
2 start with, or below the limit of detection and sero-
3 conversion is a fourfold increase, how do you do the
4 math there?

5 DR. MENDELMAN: It's less than one to four
6 -- or one to four is detectable. If it's less than
7 one to four, that's given a 2. And then if it gets
8 to--

9 CHAIRMAN DAUM: Thank you.

10 DR. GREENBERG: I don't want to slow this
11 Committee down anymore. I'm almost forgetting why we
12 did this, but I think it was to show response rate in
13 our youngest, whether response rate to vaccine in the
14 youngest people was similar to older children.

15 DR. GRIFFIN: If it was in answer to my
16 question, I was trying to figure out what percentage
17 of children needed two doses at the different ages
18 versus one dose.

19 DR. STEINHOFF: But this is all two doses.

20 DR. BELSHE: Could we return to that
21 question just a minute, Harry, just to put a
22 perspective on the data you've seen?

23 CHAIRMAN DAUM: Bob, what are you going to
24 speak to here?

25 DR. BELSHE: Diane's question was what's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the benefit of two doses versus one dose by age.

2 CHAIRMAN DAUM: Okay. Please.

3 DR. BELSHE: And the way I would address
4 that is to say what we need to know is the percentage
5 of children who are seronegative to both H3N2 and H1N1
6 virus. Because it's the second dose in those
7 initially doubly seronegative that's important to add
8 that additional antibody response to H1N1.

9 And so it was really the first table that
10 Dr. Mendelman showed, table 42, that's relevant. And
11 what this shows is that in the -- as children get
12 older in the H3N2 era they're requiring antibody to
13 H3N2. And in a different era, it's going to be
14 different.

15 But that in the three age ranges showed up
16 to 36 months of age, there's a fairly high proportion,
17 about 50 percent of children by the oldest group
18 shown, were still seronegative to H3N2 and nearly all
19 of them were seronegative to H1N1. So about 50
20 percent of children up through those age ranges would
21 benefit from two doses.

22 CHAIRMAN DAUM: And there isn't similar
23 data with post-dose one, I presume?

24 DR. BELSHE: There is similar data for
25 post-dose one in the efficacy field trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: But not from this table?

2 DR. BELSHE: And we actually discussed
3 this briefly in the New England Journal of Medicine
4 article. But the actual data aren't shown, so you'll
5 have to rely on my memory. And that was children
6 under 2 years of age in the efficacy field trial, only
7 20 percent had antibody to H3N2 and virtually 100
8 percent, it was 97 or something like that percent,
9 were seronegative to H1N1.

10 By age five 80 percent of children are
11 seropositive to H3N2 and 20 percent are still
12 seronegative to H3N2. And something on the order of
13 50 percent were seronegative to H1N1.

14 So a minority of children by age five
15 would need two doses using that kind of analysis.

16 But remember, this depends on the era in
17 which we live. And right now we're primarily in a
18 H3N2 era, and it's going to be different in the
19 future, and we don't know how to anticipate that.

20 CHAIRMAN DAUM: Five Committee hands shot
21 up while the slide was there, and I really want to
22 move to the question. But we will recognize these
23 five people if their question specifically concern the
24 new data that have been shown. So we'll start with
25 Dr. Stephens.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: My question has to do with
2 the column under efficacy the vaccine for H1N1. And
3 it looks like -- could you just clarify that those
4 efficacy -- how those efficacy data were calculated in
5 reference to what looks like a very nice serological
6 response, yet a rather weak efficacy if I'm
7 interpreting that correctly?

8 DR. MENDELMAN: The efficacy vaccine
9 column is the seroresponse column. So it was one of
10 the lots that was used in trial AV006. In this study
11 it was just used for immunogenicity and safety.

12 CHAIRMAN DAUM: Dr. Schild?

13 DR. SCHILD: That's the same question,
14 really.

15 CHAIRMAN DAUM: Thank you.

16 DR. SCHILD: The efficacy vaccine for H1N1
17 was 42 percent sero-conversion, which was
18 significantly different from lots 1, 2 or 3. Is there
19 any explanation for that?

20 DR. MENDELMAN: You picked out the
21 difference. The H1N1 and the efficacy vaccine is
22 A/Texas. And the H1N1 in the three consistency lots
23 is A/Shenzhen. So it's a different H1N1 strain.

24 The match is the H3N2 and the B on this
25 table, but the strains had not changed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: Drs. Kohl, Myers and
2 Goldberg. This issue only. Mr. Kohl is out. Dr.
3 Myers.

4 DR. MYERS: Dr. Griffin asked my question.

5 CHAIRMAN DAUM: Dr. Goldberg? Wow. Okay.

6 We are that heady moment when we can begin
7 to actually address the first question. And, Dixie,
8 you of course are in the seat of distinction up there.
9 Everyone in the Committee is grateful to you as
10 evidenced by the affection Dr. Kohl is showing for
11 you.

12 Would you begin our discussion of question
13 one, please?

14 DR. SNIDER: Thank you, Dr. Daum.

15 CHAIRMAN DAUM: Welcome, sir.

16 DR. SNIDER: With regard to the subpart
17 (a) the pediatric and adolescent population data, I
18 think that we have data from two influenza seasons in
19 this age group, which demonstrate at least four the
20 strains that we're circulating at the time, a
21 reasonable degree of efficacy with at least two doses.
22 I don't think that we have enough data to be able to
23 feel comfortable about one dose, although it's still
24 an open question even though we were looking at the
25 sero-conversion rates and trying to make some sense of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 them, some of the other data that was presented to us
2 suggested that perhaps the sero-conversion rates are
3 not really that good a measure, at least of the
4 intranasally administered vaccine.

5 But the number of participants in the one
6 dose group is much smaller, so the evidence for the
7 two dose versus the one dose is much stronger, but it
8 would nice to be able to find out subsequently how
9 much protection one would get from one dose.
10 Obviously, that has economic, logistic and many other
11 implications.

12 With regard to that population it's
13 already been noted that we don't have an even
14 distribution of participants throughout that whole
15 population. And particularly there's been concern
16 with the youngest part of that population. And
17 therefore, the efficacy data for that particular part
18 of the population is not as strong as it would be for
19 some of the older groups that are included.

20 The timing of doses I think we didn't as
21 much clarification on that point as we might have
22 liked, and I come away really not knowing what the
23 optimal interval is between dosing. But, obviously,
24 we do have some efficacy data based on the dosing that
25 was used. And we don't know if that's optimal or not,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 but it certainly appears to be satisfactory in that
2 it, for at least the years examined, we saw a fairly
3 high level of efficacy.

4 With regard to the adult populations of
5 part (b), the adult population information we have is
6 primarily data on I guess what we might call
7 effectiveness in the sense that we don't have the
8 culture monitoring data in this population.
9 Nevertheless, as Kristin Nichol pointed out to us and
10 many other people have emphasized, there was a
11 substantial impact on influenza like illness in the
12 adult populations. We have to recognize, though, that
13 his is a new disease every year and we have two year
14 data for the kids and one year data for the adults.

15 I think the challenge study data,
16 obviously, everyone has said and I'll just repeat,
17 that it would be nice to have field challenge data for
18 H1N1, but we don't and there's good reasons we don't
19 have the data for the time period the studies were
20 done. And the numbers of the subject, although
21 relatively small, still with an attack rate, as I
22 recall, of approximately 45 to 50 percent in placebo
23 demonstrated a substantial protective effect against
24 H1N1.

25 So, I would like to see larger numbers,

1 but don't have serious questions about efficacy
2 against H1N1. So if the data are not adequate for
3 specific age ranges, please discuss what additional
4 data should be requested. Obviously, I've alluded to
5 this along, but we'd like to see more data in the
6 younger age groups. It would be nice to see data for,
7 at least in my mind, the age groups that weren't
8 included that are not being requested here. Because
9 I think there may be a role eventually for FluMist and
10 enhancing immune responsiveness in the elderly. We
11 may in the elderly and immunocompromised populations
12 have a benefit from this vaccine. So ultimately I'd
13 like to see that. But that's not an issue relevant to
14 our advice about licensure for the indications that
15 the manufacturer is seeking right now.

16 I think I'll just stop at that point and
17 let other people add.

18 CHAIRMAN DAUM: Okay. I'm happy to let
19 you off the hook, Dixie, but first you have to answer
20 the question with a word, and that is are the data
21 adequate to support the efficacy of FluMist in (a)
22 pediatric adolescent population and (b) the adult
23 population. And all your comments have been on the
24 money and noted, and so we just need a yes or no.

25 DR. SNIDER: The data support efficacy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN DAUM: That would be mean yes?

2 DR. SNIDER: Yes.

3 CHAIRMAN DAUM: Good. Thank you.

4 Dr. Kohl?

5 DR. SNIDER: I won't say how much or how
6 strongly they do.

7 CHAIRMAN DAUM: No, I understand. You
8 know, the comments are helpful and are noted and
9 recorded. But we still need a vote on this one.

10 Dr. Kohl, please?

11 Thank you, Dr. Snider. I know that wasn't
12 easy.

13 DR. KOHL: Thank you, Dr. Snider. I really
14 missed you at the last meeting.

15 I am very comfortable with the efficacy of
16 this vaccine as administered both for the pediatric
17 population and for the adult population. So I would
18 vote, yes, yes without reservations for efficacy.

19 What I would like is pertinent to the
20 second part of this question, and I think we very much
21 need studies to determine whether one dose is as
22 adequate as two doses. And I'm hopeful that even
23 though this might be contra to the economic interests
24 of the company, which obviously would benefit from a
25 two dose regime more than a one dose regime, that we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will see those studies going ahead rapidly.

2 I am also not at all sure what the age
3 range is for this two dose necessity, if it will prove
4 to exist. So, again, that reenforces the need for a
5 two dose versus one dose study.

6 And I'll stop there.

7 CHAIRMAN DAUM: I thank you, sir.

8 Dr. Faggett, you're up.

9 DR. FAGGETT: Yes. I think the data do
10 support efficacy of this vaccine for the pediatric and
11 adult adolescent population, but I would say from 2 to
12 17 I'm not real sure about the one under two. But I
13 think, you know, as a practicing pediatrician we
14 really are very excited about the possibility of
15 having effective relatively safe vaccine with ease of
16 administration. And we think this is going to really
17 help us close the gap in disparity of flu
18 immunizations in our vulnerable and underimmunized
19 population.

20 So, it does really look effective from the
21 data.

22 For the adult population, again, I agree
23 with the first two speakers. It does appear to be
24 effective from efficacy data presented.

25 I have to defer to some of the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 experts relative to the efficacy against H1N1
2 influenza strains. I didn't really think we had
3 enough data to come to a conclusion on that one.

4 And I do agree that we need lot more
5 information about the patients, especially the younger
6 patient, the 12 to 24 month old.

7 The point about one or two doses, again,
8 I think we do need more data to really make a decision
9 on that.

10 So my answers are yes, yes.

11 CHAIRMAN DAUM: Thank you, Dr. Faggett.
12 Dr. Goldberg?

13 DR. GOLDBERG: Okay. Let me take them one
14 at a time.

15 CHAIRMAN DAUM: Can you pull the
16 microphone real close to you? It has a longer cord.
17 Great. Thanks.

18 DR. GOLDBERG: A, yes. That said, I think
19 there are a need for additional data, and I'll come
20 back to that.

21 And B, yes, but again additional data.

22 The challenge studies, I believe, you need
23 additional data for the challenge studies. I think
24 the data are promising, look okay, but they're very
25 weak.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 For the pediatrics indication, it seems to
2 me that you need a one dose versus two dose study.
3 And within that if you're going to propose two doses,
4 you do need to study the optimal regiment in a
5 controlled way.

6 There's very inadequate data regarding the
7 repeated annual dosing. The second data is limited
8 and beyond that it's very limited, and it seems to me
9 you have to do some studies and I'm not sure sitting
10 here what the right designs are, but you do need some
11 studies to study the effective repeated annual dosing.
12 This is a new way of dealing with children and you're
13 vaccinating them every year, and I think there are a
14 lot of issues that are raised by that.

15 You haven't presented any combination data
16 here except for a little bit in passing during the
17 discussion with the other vaccines that are given to
18 the 12 and 15 month old, and I think you need some
19 studies of that issue. And I think you can probably
20 combine these into the same study, that that takes
21 care of that.

22 And then in adults, your indication is for
23 healthy adults 18 to 64. That's a very difficult
24 thing to implement. What is the definition of healthy
25 and you need to have a plan to deal with that. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 need to have a plan to expand this out to reasonably
2 healthy and other levels of health and health changes.
3 So you're proposing a live vaccine yearly, and I think
4 this needs to be addressed. And, again, your
5 challenge data in the adults are weak.

6 And that's it, I'll stop.

7 CHAIRMAN DAUM: Thank you, Dr. Goldberg.

8 Before we call on Ms. Fisher, I'd like to
9 implore everyone in the room to help us. That is to
10 say cellphones, please, turned off. Beepers please
11 turned off and flash please not use. Those are fairly
12 simple rules and I hope they're simple and will help
13 the Committee a lot concentrating on what is a very
14 difficult task, as you can see.

15 Thank you for your cooperation.

16 Ms. Fisher?

17 MS. FISHER: I can appreciate the
18 complexity of trying to gather data for a new vaccine
19 that would be used by virtually all age groups from
20 infants to the elderly, and it's an enormous task.

21 FluMist appears to be effective in healthy
22 children and adults, even though there are low levels
23 of serum antibody, but I'm troubled by the lack of
24 understanding of the biological mechanism for immunity
25 and the implications of a low H1N1 antibody response

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 compared to the other strains.

2 This, together with the increased
3 incidents of influenza like illness including fever
4 after flu misvaccination with compared to placebo,
5 especially after the first dose, leads me to want to
6 see more carefully collected efficacy data, especially
7 in children under five years old, because these
8 children are receiving 37 doses of 11 vaccines during
9 that time period, and many of them are presenting at
10 the time of vaccine with a coinciding viral or
11 bacterial infection. And I don't think this efficacy
12 data is adequate to reflect the real environment in
13 which this vaccine will be given to children. So, I
14 would like to see at least 3,000 more children under
15 the age of 5 with particular emphasis on those under
16 age 2 evaluated with one or two doses over a period of
17 four years to measure for antibody responses to the
18 different strains for instance of influenza like
19 illness and viral shedding with particular attention
20 paid to whether there are individual genetic or other
21 biological factors such as acute or chronic illness
22 which contribute to variations of the antibody
23 response, efficacy and the general health of the
24 children over time after repeated use of this vaccine.

25 It's very difficult to answer the question

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 yes or no. I would say that it's very encouraging
2 that it looks efficacious but for me without the
3 longer term data, especially in the young children, I
4 cannot vote today to say yes on efficacy. And so, I
5 just, I'm going to have to say no.

6 CHAIRMAN DAUM: That's on both (a) and
7 (b)?

8 MS. FISHER: I would like to see more data
9 over time on adults also. That's no on both.

10 CHAIRMAN DAUM: Thank you, Ms. Fisher.

11 Dr. Stephens, please.

12 DR. STEPHENS: I sometimes feel like I've
13 come to a house closing and I've done my walk through
14 and found that all the rooms aren't finished and I'm
15 being asked to close anyway.

16 This vaccine has been shown to be
17 effective and efficacious, certainly in the 15 to 71
18 month old group. The 06 study clearly showed that, in
19 my view.

20 My real concern is this indication for the
21 vaccine between 1 and 15 months, an area we've
22 discussed at some length. and I think the issue of
23 concomitant vaccines in that group has not been fully
24 explored.

25 CHAIRMAN DAUM: One and 15 years.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STEPHENS: I'm sorry. Between one
2 year -- 12 to 15 months, excuse me, is my concern for
3 the efficacy of that group where concomitant vaccines
4 are concerned.

5 I also have, because the data is limited
6 and because of the issues pointed out earlier about
7 what is a healthy adult, especially those in the 50 to
8 64 range, I think the data is quite limited in that
9 particular population, although I do think this is,
10 obviously, an important vaccine and an important
11 breakthrough.

12 So I have mixed feelings. My major concern
13 has to do with the issue of efficacy in the young
14 children, where I don't think that data in the 12 to
15 15 month old group is there.

16 CHAIRMAN DAUM: I'm not quite clear on
17 where to categorize you, Dr. Stephens?

18 DR. STEPHENS: Well, I think that if part
19 (a) was 15 months to 17 years of age, I would vote
20 yes. If it remains 1 to 17 years, I will vote no.
21 And in terms of the healthy adult population, part B,
22 I would vote yes.

23 CHAIRMAN DAUM: Dr. Stephens, I thank you.
24 Dr. Griffin?

25 DR. GRIFFIN: I think that I feel very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 much the same way Dr. Stephens does, except I might
2 extend it up to two years of age discomfort level
3 between 1 and 2 years of age.

4 We do have data in that older, between 1
5 and 2 months of age -- or 1 and 2 years of age group
6 that I think does support efficacy. And so I think
7 that it is efficacious in 15 months old to 17 years of
8 age, but I don't know that we know that it's
9 efficacious when it's used the way that it will be
10 used, which is in conjunction with all the other
11 vaccines that are being given during that period,
12 primarily between 12 and 15 months, but not everybody
13 gets their doses on schedule.

14 So if I were being asked to vote for the,
15 and I am, 1 to 17 years, I have to say no. If it were
16 2 to 17 years, I'd say yes.

17 As far as the more data, the one to two
18 dose schedule, as a number of other people have said,
19 and I've commented on, I just don't think we have the
20 data for either knowing what age that should be
21 implemented or if it's even necessary to have two
22 dose.

23 I vote yes on the (b) population although
24 I, like everybody else, would like more data.

25 CHAIRMAN DAUM: And you'll have a chance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701