

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS

ADVISORY COMMITTEE

MEETING #31 - VOLUME II

This transcript has not been edited  
or corrected, but appears as received  
from the commercial transcribing  
service. Accordingly the Food and  
Drug Administration makes no  
representation as to its accuracy.

Thursday, October 25, 2001

8:00 a.m.

This transcript has not been edited  
or corrected, but appears as received  
from the commercial transcribing  
service. Accordingly the Food and  
Drug Administration makes no  
representation as to its accuracy.

Holiday Inn Gaithersburg  
Two Montgomery Village Avenue  
Gaithersburg, Maryland

MILLER REPORTING COMPANY, INC.  
735 8th Street, S.E.  
Washington, D.C. 20003-2802  
(202) 546-6666

## PARTICIPANTS

Daniel R. Salomon, M.D., Chairperson  
Gail Dapolito, Executive Secretary

## MEMBERS

Richard E. Champlin, M.D.  
Katherine A. High, M.D.  
Alison F. Lawton (Industry Representative)  
Richard C. Mulligan, Ph.D.  
Mahendra S. Rao, M.D., Ph.D.  
Edward A. Sausville, M.D., Ph.D.

## CONSULTANTS

Jonathan S. Allan, D.V.M.  
Kenneth Cornetta, M.D. (by telephone)  
Michael Emerman, Ph.D. (by telephone)  
David W. Gaylor, Ph.D.  
Katherine E. Knowles (Consumer  
Representative)  
Jeffrey H. Kordower, Ph.D.  
Bruce E. Torbett, Ph.D.  
John A. Zaia, M.D.

## GUESTS/GUEST SPEAKERS

Dale G. Ando, M.D.  
Yvette Delph, M.D. (Patient  
Representative)  
John C. Kappes, Ph.D.  
Susan Kingsman, Ph.D.  
Marina O'Reilly, Ph.D.  
Amy Patterson, M.D.  
Gabor Veres, Ph.D.  
Inder Verma, Ph.D.

## FDA PARTICIPANTS

Jay P. Siegel, M.D.  
Philip D. Noguchi, M.D.  
Daniel Takefman, Ph.D.  
Carolyn Wilson, Ph.D.

C O N T E N T S

Opening Remarks Dr. Daniel Salomon, Chair	4
Conflict of Interest Statement Gail Dapolito, Executive Secretary	7
Introduction of Committee	8
OPEN COMMITTEE DISCUSSION	
<b>Topic 1 - Lentivirus Vectors in Gene Transfer Clinical Trials</b>	
Lentiviral Vectors Dr. Inder Varma	11
Questions & Answers	50
Lentiviral Vectors: Safety Issues Dr. Daniel Takefman	75
Questions & Answers	90
LentiKat Vectors Overview Dr. Gabor Veres	105
Lentiviral Gene Therapy Dr. Dale Ando	122
Questions & Answers	130
Lentivirally Delivered GDNF for Parkinson's Disease Dr. Jeffrey Kordower	152
Questions & Answers	185
Lentiviral Vectors for the Treatment of Cancer, Neurodegenerative Diseases and AIDS Dr. Susan Kingsman	209
Questions & Answers	251
Predicting Lentiviral Vector Safety in Vivo Dr. John Kappes	295
Open Public Hearing	326
Committee Discussion of Questions	326

P R O C E E D I N G S**Opening Remarks**

1 DR. SALOMON: Good morning to Meeting No.  
2  
3 31. We still haven't got titles. One of my big  
4 disappointments with the FDA is that we have never  
5 had any kind of sexy titles for these meetings, and  
6 I am not in a position to make them up on the fly,  
7 so I apologize.  
8

9 So, this is Meeting No. 31 of the  
10 Biological Response Modifiers Advisory Committee.  
11 Today is I think the beginning of a very important  
12 two days in which discussions of a new vector class  
13 for gene transfer and gene delivery, that of  
14 lentiviral vectors will be discussed.

15 There is just a number of organizational  
16 things. There is a lot of new people around the  
17 table and I welcome everyone from yesterday, the  
18 table has expanded somewhat.

19 One thing for those of you who have not  
20 been at these conferences, but this button in front  
21 of you, if you speak, you push down, the button  
22 turns on red, and when you are done speaking--that  
23 way you don't get pickup from everyone, and the  
24 transcribers and the audience will be a lot  
25 happier, so I appreciate that.

1           There will be two days here. Today, we  
2 are going to be talking and being educated in some  
3 context by some experts in the field along the  
4 lines of lentiviral vectors, and there are a series  
5 of questions that the FDA staff has generated.  
6 That doesn't mean that we can't generate other  
7 questions.

8           I think this is really, particularly in  
9 looking a new gene delivery class, is an excellent  
10 opportunity for everyone to interact in the context  
11 of trying to identify what sorts of issues are  
12 important in FDA's approach to developing,  
13 regulating, and providing appropriate IND guidance  
14 to sponsors in this new field.

15           So, this is our chance to input this kind  
16 of data. With that said, a couple quick things. I  
17 am going to try, one of my jobs is to come up with  
18 consensus. That doesn't mean that, number one,  
19 consensus is always possible or even appropriate,  
20 so there will be times when the committee has every  
21 right to say no, I don't agree with that, that is  
22 not consensus.

23           There will be other times in which  
24 consensus might come in terms of, you know,  
25 majority opinion, but I would very strongly

1 encourage anyone with a well articulated and  
2 defended minority position to take it, and that is  
3 I think very appropriate and not to feel any  
4 pressure from me to be in consensus. If we can  
5 obtain consensus, that is excellent, however.

6 Today, we are also going to hear from a  
7 series of sponsors who have special expertise and  
8 experience in developing lentiviral vectors for  
9 gene delivery. Today, I think it is very important  
10 to point out a distinction here. These sponsors  
11 are here at the request of the FDA, and they have  
12 stepped forward voluntarily to provide us with  
13 information that gives us specifics and gives us a  
14 chance to look at their experience and sharing  
15 their experience.

16 We are not here to judge their protocols.  
17 Many of them are not ready to put them forward for  
18 formal INDs. So, it is very different than what is  
19 going to go on tomorrow where we have a sponsor who  
20 has very seriously stepped up to the plate and  
21 proposed a real clinical study now.

22 I think there, then, the committee has a  
23 different charge. So, I just want to explain to  
24 everyone that these sponsors are coming up and we  
25 really, really appreciate their participation. It

1 is very important just to cut them some slack.

2 The idea here is to share their experience  
3 and none of them are saying they are ready for a  
4 clinical trial tomorrow. They all realize that  
5 every strategy has some limitations and some future  
6 for it.

7 So, with that, I think we are going to  
8 read the Conflict of Interest Statement or at least  
9 an abbreviated form of it, and then we will go  
10 around and introduce everybody, and then we will  
11 get started.

12 Thank you.

13 **Conflict of Interest Statement**

14 MS. DAPOLITO: A Conflict of Interest  
15 Statement was read for the record yesterday. I  
16 don't need to go through the whole entire thing  
17 again. I will just repeat that the FDA has  
18 appointed Ms. Katherine Knowles, Dr. Gaylor, Drs.  
19 Allan, Cornetta, Emerman, Kordower, Lane, Torbett,  
20 and Zaia as Temporary Voting Members for the  
21 Committee discussions today and tomorrow.

22 The following participants were issued  
23 waivers to participate in the meeting: Drs.  
24 Champlin, High, Mulligan, Lane, and Kordower.

25 I think that is all that needs to be said

1 today.

2 DR. SALOMON: Again, just because I don't  
3 even know everybody on the Committee, I would like  
4 to go around, starting with Dr. Zaia, and introduce  
5 yourself, where you are from, and a brief idea of  
6 where your areas of interest and expertise are.

7 **Introduction of Committee**

8 DR. ZAIA: My name is John Zaia. I am the  
9 Director of Virology at the Beckman Research  
10 Institute at City of Hope. I am also interested in  
11 clinical research, and I am the Director of the  
12 General Clinical Research Center and have an  
13 interest in gene transfer studies.

14 DR. TORBETT: I am Bruce Torbett from the  
15 Scripps Research Institute, Department of Molecular  
16 and Experimental Medicine. I am interested in gene  
17 delivery, myeloid development, and protection from  
18 HIV via gene delivery.

19 DR. HIGH: I am Kathy High. I am the  
20 Director of Research in the Hematology Division at  
21 the Children's Hospital of Philadelphia, and I have  
22 an interest in gene transfer for hemophilia.

23 DR. ALLAN: I am Jon Allan from Southwest  
24 Foundation in San Antonio. My area of study is  
25 natural host resistance to SIV, so I study



1 pathogenesis of AIDS viruses.

2 DR. GAYLOR: I am David Gaylor of Sciences  
3 International. My area is biostatistics and risk  
4 assessment.

5 DR. CHAMPLIN: I am Richard Champlin from  
6 the M.D. Anderson Cancer Center. I am the Chairman  
7 of the Department of Blood and Marrow  
8 Transplantation.

9 DR. SAUSVILLE: I am Ed Sausville from  
10 National Cancer Institute. I am from the  
11 Developmental Therapeutics Program, which evaluates  
12 and manufactures drugs and biologicals for cancer  
13 and AIDS.

14 MS. LAWTON: I am Alison Lawton. I am the  
15 industry rep on the Committee. I chair the Cell  
16 and Gene Therapy Committee for the Pharmaceutical  
17 Association, PhRMA, and I work for Genzyme.

18 DR. SALOMON: I am Dan Salomon. I am at  
19 the Scripps Research Institute in Molecular and  
20 Experimental Medicine. My interests are in cell  
21 and organ transplantation, tissue engineering, and  
22 gene delivery.

23 Before we go further, we have through the  
24 miracles of modern technology Dr. Michael Emerman,  
25 who we are going to have him introduce himself and

1 test this whole system out.

2 Dr. Emerman?

3 DR. EMERMAN: My name is Mike Emerman. I  
4 am at the Fred Hutchinson Cancer Research Center.  
5 My expertise is in HIV, molecular biology, and  
6 replication.

7 DR. SALOMON: Thank you.

8 MS. DAPOLITO: Gail Dapolito, Executive  
9 Secretary.

10 DR. RAO: Mahendra Rao. I am the Stem  
11 Cell Chief in the Laboratory of Neurosciences at  
12 the National Institute on Aging.

13 MS. KNOWLES: I am Kathy Knowles. I am  
14 the consumer representative on the Blood Products  
15 Advisory Committee and I am serving in the consumer  
16 role here today at this committee.

17 DR. DELPH: Good morning. I am Yvette  
18 Delph. I am with the Treatment Action Group, which  
19 is an HIV/AIDS treatment activist organization.

20 DR. VERMA: I am Inder Verma from the Salk  
21 Institute in La Jolla. I am interested in signal  
22 transduction and also vectors for gene delivery.

23 DR. PATTERSON: I am Amy Patterson,  
24 Director of the Office of Biotechnology Activities  
25 in the Office of Science Policy at NIH.

1 DR. TAKEFMAN: Dan Takefman. I am a  
2 product reviewer in the Division of Cellular and  
3 Gene Therapies at the FDA.

4 DR. WILSON: Carolyn Wilson. I am also a  
5 member of the Division of Cellular and Gene  
6 Therapies, FDA/CBER.

7 DR. NOGUCHI: I am Phil Noguchi, Director,  
8 Cellular and Gene Therapies at FDA.

9 DR. SIEGEL: Jay Siegel, Director, Office  
10 of Therapeutics Research and Review, FDA/CBER.

11 DR. SALOMON: I welcome everyone and we  
12 might as well just go and get started.

13 Dr. Verma.

14 TOPIC 1: LENTIVIRUS VECTORS IN  
15 GENE TRANSFER CLINICAL TRIALS

16 Lentiviral Vectors

17 DR. VERMA: Thank you very much. Thank  
18 you very much for the invitation and to the members  
19 of the committee and the audience.

20 This morning, when I came in, I ran into  
21 Luigi Naldini and suddenly realized it was only  
22 about five years ago that it was an academic  
23 exercise we had whether we can convert HIV into a  
24 useful vector system. I am delighted to see that  
25 today we are here discussing the possibility that

1 it might actually have an application in the  
2 clinic.

3 [Slide.]

4 So my job is really today to give you a  
5 general introduction of the vectors. I realize  
6 there are many, many experts here in the field. In  
7 fact, some of the founders, some of the people who  
8 discovered the original. So I apologize to them if  
9 this is something very simple, but I would like to  
10 bring everybody to the same level so that the rest  
11 of the day will be easy for you.

12 Gene therapy is a form of molecular  
13 medicine which will have a major effect on human  
14 health in the coming centuries. I think the  
15 concept of gene therapy is disarmingly simple;  
16 introduce the gene, and its product should have the  
17 ability--I am a little confused because a guy is  
18 going like this all the time. Okay; I am going to  
19 ignore the guy. In any event, I was telling you  
20 about gene therapy. It is is a relatively simple  
21 concept.

22 But the fact of the matter is it hasn't  
23 been really as successful as we had anticipated and  
24 part of it has to do with the fact that the matters  
25 of delivery haven't been really quite as exquisite

1 as we would have liked to have them.

2 In fact, there are many, many ways to  
3 introduce the gene. What I would like to do is  
4 really give you one. But just to give you the  
5 background of it, as I said, there are many  
6 different ways to introduce the genes. Some have  
7 generally divided the physical method by which you  
8 can directly introduce the gene and there are  
9 wonderful ways of introducing the gene but it  
10 depends on what you want to do.

11 The bottom line for all the vectors is  
12 whether it is physical or biological, it really  
13 depends what you want to do. If you simply wanted  
14 to make a vaccine against a small amount of the  
15 protein, sufficient amounts of this can be done by  
16 direct DNA injection.

17 [Slide.]

18 But if you wanted to make sustained  
19 amounts of a foreign protein for a sustained period  
20 of time, by and large, most of us have concentrated  
21 on the biological method. Again, the important  
22 point here is not to be exhaustive about the number  
23 of the vectors because there are many missing. The  
24 important point is that each one of them has a  
25 major limitation.

1           So, again it comes back to what we want to  
2 do. For the two people in this audience who don't  
3 think about these vector systems, the principle of  
4 making these vectors is again disarmingly simple.  
5 All the viruses do, in their life, is to replicate.  
6 They have really no interest to kill you. Their  
7 main job is to simply replicate.

8           But, occasionally, they do acquire  
9 sequences which have the ability to cause disease.  
10 So all that we are trying to do, everyone who makes  
11 vectors, is to eliminate the disease-causing  
12 component, substitute with the therapeutic gene of  
13 interest, reconstitute the virus which is no  
14 different than the starting one essentially.

15           So the idea is simply to replace the  
16 therapeutic component, in this case removing the  
17 pathological sequences and recreate the virus  
18 which, hopefully, will have the same sets of  
19 function, by and large, that you started out with.  
20 So that really is the principle of making most of  
21 the biological viral vector systems.

22           So what we would like to do, really, is to  
23 create a vector--as I said, there is no ideal  
24 vector, but we would like to set up some parameters  
25 which we believe will be useful in the long run for

1 making, or at least thinking about vectors which  
2 can perform many of the functions we are desirous  
3 of.

4           What we would like to do is a vector which  
5 we can generate at fairly substantially high  
6 amounts. Again, this is for the aficionados in the  
7 field,  $10^8$ ,  $10^9$ . It depends upon how many virus  
8 particles you can introduce, so you need to make  
9 substantial amounts.

10           Particularly for this audience, it is  
11 important to have reproducibility and the  
12 convenience to make them. We would like to  
13 introduce the gene in any cell type regardless of  
14 the fact that it is the tumor cell which is  
15 dividing or it is a brain cell which is not  
16 dividing, we would like to have the ability to  
17 introduce genes in a wide variety of cell types.

18           Since many of the vectors we discuss have  
19 the ability to become part and parcel of your  
20 chromosome, it would be nice to know where they  
21 went. It would be really nice to know with the 3.2  
22 billion basis of the genome where did the vector  
23 actually go so we have some idea. That will be a  
24 very desirable property.

25           It would be nice to control the amount of

1 the protein or the gene transcription from outside;  
2 that is to say, you can regulate how much protein  
3 when and where you want to make, a sort of a review  
4 of turning on and off.

5 It would be nice to infect any cell type,  
6 hopefully liver, lung, brain, kidney, all the cell  
7 types. And, of course, we want to have no  
8 undesirable immunological consequences. So we are  
9 asking a lot. We are asking it to behave like a  
10 typical retroviral vector to integrate and yet have  
11 the ability to infect nondividing cells. At the  
12 same time, we are asking it to behave like an  
13 adenovirus, to behave like an episome, and yet have  
14 not immunological consequences.

15 [Slide.]

16 So we are asking a lot. But, fortunately  
17 for us, a completely unexpected ally came over at  
18 this time in the form of the HIV. HIV, as many of  
19 you know, is a member of the Retroviridae and has a  
20 number of properties similar to them but has also  
21 the unusual property of the ability to introduce  
22 itself into nondividing cells.

23 Some of the reasons that we got started on  
24 was the idea that they can infect neurons, that  
25 they can infect nondividing resting T-lymphocytes.



1 They can infect monocytes. So we were interested  
2 to see if that property of HIV could be utilized  
3 and we could convert them into a useful vector by  
4 which they can introduce their gene, integrate in  
5 the chromosome in a manner analogous to the typical  
6 Moloney leukemia virus which is a prototype of  
7 other retroviral vectors and yet have the ability  
8 to be able to produce the foreign protein.

9 [Slide.]

10 With that in mind, then, we constituted a  
11 team at the Salk Institute with the following idea  
12 behind it. This is an idea which really came from  
13 the work of Michael Emerman and colleagues, Mario  
14 Stevenson and colleagues and also Didier Trono who  
15 has been at the Salk Institute, now is in Geneva.

16 The idea was the following, that a typical  
17 retrovirus, when it makes its DNA, is much too  
18 large to be able to cross the nuclear membrane and,  
19 therefore, the cells have to divide. The nuclear  
20 membrane has to break down. The chromosome has to  
21 become available. Only then the viral DNA can  
22 become part and parcel of the chromosome.

23 Therefore, retroviruses only infect cells  
24 which are dividing because they need for them to go  
25 through the nuclear membrane whereas the

1 lentiviruses, of which HIV is the prime example,  
2 have this unusual property and we really don't know  
3 the precise mechanism and we can talk about it  
4 sometime that they have this karyophilic  
5 properties; that is to say, their viral DNA can  
6 cross the nuclear membrane and thereby integrate  
7 into the chromosome and thereby relieving itself of  
8 the restriction that the cells must divide.

9           So that is the principle on which we based  
10 our basic idea and began to ask the question, can  
11 we convert an HIV into a vector.

12           [Slide.]

13           I apologize for the number of colors and  
14 perhaps you can't see from the back, but you will  
15 see many renditions of this slide today, I am sure,  
16 through the rest of the day. But the bottom line  
17 is the following. A typical HIV virus, in  
18 addition to getting the prototypic three proteins,  
19 the gag, reverse transcriptase and envelope, which  
20 is necessary to make the virus which is really  
21 common to all prototypic retroviruses of the  
22 Lentiviridae or Retroviridae family.

23           The argument was the following. First and  
24 foremost, we want to avoid the envelope of HIV  
25 because it has a restriction to a very specific

1 receptor, the CD4 receptor. We had no intention to  
2 limit our vector strictly for those cells which  
3 have only that type of receptor.

4           So the first modification that we  
5 performed was to take this envelope gene and  
6 separate it out and substitute it from a vesicular  
7 stomatitis glycoprotein which is really a cattle  
8 virus but it has a glycoprotein which has been  
9 shown almost 30 years ago by Alice Wong and Ian  
10 Sabosa and Robin Weiss that it can actually  
11 phenotypically exchange itself for the envelope of  
12 a retrovirus.

13           Now, the VSAG protein, of course, allowed  
14 it to be pantropic meaning it has now the ability  
15 to infect a wide variety of cell types and,  
16 therefore, eliminate the restriction so restrictive  
17 to the CD4-positive cells because of the HIV  
18 envelope protein.

19           In addition, we began to manipulate  
20 sequences around it and that will be much of the  
21 emphasis today to begin to make this vector such  
22 that it has the least amount of dependence on its  
23 own sequences but, in fact, uses autologous  
24 sequences.

25           Just to cite an example, the LTR, which is

1 very common to all retroviruses, can be replaced by  
2 other promoters so as to eliminate or reduce the  
3 chances of recombination. We will talk about this  
4 as we go along.

5           So, the idea was, then, to make a vector  
6 where some of these genes are eliminated,  
7 glycoproteins to substitute for the envelope and  
8 ask the question can we now create a virus which  
9 has the formal ability to infect nondividing cells  
10 by virtue of the fact it has acquired that property  
11 of HIV which allows it to infect nondividing cells  
12 and yet has no ability to make an infectious virus  
13 particle.

14           [Slide.]

15           This is, again, an old experiment done by  
16 Luigi Naldini when he was in the lab along with  
17 Didier Trono and Rusty Gage. The very first  
18 experiments, we asked the question, A, can you make  
19 high titers. The answer is yes, you can easily  
20 make  $10^6$ ,  $10^7$  virus particles which, by virtue of  
21 the fact it is a glycoprotein from G as shown by  
22 Ted Friedman and colleagues, can be concentrated  
23 which means, again, for the cognoscenti of the  
24 field, that we can make up to  $10^9$  to  $10^{10}$  virus  
25 particles per ml which, of course, is an enormous

1 titer for these kind of viruses.

2           Again, for those who do not think about  
3 it, meaning you can take a billion cells, put a ml  
4 of this virus and all those cells should now be  
5 transduced to the foreign gene product.

6           This experiment simply shows that at least  
7 we have with these vectors to infect macrophages.  
8 There are our typical Moloney leukemia viral  
9 vectors, the vectors we are traditionally using as  
10 retrovirus vectors, do not have the ability to make  
11 the foreign protein.

12           So that was the first evidence we had  
13 formally that we had a vector which has the formal  
14 ability to at least infect cells in vitro which are  
15 not normally dividing and, as such, can be  
16 transduced.

17           Encouraged by this, they began to ask the  
18 question, what happens if we use these genes in  
19 vivo. What I will do today is to give you a bunch  
20 of those examples and then tell you a little bit  
21 more about the safety modification.

22           [Slide.]

23           I know you can't see it, but this is an  
24 experiment where we directly injected the virus  
25 into the brain of a rat. We asked the formal

1 question, can you have the production of the  
2 foreign protein in the brain and how long we can  
3 have the production.

4           So here is an injection, one side with the  
5 HIV vector making brown GFP protein, which is a  
6 green fluorescent protein and, on this side, we  
7 have the Moloney leukemia viral vector. Again,  
8 after about six months period of time, infection of  
9 the brain. You have the expression of the protein  
10 here and none in the case of MLV.

11           I can't escape but to again tell you how  
12 sad this slide makes me because fifteen years of my  
13 career were made on MLV vector and all it can now  
14 do is really a control. But that's the way it is.

15           [Slide.]

16           But more important, really, is to ask the  
17 question how efficient is actually transduction.  
18 Here, again, is a single injection, 2 microliters  
19 of the virus, about 30 million virus particles  
20 directly injected into the hippocampus. Again, you  
21 can't see it, but 90 percent of the cells at the  
22 site of injection--that is to say, within 2 to 2.5  
23 millimeters at the site of injection, 90 percent of  
24 the cells are not transduced. This is about eleven  
25 months period of time.

1           So three things happened for us. One; we  
2 can make a vector which can infect nondividing  
3 cells. Two; it can be directly introduced in vivo  
4 into nondividing cells. Three; there was a  
5 substantially efficient transduction at the site of  
6 injection and there was a sustained production of  
7 the foreign proteins.

8           So, armed with this, we began to ask the  
9 question, what other tissues where we can introduce  
10 the gene.

11           [Slide.]

12           Muscle. Muscle is a very interesting  
13 tissue because 40 percent of the body weight is  
14 muscle and it is a good system to secret the  
15 protein. For example, hemophilia, the proteins can  
16 be secreted if you can introduce the gene in the  
17 muscle.

18           Shown here is again direct injection into  
19 the muscle. These are the long fibers which are  
20 not dividing. Again, you can see the production of  
21 the foreign protein for eight months period of time  
22 and nothing in the case of the Moloney leukemia  
23 virus, our traditional vectors which do not infect  
24 nondividing cells.

25           [Slide.]

1 Another example I give you is the eye. A  
2 number of diseases are involved in the deficiency  
3 of genes in the vision. A lot of our work in the  
4 lab is concentrated in the areas which are largely  
5 animal-model systems, in a number of mice-model  
6 systems in which there is a defect in the vision  
7 system, either of the rhodopsin or of the different  
8 kinds of other proteins.

9 So we asked the question, can we directly  
10 introduce the gene in the subretinal pigmented  
11 epithelium to the specific example of retinitis  
12 pigmentosa which is a blindness due to the  
13 deficiency of many genes, one of them including the  
14 phosphodiase gene.

15 So we asked the question, can you  
16 introduce the gene. The answer is yes. If you use  
17 our traditional CMV promoter--CMV is a promoter  
18 which sort of expresses in every cell type. It  
19 allows the expression at the site of injection  
20 whereas if we now introduce the gene with the  
21 rhodopsin promoter, a promoter especially for the  
22 rod cells and the cone cells, now you see the  
23 expression largely in the rod cells and the cone  
24 cells.

25 [Slide.]



1 More importantly, if you now take a mouse  
2 which has a deficiency of phosphodiasephase beta,  
3 which is required for dephosphorylation of CGMP,  
4 removal of which causes blindness in these mice,  
5 and, in fact, if you make a section of the eye, it  
6 has all the right components except it is missing  
7 all the rod cells and the cone cells by virtue of  
8 the fact that they have not this enzyme. Thereby,  
9 there is apoptosis and thereby there is blindness.

10 So we argued, can you introduce the gene  
11 phosphodiasephase beta and restore at least some of  
12 the retinal cells. There are about eight or nine  
13 layers and they have none. Can we restore some of  
14 those layers.

15 [Slide.]

16 The answer is at least--this is difficult  
17 from the back--but at least four new layers of  
18 opsin which are now found in these animals which  
19 contain the phosphodiasephase gene introduced by  
20 direct injection in the eye and none in the case of  
21 the controls.

22 These mice haven't really lost their  
23 blindness. They are still partly blind, but it at  
24 least gives you the hope that you can begin to ask  
25 the question to directly introduce these genes in

1 retinal cells where there is a deficiency of a  
2 certain gene.

3 [Slide.]

4 Let me give you one other example which  
5 will be talked about here quite extensively, and  
6 also has the dream of most gene-therapy folks, to  
7 be able to infect hematopoietic stem cells because  
8 then you will have a continuous production of the  
9 foreign protein because these cells continuously  
10 produce the foreign protein for the rest of our  
11 lives.

12 So, in collaboration with Bruce Talbert,  
13 who is here today, and Hiro Mioshi from the lab in  
14 Bruce's lab, we asked a very simple question; can  
15 we take human cord blood cells and purify the stem  
16 cells from them. This experiment has also been  
17 done with many other viruses, particularly the  
18 retroviruses.

19 The difficulty is the following. The stem  
20 cells are very few. If I take 1 million  
21 bone-marrow cells at any given time from anyone,  
22 there may be 100 to 1,000 of these guys. They are  
23 not easy to find. The worst of their life is that  
24 they don't divide.

25 Therefore, most traditional vectors have

1 been difficult to be used although people have very  
2 cleverly manipulated them now by using appropriate  
3 growth factors. But, by and large, they are  
4 difficult to introduce foreign genes because they  
5 are not dividing.

6           Lentiviruses, because of their opportunity  
7 to infect nondividing cells, have this unique  
8 ability, then, the hope, that they will infect  
9 these nondividing, noncycling stem cells and,  
10 therefore, allow you the opportunity to have much  
11 better transfection than with the traditional  
12 vectors.

13           So, with that aspect and that hope, we  
14 took the CD34 cells, transduced them in the virus.  
15 Transduction, in this case, you take the cells, put  
16 the virus, no growth factors, no lymphokines, no  
17 cytokines, and simply introduce directly into the  
18 tail vein of the SCID/NOD mouse--we need SCID/NOD  
19 because are using human cells--and ask the question  
20 do they now make the foreign protein in the  
21 peripheral blood, spleen and the bone marrow.

22           So a simple experiment; take the stem  
23 cells, infect them with the virus, put them back  
24 into the animal and hope for the best.

25           [Slide.]

1           This, I think, is probably what I find one  
2 of the most exciting experiments in our lab and  
3 that is that you can now produce the foreign  
4 protein in the peripheral blood lymphocytes. You  
5 can see the peripheral blood lymphocytes now  
6 producing the foreign protein, in this case, 18  
7 weeks were infected for the rest of the life of  
8 this mouse.

9           About 15 percent of the cells are  
10 producing the foreign protein. You and I make 10  
11 billion of these cells a day. A billion of them  
12 now can make the foreign protein for the rest of  
13 your life which really encourages and gives you  
14 great hope in terms of proteins that you want to  
15 produce when there is a deficiency of a given  
16 product.

17           [Slide.]

18           More importantly, nearly all myeloid  
19 colonies--remember, these don't have T-cells  
20 because these are SCID mouse, the myeloid colonies  
21 are positive for ritchard colonies, venocytic  
22 macrophage colonies and even early progenitor  
23 cells. So this we think is really one of the most  
24 interesting aspects of lentivectors is their formal  
25 ability to infect nondividing cells, in this case

1 the stem cells and, as shown by their virtues, and  
2 repopulate, nearly all kinds of the foreign cell  
3 types, at least in the myeloid lineage.

4 [Slide.]

5 Again, for the true cognoscenti in the  
6 field, they only believe these stem cells are  
7 really transduced if they can do a second  
8 retransplant meaning that if you now take the bone  
9 marrow of the first mouse that you transduced, can  
10 you take their bone marrow and put in the secondary  
11 mouse, and that done again by Bruce Talbert and  
12 Hiro Mioshi. You can see, even in the second  
13 recipient, nearly all cells are positive what they  
14 started out once again suggesting that it is very  
15 likely we truly transduced the stem cells.

16 [Slide.]

17 So I think I have given you a number of  
18 examples of the generality and the wide spectrum  
19 which could be utilized by these vectors for a wide  
20 variety of different tissues. The question really  
21 now is how useful these vectors are in terms of the  
22 formal clinical setting and that will really call  
23 for how safe are these vectors.

24 [Slide.]

25 So what are the HIV vectors? Well, we all

1 know the HIV has this unique structure of  
2 inhibition to these three replication-competent  
3 necessary proteins, the gag, pol and envelope. It  
4 has this array of six additional genes which are  
5 referred to as the vif, vpu, vpr, net, tat and rev.  
6 These are all essential for the replication of the  
7 pathogenicity of the HIV which are not present in a  
8 traditional Moloney leukemia viral vector or the  
9 other kind of retroviral vectors.

10 So the argument was very simple. All we  
11 are really interested is to have these vectors  
12 introduce their gene in nondividing cells. We have  
13 no interest in any of these genes if they do not  
14 contribute to that function. So a number of  
15 people, Luigi Naldini's lab, Didier Trono's lab, my  
16 own lab, they come to a cell genesis. A number of  
17 other people have started to ask the question, can  
18 we begin to eliminate these genes and asked the  
19 question, do we still have a structural prototype  
20 which will introduce the gene into a nondividing  
21 cell without the baggage of these unwanted genes.

22 So a number of these have been eliminated.  
23 Unfortunately, I don't have the next slide. Can  
24 you just put that on for me for a moment? It is  
25 left in the United Airlines, that slide.

1 [Overhead.]

2 Again, you will see many renditions of  
3 this. This is now a vector which is a composite of  
4 vectors for a number of labs, our lab, other labs  
5 here, just to give you an idea what is now  
6 currently considered a third-generation vector from  
7 the HIV.

8 There are other vectors you will hear  
9 from, I am sure, Dr. Kingsman and other people  
10 later, different species of lentiviral vectors but  
11 we are concentrating only on the HIV here today.

12 The vector is the following. We have  
13 essentially eliminated all the fixed genes, the  
14 vif, vpr, vpu, tet and rev as well as the envelope.  
15 VSV-G is provided separately and the rev is  
16 provided separately. In addition, the long-term  
17 repeats which are necessary for the replication of  
18 this virus for integration have been deleted to  
19 what is called SIN vectors, meaning only those  
20 residues are kept which are necessary for  
21 integration. All the other components which are  
22 involved in its ability to cause the transcription,  
23 the transcription element and enhancement element  
24 in the LTR, have been deleted.

25 So the vector now constitutes a cell which

1 will eventually integrate is basically  
2 rev-responsive element deleted LTRs and--may I have  
3 the next slide. You can shut that off.

4 [Slide.]

5 So what we have now in this vector is the  
6 following. Altogether, now, only about 10 percent  
7 of the viral genome is left in this vector. So  
8 this is the starting HIV. This is the vector we  
9 have. And these that I have listed here, the base  
10 fields that are left from the main genome. They  
11 are about 10 percent of the genome left.

12 So, of the 9,000 or 10,000 nucleotides,  
13 there are about 900 to 1000 that are left at  
14 various junctures. In fact, most of these genes  
15 are gone. Some of these genes are gone. The  
16 reason I am showing this to you is to show, first,  
17 how debilitated it is, and, second, that the  
18 probability that you have recombination with a  
19 full-length HIV either following infection or  
20 trying to coinfect with it is not zero but it is  
21 extremely low because you have at least six or  
22 seven new genes to introduce, LTR to introduce and  
23 many other sequences, a number of replications, a  
24 number of recombination events.

25 So I think that is currently the favorite



1 one where almost all genes are deleted and there  
2 are a few other bells and whistles over here. But,  
3 by and large, this is the vector I think what you  
4 will hear a lot today discussed in terms of the  
5 utility for introducing in the clinic.

6 [Slide.]

7 How good is this vector? Well, it is true  
8 we can eliminate everything but does it work? I  
9 wouldn't be showing you all this if it didn't work.  
10 The answer is yes, it works just as efficiently as  
11 the first generation of HIV vectors where we simply  
12 eliminated the envelope gene and some other small  
13 things. But, by and large, other genes are still  
14 present.

15 It infects, for example, HIV, the stem  
16 cells, just as efficiently as we had our first  
17 generation vector. Again, in our hands, the  
18 Moloney does not do so.

19 [Slide.]

20 It can infect even the peripheral blood  
21 lymphocytes which have been mobilized with GCSF  
22 which I think will be eventually how, in the  
23 clinic, a lot of things will be used. They will  
24 also transduce, albeit only for a six-week period  
25 of time. That is the time point, but they can

1 actually be transduced with these vectors.

2 [Slide.]

3 What about bone-marrow transplantation  
4 from the mouse? That does just as well again. You  
5 can get the bone-marrow transduction, peripheral  
6 blood lymphocyte transduction, just equally well  
7 with these third-generation vectors meaning that,  
8 regardless of the effect whether we have eliminated  
9 all these genes or not, the basic ability of these  
10 viruses to transduce foreign cells is still intact.

11 [Slide.]

12 Just to expand to it a little bit more, if  
13 you now take, in collaboration with Marcus Grompi  
14 at the University of Oregon, we have taken--so, if  
15 you now have a mouse, which we have fanconi-C and  
16 fanconi-A-deficient mice. If you now introduce  
17 their stem cells, bone-marrow cells, directly  
18 infect them with the virus, in this case the HIV  
19 containing the fanconi-C or the fanconi-A, put them  
20 back into the animal, they are all phenotypically  
21 recovered.

22 We make the FANCC, if we make the FANCA.  
23 But, more importantly--this is a slide given to me  
24 by Minoxchi Nole from Marcus Grompi's  
25 lab--normally, what happens to them, if you give

1 them cytotoxin, they become extremely sensitive to  
2 them but these mice now all behave like the normal  
3 mice.

4           The yellow here shows you that a mouse  
5 which is deficient eventually will die. The  
6 heterozygous here shows they all survived. Those  
7 which got the bone-marrow transduced with the FANCC  
8 or FANCA, in this case, completely behave like the  
9 normal. So, for all practical purposes, these mice  
10 have now phenotypically the same characteristics as  
11 if they had a bone-marrow transplant from a sibling  
12 or, in this case, the heterozygous bone marrow.

13           [Slide.]

14           What about liver? If we use these  
15 third-generation vectors, directly introducing them  
16 into the liver, I can show you here is the direct  
17 introduction into the liver. We can use the CCD  
18 camera to take a light imaging of this liver to ask  
19 the question if the cells are transduced.

20           [Slide.]

21           The answer, again, is here is directly the  
22 liver and here is the autopsy of the liver. You  
23 can see lots of cells are transduced. About 4 to  
24 10 percent of the hepatocytes are transduced in  
25 these transductions.

1 [Slide.]

2 We don't see any liver toxicity, because  
3 there was some question whether lentiviral vectors  
4 with VSV-G cause liver toxicity. We do not see any  
5 liver toxicity regardless of the fact that we have  
6 TDS or lentiviruses. So we think these vectors  
7 have the added ability to introduce genes not only  
8 to the tissue as I have described before but also,  
9 again, to the hepatocytes and they do not need to  
10 be dividing.

11 [Slide.]

12 Let me give you now a few examples of how  
13 we have also used these vectors, not just for gene  
14 therapy because much of the interest in my lab  
15 really is gene-transfer vectors for many biological  
16 basic questions. So I want to give you a few  
17 examples, just to give you the breadth of these  
18 vectors in addition to the safety issues that we  
19 shall discuss.

20 [Slide.]

21 In an experiment done by Yoshika Azawa in  
22 the lab, he basically asks the question which many  
23 people are asking, can you convert certain cells,  
24 stem cells, into different types of a cell.

25 He here took bone marrow from a male.

1 mouse, transduced them with the lenti-GRP. Again,  
2 the lenti can transduce bone-marrow cells, in this  
3 case the stem cells, but them back into a female  
4 mouse and asked the question, can he convert some  
5 of these bone-marrow cells into hepatocytes because  
6 we cause injury in the liver by using the anti-FAS  
7 antibody which causes damage to the liver. The  
8 argument is can these blood cells now be transduced  
9 into the liver cells, and can be they be  
10 transdifferentiated.

11 [Slide.]

12 I don't know if you can see, again, in the  
13 front. About 1 percent of the cells are non-liver  
14 cells which we started out--these are the green  
15 cells which were marked and they were put back into  
16 the animal, so we can actually begin to ask the  
17 question, can you do transdifferentiation by  
18 introducing genes into non-dividing cells.

19 This will be particularly useful when you  
20 begin to ask if you have pancreatic-specific genes,  
21 or liver-specific genes, that you can convert any  
22 cell directly into a transdifferentiated cell type.

23 [Slide.]

24 Let me give you another example. Many of  
25 us in biology these days are very interested in

1 making knock-out animals. Many of us are  
2 interested in making knock-out animals which are  
3 conditional, meaning that the animals are born, but  
4 the gene can only be deleted post-birth.  
5 Otherwise, these genes are lethal to the animals.

6 So, what we do now is we have a system  
7 which is used--to use specific sequences called lac  
8 sequences which block the transcription of the gene  
9 until you remove these lac sequences from there,  
10 which can be done by an enzyme called CRE.

11 Normally, you cross these animals, which is a long  
12 process. But now these vectors, lentivectors, can  
13 be directly introduced into the tissue where we are  
14 interested to remove the gene.

15 So you make a mouse with these specific  
16 sequences, introduce the gene CRE directly by  
17 lentiviruses and you can begin to see, at least  
18 this is now in vitro, these cells have no  
19 expression following the introduction of the CRE by  
20 lenti, you have the cell all blue.

21 [Slide.]

22 Can you do that in vivo? Here is an  
23 example. If you take, now, a gene where it is  
24 blocked by lac sites to make the foreign gene in  
25 the liver, so this is conditional for the

1 production of this gene in the liver. If you now  
2 introduce directly into this the lentiviruses, you  
3 can--now the cell becomes blue within the range the  
4 gene was introduced.

5 So, again, a very useful utility of these  
6 vectors is that you can introduce the gene directly  
7 in vivo.

8 [Slide.]

9 Here is directly in the striatum in the  
10 brain. You see the genes are again expressed. So  
11 it is really a very useful tool, particularly for  
12 those who are interested in tumor genesis. You  
13 have a conditional mutant, introduce the gene in  
14 the prostate, liver, lung, wherever you want,  
15 eliminate the gene and ask the question, what  
16 happens to the animal subsequently.

17 [Slide.]

18 Let me give you another example. This  
19 also refers a little bit to the safety of the  
20 issue. We were interested to know can you use  
21 lentiviruses for two purposes for transgenesis.  
22 So, Matha, in the lab, did the following  
23 experiment. He asked the question, what happens if  
24 you directly introduce the lentiviruses in the  
25 testes and then asked the question, can they be,

1 then, used to create a transgenic mouse.

2 His hope was that, by introducing in the  
3 testis, he will have the expression eventually in  
4 the sperm.

5 [Slide.]

6 What he found was that in the control--so  
7 here is the lacZ which is nuclear localized and  
8 here is the lacZ direct injection into the testis.  
9 When he analyzed them, what he found was--this is,  
10 again, for those people like me who don't  
11 understand too much biology--the point is the  
12 following.

13 You have here the sertoli cells and,  
14 eventually, the sperm cells are right in the middle  
15 here. All these other cells are really the  
16 supporting cells. So the gene was introduced  
17 directly here, and we are now asking the question,  
18 can you make mature sperm which contain the foreign  
19 genes?

20 [Slide.]

21 The answer is no. Almost all the  
22 expression is in the sertoli cells and none in the  
23 case where, in the middle, where the sperm are.  
24 So, even if you put billions of virus particles  
25 directly into the testis, while you can get the



1 transduction of all supporting cells, you do not  
2 transduce sperm directly.

3 In fact, if you make pups from these  
4 animals which have been directly introduced, you  
5 don't see a single pup which is positive for the  
6 PCR. The pups are born, but they are not positive  
7 for this. So the argument is at least directly  
8 injection. We are unable to directly introduce the  
9 gene into the mature sperm cells.

10 [Slide.]

11 Here is the direct injection again.

12 [Slide.]

13 Let me finish my talk by giving you one  
14 other very exciting piece. Here, can we actually  
15 begin to use these viruses for transgenesis. The  
16 standard way of making transgenic animals these  
17 days is you take the egg, you introduce the gene  
18 directly into the nucleus, fertilize it and then  
19 put it back into the animal.

20 This has been very successful in the case  
21 of the mouse. But it has been more difficult in  
22 the case of other animals because the nuclease is  
23 often pigmented.

24 So we did a very simple experiment to ask  
25 the following question; can we introduce genes

1 directly into either ES cell by infection with  
2 virus of lentiviruses, the formal viruses, the  
3 traditional MLV viruses, are unable to do so and  
4 can we create a mouse which is transgenic or a rat  
5 which is transgenic.

6           The way to do that is these days you take  
7 embryonic stem cells, you infect them with the  
8 virus and you get the expression of the foreign  
9 genes for over a six-week period of time easily,  
10 which is a big distinction from traditional  
11 retroviral vectors. They shut off their  
12 transcription.

13           The HIV-based vectors, for some reason, do  
14 not shut off the transcription and, therefore, you  
15 can have the ES cell. You can also have  
16 preimplantation embryo, but you have to remove the  
17 zona pellucida. If you don't remove the zona  
18 pellucida, you cannot get the infection. But if  
19 you remove the zona pellucida, then put the virus,  
20 you get the infection.

21           [Slide.]

22           The most interesting is here now. This is  
23 a litter of four. Many animals are not chimeric  
24 for the foreign gene.

25           [Slide.]

1           You cannot see this. This is a really  
2 beautiful picture. These are live births of  
3 animals. You are taking simply ES cells, directly  
4 introducing the virus and now you are making--most  
5 of these animals are containing the foreign gene.

6           David Baltimore's lab has also done very  
7 similar sorts of experiments. This is another idea  
8 to explain to you that the idea of using these  
9 lentiviral vectors that, because they have the  
10 ability to infect many of these cells, because the  
11 transcription is not shut off, they can actually be  
12 used for additional purposes like transgenesis. I  
13 suspect this will be the method used for making  
14 transgenesis from monkeys and many other different  
15 kinds of species because you don't have to do  
16 nuclear injection, just infect the cells.

17           But you can't infect the sperm. You can't  
18 infect the eggs until you remove the zona  
19 pellucida.

20           [Slide.]

21           So far, then, all I have told you is the  
22 lentiviral vectors can be made easily, large  
23 titers. Most of the genes which we think have the  
24 pathogenic consequences can be eliminated and it  
25 really has a wide utility not only for different

1 kinds of tissues, for gene therapy, but also for  
2 other scientific purposes like transgenesis, knock  
3 outs as well as for transdifferentiation of stem  
4 cells.

5           The last part of the few minutes I have, I  
6 will tell you a little bit about how we can do  
7 regulation. There are number of ways to regulate  
8 the transcription of foreign genes. Those of you  
9 who are in the field know there is tetracycline,  
10 there is the dimerized formation and there are  
11 also ectosome receptors.

12           The first thing we wanted to use is a  
13 method which is using tetracycline and, again, no  
14 details are necessary except to say this is an  
15 antibiotic in the absence of which the gene is  
16 turned on in the presence of which the gene is  
17 turned off.

18           The first question we asked was, A, can  
19 you use this kind of methodology to make cell  
20 lines. That is to say, the way I have described to  
21 you so far is we take three or four plasmids, mix  
22 them together and we have the virus out. But  
23 people who really want to make a very specific gene  
24 that they are interested in, they would like to  
25 make cell lines where they can produce the protein

1 continuously--the virus from those cell lines.

2 [Slide.]

3 So a post-doc in our lab created the idea  
4 that he can actually make a cell line where you can  
5 continuously  
6 produce the virus rather than having the four  
7 plasmids or three plasmids cotransfected into the  
8 cell.

9 What he basically did was to use the  
10 tetracycline as a regulatable element. The  
11 interesting thing to show you here is that if you  
12 now take these viruses which contain the  
13 tetracycline-regulatable element, he can generate  
14 titers not very different from those vectors where  
15 we have cotransfected four plasmids.

16 In other words, you can make cell lines  
17 from these plasmids rather than having always the  
18 four plasmids together. It really depends on what  
19 you want to do. If you are interested to use  
20 different sets of promoters, you may want to do one  
21 thing. If you are interested in making only one  
22 type of a virus, you might want to make a cell  
23 line.

24 [Slide.]

25 For the purpose of showing this, these

1 viruses are equally good in infecting the neuronal  
2 cells. In other words, you can make cell lines  
3 rather than just using the plasmids.

4 [Slide.]

5 These are the third generation which has  
6 the same vectors.

7 [Slide.]

8 Finally, because we have these vectors  
9 where the LTRs have been manipulated and have been  
10 deleted, largely, we can now substitute regular TC  
11 culture and ask the question can you turn on the  
12 gene and turn off the gene at will. So here we  
13 introduce tetracycline elements directly inject it  
14 in the brain.

15 In the presence of tetracycline, there is  
16 hardly any expression. If you remove tetracycline  
17 from the water, there is expression, the work of  
18 Karl Kaffree. More importantly, you can turn the  
19 gene on, turn the gene off, turn the gene on, turn  
20 the gene off, at will for over a six-month period  
21 of time.

22 This is not perfect, but it is a  
23 reasonably good way to start thinking that you can  
24 actually regulate the sequences just as well.

25 [Slide.]

1           So, let me come to the final slides.  
2   Where are we now? Remember, we started out by  
3   asking what is an ideal vector we would like to  
4   have. We would like to have a vector which has the  
5   ability to do many of these things. We think--and,  
6   again, this is specific for lentis. There are many  
7   other vectors which can do many other different  
8   things. So this is not a competition or a  
9   comparison. It is simply to say what we planned  
10  out and this is what we have come out with.

11           The vectors certainly have the ability to  
12  make large amounts of particles. That is not  
13  difficult. Convenience of reproduction, at least  
14  in the lab, is not a problem. I don't know when  
15  you have to make 100,000 liters. That is the  
16  business of the people who do it in the biotech  
17  companies.

18           It has the ability to infect nondividing  
19  cells and dividing cells. I haven't told you about  
20  tumor cells, but you can do that--to integrate in a  
21  site-specific manner. We don't know that. We have  
22  not learned anything how to control the  
23  integration. In fact, that has been a very  
24  difficult task. So that part, I don't even know  
25  actually how to approach at this point, to have a

1 site-specific integration.

2           Fortunately, there is considerable  
3 experience in the clinic from the previous vectors,  
4 like Moloney leukemia virus, that we have not see  
5 any untoward effect of integration. But that is  
6 something we have not been able to achieve as yet.

7           I think we have the rudiments of a  
8 regulatory system, that we can turn the gene on or  
9 turn the gene off. We can infect a wide variety of  
10 cell types. I don't know if every cell type can be  
11 but a large number that I have shown you. We have  
12 not had any immunological consequences, at least  
13 not at the moment, particularly not with the  
14 viruses because part of the reason is a lot of  
15 the--4 percent of the human genome really is  
16 retrotransposon and has sequences much like the gag  
17 and the pol kinds of sequences, all the broken  
18 ones.

19           We certainly have antibodies against  
20 VSV-G. If you take the dogs, infect them with the  
21 virus, we have titers, antibodies; not a surprise,  
22 because VSV-G has fallen. But we have not seen any  
23 inflammation at the site of injection. But, then,  
24 again, we can't compare them with adenoviruses  
25 where the titers can be trillions of virus



1 particles. But, within the constraints of 100  
2 million particles that we can inject, we do not see  
3 any inflammation and immunological consequences.

4 So we believe, at this point, these  
5 vectors do offer the opportunity of the ability to  
6 infect nondividing cells and a number of people  
7 have made strong attempts to try to make them safe,  
8 safety in terms of their inability to, perhaps,  
9 make a replication-competent virus.

10 The deletion of LTR offered the  
11 opportunity to not allow mobilization of the virus  
12 and the fact that we have been able to manipulate  
13 the genome such that you have the ability to infect  
14 a wide variety of cell types offers many  
15 possibilities that these viral vectors have the  
16 ability to perform many of the things you would  
17 like them to do in terms of the production of the  
18 foreign protein and eventually into the patients.

19 [Slide.]

20 Finally, I would like to thank a number of  
21 individuals. Not all of them are listed here, but  
22 I would particularly like to thank Luigi, who  
23 happens to be in the audience, who started this  
24 along with Didier Trono and Rusty Gage with whose  
25 lab I collaborate very extensively. Bruce Talbert

1 with whom we do a lot of our work on hematopoiesis,  
2 and a number of other individuals, and finally a  
3 audience for your indulgence.

4 Thank you very much.

5 [Applause.]

6 **Questions & Answers**

7 DR. SALOMON: Thank you, Inder.

8 It is generally our policy to generate  
9 some questions and discussion. There is no agenda  
10 to this part of the meeting. It is just to get  
11 some issues out on the table. So I am very  
12 flexible about what kinds of things you want to  
13 raise.

14 Just to start, Inder, one question. You  
15 started off by pointing out that one of the first  
16 things you established was that you could make  $10^9$   
17 viral particles per ml. To me, that raises the  
18 question, in the context of the FDA thinking about  
19 setting some kind of standards for this as a  
20 product, exactly what do you think is the best way  
21 to express the efficacy of an expression system,  
22 transient or stable; in particles per ml? I mean,  
23 in retroviruses, that would not necessarily be the  
24 best way to describe something; right--that we  
25 would talk about infectious titers.

1 DR. VERMA: I think, first of all, I,  
2 perhaps, misspoke if I gave the impression you make  
3  $10^9$  virus particles per ml. I might have wanted to  
4 say that we made about  $10^6$  or  $10^7$ . Then we can  
5 concentrate them by virtue of the fact that the  
6 glycoprotein has reached the titers of  $10^9$ , and  
7 some people can claim titers of  $10^{10}$ .

8 So that is the general--now, you are  
9 asking what is the way--each lab, I think--there  
10 are no standard ways to do that. Most of use p24  
11 as a marker to see how many p24 antigen amount will  
12 be equal to infectious units, and use that as a  
13 major--that is what we do in our lab.

14 Some people use reverse transcriptase.  
15 Some people actually do the count of the particles.  
16 So I think this is something which people who are  
17 more familiar with these kinds of things, in terms  
18 of measurements, they will have to make a decision  
19 what is the best for their cause.

20 The second question regarding whether you  
21 should use plasmids combined together to make the  
22 virus or do you make a cell line. That was the  
23 implication. I think again it really depends on  
24 the individual.

25 We have used almost always plasmid

1 transfection so far, three or four plasmids. We  
2 have seen, whatever we do in the lab--and,  
3 remember, we do these things in 1 liter, 2 liters,  
4 3 liters at best. So you have to take that into  
5 constraint that when you go to thousands of liters,  
6 what might happen.

7 We have not seen any recombination. We  
8 have not seen and PCL-positive tat in the usual  
9 tests we do regardless of the fact whether you use  
10 plasmids or--so I have really a fairly open mind.  
11 I personally think there is no reason if people  
12 want to use three, four, plasmids together, that is  
13 perfectly fine. So I have really no preconceived  
14 notions in my mind on this issue.

15 DR. ALLAN: Just a point of interest. The  
16 VSV-G envelope is very good especially ex vivo  
17 where you take the cells out and infect them and  
18 get about 90-something percent. What about if you  
19 are going to treat someone by injecting the virus.  
20 Obviously, you are showing that you can target  
21 expression with the tat oppessor genes but I am  
22 wondering whether you can do tissue-specific  
23 expression and whether you are actually  
24 manipulating the envelopes that target specific  
25 cell types like, say, hepatocytes.

1 DR. VERMA: So the question is twofold.  
2 One, can you manipulate the glycoprotein so as to  
3 allow it to go to a very specific cell. For  
4 example, VSV-G being very general, unfortunately we  
5 don't know the receptor of the VSV-G, so we it is  
6 difficult.

7 One area we have failed miserably in the  
8 lab in the last ten years and that is the area of  
9 targeting. If we chose even a single nucleotide in  
10 VSV-G, it either refuses to bind and, if it binds,  
11 it doesn't fuse. I think the viruses have billions  
12 of years of evolution to really make themselves  
13 perfect.

14 There are viruses--Jim Wilson had a paper  
15 on philoviruses lately in which he found--now, I am  
16 using them philo because if I said they were ebola,  
17 it sounds even worse than that. That day he found  
18 their G-protein to be very specific for the apical  
19 parts of the lungs. So there are specific types of  
20 viruses you can use. We haven't really had much  
21 success but I am sure those in the audience have  
22 done better experiments.

23 The second is to control it by  
24 transcription regulation of a promoter. The only  
25 experiments we have really some experience with is

1 in the case of probasin which is prostate-specific,  
2 where, again, you can directly introduce the gene.

3 We haven't really succeeded much in making  
4 intravenous delivery and hope the expression will  
5 be only in the tissue where it goes. But we don't  
6 have enough virus. There is a lot of biological  
7 loss of the virus by the time you go, so we haven't  
8 really much experience on that.

9 DR. RAO: I had a question. Is it clear  
10 that when you do lentivirus infections, you have  
11 single-site insertion at the concentrations you use  
12 it at?

13 DR. VERMA: So the question is if you want  
14 to use a multiplicity infection of 1, 10, 100, 200,  
15 2000. I can't answer the question because we have  
16 never systematically done that. But we have rarely  
17 seen more than 2 to 3 viral integrations. Rarely.  
18 It is not unusual with the retroviruses, very  
19 often, that you have very few integrations. The  
20 best one I know is the XE cells. We have about  
21 twenty integrations when the Rous sarcoma virus was  
22 introduced.

23 But, by and large, we haven't seen many.  
24 But, again, I have never really known to do any  
25 systematic experiments. Maybe somebody did it. I

1 just don't know.

2 DR. DELPH: You showed that when you  
3 injected the vector into the testis that there was  
4 no transduction of the sperm cells. Have you  
5 looked at all to see what has happened to the  
6 offspring of transduced animals?

7 DR. VERMA: Yes. We got perfectly fine  
8 animals. There was no a single transgenic-positive  
9 animal. That is what I showed in one slide.  
10 Perhaps I went too fast. The PCRs are all  
11 negative.

12 DR. DELPH: That is both male and female?

13 DR. VERMA: Yes. It was like five and  
14 five.

15 DR. SALOMON: Dr. Zaia?

16 DR. ZAIA: When you are packaging the  
17 final virus, I normally think that viruses in  
18 nature make mistakes and there are defective  
19 particles. In your system that is constrained, is  
20 there less likelihood of this or is there more  
21 likelihood of having defective or incomplete  
22 particles and will that have a biological effect,  
23 do you think, when you are injecting vectors into  
24 muscle or liver?

25 DR. VERMA: It is a good question. We

1 don't really know how many defective interfering  
2 parts. I know if you have VSV alone, those kinds  
3 of stay in, so there you do make. In cytoplasmic  
4 viruses, they often make defective interfering  
5 there. I don't know, really. We have looked at  
6 the homogeneity of these viruses. By and large,  
7 they seem to be the same size, but if there was 1  
8 percent, 10 percent--they might interfere, but it  
9 can't be a tremendous interference unless there are  
10 a very large number of them to interfere with it.  
11 But no systematic study is done.

12           Incidentally, I can't have the opportunity,  
13 Dr. Zaia. As I was coming on the plane, I was  
14 looking at all the papers of FDA. The guy sitting  
15 next to me said, "Ah; that is my sister's husband,  
16 Dr. Zaia. Do you know him?" The probability that  
17 I should sit next to him in the plane, who knows  
18 you so well, I was astounded. So there is always a  
19 chance.

20           DR. SALOMON: I am not sure what chance  
21 you are referring to.

22           DR. VERMA: Very low.

23           DR. SALOMON: One of the questions I think  
24 you are uniquely suited to answer is this  
25 terminology of generation, as one of the people who



1 basically started this. Now, we are talking about  
2 first generation and second generation and third  
3 generation. So these kind of terminologies tend to  
4 become something we are comfortable with.

5 But, from time to time, as the field  
6 evolves, they can also lose their specificity. So  
7 one of the things I was struck, and we are going to  
8 get into this tomorrow but just to put this into  
9 context, was that part of the discussion at the RAC  
10 of the VIRxSYS protocol was an argument about  
11 whether this was a first generation or a second  
12 generation.

13 When you really looked at the details of  
14 what VIRxSYS had done, I am not sure whether it  
15 fits your concept of a generation because what you  
16 have done in generations here is continually split  
17 further apart, whereas VIRxSYS took a very  
18 different approach.

19 So are we kind of done with this  
20 generation thing or can you suggest a new way to  
21 define generations of lentiviral vectors that will  
22 be more useful?

23 DR. VERMA: I think it is a good question  
24 and it really hasn't crossed my mind at all to  
25 think about it, really. We do generations that

1 really actually defines the post-doctoral era of my  
2 lab, the first generation post-doc, the second  
3 generation. The fact of the matter is the first  
4 generation vectors, by and large, are defined by  
5 most of us and I think these are may perhaps be  
6 different in the system of the simian or the feline  
7 ones, as those where really largely the envelopes  
8 have changed and some of the long-term repeats have  
9 changed except most of the accessory genes would  
10 concept. So that is really the first generation of  
11 vectors because we really didn't know what genes  
12 are required for integration. If you look at the  
13 history of what we required for integration in  
14 nondividing cells, at least six people will tell  
15 you six different genes. So we didn't want to  
16 eliminate them.

17           The second-generation vectors have been  
18 defined, at least, and I don't think many people  
19 will use that very much, where the tat and nef were  
20 still present, or tat and rev were still present  
21 where the other genes were eliminated. Again, I  
22 haven't read the VIRxSYS thing. It is such a big  
23 document to read, but I think they are using the  
24 second generation of that type--I think.

25           But there LTR are not deleted. So you

1 don't have the SIN vector. So the third generation  
2 vectors are the SIN vectors where the LTRs have  
3 been truncated, where most of the accessory genes  
4 have been eliminated. That is how, really, we are  
5 defining them.

6 But I think in the future, one has to  
7 define them as probably fully deleted vectors or  
8 something like that. I just haven't thought about  
9 how to nomenclature them.

10 DR. KINGSMAN: Is it okay protocolwise for  
11 me to make a comment?

12 DR. SALOMON: Yes. In fact I would  
13 say--you need to identify. I guess I am remiss and  
14 I apologize to everyone. I would encourage both  
15 the invited speakers, as you are doing, as well as  
16 the audience to stand up and come to the mike since  
17 there is no attempt to restrict the discussion  
18 here.

19 DR. KINGSMAN: Thank you. I am Sue  
20 Kingsman from Oxford BioMedica. As Inder mentioned  
21 my name, I felt dutybound to stand up. I don't  
22 think that the word "generation" is a useful  
23 concept in a regulatory framework. I think it is a  
24 laboratory-specific statement to say we are  
25 gradually beginning to understand our system and

1 that people observing our system should realize  
2 that we are defining issues, solving them, moving  
3 forward.

4 I think the take-home message is that all  
5 of us are making progress towards understanding our  
6 system and what we should seek to do is to define  
7 some general concepts and parameters that most  
8 lentivectors can fit in with because I think the  
9 word "generation" will mean different things to  
10 different laboratories.

11 So I think it is the substance of the  
12 vectors that we would focus on, not this overall  
13 terminology. That would be my viewpoint.

14 DR. MULLIGAN: Since I think we are going  
15 to talk about the relative virtues of the transient  
16 transfection versus the packaging cells, I had two  
17 issues. The first is, as we have talked in the  
18 past, you can make stable packaging cells.

19 Everyone could make these. What seems to be the  
20 difficulty is actually transfecting the vector and  
21 getting high enough RNAs to make high virus titers.

22 There are definitely reports by cross  
23 infection or reinfection that you can get enough  
24 proviral copies to get very good virus titers. But  
25 I think the last time we talked there weren't a lot

1 of people that had actually, with SIN vectors, been  
2 able to do transfections and get good virus titers.

3           So, in your own experience, has that been  
4 possible, so when we get to the issue of the  
5 relative virtues, if no one can really make good  
6 stable producer cells, that will be very important.

7           The second question just is a more  
8 philosophical question which I think we will end up  
9 getting to which is the issue of there is a  
10 difference between theoretical safety and  
11 detectable safety. I want to pin you down on the  
12 transient versus stable packaging.

13           My impression is that whether you use a  
14 first-generation, second-generation,  
15 third-generation transient-transfection system,  
16 people will report that there is no difficulty,  
17 there is no helper virus functions, et cetera, et  
18 cetera.

19           We had a meeting here many months ago  
20 about good old-fashioned retrovirus packaging cells  
21 and the merits of PA317 which you know very well  
22 and other more advanced cells. I think the FDA at  
23 one point was asking for our guidance as to should  
24 they ever legislate against a less sophisticated  
25 packaging cell.

1           The discussion was somewhat controversial  
2 and I guess I came down to the fact that, well, if  
3 you can't prove, by experimental means, that there  
4 is a difficulty, then you have a real difficulty  
5 preventing people from moving ahead.

6           On the other hand, there is no doubt that  
7 there are theoretical, good, sound theoretical,  
8 reasons to think that the split packaging cells  
9 would be a safer product than the transient  
10 transfection. So I am curious where you come down  
11 on that point.

12           DR. VERMA: I tried to mention it quickly.  
13 What Richard is asking--it is a long question;  
14 right? But I think I get the gist of what you are  
15 asking. This is also again the question we are  
16 often asked in the past. Richard is asking the  
17 question--two questions, mainly--if you really can  
18 make a cell line from all these systems that you  
19 have, you still would like to continue using, for  
20 example, the transient transfection.

21           My experience in the lab largely has been  
22 on the transient transfection, so I can only speak  
23 very little. The only stable cell line we have is  
24 the one that I just described which Karl Kaffree  
25 made in the lab prior to his departure. We have

1 really seen no big difference, again in terms of  
2 infectivity, in terms of production of our usual  
3 safety efforts of the tat production and so on.

4 I think it is very hard, really, to say at  
5 this point. Theoretically, if you think you might  
6 conceive the idea that if you have four plasmids  
7 together, that you may be causing real  
8 recombination when they are growing up and you are  
9 adding to that. It is a theoretical possibility.

10 I have had no really direct evidence for  
11 that. You asked me for a recommendation I will  
12 have. I think, personally, if you can make a cell  
13 line, and I think we have shown that you can make  
14 the third-generation cell line, and the titers are  
15 not really compromised because there are ways to do  
16 that, I would say that if I were the one doing it,  
17 I would take a cell line just because of the  
18 convenience of it and that you know the  
19 reproducibility of it and you know that you know  
20 exactly what you started with.

21 But I really can't definitively answer  
22 your question to say the other is the wrong way of  
23 doing it because I really have no experience on  
24 that.

25 DR. MULLIGAN: Can you make one with the

1 SIN vector?

2 DR. VERMA: That is the one with the SIN  
3 vector. We made it with the SIN vector. That is  
4 the paper Karl just published.

5 DR. SAUSVILLE: You alluded to the  
6 karyophilic nature of the virus as being a key  
7 advantage. I think that really came through as a  
8 real leap with this vector generation. Yet it  
9 would seem, from the standpoint of the product  
10 definition, that could also be a point in  
11 variability in how much expression you get. Could  
12 you expand on whether or not there is a concept of  
13 how to standardize--is it a function of the gene  
14 you are trying to make? Is it a function of  
15 sequences that are in the vector that determines  
16 that property?

17 DR. VERMA: Implicit in your question is  
18 that we understand the mechanism by which the viral  
19 DNA actually crosses the nuclear membrane. In  
20 fact, that is really, still in my mind a fairly big  
21 black box. There have been proteins identified  
22 that Didier Trono showed the PL10 protein which  
23 binds to it.

24 At one time, there were different sets of  
25 proteins. Once it was the gag protein. Once it



1 was the VPR protein. They are all involved. We  
2 don't know the mechanism of that. So think, at  
3 present, to use that any kind of way is probably  
4 not a good one. On top of that, you may have seen  
5 some of my slides, once again from the French group  
6 and from Luigi's group, there are polybrene checks  
7 called the cPPT--some call them flaps--which seem  
8 to allow a better transduction into the nucleus.

9 So we don't know if the presence of that  
10 will make a difference or not on how efficient is  
11 that process. That still remains to be done. So I  
12 think that is not going to be a very easy way at  
13 this point to use as a mechanism to define that as  
14 a late property.

15 DR. KINGSMAN: I think that question needs  
16 to be answered on a case-by-case basis, that when  
17 you are doing your efficacy studies, you will  
18 design a vector that will transfer genes into the  
19 cells that you are targeting and will give the  
20 effect that you want. Sometimes, you may have to  
21 alter the properties by adding the cPPT in and  
22 other times you won't. But you will have defined  
23 the potency of your product with the specific  
24 endpoint in mind.

25 So I think you will be able to get a

1 product definition for your particular product but  
2 I agree with Inder. I don't think you will be able  
3 to come up with a generic specification for all  
4 lentivectors to perform similarly under all  
5 circumstances. I think if we try to go down that  
6 route, it will be a very long tortuous path.

7 DR. SAUSVILLE: I certainly agree that  
8 that is an area that is of great theoretical  
9 interest to figure out and also, in a particular  
10 case, to define. Yet, it seems to me, that would  
11 ultimately influence the number of particles that  
12 would result in an efficacious outcome and,  
13 therefore, this issue of background safety issues  
14 then becomes potentially influenced by this  
15 sufficiency issue.

16 DR. VERMA: I really can't answer any  
17 better. I just don't know enough about the actual  
18 mechanism of transfection.

19 DR. SALOMON: I guess one question I think  
20 Inder has already answered it for his experience,  
21 but one of the key issues for me when I look at the  
22 safety of a transient versus a stable line is the  
23 question that I don't know the answer to, so I want  
24 to pose it to the group. The answer may be, as  
25 Inder has already said, that he doesn't know. But

1 the question would be if you have a situation in  
2 which you have a transient-transfection system in  
3 which up to four purified plasmids are transfected  
4 at the same time.

5 So, forgive me, but my image is of all  
6 this semipurified DNA in very high concentrations  
7 at various points in the cell and in the cell cycle  
8 versus a stable cell line, packaging cell. We are  
9 thinking about relative safety now, not efficacy or  
10 production, not that those aren't very important.

11 The question would be is there any data  
12 out there suggesting that such a multi-transfection  
13 system leads to higher rates of recombination? I  
14 am not saying that you can't make alterations in  
15 the vectors and lack of homology, et cetera, all of  
16 which have cleverly been done and proposed by  
17 different people, but is there just any evidence  
18 that there would be more recombination in such a  
19 multi-plasmid system? Does anybody have an answer  
20 to that?

21 DR. VERMA: Theoretically, you might  
22 imagine, because there are all these thing and  
23 maybe recombination--I think a lot of work has been  
24 done in the past on one or two plasmids,  
25 particularly with the recombination of the

1 endogenous genes. That has been extremely low.

2 But to actually have high amounts, because  
3 we have been asking the question what amounts, I  
4 think it really comes down in the end to  
5 individuals, how they want to proceed with it. I  
6 don't believe there is any strong evidence at this  
7 point whether three plasmids versus four plasmids  
8 versus two plasmids gives you any worse result if  
9 you have a cell line, if there is any greater  
10 recombination. I don't think there is any direct  
11 evidence.

12 DR. KINGSMAN: Could I just add to that.  
13 In the early days of plasmid-based gene transfer,  
14 if you go back and read the papers in the early  
15 '80's, people addressed those questions about what  
16 happened to plasmids when they went into cells.  
17 What happens is they do recombine and concatenate  
18 and rearrange.

19 So, a priori, you might expect that there  
20 would be some DNA-DNA interactions when you put  
21 large amounts of DNA in a cell. But whether  
22 anybody has then studied retroviral vectors coming  
23 out of that and done some of the studies like  
24 Howard Temin did to ask what are the nature of  
25 retroviral recombinants, I don't think they have.

1 But, a priori, there will be DNA-DNA interactions.

2 DR. VERMA: But it is the final product  
3 you are really interested in in the end.

4 DR. KINGSMAN: Yes.

5 DR. MULLIGAN: I would echo Sue's point  
6 that there is no question that the DNA that is the  
7 template for making the RNA in a transiently  
8 transfected cell is a very complicated DNA. So  
9 there is no doubt that there is recombination at  
10 very, very high efficiency, probably near unit  
11 efficiency. So I don't know if anyone has actually  
12 looked at the RNA transcript in a transiently  
13 transfected cell but I would bet you that you would  
14 undoubtedly see very funny things.

15 Now, Inder's point is, all that being  
16 said, what gets selected to be packaged and  
17 transferred and so forth appears to be no  
18 different. What I would think I would really  
19 strongly emphasize that this is not the optimal way  
20 to generate RNA to be packaged.

21 If people were to look, if we thought it  
22 was important to look at this process, I would  
23 think we would undoubtedly see the effects of that.  
24 So that is a fundamental difference between having  
25 integrated templates for helper functions and

1 vector functions in the transient system.

2 DR. SALOMON: Yes. I just wanted to point  
3 out that that is sort of the point. My point is  
4 that I think one of the questions that the  
5 committee has in front of it, and we are not going  
6 to answer it immediately, but as we consider  
7 safety, if we agree that these are important  
8 scientific questions and the data is not out there,  
9 it may be important to solve these issues before  
10 you say, we are going to defend the use of one or  
11 another type of strategy.

12 If it turns out to be a wash by the time  
13 you package the vector, then great. Then you could  
14 do it any way you want.

15 DR. NALDINI: Luigi Naldini from Torino.  
16 I apologize for my voice. One point, in terms of  
17 the packaging cell line versus transient  
18 transfection which has to be made, I think we have  
19 to be careful in really using experience with  
20 retroviral vector into the lentiviral field.

21 The lentiviral vector that we have  
22 discussing until now uses the VSV envelope making a  
23 packaging cell line, the VSV envelope poses  
24 challenges not only in terms of regulating that  
25 envelope because it is toxic but also because it

1 allows superinfection of your cells, quite  
2 extensively. Even if you have an inducible system,  
3 you may not completely suppress that.

4 I think, overall, that means that, in the  
5 long time in which you grow your cell, there is  
6 actually more changes for recombination to take  
7 place and for recombinants to spread in the system  
8 and to accumulate the multiple steps required to  
9 build a virus as compared to the short window of  
10 time of transient transfection.

11 So I think it is obvious that a stable  
12 cell line has an advantage in terms of  
13 manufacturing, standardization. I would doubt that  
14 actually, at the moment, we can think it is  
15 actually safer. Transient transfection, as long as  
16 you use multiple plasmids in a very short window of  
17 time, makes it very unlikely, even if there is  
18 recombination going on and there is no question,  
19 that you rebuild a complete genome.

20 In a stable cell line which grows for a  
21 long time, we may allow a certain level of  
22 infection going on even by partial recombinant,  
23 this may happen. So I think we have to be very  
24 careful with that.

25 DR. VERMA: You can be careful with that

1 but the bottom line is, in the end, it is the final  
2 product whether you made it with one system or the  
3 other system. That is the one we want to really  
4 need to know, whether that has recombinants in it  
5 or not.

6 DR. KAPPES: John Kappes. I am from the  
7 University of Alabama at Birmingham, UAB. We took  
8 a very careful hard look using highly selective  
9 pressures to address whether RNAs were incorporated  
10 into vector particles that could recombine during  
11 reverse transcription.

12 Specifically, we were looking for  
13 recombinants that could generate something that  
14 would be produced from the cells. So, minimally,  
15 you would have to generate a recombinant which had  
16 the capability to produce a retroviral particle  
17 because we were providing envelope in trans. So  
18 this would be an envelope minus recombinant, to say  
19 the least.

20 But my point is, in that context, in that  
21 examination, we did find DNA recombinants that had  
22 properties that, when envelope was provided in  
23 trans, by transfection of those cells that received  
24 supernatants from vector-generated stock which  
25 contained DNA, that that, too, could, as our



1 endpoint, mobilize retroviral DNA or marker genes  
2 which we had introduced into the cell.

3 So the point is, in rare cases, we did  
4 identify DNA recombination.

5 DR. SALOMON: Dr. Mulligan and then Dr.  
6 Jolly.

7 DR. MULLIGAN: Just on Luigi's point, we  
8 have actually, with a MLE VSV-G packaging cell,  
9 looked at the transient-transfection issue. I  
10 think if you were to look in your system, you would  
11 see probably that the same thing happens in the  
12 transient transfection. It somewhat depends on how  
13 you do your harvests, but we have seen with  
14 intron-containing constructs that, even in  
15 transient transfections into the stable packaging  
16 cells, that you can detect intron incision and  
17 remobilization suggesting that what you say can  
18 occur in both the transient transfection and the  
19 stable cells.

20 DR. JOLLY: My name is Doug Jolly. I work  
21 for Biomedica, Incorporated. Just I guess the  
22 first thing I would say is there is almost no data  
23 about this. It is pretty early to make any choices  
24 without the data. I think part of the problem is,  
25 drawing on the experience from murine retroviral

1 vectors, we had a packaging cell line which  
2 retained some homology although it was split into  
3 three pieces.

4           Really, the way we gathered data on that  
5 was to do 60 200 liter preps. Then three of those  
6 had RCR positivity. So that is only assay for the  
7 very rare events that we are worrying about is to  
8 do something like that. You can't see it often  
9 unless it is an acute event in the scale  
10 experiments that we are talking about now.

11           So I think it is too early to close any  
12 doors with respect to the lentiviral vectors.

13           DR. SALOMON: Yes. I agree with that. I  
14 guess I would just also point out to broaden the  
15 context that this is not--I don't think the only  
16 safety issue for any sort of vector delivery is  
17 replication-competent lentivirus or  
18 replication-competent retrovirus albeit, obviously,  
19 that is front and center, particularly with this  
20 class.

21           But it is also if recombinations occur  
22 that alter the integrity or the structure of the  
23 trans gene could also be very potentially dangerous  
24 in terms of autoimmunity and other effects. It  
25 certain would affect efficacy.

1 DR. VERMA: I agree. I think it is a  
2 point worth thinking about. I certainly too  
3 thinking about how to actually how you can do the  
4 experiments. I was thinking about it.

5 DR. SALOMON: I think that was excellent,  
6 Dr. Verma.

7 We had a discussion yesterday that, having  
8 grown up on the East Coast, born in Boston and now  
9 have been out in Southern California, I am having  
10 this conflict about referring to people by their  
11 first name or referring them as Doctor. So I am  
12 going to try and go with the East Coast formal  
13 until we can finally get the FDA to have one of our  
14 meeting out on the West Coast.

15 DR. NOGUCHI: As long as you host it.

16 DR. SALOMON: I think I can say that  
17 Scripps would be happy to host the next FDA-BRMAC  
18 meeting. I don't think that is going to really  
19 happen though.

20 It is my pleasure to announce the second  
21 speaker which is Dan Takefman from the Office of  
22 Therapeutics Research. He is going to talk  
23 specifically about lentiviral vectors and continue  
24 our discussion of potential safety issues.

25 **Lentiviral Vectors: Safety Issues**

1                   **Dr. Daniel Takefman**

2                   DR. TAKEFMAN: That was really a great  
3 introductory talk by Dr. Verma. I am very  
4 encouraged by the excellent discussion thus far.

5                   [Slide.]

6                   Today, I will be speaking about safety  
7 issues associated with the use of lentiviral  
8 vectors in the clinic. As many of you know, the  
9 first patient participating in a gene transfer  
10 clinical trial received cells that were exposed to  
11 a murine gammaretroviral vector. Since that time,  
12 murine gammaretroviral vectors continue to be  
13 tested in clinical trials, one long-term gene  
14 expression is desired.

15                  [Slide.]

16                  This is a figure you are going to see a  
17 number of times today. Lentiviruses, like  
18 gammaretroviruses, belong to the Retroviridae  
19 family. Gammaretroviruses have encode for three  
20 open reading frames - gag, pol, and env.  
21 Additionally, the genome is surrounded in both ends  
22 by long terminal repeats

23                  Lentiviruses, such as HIV, depicted here,  
24 have a more complex genome. In addition to gag,  
25 pol, and env, there are two regulatory proteins,

1 tat and rev, which promote viral gene expression  
2 through transcriptional and posttranscriptional  
3 mechanisms respectively.

4 There are also four accessory genes, vif,  
5 vpr, vpu, and nef, which are involved in viral  
6 replication and pathogenesis.

7 [Slide.]

8 The complexity of the lentivirus genome  
9 has made adaptation of this virus family to a  
10 vector system challenging, but a worthy goal, as  
11 Dr. Verma mentioned, a major advantage to the use  
12 of lentiviral vectors is that they transduce  
13 non-dividing cells.

14 Interestingly, in lentiviral systems, you  
15 see efficient adaption to SIN technology, or  
16 self-inactivating technology, and this is in  
17 contrast to what you see with gammaretroviral SIN,  
18 and I will elaborate on this point later on in my  
19 talk.

20 In both systems, you have the advantage of  
21 integration to host chromosome potentially  
22 resulting in long term gene expression of the  
23 transduced cells and the progeny cells.  
24 Additionally, in both systems, there are no viral  
25 genes expressed in target cells.

1 Both systems have the disadvantage for  
2 potential of recombination events occurring,  
3 resulting in replicating virus with potential  
4 pathogenicity.

5 [Slide.]

6 There are a number of lentiviral vector  
7 systems currently under development, two that are  
8 based on primary lentiviruses, such as HIV and  
9 simian immunodeficiency virus, and two systems  
10 based on non-primate lentiviruses, such as FIV and  
11 equine infectious anemia virus.

12 [Slide.]

13 So, what are the safety concerns specific  
14 to the use of lentiviral vectors?

15 Recombination during manufacturing may  
16 generate a replication-competent lentivirus, an  
17 RCL. Of course, I should mention in my talk. I am  
18 primarily going to focus on the use of HIV-based  
19 vectors.

20 In terms of generating an RCL, of course,  
21 this is of particular concern with HIV-based  
22 vectors, since HIV is a known human pathogen.  
23 Additionally, since lentiviral vectors are commonly  
24 pseudotyped with G glycoprotein, a VSV, a broadened  
25 tropism may potentially result in increased

1 pathogenicity of an RCL.

2 Additional concerns are associated with  
3 the use of HIV-based vectors in HIV-positive  
4 subjects. Recombination of vector with wild-type  
5 virus in HIV-positive subjects is a concern and has  
6 the potential to lead to a more pathogenic  
7 wild-type virus.

8 Additionally, mobilization of vector by  
9 wild-type virus is a concern, and I am going to  
10 touch upon this point again later on in my talk.

11 [Slide.]

12 In terms of recombination events, we  
13 certainly have learned a lot from the  
14 gammaretroviral vector field as from basic research  
15 done in the gammaretroviral basic research areas.

16 It is known that homologous recombination  
17 can occur when two different RNAs are packaged into  
18 one virion. This is the result of reverse  
19 transcriptase template switching or undergoing a  
20 process of strand transfer.

21 This same mechanism has been shown to  
22 occur with HIV RT, as well, in in vitro systems.

23 [Slide.]

24 In terms of a recombination event leading  
25 to a replication-competent retrovirus, or an RCR,

1 we know that this is a safety concern from the  
2 well-known study in which immune-suppressed rhesus  
3 monkeys were exposed to bone marrow cells  
4 transduced with a preparation of RCR-positive  
5 vector.

6 In that study, 3 out of the 10 animals  
7 treated developed lymphomas and died within 200  
8 days. Follow-up analysis revealed that these  
9 animals had sequences identified as recombinants  
10 between vector and helper, and vector and  
11 endogenous sequences. I should point out that in  
12 the system, the investigator was using a murine  
13 leukemia virus-based vector and murine cell lines  
14 for production.

15 [Slide.]

16 So, how do we use these lessons learned  
17 for the manufacturing of gammaretroviral vectors?  
18 It is known that homologous recombination occurs at  
19 a rate approximately 100 to 1,000-fold lower than  
20 non-homologous recombination. Therefore, reduction  
21 in homology between vector and helper sequences  
22 will lower the likelihood of a recombination event  
23 occurring.

24 I should point out that in a study by Otto  
25 and co-workers, it was shown that as little as 10



ajh

1 base pairs of nucleotide identity between packaging  
2 and vector sequences were sufficient to allow for  
3 RCR generation.

4 [Slide.]

5 Additionally, splitting helper sequences  
6 into more than one plasmid, for example, splitting  
7 env and gag-pol open reading frames, is likely to  
8 decrease the incidence of RCR generation by  
9 increasing the number of recombination events  
10 necessary to generate an RCR.

11 [Slide.]

12 Vector mobilization. This is an  
13 additional concern with the use of lentiviral  
14 vectors in HIV-positive subjects. Mobilization  
15 occurs when a vector genome is packaged by a  
16 wild-type HIV present in the same cell.

17 Mobilization occurs by the same mechanisms  
18 that allow for helper sequences to package vector  
19 genomes.

20 [Slide.]

21 So, there are potential advantages and  
22 disadvantages to vector mobilization. Mobilization  
23 of a vector designed to inhibit or prevent HIV  
24 replication or pathogenesis has been argued to  
25 enhance the therapeutic effect by allowing for

1 spread of the therapeutic transgene.

2 In terms of disadvantages, vector spread  
3 beyond the intended target tissue may have safety  
4 consequences. Additionally, co-packaging of  
5 wild-type HIV RNA and vector RNA may result in  
6 recombination.

7 [Slide.]

8 How to address these safety concerns. I  
9 list here four approaches - vector design, safety  
10 testing during manufacturing, preclinical safety  
11 studies, and clinical monitoring.

12 In terms of vector design, one can  
13 incorporate features intended to decrease the  
14 likelihood of recombination and mobilization, and  
15 again, lentiviral vectors benefited from the  
16 beginning from lessons learned from gammaretroviral  
17 vectors.

18 [Slide.]

19 I very briefly want to highlight some of  
20 the features in what has been called first, second,  
21 and third generation vectors with, of course, the  
22 caveat that these definitions may be outdated in  
23 the future.

24 Very brief, as an example of producing a  
25 first-generation vector, one might perform

1 transient transfection of three plasmids. Again  
2 the packaging plasmid would contain all HIV viral  
3 genes except for env. The envelope plasmid  
4 contains VSV-G for broadened tropism or your  
5 vector, and in the case of a HIV-based vector, the  
6 HIV transfer vector, would contain the gene or cDNA  
7 of interest and the minimal cis-acting elements of  
8 HIV.

9 [Slide.]

10 Just a few of the highlights of  
11 first-generation vectors include limited homology  
12 between vector and helper sequences, separation of  
13 helper plasmids. Again, these two are benefited  
14 from the use of gammaretroviral vectors.  
15 Additionally, in first-generation vectors, we see  
16 the retention of all the accessory genes in the  
17 packaging plasmid, which is in contrast to  
18 second-generation vectors where we see the  
19 elimination of accessory genes from the packaging  
20 plasmid.

21 [Slide.]

22 Interestingly, this seems to have no  
23 effect on vector titer. These vectors still retain  
24 the property of transduction of many dividing and  
25 non-dividing cells, and it could be argued that

1 there is an increased safety margin with these  
2 vectors since there is fewer wild-type HIV genes  
3 involved in the manufacturing process.

4 [Slide.]

5 Third-generation vectors certainly have a  
6 number of interesting features, but I just want to  
7 describe in detail the use of a self-inactivating,  
8 or SIN, vector.

9 This involves a deletion in the enhancer  
10 region of the 3-prime U3 of the long terminal  
11 repeat. During the process of reverse  
12 transcription, this 3-prime deletion is transferred  
13 to the 5-prime LTR and results in a  
14 transcriptionally inactive vector that cannot be  
15 converted into a full length RNA in the target  
16 cell.

17 We also see a reduced likelihood of RCL  
18 generation and SIN seems to hamper mobilization by  
19 wild-type HIV.

20 Additionally, the use of SINs may reduce  
21 the risk of tumorigenesis via promoter insertion.

22 [Slide.]

23 There is certainly many other  
24 developments, and I just wanted to give a brief  
25 outline, but other developments include the use of

1 a four-plasmid system in which one would split  
2 helper sequences into three separate plasmids. As  
3 an example, rev can be split on a separate plasmid,  
4 or gag-pol coding regions can be split in two  
5 separate plasmids.

6 There has been development of stable  
7 packaging cell lines based on third-generation  
8 technology, and there has also been development of  
9 non-HIV-based vectors, such as the EIAV, SIV, and  
10 FIV, which are not known human pathogens.

11 [Slide.]

12 So, even with the incorporation of all  
13 these safety features, one cannot reduce the risk  
14 of a recombination event occurring to zero, and  
15 therefore, it is important to have appropriate and  
16 sensitive assays in place that will detect a  
17 recombination event during the manufacturing  
18 process.

19 [Slide.]

20 It is certainly going to be very important  
21 to have an assay in place that will detect an RCL.  
22 RCL assays are typically done by an infectivity  
23 type assay which would involve several passages on  
24 a permissive cell line or cell lines. Then, one  
25 can perform endpoint assay for viral or transgene

1 sequences by a PCR-based assay.

2           The use of a positive control might be  
3 problematic since the generation of a  
4 replication-competent VSV-G pseudotype lentiviral  
5 vector may not be desirable.

6           [Slide.]

7           One can also detect for helper sequences,  
8 and this could be done by functional assay. The  
9 transfer assay is an assay that has been used in  
10 the HIV field for a number of years. This assay  
11 tests for the generation of a recombinant that  
12 expresses a functional tat protein. The assay  
13 relies on the ability of tat to transactivate an  
14 LTR reporter gene construct in the target cell.

15           In the absence of tat or in the absence of  
16 a tat recombinant, no LTR-driven reporter gene  
17 expressed in the SIN.

18           One can also test for recombination  
19 intermediates, and we are fortunate to have Dr.  
20 Kappes in to talk about this concept in the  
21 afternoon session.

22           [Slide.]

23           Certainly, one can directly test for  
24 helper sequences in a vector production lot or in  
25 transduced cells by a PCR-based assay. While this

1 can be a very sensitive assay, perhaps it is not  
2 the most biologically relevant assay to perform  
3 especially in terms of detecting an RCL.

4           Perhaps this assay would have usefulness  
5 for VSV-G detection when you are treating  
6 HIV-positive subjects with an HIV-based vector  
7 since transfer of the VSV-G gene into a  
8 HIV-positive subject is highly unwanted.

9           [Slide.]

10           Finally, in terms of addressing safety  
11 concerns, I wanted to briefly outline how one might  
12 go about performing preclinical safety studies and  
13 clinical monitoring. I mostly want to emphasize  
14 some concerns especially with the use of HIV-based  
15 vectors in HIV-positive subjects.

16           A lot of these concerns are going to be  
17 addressed to the Committee in the form of  
18 questions, both in this afternoon's session and in  
19 tomorrow's session.

20           [Slide.]

21           In terms of the use of animal models to  
22 assess safety, studies to assess mobilization and  
23 recombination with wild-type HIV are difficult.  
24 This has been learned in the HIV vaccine field.

25           It is difficult to find an animal model

1 that can examine the replication and pathogenicity  
2 of HIV. In terms of non-human primates, it is  
3 known that HIV replicates, but is non-pathogenic in  
4 chimpanzees. Perhaps the macaque model might be  
5 appropriate for SIV-based vectors. Unfortunately,  
6 the murine model is very limited due to the fact  
7 that HIV does not replicate in murine cells.

8           Along the same lines, a SCID mouse model  
9 will also be limited, perhaps can serve as a "in  
10 vivo test tube," but any replication of your vector  
11 seen will still be limited to the human cells that  
12 are added in.

13           [Slide.]

14           In terms of clinical monitoring, it  
15 certainly will be important to have an assay in  
16 place to detect for RCL in gene transfer  
17 recipients, and this is analogous to current  
18 recommendations with gammaretroviral vectors. How  
19 best to perform this assay in an HIV-positive  
20 subject is a question. There is certainly a number  
21 of ways one can go about this.

22           Additional concerns again are in terms of  
23 recombination events of your HIV-based vector with  
24 wild-type HIV. It is difficult to predict the  
25 outcome of this recombination event and therefore



1 consideration should be given to have an  
2 appropriate assay in place.

3           Likewise, one might want to assay for  
4 changes in wild-type HIV following administration  
5 of a lentiviral vector. For example, if your  
6 vector was targeting a specific HIV gene, one might  
7 want to assay.

8           [Slide.]

9           So, in conclusion, recombination during  
10 manufacturing is a safety concern, one that perhaps  
11 can be adequately addressed through incorporating  
12 safety features in the design of your vector.  
13 Additionally, it will be important to have  
14 appropriate and sensitive assays in place to  
15 monitor for recombination events occurring during  
16 the manufacturing process.

17           In terms of recombination of vector with  
18 wild-type virus in each of the positive subjects,  
19 it is worth considering having appropriate assays  
20 in place to monitor for recombination events in  
21 subjects.

22           [Slide.]

23           In terms of mobilization by wild-type  
24 virus, certainly a lot could be shown through in  
25 vitro assays as to the potential for vector to be

1 mobilized. Unfortunately, preclinical animal  
2 models will be difficult and perhaps consideration  
3 should be given to having appropriate assays in  
4 place to perform clinical monitoring.

5 I will end there.

6 DR. SALOMON: Thank you, Dr. Takefman.

7 [Applause.]

8 **Questions & Answers**

9 DR. SALOMON: So, this discussion is  
10 obviously now kind of beginning the FDA staff's  
11 leading us towards some questions that we are going  
12 to discuss this afternoon, but we already began  
13 some of this discussion of safety issues, and I  
14 encourage some discussion now. After that, we will  
15 take a break, so just to give you kind of an idea  
16 how the morning will flow.

17 One question that I have, again, it may  
18 not be totally answerable right now, is we keep  
19 talking about the VSV-G protein, and that seems at  
20 the moment, I think partly through the first  
21 generation of vectors to use this, but is that a  
22 safe envelope to be using? Is that an issue that  
23 we ought to be dealing with at some point as a  
24 direct safety issue?

25 The molecule itself is toxic, right, when

1 it is expressed, if it's expressed at high levels,  
2 it can even kill the target cells? We don't know  
3 its receptor.

4 Certainly, in vivo we understand that it  
5 is targeting, at least brush borders an intestinal  
6 epithelium, but the question is, if injected, if  
7 it's present and injected in cells, so in terms of  
8 in vivo gene therapy, we really have no idea  
9 whether it is even functional.

10 DR. TAKEFMAN: Those are good questions.  
11 I would welcome the Committee's opinions.  
12 Certainly, in my mind, a major issue is potential  
13 transfer of VSV-G gene to an HIV-positive subject  
14 and resulting recombinant.

15 DR. SALOMON: I guess the point that I was  
16 making here, though, is given how little we know  
17 about VSV-G's function, some of its features would  
18 certainly make one think that it was a major safety  
19 concern in the sense that it can be toxic.

20 On the other hand, given that it is  
21 unclear to me at least, and again I defer to an  
22 expert audience here, about what its function would  
23 be in vivo. If it has no or little function in  
24 vivo, then, its expression on an HIV particle would  
25 be pretty meaningless from a safety point of view.

1 I guess these unknowns bother me in the  
2 context of the safety discussion.

3 Dr. Sausville.

4 DR. SAUSVILLE: I was going to say, on the  
5 other hand, though, there are certain features of  
6 it that could actually be construed as quite  
7 beneficial. I mean this field has had a problem  
8 with efficiency of transduction in many of its  
9 aspects, so to me, the question really comes, I  
10 mean as was alluded to, there is a marked problem  
11 with recombination with HIV.

12 We may have to consider different safety  
13 issues in a non-HIV infected population, because I  
14 think the potential safety ramifications are quite  
15 different actually, and you might reach different  
16 conclusions.

17 DR. CHAMPLIN: Of course, the non-HIV  
18 population can become HIV-positive two days after  
19 the gene therapy is administered, so one has to  
20 think of these things and then think of the truly  
21 rare event if one in a million event occurs once to  
22 develop a highly pathogenic virus, that can  
23 obviously have major public health implications.

24 The preclinical studies in primates, has  
25 there been much experience there in terms of

1 looking at safety and stability in animals?

2 DR. SALOMON: Dr. Verma.

3 DR. VERMA: I think there is some  
4 experiments, but very little. I think Dalcone is  
5 doing some stuff. It is relatively recent. There  
6 is not very much known, but nothing untoward that I  
7 know of at the moment.

8 Regarding the VSV-G, it is not that it is  
9 not a human pathogen, there have been outbreaks of  
10 VSV infection. It is a cattle wild normally. I  
11 know it because when I was post doc in David  
12 Baltimore's lab, we worked on VSV, and we mouse  
13 popped everything in those days. We don't do that,  
14 but it's fact we used to do that.

15 So, it is known to be human pathogen, but  
16 it is something in terms of toxicity, there is I  
17 think enough data on it, it is a just a matter of  
18 somebody to mine it, because there have been  
19 periodic epidemics of it, of VSV.

20 DR. CHAMPLIN: If you put VSV and SIV,  
21 would it have any increased pathogenicity in the  
22 monkey?

23 DR. VERMA: I think the argument really  
24 there is the testing of it. There is no reason why  
25 there should be any VSV gene that should come

1 through in the mouse, there is no reason for that.  
2 So, I think that is a moot point really.

3 DR. SALOMON: I guess the point I was  
4 making was I know we are tending to take this  
5 default that everything that we raise as a safety  
6 issue means it will make it less safe, and I was  
7 actually raising the point that it could cut both  
8 ways.

9 If you could demonstrate that VSV-G,  
10 having cut past the mucosal surface, which is its  
11 natural target, as you just pointed out, from the  
12 known zoonotic disease and from its disease in the  
13 cattle, if you could demonstrate that it had very  
14 little, if any, targeting effect when released into  
15 the circulation, you could then use it to say even  
16 if our strategy allowed a VSV-G recombination, it  
17 would have little--I mean we are making this  
18 assumption that oh, my gosh, if VSV-G got onto the  
19 lentiviral vector, we would suddenly have this  
20 horrible new pathogen.

21 I am okay with that concept, but where is  
22 the data for it?

23 DR. KINGSMAN: I am Sue Kingsman. There  
24 is some evidence that VSV-G is quite pretty rapidly  
25 inactivated by human complement, which may be a

1 point in its favor.

2 DR. VERMA: I think the whole work on the  
3 VSV, the Moloney vector VSF-G, there is  
4 considerable experience on that, that could be used  
5 as relevant experience in this case, in terms of at  
6 least the G toxicity.

7 DR. MULLIGAN: I would separate the  
8 toxicity from the mobilization question, and we  
9 will get into that, I am sure, so I think any  
10 mobilization context where you could pick up a gal  
11 VM or any other envelope, I think would be a real  
12 issue. That is a real safety issue.

13 I would view the gene no more dangerous  
14 and safe than other kinds of envelopes. One  
15 argument is that G is toxic and therefore you would  
16 maybe be better with that because you will kill the  
17 cell effect, and not propagate it, but work with  
18 the packaging cells, suggest even at a low level  
19 where you don't have toxicity, you can get virus  
20 particles that are infectious. So, it suggests  
21 there is a potential for a level G that gives you  
22 infection without having F.

23 DR. NOGUCHI: The discussion is superb and  
24 we really appreciate it, but there are just a  
25 couple of cautions I will continue to try to put

1 out. One is, for example, the fact that there  
2 should be no VSV gene in the final product is, of  
3 course, what we expect, but what we expect is not  
4 what we always get.

5 It is sort of going beyond what the data  
6 are or have been generated. We need to be able to  
7 consider some of the further ramifications of what  
8 could happen, so even if there is no data, that  
9 doesn't necessarily mean we discard the concern.

10 Regarding human complement inactivation of  
11 the VSV envelope, the same argument had been made  
12 for murine retroviruses, as a matter of fact. You  
13 may inhibit or you may deactivate a certain number  
14 of viruses, but as many virologists have told us,  
15 well, it just takes one to get an infection, and  
16 you may have  $10^7$  clearance, but if you are putting  
17 in  $10^9$ , it then becomes a moot point as to whether  
18 or not it is inactivated by complement to whatever  
19 extent.

20 So, as you are going along, there are  
21 certain data-driven declarations that can be made,  
22 there are certain speculative things that will be  
23 made, but the fact of the matter is in all these  
24 things, there really are no advantages or  
25 disadvantages, it is just the best we can do at the



1 time.

2 DR. SALOMON: Dr. Allan.

3 DR. ALLAN: One of the points you made  
4 was, you know, if you got a recombination event and  
5 you had VSV-G on either HIV or SIV, and maybe  
6 that's not any worse than anything else.

7 I graduated from vet school too long ago  
8 to remember how VSV is limited in terms of its  
9 infection. I mean if you get a limited infection,  
10 does that necessitate that it is VSV-G or not, is  
11 it the immune response, is the immune response to  
12 VSV-G highly protective, in other words, it limits  
13 the infection soon after, or is it at a level of  
14 the cell tropism? I don't know that in terms of  
15 how that particular virus replicates.

16 But I think those are some of the  
17 questions you can ask, too, in terms of if you did  
18 get a recombinational event and you get VSV-G  
19 expressed with HIV, would it replicate less well  
20 than an HIV wild-type virus because of the immune  
21 response to the envelope, so that would be  
22 something that I would ask, and I don't know the  
23 answer to that.

24 DR. MULLIGAN: I think that is a great  
25 question. The tropism issue is obviously much more

1 than the envelope protein, and I guess, again, I  
2 think when we move to the mobilization issues, the  
3 issues are can you protect the biological effects  
4 of a recombinant HIV that has some different  
5 characteristics, and I think that would be a grave  
6 concern about whether or not you could possibly  
7 model what would be the tropism characteristics of  
8 something.

9 I read somewhere in one of the voluminous  
10 IND packages or heard a comment that, well, you  
11 can't make anything worse than HIV, you know, the  
12 worse that could happen is you will get back what  
13 you already have, the patients, I think that is  
14 very ridiculous and I think the issue with  
15 mobilization is definitely whether or not, not only  
16 are you picking up VSV-G, but you are putting it  
17 into something, let's say, that has codon-optimized  
18 gag-pol sequences or has something, or has non-HIV  
19 long terminal repeats, and all of those elements  
20 would give you a very good chance of different  
21 tropism characteristics.

22 DR. VERMA: But the VSV biology, by  
23 itself, is really well understood. It's a negative  
24 stranded virus which replicates in the cytoplasm.  
25 So, there is considerable biochemistry and

1 molecular biology of the gene, if not in terms of  
2 now introducing directly what it will do in terms  
3 of genicity, that is less known, but the biology of  
4 the virus itself is very well established.

5 DR. ALLAN: So, do you know its cell  
6 tropism?

7 DR. VERMA: In fact, it's all cell types,  
8 very broad cell type, but initially, the infection  
9 in the mucosa initially, a lot of intestinal  
10 infection.

11 DR. SALOMON: But the one correction,  
12 again, if I am wrong, please correct me, but the  
13 statement it affects all cell types is largely  
14 based on in vitro cell culture infections, not  
15 specifically on in vivo infections.

16 DR. VERMA: Right. In vivo, the only data  
17 that I know is really largely in the case of  
18 cattle, because that is really the VSV-G is a  
19 cattle virus, is largely the infection of the  
20 mucosa in the intestine.

21 DR. SAUSVILLE: But if you were to  
22 parenterally introduce it beyond the sanctuary, you  
23 would expect replication, correct?

24 DR. VERMA: By itself, I don't know the  
25 answer.

1 DR. SALOMON: I don't think we know the  
2 answer to that question. That was the question I  
3 was asking.

4 I guess all I am trying to do here is play  
5 maybe a devil's advocate, but the question is that  
6 what we are doing here is taking all these  
7 different elements from different viruses and there  
8 is very appropriate rationales, we want tropism, we  
9 want higher efficiency of integration, et cetera,  
10 and I guess I am just asking the questions of what  
11 are the sorts of if we now want to go from  
12 pioneering molecular studies to clinical trials, I  
13 think the job of the Committee is to try and help  
14 identify those issues that we should be--you know,  
15 there are certain experiments you might not do as  
16 an academicians trying to develop a new area, that  
17 are now critical to go back and do if we are going  
18 to go forward safely in a clinical trial, if we can  
19 identify those things, that would be a big  
20 advantage I think.

21 DR. DELPH: I just wanted to ask whether  
22 there were any different or additional safety  
23 concerns between someone who were HIV-positive and  
24 given HIV gene vector therapy as against someone  
25 who were given HIV gene vector therapy and then