

# TRANSCRIPT OF PROCEEDINGS

---

IN THE MATTER OF: )  
 )  
JOINT ADVISORY COMMITTEE ON )  
COMMUNICATIONS CAPABILITIES OF )  
EMERGENCY MEDICAL AND PUBLIC )  
HEALTH CARE FACILITIES )  
 )  
 )

Pages: 1 through 140  
Place: Washington, D.C.  
Date: December 18, 2007

---

## HERITAGE REPORTING CORPORATION

*Official Reporters*  
1220 L Street, N.W., Suite 600  
Washington, D.C. 20005-4018  
(202) 628-4888  
hrc@concentric.net

FEDERAL COMMUNICATIONS COMMISSION

IN THE MATTER OF: )  
 )  
 JOINT ADVISORY COMMITTEE ON )  
 COMMUNICATIONS CAPABILITIES )  
 OF EMERGENCY MEDICAL AND )  
 PUBLIC HEALTH CARE )  
 FACILITIES )  
 )

Conference Room A, 10th Floor  
 AT&T Offices, Inc.  
 1120 Twentieth Street, N.W.  
 Washington, D.C.

Tuesday,  
 December 18, 2007

The committee met, pursuant to the notice, at  
 10:00 a.m.

BEFORE: JIM BUGEL  
 Chairman

MEMBERS PRESENT:

LISA M. FOWLKES,  
 Federal Communications Commission

JAMES A. TURNER,  
 Verizon

ROMAN KALUTA,  
 JPS Communications

MICHAEL J. ACKERMAN, Ph.D.,  
 Assistant Director, High Performance Computing and  
 Communications National Library of Medicine,  
 National Institute of Health

JOHN F. ADAMS, JR.,  
 NCS Spectrum Manager/Senior Principal Systems  
 Engineer, Raytheon Company

Heritage Reporting Corporation  
 (202) 628-4888

## MEMBERS PRESENT: (Cont'd)

CURT BASHFORD,  
Vice President, General Devices

JIM CORRY,  
Vice President, Government Solutions, Mobile  
Satellite Ventures, L.P.

STEVEN J. DELAHOUSEY,  
National Vice President of Emergency Preparedness,  
Emergency Medical Services Corporation

ERIC K. GRIFFIN,  
Director, Lee County, North Carolina, Office of  
Emergency Management

LISA KAPLOWITZ, M.D.,  
Deputy Commissioner for Preparedness and Response,  
Virginia Department of Health

JONATHAN D. LINKOUS,  
Executive Director, American Telemedicine  
Association

KEVIN MCGINNIS,  
Program Advisor/Communications Technology Liaison,  
National Association of State EMS Officials

MIKE ROSKIND,  
Acting Director, Office of Emergency  
Communications, Office of Cybersecurity and  
Communications, National Protection and Programs  
Directorate, U.S. Department of Homeland Security

KAREN SEXTON,  
Vice President and Chief Executive Office for  
Hospitals and Clinics, The University of Texas  
Medical Branch

CARL VANCOTT,  
Communications Specialist, North Carolina Office  
of Emergency Medical Services

CHRISTOPHER WUERKER, M.D.  
Medical Director, MedSTAR Transport, Washington  
Hospital Center

MEMBERS PRESENT: (Cont'd)

JOHN WILGIS,  
Director, Emergency Management Services, Florida  
Hospital Association

VIA TELEPHONE:

RICH LIEKWEG  
DR. NESBITT  
TERRY EBBERT  
JOHN NAGEL  
TED O'BRIEN  
VIRGINIA PRESSLER  
DREW DAWSON

Heritage Reporting Corporation  
(202) 628-4888

1 P R O C E E D I N G S

2 (10:00 a.m.)

3 CHAIRMAN BUGEL: Good morning, ladies and  
4 gentlemen. Welcome to the third meeting of the Joint  
5 Advisory Committee on Communications Capabilities of  
6 Emergency Medical and Public Health Care Facilities.  
7 Welcome to the AT&T Washington, D.C. offices.

8 For those of you who have not found it yet,  
9 there is some food and beverage outside. The  
10 restrooms are out the door to the left, and then to  
11 the right. A couple of housekeeping issues. For  
12 those of you on the phone, please mute your phone when  
13 you are not addressing the committee. We would  
14 greatly appreciate that.

15 Also, for those committee members that are  
16 present and at the table, if you could move the  
17 microphones towards you so that the court reporter can  
18 pick up the audio when you are speaking, that would be  
19 great. It looks down there that Dr. Kaplowitz and  
20 Jonathan are going to have to share microphones. So  
21 we will go from there.

22 I have one statement to read prior to the  
23 meeting. I have been asked to note at the outset of  
24 our session that the FCC Auction 73, the 700 megahertz  
25 auction, quiet period is now in effect. During the

Heritage Reporting Corporation  
(202) 628-4888

1 quiet period auction applicants are required to avoid  
2 discussions of bids, bidding strategy, and post-  
3 auction market structure, with other auction  
4 participants.

5           Our agenda will avoid these topics. While  
6 we encourage participation through questions, please  
7 be understanding and avoid asking questions or raising  
8 issues about these topics.

9           Also, please respect your colleagues'  
10 judgment if they determine that they are unable to  
11 attend or participate in certain sessions or  
12 discussions due to the anti-collusion rule.

13           We will take the role. We go to the phone  
14 first, and go to the bridge. Mr. Liekweg.

15           MR. LIEKWEG: Here.

16           CHAIRMAN BUGEL: Dr. Nesbitt.

17           MR. NESBITT: Here.

18           CHAIRMAN BUGEL: Colonel Ebbert.

19           MR. EBBERT: Here.

20           CHAIRMAN BUGEL: Mr. Nagel.

21           (No response.)

22           CHAIRMAN BUGEL: Mr. O'Brien.

23           MR. O'BRIEN: Here.

24           CHAIRMAN BUGEL: Dr. Pressler.

25           MR. PRESSLER: Here. I'm here.

Heritage Reporting Corporation  
(202) 628-4888

1 CHAIRMAN BUGEL: Mr. Dawson.  
2 MR. DAWSON: Here.  
3 CHAIRMAN BUGEL: Mr. McGinnis.  
4 MR. MCGINNIS: Present.  
5 CHAIRMAN BUGEL: Mr. Delahousey.  
6 MR. DELAHOUSEY: Present.  
7 CHAIRMAN BUGEL: Dr. Kaplowitz.  
8 DR. KAPLOWITZ: Here.  
9 CHAIRMAN BUGEL: Mr. Linkous.  
10 MR. LINKOUS: Here.  
11 CHAIRMAN BUGEL: Mr. Roskind.  
12 MR. ROSKIND: Present.  
13 CHAIRMAN BUGEL: Dr. Sexton.  
14 DR. SEXTON: Here.  
15 CHAIRMAN BUGEL: Mr. Traficant.  
16 (No response.)  
17 CHAIRMAN BUGEL: Mr. Griffin.  
18 MR. GRIFFIN: Here.  
19 CHAIRMAN BUGEL: Mr. VanCott  
20 MR. VANCOTT: Here.  
21 CHAIRMAN BUGEL: Mr. Adams.  
22 MR. ADAMS: Here.  
23 CHAIRMAN BUGEL: Mr. Corry.  
24 MR. CORRY: Here.  
25 CHAIRMAN BUGEL: Mr. Ackerman.

1 (No response.)

2 CHAIRMAN BUGEL: Mr. Bashford.

3 MR. BASHFORD: Here.

4 CHAIRMAN BUGEL: Mr. Wilgis.

5 MR. WILGIS: Here.

6 CHAIRMAN BUGEL: Dr. Wuerker.

7 DR. WUERKER: Here.

8 CHAIRMAN BUGEL: Okay. Lisa, do you have  
9 anything from the FCC?

10 MS. FOWLKES: Not really, other than to once  
11 again thank all of you for your dedication, and  
12 contributions, and your time and energy to this, and  
13 thank you for making it out to this meeting so close  
14 to the holidays.

15 CHAIRMAN BUGEL: And the NTIA  
16 representative? Thank you for attending. I, too --  
17 I, along with the vice chairs and chairs of the  
18 working groups, NTIA and the FCC would like to again  
19 thank you for your time and dedication, and hard work.

20 There has been a tremendous amount of work  
21 done in the last several weeks. I have not been able  
22 to participate in all the working group conference  
23 calls. I have participated or monitored several of  
24 them.

25 Certainly I have had the opportunity to

Heritage Reporting Corporation  
(202) 628-4888



1 review the bodies of work that have been created by  
2 the working groups, the drafts, and I want to  
3 compliment the working group members on the  
4 deliberation and thoroughness of their work.

5           There are some things that I am generally  
6 hearing. We have now seen how modern IP communication  
7 technologies have transformed almost every other  
8 sector. But the benefits have yet to be fully reached  
9 across this sector.

10           Instead, there are a number of significant  
11 communications challenges that reach across the health  
12 communications chain, which leave us ill-prepared to  
13 take advantage of in the future.

14           For example, the 35 year old EMS network is  
15 fragmented, outdated, fragile, when it is most needed  
16 in some cases. Generally, limited only to basic voice  
17 communications.

18           These networks are poorly equipped to  
19 converge voice and data to ensure the seamless flow of  
20 critical information among multi-jurisdictional and  
21 multi-discipline emergency responders, command scenes,  
22 agencies, and government officials.

23           It means that EMS responders on site and  
24 during transport can't share real time vital signs,  
25 video, patient data, or other information across the

1 emergency response communications chain.

2           911 public safety answering points are also  
3 utilizing outdated communications technologies that  
4 limit their ability to integrate life-saving data from  
5 caller to caller. For example, to share the data with  
6 the EMS providers, or to withstand a disaster itself,  
7 things that we have seen before.

8           Another example. In our health care system,  
9 adoption of available technology and integrated and  
10 interoperable communications is an exception rather  
11 than the norm.

12           It creates woeful inefficiencies and  
13 bureaucracy, delaying the benefits and cost savings  
14 that come from IT modernization, showing adoption of  
15 electronic medical records, and e-prescribing  
16 technologies, and increasing potential for medical  
17 mistakes, which are all exasperated in a disaster  
18 situation.

19           Further, telemedicine technologies are often  
20 under-utilized to expand capacity for emergency  
21 response, and because these various communications  
22 networks and data systems aren't integrated, it leaves  
23 us ill-prepared to detect and avoid emergency public  
24 health emergencies.

25           For example, our inability to link 911 EMS

Heritage Reporting Corporation  
(202) 628-4888

1 in emergent threat networks undermines the ability to  
2 detect, warn, and respond to outbreaks. Taken  
3 together the United States is still years away from  
4 having emergency communications systems that can  
5 uniformly share information across geographic or  
6 organization boundaries using common network  
7 technologies, protocols, and applications in order to  
8 take advantage of the advanced capabilities that  
9 modern communication networks can deliver.

10           To ensure seamless interoperability within  
11 and across systems, to future proof the system to  
12 enable health care IT savings, boost telemedicine  
13 possibilities, and enable more disaster proof  
14 communications capabilities, policymakers must  
15 accelerate ongoing efforts to transition these systems  
16 into modern IP based communications technologies,  
17 creating a network of networks that utilizes common  
18 networks, common protocols, national standards, and  
19 interagency cooperation.

20           By utilizing managed IP networks, emergency  
21 communication systems can take advantage of voice data  
22 convergence, enable greater mobility, share  
23 information more easily, improve redundancy and  
24 resiliency, maximize the efficiency of packet routing,  
25 ensure better surge capacity and traffic

Heritage Reporting Corporation  
(202) 628-4888

1 prioritization, enable backwards compatibility with  
2 legacy systems, increase the ability to use off-the-  
3 shelf technologies, and help future proof the  
4 communications transition.

5           But enabling the vision of the next  
6 generation network of networks isn't just about  
7 investment and managed IP communications technologies.  
8 It requires a broader vision, thoughtful planning,  
9 better integration, more regional coordination, better  
10 training, available standards, improved Federal,  
11 State, and local interagency coordination, greater  
12 investments, and faster transition to IP based  
13 communications networks.

14           The working groups have covered a tremendous  
15 amount of territory in a short period of time, and  
16 again I thank you very much for doing that, but I do  
17 see common threads.

18           Today what I would like to do is we are  
19 going to have two presentations in the beginning of  
20 the meeting; one from Verizon and one from Raytheon.  
21 And then we are going to have a second part of our  
22 meeting, which will be an overview of the findings, of  
23 the draft findings, preliminary findings, of the  
24 working groups.

25           And I think we are going to find some areas

Heritage Reporting Corporation  
(202) 628-4888

1 where all three working groups have deliberated and  
2 raised issues. I think we are going to find some  
3 areas where we may have not gone into as thoroughly as  
4 we need to be.

5           But I would like to welcome or I would like  
6 to have a very active discussion about the findings,  
7 and then we can see exactly where we want to go with  
8 our final report.

9           As you recall, there are actually four  
10 working groups. We have the three working groups;  
11 technology integration, emergency medical, and public  
12 health. We also have the fourth working group, which  
13 is the project management group.

14           Everyone has completed phase two, and that  
15 examination that was conducted during phase two. Now  
16 we are entering our next phase, and that is taking the  
17 three working group reports, and consolidating, and  
18 starting to draft them into a consolidated overall  
19 report that will be the basis for our report to  
20 Congress.

21           And I will explain more of that as we go on  
22 today. So with that, I would like to ask if there are  
23 any comments or questions from the committee?

24           (No response.)

25           CHAIRMAN BUGEL: With that, I would like to

Heritage Reporting Corporation  
(202) 628-4888

1 turn the floor over to James Turner with Verizon, who  
2 is going to provide a briefing on advanced health care  
3 capabilities and communications solutions.

4 MR. TURNER: Good morning. I am James  
5 Turner from Verizon's information technology  
6 organization. Thank you for inviting us here today to  
7 discuss opportunities on how to improve emergency  
8 health care.

9 Verizon is a major employer. We have about  
10 240,000 active employees, and we are ensuring a  
11 family, a Verizon family of over 900,000 throughout  
12 the country, at a cost of slightly more than 3-1/2  
13 billion dollars per year.

14 We share your concerns. Emergency care is  
15 one of the most critical and time sensitive care  
16 opportunities in the health care system to support our  
17 Verizon family. Did I mention that I am from IT?

18 (Laughter.)

19 MR. TURNER: I would like to discuss four  
20 things with you today, and then make some closing  
21 comments; the challenges facing emergency health care  
22 today, a vision for the future, conceptualizing an  
23 integrated health care network, and practical  
24 applications of technology to health care.

25 Today's health care industry is faced with

Heritage Reporting Corporation  
(202) 628-4888

1 numerous challenges around the sharing of information.  
2 Not only are communications systems used in health  
3 care inadequate, but the culture itself does not  
4 foster free exchange of information across silos.

5           Access to patient information is vital in an  
6 area where every second can mean life or death. There  
7 is a need for real time information between patients,  
8 first responders, and care centers.

9           IT spending in health care is lagging behind  
10 many other industries. The financial sector is a  
11 prime example of an industry that has used information  
12 technology to transform itself.

13           Banking customers can use any ATM throughout  
14 the country and throughout the world to access their  
15 accounts. They can pay bills, transfer funds on-line,  
16 check statements on-line. The old paper statement  
17 that we looked forward to each month has now been  
18 replaced with an e-statement in an e-mail.

19           And many of the financial institutions allow  
20 their customers to aggregate portfolios from other  
21 providers on their portal and provide access to a  
22 multitude of capabilities.

23           As a result, customers are more informed,  
24 and better utilize banking services. The banking  
25 example is a good framework for technological

1 advancement in the health care field.

2           Such access and flow of key patient  
3 information is expected. We expect it in the health  
4 care sector as it is in the banking sector, so  
5 patients can receive the right care, at the right  
6 time, at the right place.

7           The current state of emergency care provides  
8 highly fragmented information that is not readily  
9 available when needed. Coordination between emergency  
10 care organizations are mostly silos and unable to  
11 share effectively key patient and event information.

12           This can lead to unnecessary medical  
13 complications and extended hospital stays, as well as  
14 foster a non-collaborative environment across  
15 providers. Our primary concern is the fact that  
16 emergency caregivers are ill-prepared to handle a  
17 major disaster.

18           In the future, we must create an environment  
19 where first responders, biometry monitoring devices,  
20 and medical personnel, can freely share information  
21 from anywhere, anytime.

22           The network providing this interconnectivity  
23 will be persistent, easy to access, and provide the  
24 bandwidth necessary to support media rich health care  
25 applications.



1           The reach of this network will extend into  
2 both urban and rural areas, allowing access to medical  
3 specialization and consultation that was not  
4 previously available.

5           The time is right to implement such a  
6 network due to the unique convergence of the stars,  
7 the network technologies, with the devised software  
8 and intuitive interfaces that were not available  
9 before. The result of the internet boom, our society  
10 has evolved into a culture of information consumers,  
11 providers, and creators.

12           We are familiar and comfortable with the  
13 vast amounts of information available and expected in  
14 all areas of our lives. This expectation of the  
15 availability of information extends into all areas of  
16 health care; emergency care, in-care, remote care,  
17 intensive care.

18           The internet laid the groundwork for  
19 transaction automation. We were thrilled by being  
20 able to quickly do the transactions that before had  
21 been done in the mail. The next generation of  
22 internet builds upon this to add rich media  
23 applications, like high definition video, audio, and  
24 imagery.

25           Internet Protocol Version 6, Ipv6, enables

Heritage Reporting Corporation  
(202) 628-4888

1 any device to access the network and take advantage of  
2 these direct accessible applications, making it  
3 available anywhere at any time.

4           Health information exchanges, a fairly new  
5 term, and not an old concept, but new to health care,  
6 enables interconnectivity of applications and allows  
7 medical information to flow across the network to  
8 anyone who needs it on the device of their choice.

9           Smart help applications can be developed to  
10 push necessary during an urgent or emergency  
11 situation. It can help alleviate a stressful, emotion  
12 filled condition, by providing relevant information  
13 directly to the person in need, as well as provide  
14 location based services for first responders.

15           The health care network requires a four-tier  
16 architecture. Each of the tiers builds upon one  
17 another. The network provides the bandwidth and the  
18 connectivity to enable next generation devices, which  
19 are directly accessible, addressable, on the network  
20 at megabyte speeds.

21           Remember when you had a cell phone and you  
22 could make and receive a call on it? That was a great  
23 device. And then that device became something else.  
24 It could take pictures.

25           And then that camera was soon able to record

1 video plays and play music. The devices are more now  
2 of a personal assistant. Now imagine a digital  
3 companion that can help you during an emergency. That  
4 device has now developed the intelligence to help you  
5 in an emergency situation.

6           These devices themselves have evolved to  
7 include multi-function capabilities; voice, data, and  
8 video. The applications running on these devices are  
9 becoming much more intelligent, and the interfaces  
10 that support the applications on the devices are more  
11 intuitive, more personalized to the user, and no  
12 longer platform dependent.

13           Convergence and innovation are critical  
14 aspects for the future of health care. Currently the  
15 internet is limited in the number of devices that can  
16 directly be addressed and addressed.

17           Internet 2.0, with Ipv6, enables direct  
18 device addressability. Think of it as moving from  
19 four digits to six digit dialing, and the expansion of  
20 capabilities and addressability.

21           Devices connect to Internet 2.0 at megabyte  
22 speeds, which enable persistence, they are always  
23 present, always on the network. This awareness allows  
24 for instantaneous access to information critical for  
25 emergency health care.

1           Let's walk through a hypothetical example.  
2 My friend, Andy, was going to visit a mutual friend  
3 who wasn't feeling well. On his way, Andy hits the  
4 guard rail and runs off the road. Notice Andy hanging  
5 over the cliff there in his car.

6           Lorette comes upon the accident within a few  
7 moments of it happening. Lorette is a good samaritan,  
8 observes the driver is unconscious and bleeding. She  
9 presses the emergency button on her device and is  
10 instantly connected to an emergency response center.

11           She explains the accident and activates the  
12 high definition camera on her device. The center is  
13 able to see Andy and dispatches an emergency response  
14 unit. The EMTs connect with Lorette on her device,  
15 and walk her through some initial first aid and help  
16 assess the event before they arrive, including enough  
17 information to determine Andy's identity while they  
18 are en route.

19           The EMTs access Andy's key patient  
20 information. They can see what medications he is on,  
21 any medical conditions, and also access his emergency  
22 contact information. They are better prepared, better  
23 informed, when they arrive at the scene.

24           They pull Andy from the car, which teeters  
25 on the edge, and it falls over the hill. During

1 transport the local emergency room physicians are able  
2 to monitor the EKG and other devices, real time, and  
3 observe Andy by high definition video in the  
4 ambulance.

5           The EMTs verify Andy's identity, and he is  
6 preauthorized first responders and medical personnel  
7 full access to his medical information during an  
8 emergency event. The emergency physician observes  
9 Andy's vital signs, and determines that Andy is most  
10 likely suffering from internal bleeding.

11           The surgical unit and surgeon on-call are  
12 notified to be prepared and are granted access to  
13 Andy's medical information. Andy arrives at the ER  
14 and is immediately taken to surgery.

15           The surgical team is prepared. They, too,  
16 have been monitoring Andy in the ambulance and are  
17 aware from his medical record that Andy is taking some  
18 blood thinners and other medications that could cause  
19 complications during surgery.

20           The ability to share real time information  
21 on the event and access the medical file and enable a  
22 successful surgery. Andy spent a day in the intensive  
23 care unit, where he was monitored 24-7 by an  
24 intensivist that was at a remote facility, who  
25 monitored multiple intensive care units.

1           Andy had left the hospital after a couple of  
2 days and watch out, he is driving again. This example  
3 is merely a glimpse into the possibilities of the  
4 future of health care.

5           The health care sector can learn from other  
6 industries, and apply best practices to emergency care  
7 and more broadly to all of health care. The  
8 communication industry has experience in building and  
9 operating state of the art networks, deploying a  
10 technology infrastructure of central offices and data  
11 centers, and supporting multiple devices on a  
12 platform.

13           The financial services and banking sectors  
14 have mastered transaction processing and secure  
15 access. Our future health care system will enable  
16 media rich applications which allow patients, first  
17 responders, and caregivers to easily share information  
18 and achieve better outcomes. It is all about saving  
19 lives and improving outcomes.

20           Thank you for your time today. Are there  
21 any questions or points that you would like to discuss  
22 further?

23           CHAIRMAN BUGEL: Yes. Thank you, Mr.  
24 Turner. I have got two questions before I open it up  
25 to the committee members. When you refer to the

1 Internet 2.0, could you define that a little bit, and  
2 did Al Gore invent that, too?

3 (Laughter.)

4 MR. TURNER: No, I think he invented  
5 everything and holds all patents. Internet 2.0 is  
6 currently deployed to major educational institutions  
7 to provide gigabyte speed between them to build a very  
8 high speed backbone as the next generation of the  
9 internet.

10 Now what this will allow is megabyte  
11 connectivity to the network, rather than kilobyte,  
12 which we have today. If you think back about how  
13 excited we were -- well, when I was young, and I got  
14 my 300 baud modem, I was really excited about being  
15 able to connect, but I watch those little dots each  
16 time.

17 That does not work in health care. What  
18 works in health care is the promise of Internet 2.0,  
19 which will provide hundred-megabyte connectivity from  
20 the device into the network, and the network will be  
21 able to support it.

22 CHAIRMAN BUGEL: And relative to the policy,  
23 the transitional policy recommendations that you would  
24 ponder in order to facilitate this, what suggestions  
25 would you have for the group relative to that? I

1 mean, your example is not dissimilar to other things  
2 that we have seen, and that the working groups have  
3 explored.

4           Certainly the people in this room are on the  
5 front lines of things, dealing in some cases with the  
6 very advanced applications that are out there, and in  
7 some cases dealing with some of the more fundamental  
8 ones that have been isolated or remain static for  
9 years.

10           But one of the things that I am seeing, and  
11 I am sure others are seeing, in the work that is being  
12 done by the working groups that there are these  
13 opportunities, but there is -- it is just a little bit  
14 beyond the current state. How do we bridge to the  
15 next stage?

16           MR. TURNER: Well, I believe it will take a  
17 collaborative effort. The first thing that we must do  
18 to build it is to have the network, the network that  
19 everyone can plug into, and operate at the right  
20 speed, and have accessible devices at the right time  
21 and the right place.

22           So I do believe it is, first, to build that  
23 network, get the devices connected, and then enable  
24 the applications on top so that it is an open system  
25 for people. Many times we develop great things, great



1 things in closed systems.

2           Those closed systems block access to vital  
3 information. So as we open up those applications and  
4 put them on a network that is widely accessible, I  
5 think that would be key.

6           CHAIRMAN BUGEL: Well, yes, that's obvious.  
7 Obviously one of the issues that not only this group,  
8 but all other groups that have been working on this  
9 issue. You know, we do have silos that have been  
10 built over the years.

11           And those silos are based on technology  
12 sometimes. They are based on political boundaries,  
13 and they are based on funding. They are based on a  
14 lot of things. So, any questions from any members of  
15 the committee on the phone? Mr. Griffin.

16           MR. GRIFFIN: I was just wondering. Do you  
17 have any suggestions on how we overcome some of the  
18 silos regarding the private sector and concerns over  
19 market share, and management such as that, such as  
20 dealing with all this knowledge based that are  
21 involved with these, the pharmacies, the health care  
22 institutions and everything, and more of them may be  
23 for profit, and they don't want to disclose certain  
24 patient information.

25           Basically, their financial information,

Heritage Reporting Corporation  
(202) 628-4888

1 which could be insinuated through patient records.  
2 How do we get them on board for something like this?

3 MR. TURNER: Well, I think what we are  
4 seeing is a move towards consumerism. Consumers are  
5 going to be the drivers or need to be the drivers. If  
6 you look at really who benefits from most of the work  
7 that is being done in the health care space, the  
8 person who will benefit the most by everything working  
9 well together is the patient.

10 And the patient probably has the least voice  
11 in the system right now. But that is gathering steam.  
12 Patients are now starting to demand more of their  
13 local doctors.

14 They demand more of the pharmacies that they  
15 use. They will go to, they will switch, to those that  
16 are providing the services that they now demand to be  
17 electronic. So, I think that consumerism is going to  
18 be as strong a driver to push things along.

19 CHAIRMAN BUGEL: Mr. Delahousey.

20 CHAIRMAN BUGEL: I'm sorry.

21 MR. TURNER: There is also another strong  
22 basic concern. Companies are educating their  
23 employees to be more involved, to understand what is  
24 going on, and those collectively become very strong  
25 voices.

1           If you have a few hundred-thousand consumers  
2 who are now saying, hey, I see someone over here who  
3 is getting this type of electronic access, and you are  
4 not providing it because you think that is an  
5 advantage to you. Your advantage is keeping me as a  
6 customer. So you need to free that up. So the  
7 consumers and consumer coalitions can have very strong  
8 voices.

9           CHAIRMAN BUGEL: Mr. Delahousey.

10          MR. DELAHOUSEY: Yes, Steve Delahousey with  
11 EMSC. The solutions or the scenarios in your  
12 presentation were based on the premise that you had a  
13 functioning infrastructure, and the technology that  
14 you were talking about, if it doesn't exist, it  
15 probably will.

16          I am concerned about our ability to  
17 communicate during times of disasters. This advisory  
18 panel was formed as a result of the recommendations of  
19 the 9/11 Commission. We had communication failures  
20 there.

21          It appears that perhaps a partially  
22 functioning infrastructure, but because of congestion,  
23 and other problems, EMS was unable to communicate  
24 effectively during that disaster.

25          We saw it again in Hurricane Katrina, and

Heritage Reporting Corporation  
(202) 628-4888

1 contrary to popular belief, the communications  
2 infrastructure was not destroyed in all of South  
3 Louisiana and South Mississippi, and many times it was  
4 the inability of the vendors, your own people, to gain  
5 access to their equipment so that they could repair it  
6 and get it to function.

7           And while all of these technologies would be  
8 good, you made a comment that we were excited about  
9 the day that we were able to use cell phones. Today,  
10 if we have a catastrophic event, like another 9/11 or  
11 a Katrina, I am just concerned about our ability to  
12 use cell phones.

13           Forget about the rest of the technology.  
14 What can we do to ensure that we are going to have  
15 wireless communications in the event of a disaster.  
16 Do you have any suggestions?

17           MR. TURNER: I don't. I would have to think  
18 about that and get back to you.

19           CHAIRMAN BUGEL: Dr. Kaplowitz.

20           DR. KAPLOWITZ: I just wanted to raise a few  
21 points about consumerism, because it isn't the same in  
22 health care. At the moment the consumers themselves  
23 aren't the major payers, and that involves working  
24 with business and with payers, including the Federal  
25 government, who may be the largest payer at this point

1 through Medicare and Medicaid.

2           And this becomes a big issue, because we  
3 talk about consumerism and health care, but quite  
4 frankly people often don't have choices, and they  
5 don't have choices in terms of systems that you are  
6 talking about.

7           So I think a lot is going to fall on  
8 pressure from payers, per se. So I want to bring that  
9 up as an issue because it really makes health care  
10 very different from other businesses.

11           And I have heard an analogy in terms of  
12 banking. For health care, there is no Federal Reserve  
13 of health care. In banking, you have some glue that  
14 pulls all the banking industry together, and I just  
15 have struggled with this because how do you pull  
16 together health care to work in a common way when you  
17 are talking about information technology and sharing  
18 of information.

19           Believe me that I don't have any easy  
20 answers on this, but I think some of these issues are  
21 going to be much more difficult to overcome obviously  
22 than the technology, per se.

23           So again, I struggle with this in health  
24 care. Now what is going to be that unifying force  
25 that says, okay, you know, we are all going to do

1 this. Is it going to be through the joint commission?  
2 Where are we heading on this in terms of linking  
3 together for communication purposes.

4 And again I don't think the barrier is going  
5 to be the technology. I think that there are going to  
6 be many, many policy barriers to this, including the  
7 mishmash of how we pay for health care at all  
8 different levels.

9 MR. TURNER: I agree with you that there are  
10 lots of examples where closed systems have made it  
11 work very well, very well. One example in the  
12 government, Veterans.

13 DR. KAPLOWITZ: Veterans Affairs works very  
14 nicely, but quite frankly, they are a single payer  
15 system.

16 MR. TURNER: right.

17 DR. KAPLOWITZ: And they have the ability to  
18 shift resources where they feel it is most valuable,  
19 and it is no accident that they had an electronic  
20 medical record linked again to quality.

21 And unfortunately, however you think of it,  
22 the rest of health care out there, outside of the VA,  
23 and outside of the Department of Defense, it is going  
24 to be an issue.

25 MR. TURNER: Well, I do agree that the VA is

Heritage Reporting Corporation  
(202) 628-4888

1 a very good example of a well working closed system.  
2 When you start to open it up to other people to use,  
3 it doesn't work quite as well.

4           So the issue of what is the glue that puts  
5 people together, it is the concern and the elevation  
6 of health care, the attention to health care in the  
7 country that may actually bring more momentum to  
8 driving to solutions.

9           I wouldn't discount the consumer too much.  
10 An educated consumer wants the best care. They want  
11 to understand options, and can be a driving force with  
12 payers and others as you start to bring them together.

13           DR. KAPLOWITZ: I agree to a degree, but  
14 when you have the consumers who are still very much  
15 hooked on what is coming out of their pocketbook, and  
16 not even grasping the cost of health care because they  
17 are not paying most of it, and most people in this  
18 country don't have a clue what their health insurance  
19 is actually costing.

20           MR. TURNER: Absolutely.

21           DR. KAPLOWITZ: So I still, you know, over  
22 and over, being in health care myself, I still see  
23 people in health care choosing solely on the basis of  
24 what their premium is going to be per month, as  
25 opposed to thinking of any of this other stuff, like

1 which health systems they might be hooked up with,  
2 which to me is actually more important than perhaps  
3 your individual physician when you are talking about  
4 this.

5 MR. TURNER: Absolutely.

6 DR. KAPLOWITZ: So there is my skepticism  
7 out there.

8 CHAIRMAN BUGEL: Any other questions for Mr.  
9 Turner? Yes.

10 MR. GRIFFIN: I have got one more question  
11 for you, and I should have asked this before, but I  
12 just thought I would ask you. Well, how do you feel  
13 we can deal with these whole proprietary natures of  
14 these networks, and making sure that the information  
15 is being shared easily and completely accessible?

16 MR. TURNER: Well, I am hopeful that people  
17 will want to participate in the information exchanges,  
18 and at least at some level to get even the basic  
19 patient information assembled, and I believe that will  
20 be a good start.

21 MR. GRIFFIN: Who should be the driver for  
22 that, the government, or the private sector, or both?

23 MR. TURNER: I think it is a partnership. I  
24 am seeing the ROIs become more health exchanges, more  
25 State participation in them, and then there is Inhand,



1 which is looking at connectivity of the State HIAs.

2           There appears to be some momentum. I don't  
3 know where it is going to go, but it is moving what I  
4 think is in the right direction.

5           CHAIRMAN BUGEL: Thank you very much, Mr.  
6 Turner, and I do agree with your closing comment  
7 regarding a partnership. I do share Mr. Griffin's and  
8 Dr. Kaplowitz's concern about how you move it. As my  
9 grandfather used to say, if you want to starve a dog  
10 to death, assign two people to feed it.

11           (Laughter.)

12           CHAIRMAN BUGEL: And unfortunately that  
13 maybe where we are at in a lot of cases, and I have  
14 really learned a lot through this process, and the  
15 ownership and partnership issue actually creates  
16 sometimes the starving of the dog.

17           I just want to take a second and maybe tap  
18 on Dr. Kaplowitz or you, Mr. Turner, or somebody else.  
19 The VA has been held up as that shining example, and  
20 several times I have seen that reference. Could you  
21 just spend a minute explaining why that is the shining  
22 example in a closed system, albeit in a closed system?  
23 Could you enlighten us a little bit more? Thirty  
24 seconds.

25           DR. KAPLOWITZ: Well, okay. From my

Heritage Reporting Corporation  
(202) 628-4888

1 knowledge of the VA system, it actually had undergone  
2 an incredible transformation that started in the mid-  
3 to-late 1990s, when King Kaiser came on board and made  
4 the decision to transform the entire system, linking  
5 the use of electronic records to quality.

6           And this was picked up by John Perlin, who  
7 really set the tone of linking these. So the  
8 leadership helped enormously King Kaiser. I never  
9 worked -- well, I did work in a VA Hospital, but in  
10 the system, per se, I have to think that the people at  
11 the top made the decision to make this happen, and had  
12 enough control over the resources in the system.

13           It is a closed system, in terms of  
14 financing, and somehow the decision could be made, and  
15 was made at the very top. Leadership here was  
16 absolutely key. So a combination of leadership and a  
17 system where they could take resources to do that, to  
18 develop the electronic record, and then to take the  
19 steps to link it to quality measures, and to set up  
20 regionalization of their system as well, which I am  
21 sure was key.

22           So again this is the big picture, because I  
23 haven't worked within the system except as a  
24 physician. But that has been my overall impression,  
25 that the decision was made in the 1990s to really move

1 strongly in this direction, and access to a certain  
2 budget.

3           They are required to work within a certain  
4 budget annually, and the decision could be made in  
5 terms of how to allocate the funds, both overall and  
6 individual institutions.

7           CHAIRMAN BUGEL: Dr. Ackerman.

8           DR. ACKERMAN: You know, to answer that,  
9 there is not only the management of the 1990s, but the  
10 electronic medical records of the VA goes back to the  
11 early 1970s. So the condition of the electronic  
12 medical record, there were many false starts about the  
13 electronic medical record.

14           It did not happen the first time, but with  
15 management's forward thinking, doing it over, and  
16 over, and over again, by the 1990s, they really  
17 understood what they were up against, and the  
18 management scheme made it happen.

19           But I also need to reinforce the closed  
20 system nature, and that is that a meeting, I said to  
21 one of my VA buddies, okay, I couldn't get over to the  
22 VA Hospital because I was either lazy or there was  
23 snow on the ground, and so I took my prescription over  
24 to CVS to get it filled.

25           How are you going to get that record into

1 the system. The answer is that I hope that you  
2 mentioned it to the doctor when you came that you  
3 filled it, because there is no way that they can get  
4 the record from CVS into the VA system. It is a  
5 closed system.

6 MR. TURNER: If I may add?

7 CHAIRMAN BUGEL: Sure.

8 MR. TURNER: I think another advantage is  
9 that it is a nationwide system. If a patient moves  
10 from California to Washington, D.C., their records are  
11 accessible, and they have continuation of care.

12 So it is that singleness, and they do have  
13 breadth and reach. The system that was deployed in  
14 the 1990s was actually a development in the  
15 collaboration of the doctors, the nursing staff,  
16 administration, and patient.

17 They got everyone involved with it and got  
18 tremendous buy-in, and they cut their costs  
19 significantly. So they had the ROI.

20 CHAIRMAN BUGEL: Okay. All right. Well,  
21 thank you again, Mr. Turner.

22 MR. TURNER: Thank you.

23 CHAIRMAN BUGEL: I will now turn to Mr.  
24 Kaluta, with Raytheon, to brief us on LMR/IP overview.

25 MR. KALUTA: Well, I, too, would like to

Heritage Reporting Corporation  
(202) 628-4888

1 thank you all for providing us an opportunity to give  
2 you some overview about IP and LMR integration or  
3 convergence. Your opening comments, Mr. Chairman,  
4 were right on point.

5           And I think you will see throughout my  
6 presentation some of the common threads between public  
7 safety and what they have been dealing with as well,  
8 and hopefully we will have a very good discussion  
9 regarding some of these things.

10           MR. CORRY: If you could speak up just a  
11 little bit, Mr. Kaluta.

12           MR. KALUTA: Certainly.

13           MR. CORRY: We can't hear you down here. I  
14 am an old guy and I haven't gotten to the point of  
15 hearing aids yet.

16           MR. KALUTA: That's because you are retired  
17 Secret Service.

18           (Laughter.)

19           MR. KALUTA: I happen to be a retired police  
20 lieutenant, and so I know these things.

21           MR. CORRY: We tried to stay with the locals  
22 as long as they could.

23           (Laughter.)

24           MR. KALUTA: A little disclaimer. We go  
25 back a long way, and regardless of what is being said,

1 we are friends.

2 CHAIRMAN BUGEL: So far these things have  
3 been pretty cordial.

4 (Laughter.)

5 MR. KALUTA: And just briefly so that you do  
6 know who it is that is speaking in front of you, I was  
7 a police lieutenant and spent 25 years in Alexandria,  
8 Virginia.

9 Most importantly, the last four years of my  
10 career, I worked with the NIJ/AGILE Program for  
11 outreach and communications interoperability, and I  
12 was the program director here in the Metropolitan D.C.  
13 area for the interoperability test bed, and the  
14 subsequent one of the interoperability solutions that  
15 was put into place here in the greater Metropolitan  
16 D.C. area.

17 And as far as our company, and when we talk  
18 about voice interoperability, we were well known as a  
19 voice interoperability company, and that is no longer  
20 the case anymore.

21 We must recognize the convergence of IP, the  
22 convergence of voice data and video, the collaborative  
23 working together of different companies that can bring  
24 technologies together both on the data side, and on  
25 the voice side, to allow these parent systems to work

Heritage Reporting Corporation  
(202) 628-4888

1 together.

2           On the radio side, we do that, and we have  
3 done that for many, many years. One of the things  
4 about technology which holds true with what you are  
5 looking at here, your IT and data integration as well,  
6 all the technology in the world is not going to solve  
7 anyone's problems.

8           If you look down to the lower part of this  
9 slide, it is the policy and procedures, and actually  
10 going out and working together, and the establishment  
11 of governances, that are going to allow these things  
12 to happen.

13           In the earlier discussion, and I will go  
14 just a little away from my presentation, but if we  
15 look where different municipalities have moved forward  
16 and provided good communications interoperability and  
17 data exchange, and in the public safety community,  
18 which I am mostly aware of, mobile data computer,  
19 sharing of criminal records, all of those things that  
20 also have controls over them and laws that regulate  
21 how they can be distributed.

22           It is because they have a strong either  
23 council or governments, or regional planning  
24 commissions, and I see traveling throughout the United  
25 States in my role as the director of interoperability

Heritage Reporting Corporation  
(202) 628-4888

1 solutions more and more collaboration between the  
2 public side, the private side, the military, and all  
3 of these people starting to participate in these  
4 groups.

5           There has been very much success gained from  
6 that type of participation. We have a complete  
7 technology sweep, not unlike many other technology  
8 sweeps that are out there.

9           But no one from Raytheon is going to stand  
10 in front of any group and say we have the answer. We  
11 have one of the answers, and we think that we have a  
12 very, very good one because we are trying to  
13 manipulate our technologies to work within established  
14 standards, work with Legacy systems, and bring parent  
15 systems together.

16           Mostly on the top, and what I will refer to  
17 as our interconnection capabilities, are our audio  
18 switches, which I will refer to by them acronyms, ACU-  
19 M, ACU-2000 IP, ACU-1000, and ACU-T, all of which have  
20 been network capable for many, many years.

21           Network capable, but proprietary by the way  
22 that we did voice and radio over IP. Now we do share  
23 about a 70 percent of the installed base of the  
24 communications interoperability equipment, both in the  
25 public and private sector throughout the Continental



1 United States.

2           Proprietary isn't always bad, because our  
3 systems talk to each other, and there is other vendors  
4 that have licensed our technology to do that, but the  
5 2000 IP, which is one thing you will see referenced  
6 also through this presentation, is incorporating a new  
7 standard, and I will talk about that in a couple of  
8 slides, which is very important both on the  
9 communications side and on the data side.

10           These certainly are not news to you. I had  
11 them down more for me just to speak. These are very,  
12 very good goals to have, and very, very strong things  
13 that need to be identified, and as you said in your  
14 opening remarks, you have seen this in your working  
15 groups of having so many stove pipes or just similar  
16 systems, or lack of cooperation between entities to  
17 work together.

18           I had the formidable task of being the  
19 project manager for the communications test bed with  
20 the Department of Justice in the Washington, D.C.  
21 area. Now if we can get the multitude of public  
22 safety agencies from multiple disciplines, and  
23 emergency management, and two State, and one Federal  
24 agency, with the District of Columbia -- and they  
25 covered Maryland, Virginia, and D.C. -- all to work

Heritage Reporting Corporation  
(202) 628-4888

1 together and come to the table, anything can be done.

2 I think everybody can agree on that.

3 Likewise, there has been a Federal initiative over  
4 several years here in the Metropolitan D.C. area known  
5 as the Kaplan project. It is an IT integration on an  
6 open platform to bring in dissimilar communication --  
7 excuse me, mobile data systems, and record systems  
8 from Virginia, Maryland, and D.C., a very, very big  
9 undertaking, because all of us have State regulations  
10 on how those records can be shared.

11 And that's done and that is operational  
12 right here in the Metropolitan D.C. area, and it is a  
13 very good example of how that can be achieved. I am  
14 sure that this document here is not uncommon to  
15 everybody in the room either.

16 This is the Interoperability Continuum, and  
17 as I said, we were focused more on the voice side.  
18 The new chart is coming out very soon. The only  
19 change to it is in technology.

20 They have actually put a line down the  
21 middle and added data interoperability there, because  
22 it is becoming more and more important, and just how  
23 important that issue is, and a lot of the different  
24 configurations of disparate data systems, or just like  
25 this parent radio systems, and we need some standards

Heritage Reporting Corporation  
(202) 628-4888

1 to be able to integrate those.

2           Now I got to attend the Critical Incident  
3 Preparedness Conference in California a couple of  
4 weeks ago put on by the Justice Department, and I saw  
5 a very good representation about this, and they said  
6 that left and right is not right or wrong.

7           This is a continuum, and the fact is that if  
8 we look at the technology, and you have somebody  
9 there that just wants to share radios on the voice  
10 side, that may be all they need to do and never have  
11 to get over to the right side.

12           From my law enforcement background, I like  
13 to compare it to the use of force continuum. A lot of  
14 people say that we have to be over on the right side  
15 for everything. That's where we have to be.

16           Well, on the right side of the use of force  
17 continuum is what? Deadly force. On the left side,  
18 what is it? It is presence. If presence will take  
19 care of it, and working together, or sharing a radio,  
20 or allowing someone to access a database system, that  
21 might be all that is needed outside of the large  
22 shared networks.

23           Certainly good goals to work towards, and  
24 use it as a focal point of a goal, but also  
25 understanding that there are viable solutions in

1 between as well. There was a comment earlier, and I  
2 had the unfortunate experience right before I retired,  
3 but about 45 seconds after the plane hit The Pentagon,  
4 I drove across the 14th Street Bridge from the D.C.  
5 side, and we were over here to have a meeting, and we  
6 talk about cell phones going down, and infrastructure  
7 going down, and systems becoming overloaded.

8           What is going to happen when we have a  
9 Katrina, a 9/11, major floods, and we lose that  
10 infrastructure? But when we bring our technologies  
11 in, we bring them in in many different formats, such  
12 as here in the D.C. area, there is fixed sites that  
13 can instantly link radio systems together.

14           There are transportable mobile assets that  
15 can go out on the street and bring broadband  
16 capability for back haul. A case in point. In St.  
17 Bernard Parish, Duluth County, Georgia, went down  
18 there to assist with their mobile command vehicle.

19           They came back and had some work done at our  
20 shop, and then went back down to help out. But while  
21 they were visiting us, they said we could text message  
22 all day long with our folks back in Georgia, but we  
23 couldn't talk to him, because we didn't have a phone,  
24 and we didn't have a radio.

25           I said, well, if you took your technology,

1 your bridging system technology, your radio system is  
2 there, and put that on your network, then you could  
3 back haul voice communications back to Georgia, which  
4 is what they did, and many other agencies did as well.

5           So they used IP and satellite to provide  
6 that activity. As we move down further, of course,  
7 tactical units. I think that one of the common  
8 threads that I see, or hear about, at all of the  
9 different conferences or presentations that I go to  
10 from the public safety side -- and I am sure that the  
11 same holds true for the medical side -- is if we lose  
12 infrastructure, we still have to have that point to  
13 point communications. That's important. That is  
14 absolute.

15           So we don't want to rely solely on the  
16 network, solely on a piece of software, and sometimes  
17 it might just be my radio to your radio so we can  
18 talk, or a push to talk phone from an ambulance to a  
19 hospital, to be able to talk.

20           During Hurricane Charlie, we used the  
21 satellite system to access a phone system in Raleigh  
22 to make phone calls for them because they had no phone  
23 service. So that's where we are talking about the  
24 convergence of IP and land mobile radio.

25           The deployments, and I have some examples of

Heritage Reporting Corporation  
(202) 628-4888

1 some that are being used in the medical profession in  
2 some upcoming slides, but they can be large wide area  
3 systems, but you also have to have redundancy like you  
4 had mentioned earlier. And what happens if that  
5 infrastructure fails, and how do we replace it?

6           Going down to the quality portions on this  
7 slide, one of the things that most supports redundancy  
8 is a disputed design. If a computer fails do you lose  
9 everything? You shouldn't. There should be some kind  
10 of backup, some kind of redundancy for that.

11           Our system's technologies for land mobile  
12 radio is if the network fails, you may lose that  
13 portion of it, but you don't lose the point to point  
14 communications of any disparate system that has been  
15 linked together. We feel that is very, very  
16 important.

17           It does need to be scalable and it does need  
18 to be able to be configured out in the field, so when  
19 changing situations occur that you have the capability  
20 to deal with those changing situations.

21           On the public safety side, on the private  
22 side, and in the military, the convergence of IP, land  
23 mobile radio, data systems, voice data video, it is  
24 happening. Unfortunately, it is happening and  
25 sometimes it is proprietary.

1           Again, it is a stove pipe, and I am sure the  
2 same holds true for your clientele that you are trying  
3 to come up with a solution for. In the land mobile  
4 radio field, voice over IP integration of IP and voice  
5 over IP, the National Institute for Standards and  
6 Technologies set up a working group about a year ago.

7           I have to applaud them, because I was  
8 involved with many when I was with the Justice program  
9 before I left the police department. They have  
10 maintained focus on what it is that they wanted to  
11 achieve.

12           They brought industry, and they brought  
13 public safety, and private sector people in to the  
14 table to develop a standard. They have about got that  
15 standard finalized, being session initiated protocol,  
16 and that is an important thing that I want to bring up  
17 to you here today.

18           In the telephony and network world ,  
19 SIP has been around for a long time, and it is an  
20 accepted standard. For public safety, most of the  
21 systems that are being utilized, either dispatch  
22 consoles, radio systems, are all proprietary.

23           Now a standard will be formalized to allow  
24 these systems to work together seamlessly using an  
25 open standard. So I am not limited by the technology

Heritage Reporting Corporation  
(202) 628-4888

1 that I buy. Hopefully it is backwards compatible so  
2 older systems can be upgraded, and then dissimilar  
3 systems can be working together.

4 SIP is what is used to set up and break down  
5 a call or a network connection within these large  
6 network systems; PBXs, information, management type  
7 systems. This allows the seamless integration of the  
8 voice side by land mobile radio into these networks.

9 We will be participating in another  
10 industry, what they call a plug fest, to bring this  
11 stuff all to a table, and hook it up and see if this  
12 standard actually works. The second one is going to  
13 be conducted after the first of the year.

14 Certainly we do have a low cost standard.  
15 Most of the things that I have here are just  
16 placeholders for you to refer to later on. I have  
17 mentioned most of them. But we believe that we bring  
18 a very economical solution, and I think what is most  
19 important to this committee is the fact that  
20 throughout the private sector, military, and public  
21 safety, there is a great deal of Raytheon's technology  
22 in place today.

23 It is all backwards compatible to be SIP  
24 capable with our new technologies, and many, many  
25 people, our customers, are utilizing this, not only



1 for the public safety, but to reach out to their  
2 private partners, emergency management, and so on.

3           Just as an example, if you think about it,  
4 everybody knows how to use a telephone. We hope  
5 everybody knows how to use a telephone. Mostly like  
6 when I get on an airplane, they tell me how to buckle  
7 the seat belt, and we can of assume that people know  
8 how to buckle their seat belt.

9           Well, in the telephony world, when you start  
10 looking at these larger VOIP phone systems, the new  
11 ones, and they are embracing this new standard of SIP,  
12 you can have a PBX server out there, but you also have  
13 rules and authorizations to allow users to talk to  
14 each other, just as you do on the network side, and  
15 the data part of the line on what can be shared or  
16 what can be transferred.

17           In this case, using that same technology,  
18 regardless of being vendor neutral on the radio and on  
19 the network side, as long as the standard exists, we  
20 can integrate those technologies together, and are  
21 integrating those technologies together.

22           I have a couple of slides that just show you  
23 a few of the hospital associations throughout the  
24 United States that have embraced our technologies to  
25 meet their needs, and again that is one of the focuses

1 of our company.

2           We don't come in and just say, here, this is  
3 what you need. We like to come in like all good  
4 companies and say what do you need to accomplish.  
5 That is the overall question, okay?

6           And in these cases, they had multiple sites  
7 throughout a region, over a large area that they  
8 needed to be able to connect their voice systems  
9 together, and they utilized their existing LAN and WIN  
10 infrastructure to put this equipment on to allow that  
11 to happen.

12           And the second example there, they had rapid  
13 response trailers. So they wanted to do that more in  
14 a tactical and a mobile type environment, but they  
15 utilized satellite network conductivity to be able to  
16 do that.

17           We will move out to a few more. Two  
18 examples. For the University of Kansas, and for Blue  
19 Cross/Blue Shield as well, large health care  
20 facilities, dissimilar radio systems, dissimilar  
21 communications systems, and they wanted to bring those  
22 together so they could establish voice communications.

23           But like I said earlier, and I will probably  
24 repeat it again before I am done, the technology alone  
25 does not have that happen by itself. Rules, policies,

1 and procedures, testing, and exercising the different  
2 technologies is what is most important.

3           Likewise, with the air ambulance services,  
4 if you go to the next slide, one of our first examples  
5 when we introduced our SIP technologies was in Canada.  
6 They had a complete SIP PBX system. They had a radio  
7 dispatch system. They wanted the two to be merged  
8 together.

9           They didn't have the capability to do that,  
10 and so we brought our technologies to them, and now  
11 they have both radio and their voice communications  
12 capabilities here at the STARS Center.

13           Certainly I need to put in a quote for my  
14 company. It is nice working for Raytheon. It's nice  
15 working for Raytheon. I joined it when it was known  
16 as JPS Communications, but the mission statement of  
17 Raytheon is "Customer Success is Our Mission."

18           That's an easy company to transfer out of  
19 public safety and work for on the private side,  
20 because their total intent is the customers success.  
21 One of the reasons that I am here speaking to you  
22 today is just mostly to make you aware of these  
23 technologies, and certainly there are other  
24 technologies out there.

25           Due diligence needs to be done to look at

Heritage Reporting Corporation  
(202) 628-4888

1 all of these different technologies, but to know that  
2 there are some viable solutions to address both your  
3 voice side and now the integration portion of it as  
4 Raytheon is an integrator, mostly on the DoD military  
5 side, but now moving over into the private sector and  
6 the public sector as well.

7           Certainly not an all-inclusive list, but as  
8 you can see from some of the names here, and of course  
9 the ones that I put at the top is the Metropolitan  
10 Interoperability Radio System. That is the one that  
11 is housed right here for fixed sites throughout the  
12 Metropolitan D.C. area that allow seamless  
13 communications.

14           Those of you that are from here locally know  
15 that currently Prince Georges County is on UHF-T Band.  
16 Well, how do they talk to the 800 users in the  
17 Northern Virginia area, or the Washington, D.C. Fire  
18 Department?

19           They can do that seamlessly, firefighter to  
20 firefighter or EMT to EMT, over this system that is in  
21 place, because those two radio systems do not talk  
22 together, and that is one of the systems that we  
23 provide.

24           Prince George's County, and the Maryland  
25 State Police likewise have a statewide radio system

1 based on this technology running over a microwave  
2 network. Actually, it is a microwave network that was  
3 mostly stood up by the medical community and State  
4 medical association in the State of Maryland, that  
5 they borrowed bandwidth from to be able to do that.

6           And likewise you can refer to many more of  
7 them there. You see we have there a rather long list  
8 of users, and certainly if recommendations are needed,  
9 or site visits are needed by any of your working  
10 committees, or your group, we can make those available  
11 to you.

12           Again, I thank you for giving me a few  
13 minutes to go over in this presentation with you. Are  
14 there any questions?

15           CHAIRMAN BUGEL: Thank you, Mr. Kaluta. Any  
16 questions from the committee members on the bridge?

17           (No response.)

18           CHAIRMAN BUGEL: Questions from committee  
19 members present? Mr. Delahousey.

20           MR. DELAHOUSEY: Steve Delahousey. Briefly  
21 tell me the difference between the ACU-1000 and 2000?

22           MR. KALUTA: Yes. The ACU-1000 was our  
23 flagship product to connect disparate radio systems.  
24 We do provide a radio over IP, voice over IP  
25 capability, with that solution that has five different

1 vocoders can be structured to the bandwidth that is  
2 available.

3           But it is proprietary. It is one ACU  
4 talking to another ACU, or an ACUM, or an ACUT, or the  
5 thin client that we have that allows a PC to do both  
6 receive and push to talk.

7           Now like I said earlier, there are some  
8 companies that have licensed that API, that ability to  
9 talk, and some different console companies and things,  
10 but it is proprietary.

11           The ACU-2000 does everything that the 1000  
12 does, but it brings in the SIP protocol. So now there  
13 is a module there -- there is actually two different  
14 modules there -- that allow the seamless integration  
15 of voice from dissimilar systems using SIP.

16           One example that I can give you -- we did  
17 here a press release here not too long ago -- the  
18 Cisco IPICS system, in Danville, Virginia, the test  
19 bed that they set up there through the Justice  
20 Department.

21           They wanted to link to the North Carolina  
22 State Highway Patrol System, but with the ACU, they  
23 had to use a four-wire audio interface, and then go  
24 through a router.

25           But the ACU-2000, using SIP, the software

Heritage Reporting Corporation  
(202) 628-4888

1 solution of IPICS seamlessly talks through an open  
2 standard to the 2000 IP that the Highway Patrol has,  
3 because their system is a wide area network, with now  
4 18 different sites throughout the State of North  
5 Carolina.

6           And how they have an integration of the two,  
7 totally different companies, using an open standard to  
8 connect those two systems together, and it is  
9 completely backwards compatible.

10           CHAIRMAN BUGEL: I guess I am going to ask  
11 you the same question that I asked our previous  
12 presenter, and certainly you have -- you, and  
13 Raytheon, and the community is well presented, with  
14 Mr. Adams being on our technology integration group,  
15 but what policy recommendations would you have to help  
16 facilitate the continued deployment/acquisition by  
17 communities that need this technology? What would you  
18 recommend?

19           MR. KALUTA: Having lived it as the program  
20 manager in the D.C. area, and now one of my primary  
21 duties is to do outreach throughout the United States  
22 to help agencies bring together this kind of  
23 technology, I think first and foremost it has to have  
24 support from the top, but it has to be a bottom up  
25 solution.

1           We experienced a few agencies in a couple of  
2 the different areas where we have integrated this  
3 technology, where someone said I am not going to play.

4    Fancy that.

5 I am not going to play. No, I don't want to have  
6 anything to do with this.

7           Rather than wasting our time with that  
8 person or trying to force that person, the other  
9 people that wanted to come together and build a system  
10 that they could have communications interoperability,  
11 they did.

12           I think their reluctance was more that they  
13 were unsure of the technology. They didn't realize  
14 what the benefits would be. Once they saw that it  
15 worked, they were knocking at the door and wanted to  
16 get on that committee, and wanted to be a part of  
17 that.

18           One of the presentations that I got to see  
19 other than doing a lot of work in Texas was when Judge  
20 Kimbrough was heading up the Department of Homeland  
21 Security there in the great State of Texas, and a big  
22 map comes up on the power point, and he says, you  
23 know, I wish I was the Homeland Security Director for  
24 Delaware or Rhode Island, because he took the whole  
25 Eastern United States and plopped it right in the



1 middle of Texas, and they had a lot of room to spare  
2 all around it.

3           And then what he did was he took circles,  
4 and he drew those around every one of the regional  
5 council of governments throughout the State of Texas.  
6 They all overlapped. They all had their own needs,  
7 but they also knew what their neighbors' needs were.

8           And he said this is how we have to address  
9 the ability for us to work together in the State of  
10 Texas. Wichita Falls doesn't need to go to Corpus  
11 Christi to hand them a radio to do communications  
12 interoperability.

13           However, staging equipment and working  
14 through those regional programs, much like the  
15 Metropolitan Washington Area Council of Governments, I  
16 sat on numerous committees here, and having that end-  
17 user subcommittee structure to bring in, and I think  
18 in ways health care as well, into those different  
19 organizations, could be paramount towards your goal.

20           CHAIRMAN BUGEL: The committee has examined  
21 a lot of this history, and we continue to wrestle  
22 through the policy short of having happened what  
23 Colonel Ebbert is dealing with, of having yours  
24 completely destroyed, which gives you a lot of  
25 incentive to do it another way, to kind of merge that,

Heritage Reporting Corporation  
(202) 628-4888

1 too, with this big consolidation.

2           One of the interesting things that we are  
3 seeing is that these regional interests are developing  
4 themselves. I mean, there is communities of regional  
5 interests -- the National Capital Area, Ohio, West  
6 Virginia, Pennsylvania.

7           I mean, there are a number of these regional  
8 multi-State, multi-jurisdictional organizations, that  
9 are congealing around themselves out of necessity. So  
10 that is actually a very interesting thing to watch.

11           MR. KALUTA: I will mention one other thing.  
12 Having gone through the grant processes in my early  
13 days as a fiscal officer before I got even involved  
14 with communications and communications  
15 interoperability, the best grant submission got the  
16 support.

17           One of the changes that occurred a few years  
18 ago, as far as Federal funding for this type of  
19 technology, is that you have to buy into a regional  
20 approach, and if that is not presented, and if that  
21 money that is providing this capability to the end-  
22 users -- and how that would apply to the health care  
23 industry, I am not sure.

24           But that certainly was a motivator to get  
25 people more to come to the table and work it out, and

1 then secondly, the additional assistance that the  
2 Federal government brought out to help localities come  
3 up with their tactical interoperability communications  
4 plan, the NIMS program, and to get that training out.

5           And so not only did they say you have to get  
6 together and work together, well, it is going to help  
7 you to be able to do that.

8           CHAIRMAN BUGEL: Right.

9           MR. KALUTA: And that helped a great deal as  
10 well.

11           CHAIRMAN BUGEL: And I think that the expert  
12 agencies, the FCC, and the NTIA, and now Mr. Roskind's  
13 ground over at the NCS, with the Emergency Office of  
14 Communications, is changing -- has changes and is  
15 continuing to improve the qualifications and  
16 requirements for grant money relative to standards and  
17 common operating protocol geographically, and not a  
18 beauty contest for grant awarding.

19           MR. KALUTA: Absolutely.

20           CHAIRMAN BUGEL: Mike, were you going to say  
21 something?

22           MR. ROSKIND: Yes. Lieutenant Kaluta, I  
23 want to thank you for your service to the City of  
24 Alexandria, and your continued service. Being from a  
25 State and local background with the Seattle Police

1 Department, and Deputy County Sheriff, I can  
2 appreciate a lot of the things that you were  
3 discussing.

4 I appreciate that you brought up the SAFECOM  
5 continuum, which is now an officer merged  
6 communication program, and in fact, Kevin McGinnis,  
7 who sits on the panel, is actually the vice chair of  
8 the SAFECOM executive committee.

9 One of the things is trying to cross  
10 boundaries and talk in ways that people understand how  
11 to communicate, and to try and break down barriers. I  
12 think that is the nature of emergency communication.

13 I know that you used the analogy of the use  
14 of force continuum, and being a former police officer  
15 and deputy sheriff, I can tell you that I have gone  
16 through that continuum, and trying to make the analogy  
17 of the use of force to SAFECOM I thought was  
18 impressive.

19 But some of the people in the room may not  
20 have used that continuum before, and so it is sort of  
21 crossing the boundaries. I thought maybe we could  
22 talk to the Secret Service later and explain to him  
23 what that meant.

24 (Laughter.)

25 MR. KALUTA: It means you don't burn

1 yourself with a cup of coffee doesn't it?

2           MR. ROSKIND: But in any case, one of the  
3 things that everybody is discussing here that you  
4 bring up is, is that there is no common interface in  
5 any of this between hospitals and the emergency  
6 sector, and there is no common structure to at any  
7 level on how to communicate.

8           And I think when you look at the big  
9 picture, which is hopefully what the Joint Advisory  
10 Committee is doing, is developing recommendations on  
11 how to possibly develop strategies for creating some  
12 layer -- and this is what Dr. Kaplowitz was getting  
13 after, some common way of communicating in the voice  
14 data world and structuring that. Thank you.

15           CHAIRMAN BUGEL: Mr. Corry?

16           MR. CORRY: I would just like to emphasize a  
17 couple of things that Mr. Kaluta mentioned, and bring  
18 it down to very basic terms for the folks in the room  
19 who may not be telecom practitioners, or who may not  
20 have practical field or street experience in police,  
21 fire, EMS.

22           And that is that -- and Roman and I have  
23 talked about this for years, and that is that there  
24 really are no technical barriers to interoperability.  
25 And part of what we are talking about is

Heritage Reporting Corporation  
(202) 628-4888

1 interoperability among ambulances, and  
2 interoperability between the ambulances and the  
3 hospital, and interoperability of an ambulance that is  
4 doing mutual aid from another county coming into  
5 another county's hospital network.

6           Interoperability is very important, but the  
7 real barriers to interoperability are egos and control  
8 issues, and I think the classic example, or one of the  
9 classic examples that I like to use is that I know for  
10 a fact of a Federal law enforcement agency that went  
11 out and spent a considerable amount of money to buy  
12 some of Mr. Kaluta's equipment, and placed it in a  
13 county that I will just say is in the northern  
14 midwest, and that was three years ago.

15           That equipment is still sitting in a box  
16 today because the 17 agencies in that county are still  
17 arguing over whose facility that box is going to be  
18 placed in, and who is going to have control over  
19 pushing the buttons that tie the different radio  
20 systems together when it is needed within that county.  
21 And it is just my addition to what you had to say.

22           CHAIRMAN BUGEL: Well, this committee is not  
23 going to examine that issue, number one. I wish you  
24 would have said 10 weeks ago that there is no  
25 problems. We could have figured out what the final

1 report was.

2           No, I clearly understand that, and those are  
3 the governance issues, and we have had this discussion  
4 many times relative to solutions. There is a  
5 resistance to accept publicly pushed or Federally  
6 pushed down solutions.

7           The solution has to be provided, or  
8 solutions, or combinations of solutions, or  
9 methodology for those solutions, as is happening in  
10 the National Capital Region, that it doesn't make  
11 sense not to participate. It is irresponsible. It is  
12 derelict not to participate.

13           And in the example that you just cited, that  
14 is where local officials are not representing the  
15 population well. That is an issue that needs to be  
16 dealt with, but those are the -- that is the push that  
17 I see evolving, is that the programs are saying take  
18 advantage of this, as opposed to you need to do it  
19 this way, and you need to do it by then, or you won't  
20 get anything.

21           And that is what has created the bulkhead  
22 and the silos, and so I think we have another  
23 opportunity to fix that at a national level. Dr.  
24 Kaplowitz.

25           DR. KAPLOWITZ: Just to add to that, because

Heritage Reporting Corporation  
(202) 628-4888

1 I am representing public health, public health is  
2 often the link because we are public, and so much of  
3 what we have done, at least in Virginia, is serve as  
4 that link public service, law enforcement, but again  
5 it is in the public end, and health care that is on  
6 the private end, just something simple as  
7 representation in the EOC, during an emergency,  
8 developing that kind of link with public health being  
9 kind of the link between the two worlds in a sense,  
10 has put us in an interesting spot.

11           But I can't emphasize enough that that issue  
12 as well, having the public sector in general, whether  
13 it is law enforcement, or fire programs, or whatever,  
14 accept where health care sits.

15           And coming from a private business  
16 background, and not having a history of involvement  
17 even in EOCs, for example, and so just to bring that  
18 point in, and to emphasize that public health has  
19 served now as the link, at least over the past five to  
20 six years.

21           MR. KALUTA: Absolutely, and I know that we  
22 are running out of time, but one of the other common  
23 threads that is not uncommon in the health care, and I  
24 might put Mr. VanCott a little bit on the spot here,  
25 but in North Carolina, the EMS service down there, the



1 disparate radio systems, one of the things that we see  
2 on the public safety side, and the private side, and  
3 the military side, is people have investments in  
4 systems that are operational.

5           Now they may not be interoperable, but they  
6 are operational, and usually they cost thousands or  
7 hundreds-of-thousands, if not even millions of  
8 dollars, and they have a life cycle of perhaps 10, or  
9 15, or sometimes 20 years.

10           And to ask someone just to get rid of that  
11 to go to this is not always the best solution.  
12 Likewise when the ambulances on the system that we  
13 have down there in North Carolina need to go from one  
14 zone to the other, we have a way for those systems to  
15 talk to each other.

16           That is another concern, and sometimes that  
17 might be what is causing some of that political  
18 difference or ego, because, you know, the chief of  
19 police doesn't want to go the city manager and say I  
20 want to scrap my \$4 million radio system that we just  
21 bought five years ago.

22           So there needs to be migration passed to  
23 allow those systems to work together, and there is a  
24 multitude of ways to do that.

25           CHAIRMAN BUGEL: Mr. Griffin.

1           MR. GRIFFIN: Eric Griffin, of Lee County  
2 Office of Emergency Management. I wanted to kind of  
3 see if we could get Mike involved in this, and go back  
4 to your presentation regarding the National Institute  
5 of Standards and Technologies, the Office of Law  
6 Enforcement Standards.

7           I just want to get a little bit of  
8 information regarding that process. There seems to be  
9 a lot of discussion and a lot of focus groups that are  
10 dealing with some of our or what I have seen as some  
11 of the common issues that this group is dealing with.

12           Is there anything that has been discussed  
13 through the National Institute of Standards and  
14 Technology that would apply to this group and the  
15 knowledge base that we would need to draw from?

16           MR. ROSKIND: A couple of quick things. One  
17 is that the Office of Emergency Communications doesn't  
18 have standards with me sitting on the panel. That was  
19 left behind with the Office of Science and Technology,  
20 and they are to coordinate with the National Institute  
21 of Standards and Technology.

22           Additionally, the Department of Justice  
23 still has some Legacy data standard programs that they  
24 are working on, and so there are a few different  
25 groups that are working on data standards.

Heritage Reporting Corporation  
(202) 628-4888

1 Additionally, I believe APCO, when you talk about P-  
2 25s, you are actually talking about an APCO project P-  
3 25.

4           And so the Association of Public Safety  
5 Communication Officials International is a group that  
6 helps create standards that I think are accepted. Do  
7 you have any other comments on that?

8           MR. MCGINNIS: There are definitely  
9 standards and efforts that are all over the board  
10 right now, especially when you start talking about  
11 patient records and emergency health records, because  
12 their activities -- and more strongly DHHS, and the  
13 Office of the National Coordinator, and in that area,  
14 but it is bleeding over now into DHS.

15           And one example that Mike just said, the  
16 Office of Interoperability and Compatibility, the  
17 National Management Program does standards for data  
18 communications, and one of the ones that we are  
19 working on by deference from that DHHS group is  
20 patient tracking.

21           So we have established a set of standards  
22 for all of the various products and applications that  
23 have been developed. So they are kind of overloaded,  
24 but you are right.

25           MR. GRIFFIN: Is there any good -- I guess

Heritage Reporting Corporation  
(202) 628-4888

1 maybe Power Point slides, or framework, or just  
2 something on paper saying who is responsible for what,  
3 and a good comprehensive stakeholder list, for all of  
4 this?

5 MR. ROSKIND: That might be something that  
6 we could prepare for the chairman and see what he  
7 thinks of it. I think we are running out of time on  
8 this, and that would be the difficulty.

9 CHAIRMAN BUGEL: Actually, I'll reverse my  
10 order of comments. The first one will be factual and  
11 the second one will be editorial. I also had the  
12 honor and privilege to chair the NSTAC, which is the  
13 President's National Security Telecommunications  
14 Advisory Committee, to report on emergency  
15 communications interoperability.

16 That report, which is a base document that  
17 all the working groups have, actually goes into NIST  
18 and the -- it actually is probably the most current  
19 snapshot of -- and I don't mean this sarcastically,  
20 but the seven people in the Federal government that  
21 are responsible for interoperability.

22 And Mr. Roskind's group, the Office of  
23 Emergency Communications newly established Statutory  
24 Office, that is supposed to consolidate all of this  
25 effort. And so this is a long studied bowl of

Heritage Reporting Corporation  
(202) 628-4888

1 spaghetti that is getting poured out.

2           We can actually annex that, and I will send  
3 you a copy, but basically it gives you a snapshot of  
4 what it looks like, and the different people over the  
5 last -- really about 10 years that have been charged  
6 with interoperability. Isn't that about right, about  
7 10 years? And Mr. McGinnis swims in these waters all  
8 the time.

9           MR. MCGINNIS: No comment on the other  
10 occupants of the waters.

11           CHAIRMAN BUGEL: Yes. So, anyway, now to  
12 the editorial issue. The editorial issue is the White  
13 House asked the NSTAC could you help us understand why  
14 interoperability is so hard to achieve in emergency  
15 communications.

16           And it was interesting to point out that it  
17 is because you have seven people responsible for it,  
18 or you have eight people responsible for it.

19           MR. CORRY: You have eight people  
20 responsible for  
21 it.

22           CHAIRMAN BUGEL: Exactly.

23           MR. ROSKIND: Can I make one quick comment?

24           CHAIRMAN BUGEL: Sure.

25           MR. ROSKIND: We talked to the Senate

1 Homeland Security Committee, and the House staff on  
2 this, and one of the reasons that they turned to us  
3 for emergency conditions was for us to develop  
4 overarching policy that would help defragment some of  
5 the overlapping responsibilities, because the FCC has  
6 some, and NTIA clearly has some, FEMA clearly has  
7 responsibility, and the National Communications System  
8 has responsibility.

9           And they actually created the office to try  
10 to develop -- and the reason that I am sitting here on  
11 this committee is to try to listen to the concerns in  
12 the health care community, and to make sure that the  
13 health care community is integrated as we go forward  
14 with the plan.

15           And also to identify underlying gaps and  
16 commonalities. So that is hopefully that your report  
17 will be able to leverage into the national emergency  
18 communication plan, which is the way forward, and to  
19 help ensure consensus within this community, and there  
20 are a number of communities involved.

21           This is one of the communities that has a  
22 common underlying communication interoperability  
23 requirements with other communities of interest. They  
24 include law enforcement, and they include fire, and  
25 they include medical, hazardous materials, and public

1 utilities. Public works and public utilities.

2           They are all interrelated and you are one  
3 slice of it, and trying to think of it as a stove pipe  
4 and ask how we can communicate here as a community of  
5 interest, and how the community of interest can bridge  
6 those gaps, except that in a disaster the communities,  
7 your communities, the physical populations of  
8 Washington, D.C. and the City of Woodenville, are  
9 properly cared for and given the service that they  
10 need.

11           CHAIRMAN BUGEL: Let me also add that Mike  
12 brings up a very good point. The FCC and NTIA have a  
13 tremendous amount of responsibility, statutory  
14 responsibility, in terms of jurisdiction, over  
15 military, civilian, and licensees. So there is a  
16 tremendous interplay that goes on there. Mr.  
17 Bashford.

18           MR. BASHFORD: Yes, just a quick comment,  
19 and more for the roundtable layer, but one of the  
20 points is that when we think interoperability, we are  
21 thinking public safety in general. This community  
22 more on the medical side, between EMS and hospital.

23           Just keep in mind the scope of  
24 interoperability goes beyond voice data. There was a  
25 slide earlier, and we have seen it in other

1 presentations, and we talk about moving information to  
2 and from the hospital in a data format, and not in a  
3 voice format.

4           EKGs, and not to pick on them, but if the  
5 picture that we have shown, and I know that company,  
6 and they play in a proprietary sandbox, and you can't  
7 just take their data out of their device and send it  
8 to the hospital.

9           And it is not uncommon in this health care  
10 device industry. So just keep in mind when we talk  
11 about interoperability and we are talking field to  
12 hospital and vice-versa that it is more than just  
13 voice. We have got to open up those channels for data  
14 as well.

15           CHAIRMAN BUGEL: The other point that I  
16 wanted to make, and Dr. Kaplowitz brought this up, is  
17 gain a seat at the table. I think what we have  
18 learned as a community, as a nation, is when health  
19 care suffers damage to the point of not being able to  
20 function in an area because of an event, it is not  
21 that there is a whole host of immediate replaceable  
22 supply just sitting there and somebody is saying, god,  
23 what shelf is that on. It is not the case.

24           It requires basically when you look at these  
25 critical infrastructure tiers, they repair themselves.



1 Now to the breath and depth that they draw upon other  
2 resources inside the sector, that becomes a logistical  
3 issue and a coordination issue.

4 But the reality is that the emergency  
5 planners and everybody else, the communications  
6 specialists, need to know of these parties and how  
7 they are affected by the crisis.

8 So there is many things that I have seen,  
9 especially in the post-Katrina years, is where was the  
10 backup. Where was the backup. Where was the backup.

11 Well, you know, there is not a backup internet. I  
12 know it firsthand. There is not a backup medical  
13 community.

14 There are resources that can be drawn upon  
15 in other areas, but there is no backup, and that is  
16 something that is an observation between the way some  
17 of the policymakers have viewed this. Well, we will  
18 just put a backup out there. It will be like a spare  
19 tire.

20 In some cases it is not economically feasible to do it  
21 that way. So, any other questions or comments?

22 (No response.)

23 CHAIRMAN BUGEL: Okay. Thank you very much,  
24 Mr. Kaluta.

25 MR. KALUTA: Thank you.

1           CHAIRMAN BUGEL: We appreciate it, and thank  
2 you, Mr. Adams. We appreciate it. I think what I  
3 would like to do now is I would like to take a 10  
4 minute break if possible. I have 11:35, and so 11:45  
5 if that is all right.

6           I was feeling telepathic pressure as the  
7 Chair here. So if we could be back at 11:45, I would  
8 greatly appreciate it. thank you.

9           (Whereupon, a short recess was taken.)

10          CHAIRMAN BUGEL: All right. I would like to  
11 reconvene the meeting. As I said earlier, basically  
12 what I think we need to do right now is to have a  
13 discussion that revolves around the activities of the  
14 working groups, and some of their preliminary  
15 findings, and then to have some discussion about that.

16          We are in now the -- I guess the best way to  
17 put it is the consolidated report beginning drafting  
18 stages. I think from the working group's perspective,  
19 we have done the examination, and we have chiseled  
20 out, roughed out, some of the findings.

21          I think in many cases we have roughed out  
22 many, many findings, and I think we are in a position  
23 where we have enough material, insight, and direction  
24 from the working groups to start putting together a  
25 draft of the report.

1           And that is why this session right here is  
2 critical to help us prioritize that, and to help  
3 identify both the -- maybe the prioritization of some  
4 of the findings, the focus of the working groups, and  
5 try to eliminate if possible some of the duplication  
6 and redundancy that was natural in the process.

7           We knew that was going to happen from the  
8 get-go, and we knew that these topics were not  
9 mutually exclusive, and one of the reasons that we are  
10 here is to bring them all together.

11           So, those common threads and common areas of  
12 focus are very important in both identifying that and  
13 in analyzing them. So I would like to start out with  
14 Mr. McGinnis, and the Emergency Medical Working Group.  
15 Kevin.

16           MR. MCGINNIS: Thank you, Mr. Chairman.

17           CHAIRMAN BUGEL: Are you fine or do you need  
18 assistance?

19           MR. MCGINNIS: So far so good. I haven't  
20 hit it yet, but I have confidence that it is going to  
21 work. This ground is in our charge again to our  
22 working group. So much for my well based confidence.  
23 There we go.

24           And just to sort of repeat the sort of  
25 overall structure that we have been heading to as a

Heritage Reporting Corporation  
(202) 628-4888

1 joint advisory committee for this report, and what we  
2 have been focusing on in our work group.

3           And I just want to take this opportunity to  
4 thank my vice chair, Mr. VanCott, and the other  
5 members who are here and on the phone for their hard  
6 work over the last few weeks, has been truly the  
7 incredible shrinking, and then expanding, and then  
8 shrinking, and then expanding document.

9           And I am going to focus today simply on the  
10 last section, which are essentially the  
11 recommendations of how we get there, because the other  
12 sections have been as I said expanding, and shrinking,  
13 and changing.

14           And one of the things that I would kind of  
15 like to encourage us as we talk about consolidation  
16 here is that perhaps I think we have all focused a lot  
17 on where the deficiencies are, and where the problems  
18 lay, and therefore have developed some recommendations  
19 as a result.

20           We may indeed want to approach consolidation  
21 by looking as we have had to do just to sort the  
22 forest and the trees at the recommendations, and see  
23 what commonalities there are, and come up with a set  
24 of recommendations for the overall committee, and then  
25 reverse and engineer back to the problems as we

Heritage Reporting Corporation  
(202) 628-4888

1 actually write the document and get back to the where  
2 we are now section.

3           We had somewhere in the neighborhood of 22  
4 recommendations that we boiled down to four overall  
5 with some sub-pieces, and they involve the  
6 establishment of a Federal function, coordinating  
7 function, with an advisory function, changes in  
8 Federal Communications' grant guidance, the  
9 development of model State legislation, and an overall  
10 one that I am sure is common in all three work groups,  
11 which is additional funding.

12           The first area, and again I mentioned this  
13 in our last report, we strongly sense the need for  
14 better coordination on the Federal level. We talked  
15 about the seven or eight agencies that are involved in  
16 interoperability.

17           And while I know that this is D.C. and I was  
18 told specifically that when we mentioned this, and the  
19 need to as Eric had suggested to define the  
20 responsibilities of all parties at the Federal level,  
21 I was told, well, don't be ridiculous. This is D.C.  
22 You know, those types of things tend to be smoke 'n'  
23 mirrors and change, and vested interests as they are,  
24 whether they are in the executive or the legislative  
25 branches, cause these things to be very fluid.

1           However, we still feel that there is a need  
2 for ongoing discussion among those with  
3 interoperability interests in the Federal government  
4 that there ought to be connected to that process an  
5 ongoing advisory group of folks from the State and  
6 local arenas, from public safety, emergency medical,  
7 hospitals, public health, and others, who are there on  
8 an ongoing funded basis so they can actually get to  
9 meetings to advise the Feds.

10           And a partnership created out of that to  
11 move policy forward. That group ought to be  
12 coordinated perhaps by OEC or OIC within DHS. That  
13 group actually develops system and component  
14 standards, like I mentioned the disaster management  
15 program that OIC is doing for patient tracking as a  
16 data communications standard.

17           But those types of things really need to be  
18 coordinated and move forward so we don't have multiple  
19 efforts for developing standards going on in a variety  
20 of settings within the Federal government.

21           That there be good strong central guidance,  
22 Federal to State, and State to local, and up and down.  
23 And then performance measures established for  
24 determining where we are in the cause of creating  
25 interoperability and communications operability, and

1 that this entity somehow tracks national progress in  
2 those areas. Excuse me one second.

3 MR. MCGINNIS: Sure. If we could go back.  
4 Is that technically possible?

5 CHAIRMAN BUGEL: The third bullet, well  
6 funded State and local advisory committees. Explain  
7 that a little deeper.

8 MR. MCGINNIS: Sure. That is actually easy.  
9 There are groups like this that are done on an ad hoc  
10 basis. There are other groups, like the executive  
11 committee in SAFECOM, or the practitioner steering  
12 group in the disaster management program, that bring  
13 practitioners to the table, representatives of State  
14 and local government, and public safety disciplines,  
15 and health disciplines, and medical disciplines, to  
16 provide guidance as Federal programs go forward.

17 The funding piece of it is sometimes they  
18 are able to pay for a firefighter to come to the table  
19 from Akron, Ohio. Sometimes they are not. The FCC  
20 typically does not or is not oriented that way.

21 And it really needs to happen. The funding  
22 needs to be there because people that represent  
23 associations in the public safety or medical and  
24 health realm are not necessarily well funded to be  
25 able to come and participate that way. So simply from

1 a reimbursement of travel perspective, those efforts  
2 need to be funded.

3           CHAIRMAN BUGEL: That's what I thought. In  
4 looking at the materials that is what I thought you  
5 meant. I thought that was referenced there.

6           MR. MCGINNIS: Yes. Changes in Federal  
7 grant guidance. I think it was mentioned before the  
8 need to get us to the tables, and that Federal grant  
9 guidance really needs to be specific, and we have seen  
10 some issues with the most recent or one of the most  
11 recent programs, the public safety interoperability  
12 communications grant programs, in which it is a number  
13 of folks' observations that EMSs, hospitals, public  
14 health, are not getting to those tables and  
15 participating in the planning processes adequately.

16           And so we would like to see grant guidance  
17 be very specific across the board, and perhaps  
18 mediated by that interagency committee that would form  
19 or be created out of existing pieces, to underscore  
20 that across the agencies that have grant programs for  
21 communications.

22           And that grant guidance assures mechanisms  
23 within statewide communications interoperability  
24 plans, and other mechanisms that assure that ongoing  
25 EMS, public health, hospital participation.



1           And finally that grant guidance in the  
2 communications, public safety, and health, and medical  
3 communications areas, require operational testing of  
4 equipment and exercising of equipment, spelled  
5 correctly, however.

6           We felt that it is very important that the  
7 statewide communications interoperability plans that  
8 are being developed are good. However, there is a  
9 need within the emergency medical world itself to have  
10 its own active participation and plans, and that a way  
11 of accomplishing this would be to develop some model  
12 State legislation that requires an emergency services  
13 communication plan that is well linked to public  
14 health, while linked within the hospital world as EMS  
15 generally is.

16           And that it be coordinated with that  
17 statewide communications interoperability plan, and  
18 that there be EMS, health, and public health, and  
19 other representatives on any statewide  
20 interoperability executive committee, or whatever the  
21 master interoperability committee is in the State.

22           That the model statewide communications, EMS  
23 communications legislation, encourage prioritized  
24 development. That is to say deal with that 35 year  
25 old infrastructure first. Get it fixed, and get voice

1 operating reliably, redundantly, and from hardened  
2 systems infrastructure.

3           That there be a basic approach for system  
4 planning and coordination that is not ad hoc as it is  
5 almost every place in the country right now in  
6 repairing those old systems.

7           That is, going from a 1970s VHF or UHF  
8 system into an 800 trunk system in bits and pieces  
9 throughout a state on an ad hoc basis, or adopting  
10 cellular technology on an ad hoc basis. It should be  
11 planned.

12           That routine daily communications among  
13 facilities are happening and so that they are not  
14 something that just happened in a major incident, and  
15 then not being a cop, and being a firefighter and a  
16 paramedic, I am not sure that I know the deadly force  
17 continuum, but I do understand the interoperability  
18 continuum, and this is the current one just to prove  
19 that in SAFECOM, which includes a data track in the  
20 technology channel, which is the middle channel.

21           And also emphasizing some discussion that  
22 was had before this morning that four out of the five  
23 channels have nothing to do with technology. They are  
24 governance, and they are standard operating  
25 procedures. They are the people interaction pieces

Heritage Reporting Corporation  
(202) 628-4888

1 that you have got to put in place beyond the  
2 technology part.

3           But overall clearly our goal is to move our  
4 health and medical systems to the right on this to the  
5 degree that we can. Lastly, the funding or our  
6 funding priority.

7           We would like to see an ongoing effort to  
8 assess emergency medical and health data or  
9 technologies, diagnostic and treatment, and to match  
10 them to the resource, the communications resource  
11 implications that they have.

12           For instance, the use of bandwidth, and the  
13 cost of establishing them, and just as an aside, our  
14 association, State EMS Officials, and the Association  
15 of EMS Physicians, and the National Public Safety  
16 Telecommunications Council, last week held an initial  
17 workshop to do just that, to look at if we start using  
18 portable CTs, or portable ultrasound in the back of  
19 our ambulances, what kind of communications  
20 implications will that have in the future.

21           What do we need to start reserving by way of  
22 bandwidth. What are the IP implications. Can we use  
23 IP for that, for those types of things. But we really  
24 need to have an ongoing process of that because  
25 frankly EMS and medical, I think, are potentially some

1 of the greatest public safety bandwidth users.

2           That there be funding for rural and frontier  
3 EMS communications and telemedicine systems to support  
4 what we call community paramedicine, which is kind of  
5 a mix of using EMS resources to provide other than  
6 emergency medical care in communities where there are  
7 gaps in health care provision.

8           We would like again to see the ambulance  
9 services and other EMS agencies be eligible for  
10 universal service program funds. We added that EMS  
11 personnel should be defined as public safety personnel  
12 under the Stafford Act for a number of reasons.

13           And one of our large recommendations, and it  
14 may not be done well by the brief bullet that is here,  
15 is that as was pointed out, there aren't a lot of  
16 backups for the medical community.

17           I mean, our community hospitals, and our  
18 large medical centers, are usually well occupied bed-  
19 wise, and well used, and well subscribed, in terms of  
20 outpatient services.

21           And so when you take a facility down for  
22 whatever reason, simply sending those patients to  
23 another facility doesn't work, because those  
24 facilities are already busy. Well, as a part of the  
25 challenge to hospitals, we are very aware that the

1 cost of uncompensated care in their trauma units, and  
2 in their emergency departments, is a big issue.

3           It is one of the things that keeps hospitals  
4 unable to simply expand or to have a lot of unused  
5 capacity. And one of the pieces that is not well paid  
6 for or supported by the Centers for Medicaid and  
7 Medicare Services, and other payers, is advanced  
8 communications and information technology, replacement  
9 or establishment within a hospital.

10           So we are asking that Congress provide  
11 funding through CMS, the Centers for Medicaid and  
12 Medicare Services, to be sure that within the scope of  
13 uncompensated emergency care, and the hospitals role  
14 in oversight of the emergency medical services systems  
15 that specifically advance, communications and  
16 information technology systems are supported or added  
17 to the equation for reimbursement, as well as for  
18 perhaps one time program funding, programmatic  
19 funding, and not just reimbursement based funding.

20           Where we are headed. We need to have  
21 consensus on these recommendations. We have had  
22 multi-teleconference calls and reviews of these  
23 recommendations as recently as last Friday, and over  
24 the weekend, and as I said, our intention would be to  
25 see how this conversation goes, and we will go back to

1 our group with a scheduled call for Thursday.

2           And we will at that point review the  
3 recommendations and the process of reverse engineering  
4 based on those recommendations and that's it.

5           CHAIRMAN BUGEL: All right. Thank you.  
6 Could you go back to the previous slide. And at the  
7 risk of not being able to be in three places at one  
8 time relative to the working groups, bullet point  
9 four, EMS under the Stafford Act.

10           Could you flush that out a little bit more.  
11 Why is that germane to this in terms of I look at EMS  
12 and public safety personnel as local and state, and  
13 not under Federal jurisdiction.

14           I am afraid that I am going to have to ask  
15 for help from the group, because that is not one of  
16 the ones that I was shepherding personally.

17           MR. ROSKIND: This is a problem also in the  
18 telecommunications sector and not titling on --

19           CHAIRMAN BUGEL: Well, that is the only  
20 reason why I know about the Stafford Act, because it  
21 is a problem.

22           MR. ROSKIND: Well, the Stafford Act is what  
23 allows Federal authorities to support a State during a  
24 disaster, and the original act has been modified a few  
25 times.

1           And it is a common problem is that creates  
2 reimbursement issues and also FEMA had some security  
3 boundary problems associated with getting logistical  
4 support to reconstitute the communications structure  
5 because persons responding from Verizon and AT&T were  
6 not permitted into the disaster area because of their  
7 titling.

8           And when I was in APCO's homeland security  
9 committee, we put a position paper out, and one of  
10 their recommendations likewise was to revisit what  
11 constituted an emergency worker.

12           And there is some specific definitions and  
13 authorities that are given that need to be probably  
14 revisited.

15           MR. LINKOUS: I believe these are  
16 recommendations and you were on the Katrina panel, and  
17 that came out of the Katrina panel as well.

18           MR. DELAHOUSEY: Steve Delahousey. If I  
19 could elaborate on that. If you today pull up the  
20 Stafford Act and do a word search for EMS, you won't  
21 get any hits for emergency medical services. You will  
22 get no hits. And for ambulances, you will get no  
23 hits.

24           Woodchipper, you will. They are a  
25 reimbursable entity if there is a disaster, but

1 ambulance services are not, and it is probably because  
2 the Act was written so long ago, and the term rescue  
3 was used in there, and broadly in those days EMS was  
4 considered part of rescue, and as we have seen it  
5 evolve since 1974, and that is not the case anymore.

6           And it is not -- I don't think it is  
7 necessarily the fault if you will of anybody at the  
8 Federal level. We had the previous Secretary of  
9 Homeland Security provide clarification that EMS is  
10 clearly an eligible entity.

11           And the current Secretary has provided that.  
12 The stumbling block seems to be at the State level  
13 when you have the State Homeland Security grant funds  
14 that are awarded to the State, because traditionally  
15 EMS was not considered at the same level as law  
16 enforcement and fire service.

17           There seems to be a lack of availability of  
18 funding for EMS at that level, and it just appears  
19 that it is not going to be resolved no matter how many  
20 directives and memoranda that we receive from DHS, and  
21 until the Stafford Act is amended to include that, it  
22 just doesn't seem that there is going to be much of a  
23 resolution there.

24           CHAIRMAN BUGEL: Okay.

25           MR. ROSKIND: If you want, I can ask our

Heritage Reporting Corporation  
(202) 628-4888



1 attorney to take a look at it.

2 CHAIRMAN BUGEL: Oh, no, no, no, no, no.

3 (Laughter.)

4 CHAIRMAN BUGEL: Attorneys are something  
5 that I am not short on right now.

6 (Laughter.)

7 MR. ROSKIND: They will offer their opinions  
8 though.

9 CHAIRMAN BUGEL: I have a relative deep  
10 history inside the Stafford Act that goes back to the  
11 first 24 hours relative to Katrina, and I understand  
12 your concern with the Stafford Act. Was a  
13 modification of the Stafford Act part of the Katrina  
14 panel recommendations?

15 MR. DELAHOUSEY: Yes.

16 CHAIRMAN BUGEL: It was?

17 MR. DELAHOUSEY: There is some specific  
18 language that has been recommended to the House  
19 Homeland Security Committee, very simple language that  
20 probably can resolve the problem once and for all.

21 CHAIRMAN BUGEL: And we weren't involved in  
22 the prior modification, which was kind of a half-a-  
23 look. Mr. Griffin.

24 MR. GRIFFIN: Was this not ever brought up  
25 as being reimbursable under public assistance under

1 Category D?

2 MR. DELAHOUSEY: Yes, it was, and if you are  
3 a public ambulance service, or a fire department  
4 ambulance service, or a third service, it is not a  
5 problem. If you are a driver ambulance service under  
6 contract with a city or county, which many, many are,  
7 you are not eligible unless you go through the county,  
8 or you go through the State, and many times the county  
9 or the State is reluctant to do that.

10 MR. GRIFFIN: And that is because it would  
11 be for profit?

12 MR. DELAHOUSEY: That's correct.

13 MR. GRIFFIN: Right.

14 CHAIRMAN BUGEL: That's the whole issue  
15 here, which goes back to a constitutional issue, which  
16 I will leave that where it sits. Okay. That helps me  
17 understand it a little bit more, a lot more actually.  
18 Now I probably will have to say this two more  
19 additional times, but I will say it once, and that is  
20 that -- and first of all, thank you, Kevin, and thank  
21 you to the working group members for all your work.

22 We are going to -- and as you have come to  
23 consensus, we are going to have to come to a group  
24 consensus, and we are going to have to as I said that  
25 there are going to be overlaps, and so recommendations

1 will cross over into areas.

2           There will be things that each group  
3 recommends that would be common. There will be  
4 supporting elements, but I don't envision us coming  
5 forth with a final report that has -- let's just say  
6 12 or 15 core findings, and we will probably boil that  
7 down even to a group of -- well, let's just use three,  
8 or four, or five, and we will be supporting these  
9 elements behind.

10           The points that you are making here  
11 obviously will be incorporated into the document as  
12 you have done in your group. I just want to take this  
13 as an opportunity as everybody has kind of tunneled  
14 into their working group in their specific area, now  
15 we need to broaden our vision and how do these  
16 recommendations work with the goals and findings of  
17 public health, technology integration, and that is  
18 just kind of a conceptual comment that I wanted to  
19 make. Any other comments or questions?

20           MR. ADAMS: Well, I think conceptually that  
21 whenever you are looking at it, even in the working  
22 group, and as you know, because the charter is sort of  
23 telling us what we need to be looking for, and so in  
24 the working group, you always have to keep in mind the  
25 charter when you are coming up with these assessments

Heritage Reporting Corporation  
(202) 628-4888

1 and stuff.

2 CHAIRMAN BUGEL: yes.

3 MR. ADAMS: And this is sort of the way that  
4 we should be looking at it.

5 CHAIRMAN BUGEL: Well, if you look at the  
6 charter -- I mean, basically what Congress was looking  
7 for, and so how do we go forward, and that is really  
8 what they are looking at.

9 How do we go forward, and how do we bridge,  
10 and how do we -- what are the exact words they used?  
11 I don't even remember the exact words that they used,  
12 but how do we move forward is really it. Okay. Mr.  
13 Linkous.

14 MR. LINKOUS: Thank you, Mr. Chairman, and  
15 thank you, Kevin, because we do have some overlap and  
16 I am very grateful for that.

17 CHAIRMAN BUGEL: So you are asking yourself  
18 why did you do all this hard work if Kevin has already  
19 covered it?

20 MR. LINKOUS: One of many questions. First  
21 of all, I do want to thank the members of the Public  
22 Health Group who have done a tremendous job putting a  
23 lot of time and effort into it, and Lisa Kaplowitz was  
24 particularly my vice chair, although I would like to  
25 refer to her as co-chair.

1           And there has been just a lot of work done  
2 behind the scenes, not only in providing information  
3 and going back and forth to other folks within each of  
4 the areas, but doing a lot of wordsmithing.

5           So we do have a report that is pretty close  
6 to a consensus report I think out of the group. It  
7 has been referred to by the Chair as a War and Peace  
8 document. I don't think it is quite that long, and  
9 since then I have edited out all references to the  
10 Russian revolution. So it is not quite that bad.

11           (Laughter.)

12           MR. LINKOUS: We did find though in the  
13 report --

14           CHAIRMAN BUGEL: It does prove that you read  
15 my e-mails.

16           (Laughter.)

17           MR. LINKOUS: We have found in the report a  
18 lot of the same types of discussions that we have had  
19 this morning, and I think all the other groups are  
20 doing it. There is a lot of tremendous work that is  
21 out there already, and I think we need to recognize  
22 that as a base.

23           There is a lot of communications systems,  
24 and there is a lot of innovations, but a lot of them  
25 are in the silo. So it is not surprising that our

1 recommendations tend to reflect the need for breaking  
2 out and providing some uniformity, or regional sharing  
3 of information as we move ahead.

4           And so that is really kind of a key to some  
5 of the things that we have been talking about in terms  
6 of telecommunications systems, or the various  
7 applications, not only sharing them geographically  
8 across political boundaries, but sharing them between  
9 agencies, and it could be between health care agencies  
10 within a community, or certainly between health care,  
11 EMS, police and fire.

12           Because we find that those structures are  
13 the isolation between public health and medicine, and  
14 EMS and public safety, is still very strong, and needs  
15 to be addressed rather rapidly.

16           We have a series of eight recommendations,  
17 of which we put really four down as kind of  
18 priorities, and so I am going to focus on that as  
19 Kevin did, rather than some of the findings early on.

20           And I will go through some of these,  
21 although I will start out by saying that there is some  
22 duplication, and maybe there is some areas where it  
23 may not be quite as duplicative, but it is close  
24 enough so that we can probably do a lot of work so  
25 that when the committee, and when you, Mr. Chairman,

Heritage Reporting Corporation  
(202) 628-4888

1 you go through your folks and writing through a lot of  
2 the next draft of this, there is going to be some easy  
3 parts, I hope, of combining some of this.

4           Certainly the first recommendation we have  
5 is on standards and protocols, and not that surprising  
6 of the need to work in the area of interoperability  
7 when we talk about protocols, but really we are  
8 talking about the Federal government playing a central  
9 role.

10           Not to say that private standards efforts  
11 and protocol efforts haven't worked and haven't been  
12 an important component of it, but the Federal  
13 government needs to play a leading role, looking at  
14 existing telecommunications systems, including related  
15 software, peripheral and other associated systems,  
16 because making an overall system work with each other  
17 does not mean the same as having all the peripheral  
18 devices.

19           You could have a video conferencing system,  
20 and Eric pointed this out, but you have a video  
21 conferencing system that might work next to each  
22 other, but it won't necessarily have patient data that  
23 is encrypted, and the encryption will work back and  
24 forth, or it may not have a stethoscope that will be  
25 interoperable with another device that happens to work

1 with the system.

2           There is a lot of those nuances that are  
3 really critical as we move toward interoperability,  
4 and what all that means, and another recommendation is  
5 that not only should the Federal government be  
6 involved in helping to set the standards, but that  
7 Federal funding in the future needs to be tied to  
8 those standards, so that anyone receiving Federal  
9 funds would have an automatic requirement that those  
10 standards be met.

11           And, of course, that there would be funding  
12 that would be needed so that those agencies could meet  
13 those standards. Not necessarily getting to the point  
14 of saying that it is one system, or a series of  
15 different systems.

16           We are recognizing that there is a number of  
17 ways to achieve interoperability, and it has been  
18 talked about already here, and I think that is still  
19 open for discussion with the group, but I think a very  
20 important area.

21           Another recommendation is Federal and State  
22 interagency coordination. Once again, not surprising  
23 that we are going down the same road, but particularly  
24 looking at the need to establish a Federal  
25 coordinating body that brings together the leadership



1 of all the relevant funding programs.

2           And here we are talking about programs that  
3 fund things like the hospital preparedness grants, the  
4 help alert networks, emergency response systems, and  
5 even telemedicine, and to bring together in some kind  
6 of coordinating body that is not just meeting, but is  
7 actually empowered to develop pathways, shared  
8 priorities, and program designs, to actually change  
9 the programs, and not just meet and share information.

10           So the body itself, if they are going to  
11 meet, has to meet and has to be empowered to actually  
12 make change so that we do have a coordinated system.  
13 Occasionally it may take some congressional changes in  
14 some of the programs, but quite often what it needs is  
15 really administrative work within the Federal agencies  
16 to make a lot of these programs work together.

17           CHAIRMAN BUGEL: So just for a moment. So  
18 basically you are proposing a committee that has some  
19 form of delegated authority, or under the jurisdiction  
20 of the FCC. NTIA has directed authority under  
21 statute. Not an independent --

22           MR. LINKOUS: Not an independent, but a  
23 Federal coordinating body that is not a -- it is a  
24 multi-agency, or cross-agency body, made up of  
25 officials within the agencies that meet together.

1           CHAIRMAN BUGEL: Okay.

2           MR. LINKOUS: But have themselves empowered  
3 from each of the programs to develop draft changes and  
4 bring those changes or recommendations back to the  
5 agencies to be enacted.

6           CHAIRMAN BUGEL: Okay.

7           MR. LINKOUS: The next recommendation deals  
8 with help information technology, and that although we  
9 are now talking about an application that fits into an  
10 emergency communications system, we have found that it  
11 has been so integral to developing a coordinated  
12 response, particularly in times of disaster, which is  
13 where we are focusing, is much as on a day to day,  
14 just as an emergency situation, and that you really  
15 need electronic medical records kept updated and in  
16 time, together with a system to share that  
17 information.

18           There has been a lot of work in the Nation  
19 over the last few years to look at electronic medical  
20 records. I think the progress to date has been a  
21 little frustrating by all involved, including the  
22 leaders of it.

23           And one of our recommendations is to take a  
24 step back, look at the initial steps that can be taken  
25 to achieve some form of at least a very minimal set of

1 patient data and corresponding protocols for sharing  
2 that data.

3           We may not be able to get to the point of  
4 having a uniform electronic medical record in this  
5 system like we talked about the VA system, because we  
6 don't have a unified health care system that has a one  
7 payer system.

8           We have a multiplicity of programs, of  
9 agencies, of applications, and certainly software  
10 programs, and there is a huge difference in terms of  
11 how those are being applied, and who actually has  
12 something in place.

13           So we are looking for some kind of a minimal  
14 set of information that is needed for sharing in a  
15 disaster or emergency situation, and have that  
16 identified, and how an individual institution develops  
17 that.

18           And how that integrates into their own  
19 individual health care system, or their electronic  
20 system, is up to them, but that you have a certain  
21 common parameter, and that those agencies be empowered  
22 to have that and that the Federal government has a  
23 role to play to identify a minimal set of uniform  
24 data, and require that that data be maintained in an  
25 electronic format by all health care institutions

1 receiving Federal funds.

2           And that that data be shared with the  
3 appropriate officials during a declared disaster so  
4 that we don't have the situation that has occurred to  
5 us time and time again in all sorts of situations.

6           And our committee has talked about anything  
7 from Hurricane Katrina to the fires in California, to  
8 the earthquakes, and to many other disasters along the  
9 way, where we have repeated the same problems.

10           So we are looking at that as at least an  
11 interim step, and certainly we would also support the  
12 tracking, the patient tracking, resource management,  
13 and patient identification systems. That is already  
14 under way.

15           The work that Kevin had referred to, in  
16 terms of patient tracking systems, but that those  
17 systems, when they are being deployed, be developed in  
18 a way that shares the information in a unified way,  
19 involving the hospitals, the public health agencies,  
20 other health care institutions, along with EMS, and  
21 public safety, and that it be a system that is not  
22 done.

23           DR. KAPLOWITZ: And the medical examiner.

24           MR. LINKOUS: And the medical examiner.

25 Very good. Thank you very much. As well as nursing

1 homes, which is another group that was brought up. I  
2 think there is a real important -- and when we talk  
3 about patient tracking and identification, I think the  
4 widespread deployment and use of that information has  
5 really been critical, and pointed out by many members  
6 of the community.

7           CHAIRMAN BUGEL: So to go back just one  
8 step. Relative to this common thread, instead of  
9 having -- and what you are saying, and I think what I  
10 am hearing from both you and Kevin, is not a broad  
11 ribbon, but a thin ribbon so that people can glom onto  
12 that one common ribbon that is lacing its way through  
13 all this stuff, instead of -- well, I don't know. Is  
14 that placed wrong?

15           MR. LINKOUS: Is the ribbon referring to the  
16 dataset?

17           CHAIRMAN BUGEL: Yes.

18           MR. LINKOUS: Okay.

19           CHAIRMAN BUGEL: Yes.

20           MR. LINKOUS: Sure. Sure.

21           CHAIRMAN BUGEL: You will take that?

22           MR. LINKOUS: Yes, that's good. I like  
23 that.

24           CHAIRMAN BUGEL: Okay.

25           MR. LINKOUS: The ribbon approach?

Heritage Reporting Corporation  
(202) 628-4888

1           CHAIRMAN BUGEL: Well, it is common. Mike  
2 and I have talked about it, and Kevin and I have  
3 talked about it, and you talked about it, and  
4 basically instead of saying that it has got to be like  
5 this, and it can only be like this, which guarantees  
6 no participation.

7           MR. LINKOUS: Yes.

8           CHAIRMAN BUGEL: Make it very thin and  
9 participation will vary, but at least there will be  
10 some common element.

11          MR. LINKOUS: I think we have set our goals  
12 and objectives far too broadly on this, and that we  
13 are looking to have some form of unified system that  
14 goes across the Nation, and we are not going to get  
15 there, and if we are going to get there, it is not  
16 going to be any time soon.

17          CHAIRMAN BUGEL: We won't put that on the  
18 tee-shirt.

19          MR. LINKOUS: Not even on a bumper sticker.

20          CHAIRMAN BUGEL: Okay. And going back to  
21 your second recommendation, and I am glad that our  
22 friends with NTIA and the FCC are here with us today,  
23 because here is a question that I have.

24                When you and Kevin say placing Federal  
25 funding attached to requirements and standards, we had

1 a recent experience in the last 12 months relative to  
2 the billion dollars of interoperability funds.

3           But basically Congress took that message and  
4 bucked it to NTIA, which basically presented the same  
5 problem, but just at a different level. Are you both  
6 recommending a more granular approach to the  
7 recommendations at a statutory level, or is that still  
8 up to you or is that still delegated to the expert  
9 agencies?

10           MR. LINKOUS: This is a report that is going  
11 to Congress ?

12           CHAIRMAN BUGEL: Right.

13           MR. LINKOUS: So I am assuming the  
14 recommendations that we are developing are directed at  
15 Congress for their action. Is that correct?

16           CHAIRMAN BUGEL: I absolutely agree, and I  
17 think that program is a good example, having been  
18 there as the matrix was developed by SAFECOM for the  
19 template for public interoperability communications  
20 plans, and very interesting to watch as we very  
21 specifically said in one part of that template that  
22 these agencies will be involved; police, fire, EMS,  
23 hospitals, et cetera, et cetera, et cetera.

24           And then to watch how that was applied in  
25 real in the directions that were given to me as a

1 grant reviewer, it was absent. So we need to be more  
2 granular at a higher level, yes, if we are going to  
3 see these parties represented adequately.

4 MR. ADAMS: I think also what you are saying  
5 is that you need to have or the policy statement  
6 shouldn't have the amount of flexibility that most of  
7 them have, that you can go so far to the right and so  
8 far to the left. It should be tightened up a little  
9 bit more.

10 So whenever you make the policy that it has got to  
11 stay within that bar or whatever.

12 MR. LINKOUS: And that leadership has got to  
13 come from the top.

14 MR. ADAMS: Yes. Yes, I see that.

15 CHAIRMAN BUGEL: Okay. Thank you very much.  
16 That is very good. Thank you very much. Any other  
17 questions or comments from the committee?

18 (No response.)

19 CHAIRMAN BUGEL: Anybody on the phone?

20 (No response.)

21 CHAIRMAN BUGEL: NTI or the FCC?

22 MR. LINKOUS: Yes, I would like to mention  
23 our fourth priority if I could before I wrap.

24 CHAIRMAN BUGEL: I already have four.

25 MR. LINKOUS: Well, the data is all within

Heritage Reporting Corporation  
(202) 628-4888



1 three. It was a typical Washington slip, and you were  
2 very accurate in identifying that. That was good.  
3 Our fourth of the large priorities -- and I can share  
4 the other ones later on with folks -- is integrating  
5 telemedicine and other networks in the emergency  
6 communications.

7           And this deals really with two parts. One  
8 is -- and this is directed at the commission, this  
9 particular finding, that the commission programs  
10 should be accompanied by efforts to ensure  
11 coordination between the projects, including sharing  
12 our best practices and interoperability between  
13 systems, and the development of expertise in emergency  
14 and disaster response.

15           So it is a lot of recognition of what is  
16 going on, but really looking at that if it is not done  
17 right, we are still in danger of having parallel  
18 networks. So looking at how these systems work and  
19 how these systems interplay with other existing  
20 networks that are already out there, including the  
21 health alert networks, for example, or the emergency  
22 response networks.

23           So that is the high priorities. There were  
24 other recommendations dealing with network system  
25 design, using advanced telecommunications capabilities

1 within the Federal emergency response agencies, which  
2 have been referred to earlier, but really integrating  
3 advanced technology capabilities in certain things  
4 like the DMAT teams, the FEMA teams, CDC, et cetera.

5           The inclusion and coordination within  
6 telecommunications systems themselves, and finally  
7 expanding and integrating the existing threat  
8 communications systems, including the bio surveillance  
9 systems.

10           CHAIRMAN BUGEL: Dr. Kaplowitz, is there  
11 anything to add?

12           DR. KAPLOWITZ: Just to emphasize what has  
13 been said already about policy set at a high level,  
14 because I see what happens when the funds come to the  
15 States, and there is a lot of flexibility there, and I  
16 can tell you that EMS, public health, and health care,  
17 have definitely been in a sense almost out of the  
18 picture.

19           We have been included because we scream and  
20 yell, and scream and yell, but not recognized as a  
21 significant component of communications systems that  
22 are put in place. It really has to come from a high  
23 level.

24           When we have to talk about money, and  
25 sharing of funds, it can be very difficult if there

1 aren't some criteria set high up.

2           CHAIRMAN BUGEL: Yes, sir?

3           MR. ADAMS: In the past, whenever the  
4 Federal government has given money down to various  
5 programs and stuff, they go down to the State and say,  
6 State, I am not going to tell you how to do this.  
7 Here is the money.

8           You see, what the Federal government needs  
9 to do is they need to say, okay, these are the  
10 guidelines for which you use this money for, and  
11 tighten it up and make sure that it is used for that,  
12 and there should be some measures taken to measure  
13 that by before they get appropriated.

14           CHAIRMAN BUGEL: Again, without the benefit  
15 of the entire committee seeing each working group's  
16 reports, and not only the reports, but the substance,  
17 the background information.

18           And Mike, I am going to ask you, too, at the  
19 same time, do we feel we have enough? I know that we  
20 have enough volume, but specificity in terms of in  
21 order to have this money related to standards and  
22 requirements, are the user needs in the communities of  
23 interest well enough represented?

24           Have we identified the users' needs, the  
25 public health, and emergency medical?

1           MR. ROSKIND: Well, first of all, I really  
2 like some of the suggestions, and the part about the  
3 consolidating of the different grants. That is one of  
4 the things that we are working on within the Federal  
5 government to make sure that we have common agreement  
6 on grant guidance to maximize effect.

7           Developing matrixes in this area is a key  
8 issue for the Congress, and for the Secretary of  
9 Homeland Security, understanding what matrix might be  
10 created, and then trying to define meaningful  
11 matrixes, and not matrixes for the sake of matrixes,  
12 and that is the greater challenge.

13           In terms of identifying needs for the  
14 individual user community, some of the things that you  
15 are saying, especially with respect to the State  
16 communication interoperability plans, and its  
17 alignment with the National Emergency Communication  
18 plan, my hope is that because you have met, we will be  
19 able to incorporate and make sure that you are not  
20 lost in the mix of people who are fighting for the  
21 same set of communication capabilities.

22           And you actually are, and that was my point  
23 about the earlier statement that on the communication  
24 capabilities of medical are somewhat unique, but  
25 underlying it are varying cross-cutting across every

1 emergency activity, and making sure that we consider  
2 the specific needs of the emergency medical and health  
3 care community is I think critical to developing a  
4 meaningful plan.

5           And I think you are defining some of those  
6 needs to be real honest with you, and there will be  
7 opportunities to do two things. One is have the input  
8 into the plan, and I can guarantee you that, because  
9 we are writing it, and having it gain consensus.

10           Well, Kevin McGinnis is the vice chair of  
11 SAFECOM, which is a major player, or which will be a  
12 major player in the development and approval of the  
13 plan. And then over time the plan will get updated.

14           So the idea is that there will be a national  
15 emergency communication plan, and the State  
16 communication interoperability plans will align with  
17 the National Emergency Communication Plan.

18           And in defining how our funds are going to  
19 be spent, we develop grant guidance within the Office  
20 of Emergency Communication for the emergency  
21 interoperable grant program, and we put those into a  
22 set of grant requirements.

23           Now the idea of coordinating those grant  
24 requirements which FEMA is administering, which we  
25 write the guidance on with the other activities, and

1 creating an interagency consortium, is a very valid  
2 recommendation that I think would be well accepted  
3 across the different communities. What would you  
4 think, Kevin?

5           So the truth is that we are trying to refine  
6 processes, and we have had a billion dollars in grants  
7 this year, and it is arguable that there wasn't enough  
8 involvement, easily arguable with the emergency  
9 medical and health care community.

10           We are going to have a billion six  
11 potentially, assuming it all funds over the next  
12 couple of years, and again, if we are going to have an  
13 impact on those funds, along with the 700 million from  
14 the Department of Justice, along with the EMS, and the  
15 public, and the National Institutes of Health type of  
16 programs, I think the idea of consolidating makes a  
17 lot of sense.

18           MR. GRIFFIN: This is something that I just  
19 thought of, and so I am sorry that I have not shared  
20 this with you first, but one thing that I really want  
21 to emphasize, and I hope that we can consider this in  
22 our report, is making sure that we build in  
23 sustainability in whatever recommendations that we do.

24           We can't keep paying for these communication  
25 systems off grants. It is going to run out at some

1 point.

2           MR. ROSKIND: That was one of the  
3 considerations that SAFECOM made, and we actually have  
4 been talking about that, and in an additional way,  
5 your suggestion that it is embedded in the governance  
6 and SOPs already.

7           It is not just sustainability. It is sort  
8 of this business modeling behind it, a sustainable  
9 business model, which allows the communications to  
10 continue I think is critical.

11           CHAIRMAN BUGEL: Questions? Mr. Corry.

12           MR. CORRY: You know, the question that you  
13 originally asked about the individual requirements is  
14 something that I have been here stewing about for most  
15 of this meeting, because I am concerned that we are  
16 operating at such a high altitude that we may have  
17 lost sight of a day in the life of a back end of an  
18 ambulance, a day in the life of an emergency room, a  
19 day in the life of a health department trying to  
20 communicate with CDC.

21           And do we have to -- you know, there were  
22 three of us in the room that chuckled about the  
23 analogy of the use of force continuum. And Roman's  
24 analogy rang true for three of us in the room, but for  
25 the folks in the room who have never carried a gun for

1 a living, the analogy was completely lost.

2           And I am concerned, and I don't know how we  
3 write it, but somewhere in this final report to  
4 Congress surely we must relate the practical problems  
5 in the back end of that ambulance, and in the  
6 hospital, and in a health department, to tie these  
7 very high altitude recommendations to the end-users  
8 practical requirements.

9           And I think it started as I have sat here  
10 listening to the Verizon presentation on the car  
11 crash, and coming through there, and I sat there  
12 thinking wouldn't it be wonderful if that was the way  
13 it was to be in the back end of every ambulance in the  
14 United States.

15           But, oh, my god, we could go on for another  
16 20 minutes on the barriers to that. Am I making any  
17 sense? Do you know what I am trying to say?

18           CHAIRMAN BUGEL: No, no, I was just going to  
19 jump on your comment. Go ahead.

20           MR. MCGINNIS: I think you made a good  
21 point. I think that there are folks around the table  
22 who do spend time in the back end of an ambulance, or  
23 in an emergency department, and have done that and  
24 continue to do that.

25           And I think or I know that I have a

Heritage Reporting Corporation  
(202) 628-4888



1 different picture than what I saw on the screen as to  
2 what the back end of my ambulance is going to look  
3 like and what the communications are going to be.

4           My view may not be any more valid than that  
5 one. The problem is that all of those independent  
6 views of what the future of EMS communications might  
7 be can't be reflected in this report necessarily.

8           So we do have to come to a higher level, and  
9 I am feeling actually pretty comfortable with the  
10 types of things that we are talking about, and as  
11 reflecting and making my view of the future possible,  
12 just as well as the Verizon view of the future is  
13 possible, you know.

14           And I think that we do have to get to a  
15 higher level if we are going to have any impact on  
16 Congress. Now that having been said, I think you are  
17 absolutely right.

18           In the document, we have got to make sure  
19 that we keep a track of the paramedics experience, and  
20 the emergency room physician's experience to those  
21 high level observations or recommendations.

22           CHAIRMAN BUGEL: And that's the point that I  
23 would really like to make to the committee, and the  
24 chairs, and vice chairs right now, is that I don't  
25 think that we have the time to go through the catalog

1 and litany of all of the requirements, specific user  
2 requirements.

3           However, as we are consolidating the report  
4 and consolidating the recommendations, it is probably  
5 going to be that the project management group will  
6 come back to the committee members for more  
7 illustrative examples to draw down exactly all the way  
8 to that door, and so that is what I want to help  
9 prepare the committee for. Yes, Dr. Kaplowitz.

10           DR. KAPLOWITZ: Yes. We tried to build in  
11 even some concrete examples, and I think that would be  
12 essential when you are doing a report to Congress.

13           CHAIRMAN BUGEL: Right.

14           DR. KAPLOWITZ: For example, patient  
15 tracking, and what difference it would have made at  
16 Virginia Tech.

17           CHAIRMAN BUGEL: Yes.

18           DR. KAPLOWITZ: People can understand that  
19 when they are trying to track where their loved one  
20 is. It kind of clicks in their minds.

21           CHAIRMAN BUGEL: Right.

22           DR. KAPLOWITZ: And I do think it is very  
23 doable to set goals and some measures at a high level,  
24 and then have the details worked out more at the State  
25 and local level.

1           It has been done for a number of grant  
2 programs, and the one that I think has worked the best  
3 is the hospital preparedness program, which has  
4 evolved over the years, and as certain goals are met,  
5 they then move on to the next step.

6           And it is left really still to the States  
7 and localities to figure out how you are going to meet  
8 that goal of a certain medical server. You know, it  
9 really can be done, where you acknowledge that a lot  
10 of things will have to be worked out at the State and  
11 local level to make this work.

12           CHAIRMAN BUGEL: Right.

13           DR. KAPLOWITZ: But this is what the goal  
14 that we have ultimately.

15           CHAIRMAN BUGEL: This is the goal that we  
16 have, and this is the technology/policy  
17 recommendation/network of networks, IP based, and the  
18 common thread. You know, all of those laced into one,  
19 but to get that illustrative example is to get that  
20 very crystallized to your point.

21           DR. KAPLOWITZ: Yes. You are required to  
22 have a system in place where you know where somebody  
23 is from the time that they are picked up from where  
24 the Minnesota bridge collapses, to where they are,  
25 such as to the medical examiner in a hospital.

1           MR. CORRY: Well, this goes back to the  
2 original comments that I made in our first meeting,  
3 where what is the definition of a network, and our  
4 mandate is to come up with communications  
5 requirements.

6           And once again, sitting in my seat, I guess  
7 I am sitting here thinking about what it has been like  
8 in the back end of an ambulance when I had people  
9 yelling at me, and the hospital wants to know would  
10 you come up on the air, and they want to know what the  
11 condition of the patient is, and I am yelling at the  
12 driver saying that he has cardiac arrest, and tell  
13 them to leave me alone. I am involved in CPR.

14           And my only form of communications was  
15 yelling through the window at the driver to pick up  
16 his microphone. I was too busy to actually do any  
17 communicating on my own from the back seat.

18           And I think it is very important that we --  
19 well, the issue is what does the guy in the back of  
20 the ambulance need. He needs some operability. So  
21 that goes to coverage. I need coverage where I am  
22 going to be.

23           I need the ability to talk and I need the  
24 ability to transmit and receive data. Those are my  
25 communications needs, similar to every other public

1 safety agency, but now I am tying that need to any  
2 number of specific examples in the day of the life of  
3 a guy in the back end of an ambulance, or somebody who  
4 is on the other end of what that ambulance is  
5 transmitting in the emergency room.

6 I think that it is really important for us  
7 to tie the practical requirements of those people in  
8 the end-user seats to our larger recommendations. I  
9 guess that is what I am trying to say.

10 I am not condemning Verizon. It was  
11 beautiful. I would love to see every ambulance with  
12 this capability. That's what I was trying to say.

13 CHAIRMAN BUGEL: I agree.

14 MR. CORRY: Otherwise, our committee's  
15 report ends up just being more white noise on Capital  
16 Hill, unless we give them an attention getter.

17 MR. GRIFFIN: I know that it has been  
18 discussed in our -- well, at least in the public  
19 health committee, exactly what has been from the end-  
20 user perspective. And there has been numerous white  
21 papers and everything that has been filed on how to  
22 operationalize our conceptual framework.

23 CHAIRMAN BUGEL: Basically my point is as I  
24 said earlier, that is probably something where we  
25 would come back and draw down to make that point

1 specifically.

2           MR. DELAHOUSEY: As we proceed, and I hope  
3 that we do keep these comments in mind, because there  
4 is a great diversity of types of EMS, and three  
5 hospital EMS that is being provided today.

6           There will be some systems that will take  
7 advantage and can afford to use all the technology  
8 that we have seen, and that is good. But there are  
9 still ambulances that arrive at hospital emergency  
10 departments today with no advance notice, with  
11 critical trauma patients, and no advance notice.

12           And a lot of these efforts over the years  
13 have been or have seemed to be beneficial, but when  
14 you talk about things, and allowing EMS to use some of  
15 the 700 megahertz bandwidth, while that may sound  
16 good, if that were to happen today, the likelihood of  
17 ambulance services being able to afford equipment that  
18 is going to be able to come up on that network, that  
19 is probably just going to create additional problems  
20 rather than resolve those problems.

21           There are very few systems that can afford  
22 to purchase that type of technology. So making the  
23 funding available is one thing, but then putting  
24 restrictions on that funding, and the billion dollars  
25 that was going to be released, and that was released

1 this year.

2           Initially, it was going to be earmarked that  
3 it can only be used for systems of 700 megahertz, and  
4 that is going to once again ensure that probably EMS  
5 is probably not going to play a role in that, because  
6 they don't have the funds to purchase that new type of  
7 equipment.

8           Now adding deadlines and other ways to make  
9 a transition from VHF and 800 megahertz to allow some  
10 of the gateways that Raytheon talked about to allow  
11 that to happen, I just hope that we keep that in mind,  
12 because there are very few systems that will be able  
13 to afford EMS systems.

14           And probably even fewer hospitals that will  
15 be able to purchase that type of technology. So  
16 setting some goals is good, but addressing the needs  
17 of the guy, the paramedic in the back of an ambulance  
18 today is even I think more important.

19           CHAIRMAN BUGEL: Thank you. Yes?

20           MR. WILGIS: I just have one comment that we  
21 try and keep in focus as we are drawing down these  
22 examples, and that is that we not get pigeonholed into  
23 thinking of the day to day operations of EMS, and that  
24 we take it back to a mass casualty incident, or a  
25 disaster like Katrina, and that we try and think

1 beyond the scope of getting the pre-hospital and  
2 community health issues from the ambulance at the  
3 scene to the door of the ER.

4           And that we think of other communication  
5 capabilities for hospitals. That is, incident  
6 command. We are being asked to think of how we surge  
7 hospitals. Well, that includes patient tracking and  
8 bed tracking.

9           So hospitals need to have a capability and  
10 capacity to talk to one another in this. So, you  
11 know, I think we need to kind of go beyond and broaden  
12 our scope on some of those examples, to include some  
13 of those other disaster type examples of where  
14 communication is needed.

15           CHAIRMAN BUGEL: Right, and that really  
16 speaks to the points that I raised earlier today about  
17 the networks, the network of networks. Mr. Roskind.

18           MR. ROSKIND: First of all, I would like to  
19 thank everybody on the technology integration working  
20 group, and my co-chair, Jim Corry, and I want to thank  
21 your service with the Secret Service.

22           On behalf of Secretary Chertoff, and Deputy  
23 Under Secretary Jamison, and Assistant Secretary  
24 Garcia, I want to thank everybody in the room for  
25 their support of FCC and NTIA, and for the help of the

Heritage Reporting Corporation  
(202) 628-4888



1 FCC and NTIA staff on allowing this to occur.

2           The first thing is that I want to give an  
3 update on the Office of Emergency Communication. I am  
4 now officially the deputy director as we now  
5 officially have a full-time director, Chris Esset, who  
6 comes from the Commonwealth of Virginia as the  
7 Interoperability Coordinator, and just came on board  
8 about a week ago.

9           So I want to thank Jim for his continued  
10 leadership. It is actually a lot of fun watching Jim  
11 work. I am learning a lot about how to be a thorough  
12 professional by watching Jim. So I appreciate your  
13 service. Thank you.

14           CHAIRMAN BUGEL: Yeah.

15           MR. ROSKIND: It has been a little over two  
16 weeks  
17 and in that time our technology integration work has  
18 wrapped up and completed its draft. I would like to  
19 take the opportunity to bring you up to date on what  
20 our work has been up to.

21           In phase one, we focused our effort on data  
22 collection, and we tried to determine technologies  
23 available, and what technology is currently in use by  
24 the Emergency Medical Responder and Public Health  
25 sector.

1           For successful completion of phase two, our  
2 group moved into an active draft compilation approach.  
3 We set target assignments to meet our goals. Our  
4 group is continuing to utilize this approach in an  
5 effort to keep everyone on task and on deadline.

6           Additionally, we have used the SRA touchtone  
7 portal for document management and interaction. So  
8 the relevant technologies. There is an abundance of  
9 technology that we all have taken a look at.

10           More information on the technology will be  
11 included in our TIG draft. Colonel Ebbert, I want to  
12 thank you for your service. Are you still on the  
13 line, sir?

14           MR. EBBERT: I'm here.

15           MR. ROSKIND: Okay. It is a lot of fun when  
16 you get to work with people like the Colonel, who is  
17 one of the foremost subject matter experts on the  
18 issues that Mr. Wilgis just alluded to, is what  
19 happens when things really go sideways on you, and a  
20 person who has thorough knowledge of what it looks  
21 like to have your infrastructure collapse, and then to  
22 reconstitute, redevelop, and develop policy.

23           Okay. The next week, we split into three  
24 groups, with a goal of assessing an aviation  
25 components medical emergency response. It was one of

1 the areas that our group was interested in discussing,  
2 and identified this as an important component to be  
3 included in the final TIG draft.

4           There is a section of a need for networks  
5 with lower latency, which Colonel Ebbert and Curt  
6 Bashford have been working on, and emphasizing the  
7 importance of increased bandwidth throughout the  
8 mobile client interface to ensure conductivity.

9           Since the technology integration group is  
10 ready to draft by the end of the week, we don't have  
11 our summary points, but we will have that in our draft  
12 to the Chair. TIG members will have the ability to  
13 review to make edits through the use of the portal  
14 that I discussed.

15           One of the comments that keeps coming up is  
16 how do we tie everything together. What is the glue  
17 that might allow this to occur. There is an effort  
18 within the Federal government to create a national  
19 command and coordination capability, which is  
20 continuous communication from the States to the  
21 President, and they are looking at methods of how  
22 would you actually create that conductivity all the  
23 way from State, and local, and tribal, and all the way  
24 to the President in a fashion which would be useable  
25 and scalable by the different sectors.

1           For example, what would be the glue that we  
2 might use, and we have been discussing this with the  
3 Chair, and with several of the members, that a common  
4 issue is this common operating picture.

5           If you read the Katrina report, you will see  
6 the reference to the common operating picture six,  
7 eight, or ten times in there. That the inability to  
8 maintain the situational awareness and use the two  
9 basic functions of emergency communication, which are  
10 where did something happen, and when did it happen,  
11 and track that through the process.

12           And where in the case of an emergency  
13 medical crisis site, which might be a traffic accident  
14 to a police officer, might be the mobile tracking of a  
15 victim through the emergency medical system.

16           And creating the ability at some core level  
17 to do that data exchange, and then allowing these  
18 technologies to frame round it as a national strategy.  
19 These are things that are being considered, but the  
20 point is that the country wants a solution.

21           I think that everybody in the room is in  
22 agreement on this, and the trick to a solution is to  
23 get some core roof foundational probers that are  
24 common across all sectors in emergency communication.  
25 And this common operating picture is something that

1 has been in use by DoD and proven in emergency  
2 management at higher levels.

3           It is the extension of the common operating  
4 picture all the way to your dismounted responder at a  
5 core level that can potentially provide a strategy to  
6 build off of.

7           It does not answer all of your problems, but  
8 at least it creates conductivity, the glue between  
9 emergency services communications, and as you create a  
10 common operating picture, it unloads your voice  
11 circuit.

12           If you are transmitting your position in a  
13 blue force tracker, which is one of the titles that  
14 Defense calls it. The idea is to track yourself and  
15 GPS. How many people in the room have GPS, own a GPS?

16           (A raise of hands.)

17           MR. ROSKIND: Okay. So the idea is that  
18 your computer knows where it is automatically. Taking  
19 that position and exchanging it through a set of  
20 business rules that would be allowed by a governance  
21 group, possibly by the governance group that you are  
22 describing, could facilitate interoperability at a  
23 very core level.

24           And again the business model behind it is  
25 what needs to be considered and matured, the creation

1 of a system that might sustain from year, to year, to  
2 year, is critical for integrators to come in and  
3 develop strategies.

4           NCIC is a model that we are looking at, the  
5 National Criminal Information Computer, and the  
6 inlets, and the governance group procedures on  
7 processes that have been successful and might be  
8 translated into this environment to create a core  
9 capability. And again this is just something that we  
10 are talking about, and it is not mature.

11           Okay. The next step is there is a  
12 conference call on December 20. The draft will be  
13 submitted by the end of the week. Now one of the  
14 things is that there is a bunch of interesting  
15 innovative solutions on the back end of this also that  
16 I think Verizon and I think AT&T, IP based multi --

17           CHAIRMAN BUGEL: Internet and Multimedia  
18 Subsystems.

19           MR. ROSKIND: Right. Multimedia -- that's  
20 what I was going after -- Subsystems is an example of  
21 connectivity, and different vendors, and major  
22 corporations are looking at, and how you might bring  
23 this together.

24           So there is sort of a high end of how we can  
25 integrate all of our devices, but maybe a strategy

1 underneath that we could leverage at a very core  
2 level, because at some point it is the ability to  
3 communicate at all that is missing, and not just the  
4 ability to communicate vast amounts of information,  
5 and that there is no conductivity across the  
6 enterprise.

7           Now the TIG numbers will get a chance to  
8 review, edit, and change the draft from our group  
9 before we submit to Jim. Are there any questions?

10           CHAIRMAN BUGEL: Yes. Let me just take a  
11 moment to talk about some of the technology issues  
12 that I and others have discussed with Mike and members  
13 of the Technology Integration Group.

14           As Verizon brought up earlier today the  
15 Internet 2.0, and I wanted them to define that. These  
16 are not company specific or vendor specific protocols  
17 or standards. This is the next enhancements of the  
18 internet globally.

19           What is happening to internet architecture,  
20 IP internet protocol architecture, is that it is  
21 getting flatter, and this is happening globally. And  
22 when the architecture gets flatter, it gets simpler,  
23 and it gets more universally applied.

24           Instead of having, for example, in the  
25 medical community, instead of having front-end

1 programming to make the application of -- you know,  
2 looking at x-rays at a distance in telemedicine,  
3 instead of having it have a front-end program, and  
4 then it hits the transport wing, and then it goes to  
5 the destination, and it has back-end programming, that  
6 is what is called elementary architecture, where  
7 actually that application is actually an element  
8 embedded in the architecture.

9           As it flattens out and you get into what is  
10 called internet multimedia subsystems, which is where  
11 we are going, and we are truly going from circuit  
12 switched to packet switched, and that's when EMS, and  
13 public health, any sort of national defense, any sort  
14 of transaction, is truly application based.

15           And it moves from front-end to back-end,  
16 across the internet or across the IP platform without  
17 interpretation. So you have greater accessibility,  
18 and you have greater transferability and  
19 interoperability, because more nodes are more common,  
20 both in the sending and receiving side.

21           With this comes incredible versatility.  
22 With this comes incredible security issues also. But  
23 what it does require is that it requires managed  
24 networks, networks of networks.

25           There are tremendous technological games in



1 lowering the -- basically increased access is what it  
2 is. It is increased access, but it is increased  
3 broadband access. So you have to have more broadband  
4 capabilities.

5           So those are some of the things that have  
6 been discussed with the Technology Integration Group  
7 as an overview. I have a question. Well, does  
8 anybody else have a question relative to this? Yes.  
9 Dr. Kaplowitz.

10           DR. KAPLOWITZ: As what you describe happens  
11 more and more, it makes the governance even more  
12 important, because you no longer have the technology  
13 as a barrier. That is the way that I am understanding  
14 it.

15           The technology will not be a barrier  
16 anymore, and so the pressure will be on how you set  
17 the rule.

18           CHAIRMAN BUGEL: Right.

19           DR. KAPLOWITZ: And I guess part of my  
20 concern comes down to making sure that we are really  
21 using incident command, and I have had this concern  
22 more and more that, yes, everybody wants to know what  
23 is happening all the time everywhere, but there is  
24 going to have to be a limit here, because we are going  
25 to have to define who is responding to what.

1           And that is starting to concern me more and  
2 more. Everybody may see that something drastic is  
3 happening, and you just don't want everybody sending  
4 their resources in.

5           CHAIRMAN BUGEL: It does. I mean, you are  
6 absolutely correct. I mean, there is the possibility.  
7 I don't want to break it down simply like this, but  
8 you could be receiving a bunch of x-rays, or x-ray  
9 spam. I don't know. Whatever you want to call it.

10           But basically you do have to work under  
11 governance. I mean, that is absolutely correct, but  
12 what it does, and what the technology enables is more  
13 accessibility, and that is really the real focus here,  
14 is accessibility to this, to the data.

15           Now do we know to talk to the dog catcher  
16 while something else is going on? That's a governance  
17 issue. Mike.

18           MR. ROSKIND: So the point of defragmenting  
19 in my mind is keeping just that simple section that  
20 nobody can disagree on. That is you don't have the  
21 simple ability to connect and communicate in very  
22 simple ways, you are toast.

23           And the common operating picture really in a  
24 lot of ways represents that. If we look at a mass  
25 casualty event, Oklahoma City, basically you have a

1 bunch of people, and you have three basic conditions.

2           One is normal operations, and the second  
3 condition we worry about is mass casualty, and the  
4 third one is a collapse of your facility, where you  
5 have to move everybody out of your facility and  
6 disperse them.

7           When you have a mass casualty event, it  
8 begins actually out in the field when the first people  
9 arrive, and knowing that you have an event, and then  
10 being able to communicate the scope and magnitude of  
11 the event, and reasonably have large numbers of these  
12 sorts of systems coming in, and that even if you  
13 failed in one car, you still have the other system  
14 arriving.

15           It is its own form of redundancy, but what  
16 you get out of it is the ability to potentially have  
17 the common picture of that event at multiple emergency  
18 rooms that allow you to disperse your casualty base in  
19 near real time.

20           And if you don't have that, you are really  
21 in trouble, and again if you just get down to this  
22 concept of what common thread across all areas that  
23 you don't have, are you really in bad shape, and lay  
24 that out as the national framework.

25           And build to integrate the other sector

Heritage Reporting Corporation  
(202) 628-4888

1 specific transport mechanisms and across that, and  
2 have the interface occur.

3           MR. LINKOUS: But a related problem to that  
4 -- and I agree with you. You need to have the common  
5 thread. But that thread can become very large,  
6 because we are talking about huge volumes of data, and  
7 having that data available everywhere may be  
8 important, but knowing what is important within that  
9 data is even more critical.

10           Within medicine, within telemedicine, for  
11 example, we are getting to the point where we can do  
12 24-7 monitoring of basically all vital signs coming  
13 from the body.

14           MR. ROSKIND: Right. So the strategy -- and  
15 again I used to do electronic welfare, but the  
16 strategy for a robust system is redundancy, and  
17 graceful degradation. So during normal operations,  
18 you might be able to have this capacity, but during  
19 the collapse mode, you might just have the common  
20 operating picture, which is almost nothing.

21           And having that graceful degradation built  
22 in builds in a set of resiliency and redundancy that  
23 is critical to national strategy. So if you had  
24 coverage in a city, where you have wideband coverage,  
25 and you have the proper footprint, you are good to go

1 on the types of technology that Kevin described, or  
2 you are describing, transmission of telemedicine and  
3 that sort of thing.

4           But when you have the collapse of the  
5 infrastructure in New Orleans with Colonel Ebbert, it  
6 is very critical that you just maintain that basic  
7 picture in order to track your resources, to  
8 distribute your workload, and to remove a tremendous  
9 amount of the volume of work from the voice structure.

10           That all of the information in the visual  
11 world is not being forced over the transmission of  
12 your radio, and then they have these systems that they  
13 are deploying, but they are deploying in a fragmented  
14 manner. That if there could be just one layer that  
15 might be deployable, it might be this layer.

16           MR. LINKOUS: One nuance I would put on to  
17 that. What is critical for one group is not going to  
18 be areas that are critical for another. For an  
19 incident commander, you are absolutely right in New  
20 Orleans that is what was needed. For the public  
21 health agency, it may be a different set of data.

22           MR. ROSKIND: And in fact, I would argue  
23 with public health, and their monitoring program, and  
24 that in actually doing analysis of your breakout  
25 there, they are very common operating picture

1 oriented, and that you have events that are location  
2 specific, and you have quarantine areas, and you have  
3 the assimilation of these crisis sites into a greater  
4 picture that gives the public health authority a near  
5 real-time picture of what the scope, the magnitude.

6           For example, how far might a person who is  
7 infected travel, and that time-distance relationship.  
8 Those are best handled in a visual environment.

9           DR. KAPLOWITZ: But then I think, or I  
10 guess, my concern becomes the understanding of who is  
11 responsible for what in the response. You know, what  
12 are the localities responsible for, or when does the  
13 State step in, or when do the Feds step in. That is  
14 going to make that even more critical when everybody  
15 has access to the same information.

16           MR. ROSKIND: Right. One of the things that  
17 I did was with the National Sheriff's Association  
18 working as a deputy sheriff was an analysis of whether  
19 with Corel that we should accept a broad license, and  
20 that everybody in the country would accept a license  
21 for law enforcement from Corel WordPerfect.

22           And the analysis was in 1999 that 20 to 40  
23 percent of the agencies could not open a Word document  
24 at all. So the issue is sometimes very simplistic.  
25 Now getting back to what you are saying, that

1 sometimes very thin information, that is another  
2 benefit.

3           If you are going to set governance and the  
4 Department of Defense is killing themselves over this,  
5 and they have 20,000 requirements, and they have five  
6 agencies, but they have to agree on 20,000  
7 requirements.

8           If we could just agree on 8 or 10, you have  
9 a chance at setting a governance structure in motion,  
10 and then once the governance structure is in motion,  
11 you have the ability to set a chance process in  
12 motion.

13           The trick is that what is missing is the  
14 ability to communicate at a very foundational level in  
15 any way. The only comment communication device in all  
16 emergency vehicles was the ERG-2000 when I looked, and  
17 that is the HAZMAT guide book.

18           There is no conductivity. That is a missing  
19 critical infrastructure. The ability at any level to  
20 communicate in data in a structured environment is a  
21 missing structure.

22           CHAIRMAN BUGEL: Okay. All right. Mike, I  
23 look forward to you all getting your high level  
24 recommendations together and findings, and share them  
25 with the rest of the group.

1           And we will have more discussion obviously  
2 as we continue to jell these all together, but you  
3 know, I completely understand what your point is. You  
4 just don't want this floodgate to open.

5           Well, with Jim's point, I am dealing with  
6 patients, and I don't need terabytes of data coming in  
7 that isn't relevant.

8           MR. MCGINNIS: But those types of things  
9 change with the incident. We are talking about  
10 everything from day to day to mass casualty. So on  
11 the day to day, my future picture is unlike Verizon's,  
12 but I think addressing your concerns about doing CPR  
13 with people hassling you for information.

14           I want to place a monitor on the patient's  
15 chest, and I want to do speech recognition technology,  
16 and describing what is going on. That does into a  
17 database. I want to have the vital signs going into a  
18 database, and I want to have a camera looking at the  
19 patient.

20           And then when the doctor gets good and  
21 ready, they can go and look at those databases and not  
22 talk to me. That is my picture of the future in an  
23 ambulance. Now if I have a hundred patients, and  
24 there are patients to monitor, which goes into the  
25 shelf.



1 I don't do any speech recognition, anything,  
2 and the video cameras get maybe turned to the outside  
3 of the ambulance, okay? And suddenly we go from  
4 patient, doing patient one records like we do now, to  
5 disaster tag, except that it will be an electronic  
6 form of that in the future.

7 So all of those gigabytes or whatever get  
8 reduced to very small bytes. I mean, more like what  
9 you are talking about. So we have to realize that is  
10 what we are talking about in terms of scalability  
11 here.

12 CHAIRMAN BUGEL: Thank you, Kevin. As you  
13 can see, there are a lot of common elements, and as  
14 you can see, we do have a fair amount of continued  
15 work. Our goal is to assess the needs, the future  
16 requirements, and the transition, the technology  
17 transition of how to integrate these.

18 I mean, that is thematically our mission,  
19 and that  
20 that is how we will start basically. So again I want  
21 to stress that this is now the time to start looking  
22 across the committee at commonalities.

23 I really appreciate this exchange of ideas  
24 and concerns, because this is the deliberation that is  
25 necessary in order for everybody to help gel around

1 findings that do touch upon individual subsector  
2 concerns.

3           And there is all sorts of idiosyncrasies to  
4 the different disciplines that are involved in this.  
5 But it is all with one common network or base  
6 supporting technology that all of this is available.

7           And, yes, increased accessibility adds  
8 increased risk. I mean, that is just the way it is.  
9 But it is managed. It has got to be managed. So I  
10 will turn to NTIA and the FCC for any comments?

11           MS. FOWLKES: No.

12           CHAIRMAN BUGEL: Comments? Anybody else  
13 have any comments that you would like to make? Yes,  
14 Mr. Bashford.

15           MR. BASHFORD: Yes, just one, and  
16 perspective is very interesting, and seeing it from  
17 the different groups coming in, and Jim's comment  
18 about the need for examples to kind of bring this down  
19 to earth and see how it is done.

20           I see a lot of different facets of it. In  
21 fact, I did ride alongs in Tucson last week and doing  
22 EMS telemedicine from an ambulance to a hospital by  
23 voice-video data, and then going back to what our  
24 mandate is, and seeing how these perspectives are.

25           I think we do have to be careful not to get

Heritage Reporting Corporation  
(202) 628-4888

1 too caught up in some of these details, and how it is  
2 going to get managed, and how it is going to happen.  
3 Going back to the mandate, and you can read this three  
4 different ways, but we are really still talking about  
5 the pipes, the infrastructure, and how to allow this  
6 to happen.

7           How we are going to use it, and how it is  
8 going to go over, I think we all have ideas and  
9 notions, and some of it is going to stick and some is  
10 not.

11           CHAIRMAN BUGEL: Right.

12           MR. BASHFORD: And how it is going to get  
13 governed is going to evolve as it goes along, but the  
14 technology, and as the slide said earlier, this is all  
15 converging now. But if we don't get on the blueprint  
16 to get these pipes in place to allow us to use this as  
17 a tool for whatever the purpose, then that is not  
18 going to happen.

19           CHAIRMAN BUGEL: Yes, and I think that is  
20 important. But I think that it is vitally important  
21 that in our examination that we do explore areas that  
22 aren't explored by the mandate, which we have done,  
23 which these groups have done.

24           MR. BASHFORD: Yes.

25           CHAIRMAN BUGEL: And these working groups

1 have gone far deeper than the mandate requires.

2           MR. BASHFORD: And that is a very good  
3 perspective as to why.

4           CHAIRMAN BUGEL: And that is the credibility  
5 that will support this report, because if this report  
6 is just built on theoretical findings, it will be  
7 viewed as such, and so that is the important part.

8           I want to thank everybody for attending,  
9 both on the bridge and in person. I want to wish  
10 everybody happy holidays. I want to also prepare you  
11 for the arrival of what will be one of many probable  
12 drafts of our consolidated report, and I will be  
13 working with the working group chairs on that in the  
14 upcoming weeks.

15           And I am just trying to think if I have  
16 forgotten anything. I am looking around the room for  
17 visual subtle and not subtle reminders. And again  
18 everybody travel safe, and thank you very much. I  
19 appreciate it.

20           (Whereupon, at 1:19 p.m., the meeting in the  
21 above-entitled matter was concluded.)

22 //

23 //

24 //

REPORTER'S CERTIFICATE

CASE TITLE: Joint Advisory Committee  
of Communications Capabilities of  
Emergency Medical and  
Public Health Care Facilities

HEARING DATE: December 18, 2007

LOCATION: Washington, D.C.

I hereby certify that the proceedings and evidence are contained fully and accurately on the tapes and notes reported by me at the hearing in the above case before the Federal Communications Commission.

Date: December 18, 2007

Christina Chesley  
Official Reporter  
Heritage Reporting Corporation  
Suite 600  
1220 L Street, N.W.  
Washington, D.C. 20005-4018

Heritage Reporting Corporation

(202) 628-4888