

1 either risk factors or for incident diseases
2 shown in the EPIC study, but it really takes
3 a leap when you hit diabetes, and the same
4 thing has been shown with those categorical
5 studies looking at IFG versus IGT versus
6 type 2 diabetes, the risk is appreciably
7 elevated with IGT and IFG, but it's kind of a
8 1.1, a 1.2 -- you know, that's the kind of
9 magnitude risk; whereas when you actually
10 develop diabetes, it takes a leap up to two,
11 three, fourfold, which is what the
12 EPIC -- the graph I showed you from the EPIC
13 study.

14 So it really seems to take a leap
15 upward. Now whether that's related to the
16 glycemia itself or whether, as people go from
17 these pre-diabetic states to diabetic states,
18 they're older, hypertension, obesity, all of
19 those other risk factors are being added on
20 at the same time.

21 Does that answer in terms of the
22 shape of the graph?

1 MS. FLEGAL: Well, I guess my question
2 is, once you reach the diabetic level, is there
3 further increase with HbA1c within the diabetic
4 category? Once you reach that level, is the
5 risk the same at all levels? That's my
6 question.

7 DR. NATHAN: There really haven't been
8 good longitudinal studies that have looked at
9 that, so I don't think we have a sense as
10 to -- once you have diabetes -- you know,
11 glycemia gets worse with age, so you're going to
12 have a whole bunch of other confounding risk
13 factors for CVD as you get into that higher A1c
14 range. The question as to whether lowering
15 glycemia below -- in the sub-diabetic range and
16 lower, has of course never been answered. We're
17 going to hear about the ACCORD study and some of
18 the studies that have looked at lowering
19 glycemia within the diabetic range down to
20 lowish levels, and those results have been
21 summarized here.

22 They don't appear to give a benefit

1 for heart disease as yet, and in fact the
2 ACCORD studies suggested that there may be
3 some risk depending on the regimen used,
4 perhaps. I would say that, again, the reason
5 I didn't call this the natural history of
6 anything is of course, all of our patients
7 are being treated much more carefully, much
8 more aggressively for all of their other risk
9 factors, and it is in that setting that we're
10 starting to see in all of these trials lower
11 CVD of end rates.

12 I mean, it's a good thing. The
13 treatment of CVD has gotten actually much
14 more effective, but it has lowered the -- I
15 mean, it's had huge implications in terms of
16 sample size and power calculations for these
17 trials because the event rates in the placebo
18 treating groups or in the less-intensively
19 treated groups, for example, are considerably
20 lower, and within that therapeutic milieu, it
21 has been so far impossible to demonstrate a
22 benefit of glycemia treatment itself on

1 cardiovascular disease.

2 DR. BURMAN: Any other panelist have
3 questions?

4 DR. TEMPLE: The cardiovascular
5 community has been spoiled by how rapidly the
6 inventions it likes work. You start to see
7 benefits from lowering blood pressure lipids,
8 platelet drugs, within modest number of months.
9 Do you have any thoughts about how long the pure
10 cardiovascular effect of diabetes might take to
11 be either manifested or reversed? And I was
12 struck by your picture of the DCCT study. You
13 don't see any separation until about 12 years.

14 DR. NATHAN: Right.

15 DR. TEMPLE: Could that be part of the
16 difficulty, that whatever's going on, it isn't a
17 vascular problem like the others lead to, and,
18 therefore, it's hard to reverse? Any interest
19 or thoughts?

20 DR. NATHAN: So given time
21 considerations, I didn't put in, especially for
22 this sophisticated group, kind of the different

1 phases and steps of what's going on for heart
2 disease. I mean, we start off with
3 atherosclerosis. That takes years to develop
4 and starts probably very, very early, and then
5 you have plaque formation and the breakdown of
6 the plaque and thrombotic phenomenon, and then
7 finally you end up with a clinical event
8 associated with inflammation.

9 And at any one of these stages, of
10 course, there are probably different
11 mediators of those different stages of
12 cardiovascular disease. In the DCCT,
13 frankly, we were talking about a population
14 that started in an age range, effective age,
15 that was so low that you wouldn't expect them
16 to have clinical events. Now we did measure
17 as well other surrogate measures of
18 atherosclerosis.

19 We did carotid IMT measurements.
20 We looked at coronary artery calcification.
21 Those were looked at, of course, at discrete
22 time points. We've done actually I think our

1 fourth set of carotid IMT measurements, and
2 we can look at that over time. That was
3 evolving, that was getting worse before we
4 saw statistically this increased number of
5 events.

6 So I think this is all very much
7 predicated on our limited data looking
8 completely at patients -- you know, starting
9 with measuring atherosclerosis and then
10 following them over a lifetime and long
11 enough to see actually when these signal
12 events occur that cause disease. Having said
13 that, where these various risk factors can be
14 modulated and where they have an effect, a
15 measurable effect, we have all these
16 snapshots in both cardiovascular medicine
17 research as well as in diabetes, and there
18 are just all of these cross-sectional, almost
19 snapshots.

20 We really have -- in my personal
21 view -- is little understanding of where in
22 this pathogenetic stream of events that you

1 actually can interfere effectively, and where
2 glycemia would have a beneficial effect. It
3 took us a long time to demonstrate in the
4 DCCT, at least in my opinion, is because it
5 just took us a long time for the patients to
6 reach an age and a duration of diabetes and
7 exposure to risk factors where they got
8 clinical events.

9 DR. BURMAN: Thank you.

10 MR. FLEMING: Since Dr. Temple raised
11 this issue, this was one that intrigued me as
12 well. I don't know if we have that slide that
13 we can put back up again, but it looks at this
14 long-term issue with DCCT. With all of these
15 data, obviously, they provide clues. This is a
16 post hoc analysis. I don't know about multiple
17 testing over time, p-values above .0018 most of
18 the time, we would look at with great caution
19 and secondary endpoints with multiple testing,
20 so there are some uncertainties about the
21 conclusiveness of the result, but let's say it's
22 true.

1 DR. NATHAN: Let me just -- a factual
2 correction. We actually didn't do any analyses
3 over time. The a priori statistical plan here
4 was that we would do any analyses until we had
5 enough events in the placebo group, that we had
6 a chance of seeing, I think it was a 25 or
7 30 percent reduction, so there were no repeated
8 tests going on here. This was not a post hoc
9 analysis. This was actually done at a discrete
10 point in time based on an a priori test that we
11 did, so we were not looking repeatedly. We were
12 just collecting the data. We only analyzed it
13 when the placebo group --

14 MR. FLEMING: And that test was set up
15 when the trial was originally designed?

16 DR. NATHAN: It was set up in
17 1990 -- it was at least 10 years ago.

18 MR. FLEMING: So it was as the trial
19 was underway?

20 DR. NATHAN: As the trial was
21 underway. We only did one analysis in 1993 --

22 MR. FLEMING: But rather than get too

1 deep into that issue --

2 DR. NATHAN: Okay.

3 MR. FLEMING: There are still issues
4 with that, but what you're saying is helpful.
5 It's still a secondary endpoint. The result is
6 interesting, but the issue I really wanted to
7 get at was one that Dr. Temple raised, because
8 what you're seeing here is a suggestion or an
9 indication of a difference that's long-term that
10 emerges a number of years after the difference
11 in glycemia levels have disappeared; correct?

12 DR. NATHAN: Correct.

13 MR. FLEMING: Are there other
14 differences that persisted? As your
15 presentation very eloquently laid out, there are
16 so many confounding risk factors. Are there
17 other differences in these two groups that might
18 explain this beyond the glyceemic control?

19 DR. NATHAN: Several things. Number
20 one is that this is not the only late effect
21 after this Alc between the groups. Again, a
22 2 percent separation for 6-1/2 years, then

1 followed by Alcs that were statistically
2 indistinguishable for the next 10 or 12 years,
3 and we've coined this term "metabolic memory"
4 for the microvascular complications, because in
5 fact you continue to see a separation of the
6 retinopathy, nephropathy and neuropathy after
7 the end of the formal study when these Alcs have
8 come together, and so we've demonstrated that
9 even before we showed that. That's number one.

10 Number two is that -- are there
11 other explanations for this? Was one group
12 more hypertensive? Was one group -- the
13 answer is that every factor we looked at did
14 not explain this, including what you might
15 expect would be the separation in kidney
16 disease, because we in fact had less kidney
17 disease in the conventional treatment group
18 than the intensive treatment group, and when
19 we did the analyses, controlling for the
20 development of micro (inaudible) or kidney
21 disease, these results remained essentially
22 the same.

1 I mean, it explained a small
2 fraction or a modest fraction of the
3 difference in heart disease, but in fact, the
4 difference in heart disease persisted, even
5 when we control for -- again, all of the
6 variables and the risk factors. Now the
7 number of events here, as you may know, is
8 extremely small, so it limited our ability to
9 do multi-factorial analyses, but those that
10 we were able to do, it didn't explain this
11 finding. It really looked like glycemia.

12 MR. FLEMING: So the final thought on
13 this, then, is, assuming this is real, it does
14 point out on the setting the importance of very
15 long-term follow-up to really understand the
16 true benefit-to-risk?

17 DR. NATHAN: Well, especially when
18 you're starting a population that starts in the
19 age range where they're getting atherosclerosis
20 but not clinical events, and then following them
21 over an average of 18 years until they got to be
22 that age where clinical events were occurring.

1 DR. BURMAN: And if I could just ask
2 one question. On that slide, when did they
3 become -- differences between the two groups
4 become statistically significantly different?

5 DR. NATHAN: So this slide -- we,
6 again, we didn't look at any other time -- I
7 shouldn't say that. We looked at one time at
8 the end of the DCCT itself in 1993 when there
9 were numerically a greater number of events in
10 the conventional versus the intensive group.
11 But the numbers were something like a 12 versus
12 3 or 4, so there was a suggestion, but the
13 number and the event rate was so tiny that we
14 couldn't include anything. That was the first
15 time we looked.

16 The second time we looked was here,
17 so we didn't do analyses looking at
18 (inaudible) separated, but it was in 1995
19 when we looked.

20 DR. BURMAN: Very well. Thank you.
21 Are there any other questions from the
22 panelists? No? Then thank you very much for

1 the presentation.

2 I would like to introduce our next
3 speaker, Dr. Robert Ratner.

4 DR. RATNER: While the slides are
5 coming up, thank you, Mr. Chairman. It's a
6 great honor and pleasure to be here with you.

7 Although this FDA session is to
8 review cardiovascular disease, my task is to
9 make sure you don't forget microvascular
10 complications of diabetes. As Dr. Nathan
11 described, the original definitions and
12 thresholds for diabetes were determined by
13 the specific microvascular complications, so
14 I don't want to minimize cardiovascular
15 disease -- we can't do that -- I simply want
16 you to remember that all the discussion of
17 cardiovascular complications have to be in
18 the context of what we know and what we are
19 certain about in terms of microvascular
20 complications.

21 So what are the numbers? This is
22 from the CDC, talking about every day in the

1 United States -- 4,100 new cases of diabetes.
2 We know that there are 230 amputations for
3 diabetic neuropathy, diabetic foot ulcers,
4 non-healing ulcers and infected ulcers on a
5 daily basis, and that diabetes accounts for
6 the vast majority of non-traumatic
7 amputations.

8 We know that diabetes is the single
9 largest cause of blindness in the United
10 States, with 55 new cases daily, and it is
11 the number one cause of kidney failure, end
12 stage renal disease (ESRD) in the United
13 States, with 120 cases daily.

14 Those are the facts that we know
15 about what happens to people with diabetes.
16 And in this morning's New York Times, there's
17 an article that talks about the insidiousness
18 of diabetes and the fact that it is in fact
19 doing silent damage as we go along. These
20 are the things that we know.

21 We're doing better. It used to be
22 that diabetes was not only the single most

1 common cause of end stage renal disease, but
2 the only one that was continuously
3 increasing, and what one can see is that in
4 the last 25 years, there's been a remarkable
5 increase in the prevalence of diabetic end
6 stage renal disease, but over the last 5 to
7 10 years, we're starting to see a leveling
8 off. We're making an impact in terms of end
9 stage renal disease, not soft endpoints like
10 microobunaria (?) but here, end stage renal
11 disease requiring transplant or dialysis,
12 with the incidence rate definitely coming
13 down. So year by year we are getting better.
14 We are clearly making changes.

15 What about visual impairment?

16 Slowly but surely over time, what we're
17 beginning to see is a fall in the prevalence
18 of diabetic retinopathy. Why is this?
19 Basically because of the studies that
20 Dr. Nathan has presented which have shown the
21 relationships between glycemc control and
22 microvascular complications.

1 Slowly, gradually, we have improved
2 the level of control in the United States,
3 with the HbA1c levels falling so that in fact
4 we are able to reduce end stage microvascular
5 complications.

6 When we begin to look at incidence
7 rates, what do we begin to see? These are
8 data from Seattle, from Scott Ramsey's
9 studies in the Group Health Collaborative,
10 looking at what happens to a diabetic
11 population compared to a non-diabetic
12 population in a managed care program. So
13 here, you're looking at almost 9,000
14 individuals with diabetes compared to 35,000
15 non-diabetics, and what are the risks that we
16 begin to see?

17 No question, we see a two- to
18 three-fold increased risk of myocardial
19 infarction and stroke in the folks with
20 diabetes. Here are the absolute numbers of
21 what you see. Soft endpoint, hypertension,
22 about a 1-1/2-fold increased risk.

1 Now we get into the microvascular
2 complications. We're looking at a threefold
3 increased risk of end stage renal disease,
4 and you begin to look at the comparable
5 numbers for end stage renal disease which is
6 clearly related to glycemia. Foot ulcers, an
7 eightfold relative risk as compared to the
8 non-diabetics. And eye disease, 20-fold
9 increased risk in the individuals with
10 diabetes.

11 When we begin to look at what
12 diabetes puts people at risk for, clearly
13 cardiovascular disease is there. Please
14 don't forget the microvascular complications
15 as well. If we begin to look at comparable
16 end stage disease from the EDC study in
17 Pittsburgh -- from Trevor Orchard's work,
18 looking over 30 years, you can see renal
19 failure requiring dialysis or transplantation
20 depending upon the cohort from the 1950s,
21 '60s, '70s, '80s, and '90s. You can see the
22 relative risk of renal failure as it occurs

1 in this population as compared to total
2 coronary artery disease.

3 Cardiovascular disease needs to be
4 addressed. It has to be addressed because it
5 is what ultimately kills people with
6 diabetes. Let's not forget what leads up to
7 it and causes much of the early morbidity and
8 mortality.

9 So what's the pathobiology? What
10 is the biologic rationale for thinking that
11 diabetes and glycemia can cause
12 complications? This is a slide from Michael
13 Brownlee's Bantam (?) Award lecture. The
14 highest award given by the American Diabetes
15 Association. Summarizing an enormous amount
16 of work that shows at different levels how
17 glucose can result in abnormalities leading
18 to complications.

19 With pure hyperglycemia increasing
20 shunting through polyol pathway. With
21 increased levels of metabolites of glucose,
22 an excess in the hexosamine pathway. Later

1 on, activation of protein (inaudible)
2 pathways, and finally, advanced glycation of
3 end products accumulating within tissues
4 resulting in abnormalities. All of these
5 progressing directly from hyperglycemia.

6 What Dr. Brownlee has done is to
7 try and put this into a system in which you
8 can understand how glucose could potentially
9 result in the pathobiology of microvascular
10 and macrovascular complications of diabetes,
11 and you can see that he can't solely express
12 it as hyperglycemia. Hyperglycemia clearly
13 is on the background of genetic determinants,
14 and has acute metabolic changes with
15 cumulative long-term changes in macro
16 molecules, but all of this is being
17 influenced by independent accelerating
18 factors, the confounders that Dr. Nathan has
19 described -- hypertension, obesity,
20 dyslipidemia, hypercoaguability -- all of
21 these play on the changes that are already
22 ongoing, the common soil that Dr. Nathan

1 described.

2 So there clearly is a pathobiology
3 that could explain the increased prevalence
4 of disease. Now, Dr. Nathan showed you the
5 NHANES and Egyptian data that tried to give a
6 threshold for the definition of diabetes.
7 This is just a bit more recent data from the
8 AusDiab study essentially looking at the same
9 relationship, here with retinopathy. So
10 looking at the population as a whole, you see
11 a very flat and low level prevalence of
12 retinopathy until you get to a HbA1c,
13 somewhere between 5.7 and 6.1 and then it
14 takes off.

15 If you now take out the group with
16 established diabetes, you still see that
17 threshold phenomenon right around 5.7. When
18 you look at microalbuminuria, a bit more of a
19 slope here in the lower levels of A1c, but
20 again, a clear-cut threshold at approximately
21 5.8. Now, these are prevalence data, but
22 these are large, large populations that

1 simply look at the association of glycemia
2 and these specific microvascular
3 complications.

4 We have to turn to the intervention
5 studies really to be able to make the claims
6 of whether or not this is really causative,
7 and perhaps the best data, as Dr. Nathan
8 described, is coming out of the DCCT. Now
9 I'm not going to show the outcomes data from
10 DCCT, but rather the relationship between the
11 complications and HbA1c. So here you see in
12 a recent publication by John Lachin, the
13 relationship between HbA1c, whether you're in
14 the intensively treated group or you're in
15 the conventionally treated group with
16 diabetic retinopathy, and you can see that
17 regardless of what group you're in, the
18 longer you've had diabetes and the higher
19 your HbA1c has been, the greater the
20 probability of developing diabetic
21 retinopathy.

22 The question is, is this all time?

1 Is this all glycemia? What are the
2 contributing factors to the development of
3 these microvascular complications? Again,
4 from the same publication by Lachin, looking
5 at the relationship between glycemic control
6 and these complications -- retinopathy,
7 starting with a single three-step progression
8 going all the way down to laser therapy and
9 macular edema.

10 Nephropathy, going from
11 microalbuminuria to fixed albuminuria, and
12 neuropathy at five years. And what I want
13 you to concentrate on are the r values and
14 the percent explained by Alc. When you begin
15 to control for all of the other potential
16 confounders, what you begin to see is
17 95 percent of the effect appears to be
18 related to the HbA1c, to the level of
19 glycemia over time with R-squareds that are
20 shown here.

21 So in interventional trials we can
22 also draw the relationships between glycemia

1 and microvascular complications.

2 Again, as we move into
3 interventional trials trying to prove the
4 relationship, the first, and I think one of
5 the definitive studies of our time is the
6 UKPDS, looking at the relationship in
7 patients with relatively new-onset type 2
8 diabetes and cumulative microvascular
9 endpoints, with a p-value of .0099,
10 25 percent relative risk reduction in renal
11 failure or death, vitreous hemorrhage, or
12 photocoagulation by improved glycemic control
13 in the intensive group of this particular
14 study.

15 As you begin to look at the UKPDS,
16 and I'm sure that Dr. Holman is going to go
17 through this in much greater detail, if you
18 focus exclusively on the microvascular
19 events, what you begin to see is a 12 percent
20 reduction in any diabetes-related endpoint, a
21 25 percent reduction in microvascular
22 endpoints, breaking it down with a 21 percent

1 reduction in retinopathy and a 33 percent
2 reduction in albuminuria -- not
3 microalbuminuria, but in albuminuria. So
4 what are the relationships from an
5 epidemiologic standpoint? For every
6 1 percent decrement in Alc, the UKPDS found a
7 37 percent decrease in microvascular
8 outcomes. We have to deal with what we know,
9 and we can't ignore it to answer new
10 questions.

11 Now, we also know that there is a
12 common soil phenomenon here as Dr. Nathan
13 suggested, and we don't treat glycemia in
14 isolation, and one of the most interesting
15 studies that has been published recently is
16 the Steno 2 Trial which asks the question,
17 what if we do everything right? What if we
18 aggressively treat blood pressure,
19 aggressively treat lipids, aggressively
20 anti-coagulate, get people to exercise and
21 eat healthy and stop smoking?

22 What impact do we have there?

1 Well, these are data from the
2 microvascular component of Steno 2. I'm not
3 going to address the macrovascular. I'll
4 leave that up to our other speakers. But
5 looking throughout the study, intensive
6 therapy when it came to nephropathy,
7 consistently at four years, eight years, and
8 even after the study was ended, had a
9 significant reduction in nephropathy.
10 Retinopathy, the same -- after the study,
11 that the change becoming a little bit less.
12 And autonomic neuropathy, a greater than
13 50 percent reduction with this
14 multi-factorial intensive management of
15 diabetes.

16 So we clearly have evidence that
17 when you begin to approach diabetes as a
18 disease of an individual with multiple
19 confounders, we can clearly reduce
20 microvascular complications. The question
21 really becomes, how do we look at micro and
22 macro at the same time? Well, this is data

1 from the ADVANCE study which was recently
2 published in the New England Journal and
3 presented at the ADA last month, and they had
4 a very interesting approach, because they
5 started with combined primary outcomes of
6 major macro and microvascular events.

7 The study design here was to have a
8 sulphonylurea-based intervention versus a
9 non-sulphonylurea-based intervention, and a
10 separation in terms of glycemia. And what
11 you see is that the intensive group had a
12 statistically significant reduction,
13 10 percent relative risk reduction, in this
14 combined primary outcome. So you treat
15 patients to a HbA1c of less than seven, you
16 get benefit. You clearly get benefit.

17 Where does the benefit come from?
18 It comes, almost exclusively, from a
19 reduction in major microvascular
20 complications, so that you have a p_value of
21 .015, a 14 percent relative risk reduction,
22 and it's the microvascular complications that

1 are driving the positive primary outcome in
2 the ADVANCE trial. When you begin to look at
3 the microvascular complications overall, it's
4 statistically significant. New or worsening
5 retinopathy is trending towards a benefit
6 that in fact does not meet statistical
7 criteria. The new or worsening nephropathy,
8 on the other hand, has a statistically
9 significant 21 percent relative risk
10 reduction within the advanced trial.

11 When you begin to delve even deeper
12 into the renal events, you see a decrease in
13 total renal events, a decrease in new
14 microalbuminuria, which is one of the
15 strongest risk markers for the development of
16 CVD, and a substantial 21 percent risk in new
17 or worsening nephropathy.

18 So these are the facts that we
19 know. If you look at ADVANCE, 10 percent
20 reduction in combined primary outcomes being
21 driven by predominantly the nephropathic
22 changes with a 21 percent reduction there, no

1 significant effects on macrovascular events,
2 no significant effects on all cause or
3 cardiovascular mortality, and the changes are
4 consistent throughout the study, no subgroups
5 seem to be different.

6 So where do we go with this? Here
7 you look at the advanced data broken down by
8 micro and macrovascular disease. The
9 combined endpoint meets statistical power for
10 significance, but the macro does not, and
11 it's driven by the micro. Now the difficulty
12 becomes how do you test for this without
13 adversely affecting that, because we know
14 that interventions that lower glycemia
15 decrease the risk of microvascular
16 complications. Are we going to be able to
17 design studies to look at macrovascular
18 without sacrificing microvascular? That
19 really becomes the dilemma that you're going
20 to have to face.

21 Let me end with this slide from
22 UKPDS as well. Simply looking at, again, the

1 ongoing relationship between updated HbA1c
2 and the hazard rate for microvascular versus
3 macrovascular complications, this has been
4 well-reproduced in multiple studies. That as
5 the HbA1c rises, the risk of severe
6 microvascular complications increases. There
7 seems to be a threshold somewhere around six
8 or seven -- nobody really knows where -- that
9 perhaps that's the point of inflection for
10 increased risk, and that if you can get the
11 HbA1c down, you decrease the risk.

12 The relationship with macrovascular
13 disease, as Dr. Nathan so eloquently showed,
14 is far less steep and far more confounded.
15 Lots of other influences -- insulin
16 resistance, hypercoagulability, blood
17 pressure, lipids -- a whole variety of
18 issues.

19 How are we going to design a study
20 to look at the relationship here, with this
21 always being kept in mind?

22 Clearly, one of the ways to do it

1 would be to look at the HbA1c range way down
2 here. Look at the difference between a group
3 that are controlled to less than six versus a
4 group that's controlled to seven or seven and
5 a half. That's an ethical study. That is a
6 necessary study, and you're going to hear the
7 results of that study shortly. That could be
8 done, theoretically.

9 Can you look at a patient
10 population comparing the group down here
11 versus a group out here? I would suggest to
12 you that if you need a HbA1c difference
13 between groups of 1.5 percentage points, that
14 the lowest you're going to be able to go in
15 terms of your intervention group is going to
16 be somewhere in the vicinity of 6-1/2,
17 because once you start getting up to mean
18 A1cs above 8, is there an institutional
19 review board in the United States that's
20 going to allow you a 6-, 10-, 12-year
21 exposure of individuals sitting at HbA1cs of
22 8 and higher?

1 Let's remember what we know. The
2 relationship between glycemic control and
3 microvascular complications is implicit in
4 the definition of diabetes.

5 There is clear-cut epidemiologic
6 evidence that as glycemia goes up, there
7 appears to be a threshold -- somewhere in the
8 high fives and low sixes. Interventional
9 trials have definitively shown in both type 1
10 and type 2 diabetes, that intervention to
11 lower HbA1c, even at the range of seven to
12 nine, significantly reduces microvascular
13 heart events, and there is good pathobiology
14 to suggest why microvascular complications
15 are directly related to glucose.

16 As you deliberate, I want you to
17 remember not only that diabetes is an
18 important cause of cardiovascular disease,
19 but diabetes is the most common cause of
20 severe microvascular disease as well.

21 Thank you very much.

22 DR. BURMAN: Thank you, Dr. Ratner.

1 This discussion is open now for questions.

2 Dr. Konstam?

3 DR. KONSTAM: That was great. You
4 know, maybe you can tell us a little bit about
5 the need for additional diabetic drugs. And the
6 reason I bring it up is because later on, I
7 think we're going to be asking ourselves what
8 level of excess cardiovascular events or
9 cardiovascular mortality we'll feel need to be
10 ruled out if we're interested in cardiovascular
11 safety. And to me, that's not a question that
12 can be addressed in a vacuum; it has to be
13 addressed relative to the potential gain. And
14 you've eloquently indicated that glycemia is
15 related to microvascular events, and we have
16 drugs to reduce glycemia, so I guess it sort of
17 begs the question, what more do we need? How
18 much more do we need from the next drug?

19 DR. RATNER: Excellent question. If
20 you go back to the early trials of control and
21 complications, the DCCT was aiming to get the
22 HbA1c less than 7 percent. They didn't get

1 there. They got to 7.2 in an ongoing fashion.
2 If you look at the ACCORD trial, they were
3 aiming to get to a mean of less than 6. They
4 couldn't do it.

5 And what you begin to see is that
6 the mean HbA1cs in most of the control trials
7 hover somewhere between 7 and 8. Now, part
8 of that is the natural history of the
9 disease. I'm sure Dr. Holman will go through
10 UKPDS showing the updated means, because the
11 A1cs were rising throughout the study, and
12 the limiting factor is that we have to keep
13 adding new medications in. So the question
14 is, why don't the new medications work? Why
15 are they not adequate? And I think that
16 there are multiple different reasons for
17 that.

18 One potential reason is what has
19 been called clinical inertia. Physicians and
20 patients are reticent to add in new
21 medications until there is true failure, true
22 failure. It's not uncommon in our clinic to

1 say, Mr. Jones, your HbA1c and your blood
2 sugars are too high, we need to add a new
3 medication. And Mr. Jones says, oh, I just
4 got back from vacation. I know I was eating
5 more. Give me another three months. And
6 that three months turns into a year and a
7 half.

8 The second, and what I think is an
9 even more important factor is what Phillip
10 Cryer called the limiting factor in the
11 treatment of diabetes, and that is
12 hypoglycemia. All of the therapies that we
13 have traditionally used, most of the
14 therapies that have been in the most recent
15 studies, have as major side effect,
16 hypoglycemia. Now, you're not going to see a
17 whole lot of hypoglycemia if you're starting
18 with individuals at 10 and you're only trying
19 to get them to 8. Although you clearly do
20 see some in the standard treatment groups,
21 and it's really bad when you do.

22 When you start pushing towards six

1 and seven, there's less margin for error.
2 There's less for them to fall without
3 becoming symptomatic, so I personally -- and
4 this is solely my belief, is that we need
5 drugs in the treatment arm for diabetes that
6 don't carry with it a risk of hypoglycemia in
7 the near-normal glycemc range. In addition,
8 I would suggest that we need drugs that don't
9 exacerbate obesity, that don't exacerbate
10 hyperlipidemia, that don't exacerbate
11 hypertension, and it would be wonderful if
12 they actually improved cardiovascular
13 disease.

14 I personally don't believe that
15 diabetes drugs need to be approved solely on
16 the basis of a reduction of cardiovascular
17 disease.

18 DR. BURMAN: Thank you. Any other
19 questions from the panelists? Yes?

20 DR. GENUTH: This is really more of a
21 comment. Both you and David Nathan have shown
22 us very persuasive epidemiological relationships

1 between HbA1c and risk of retinopathy as the
2 classic example, but those are average curves
3 which are the result of looking at sometimes
4 thousands of patients. In reality, that average
5 curve is probably made up of a hundred splayed
6 individual curves.

7 And so the point I wanted to make
8 is that each patient may actually have his or
9 her own curve and we really don't know what
10 is the lowest HbA1c to aim for in the patient
11 sitting across the desk from us in order to
12 minimize or even eradicate his risk for
13 complications. I realize the FDA has to deal
14 with groups, not with individuals -- but just
15 as you didn't want us to forget microvascular
16 complications, I don't want us to forget that
17 it's the individual patient that we end up
18 treating.

19 DR. RATNER: I couldn't agree more,
20 Dr. Genuth, and I think that the American
21 Diabetes Association has inappropriately taken a
22 lot of criticism for the table that Dr. Nathan

1 showed where the goal of the A1c is less than
2 seven, and a lot of people have argued that
3 that's not low enough. Others have argued that
4 it's too low.

5 What's written in the text, though,
6 is a little bit different. What's written in
7 the text is that you should aim for the
8 lowest HbA1c achievable without unacceptable
9 hypoglycemia. So coming back to the previous
10 question, if we actually have drug therapy
11 that maintained the homeostatic balance
12 between insulin secretion and glucagon
13 secretion and all of the other
14 counter-regulatory hormones so that we could
15 decrease that risk of hypoglycemia, then in
16 fact, we would start going lower and lower.

17 We can't achieve it safely. And I
18 think that the ACCORD trial and the ADVANCE
19 trial clearly demonstrate that. That's our
20 limiting factor. And frankly, that's why I
21 think we need to be exploring new therapeutic
22 avenues.

1 DR. BURMAN: Thank you. Dr. Proschan?

2 MR. PROSCHAN: Given that you've shown
3 that the microvascular events are increasing if
4 you don't control HbA1c, it seems like there's a
5 trade-off. So if a new drug causes MIs but
6 decreases microvascular events -- I mean, some
7 of these microvascular events are more serious
8 than others, and I'm wondering if you have any
9 recommendation about how to consider the
10 seriousness of the microvascular versus
11 macrovascular.

12 DR. RATNER: I think the dictum most
13 of us follow is first do no harm. And clearly,
14 the microvascular complications are not
15 drug-specific, they are glycemia-specific. So
16 if you have the capability of lowering glycemia
17 with a drug or a collection of therapeutic
18 regimens that don't increase macrovascular
19 disease, that's absolutely appropriate.

20 I think that when it comes to the
21 cardiovascular complications, those, at least
22 to date, appear to be drug- or perhaps

1 class-specific. With microvascular, we're
2 just talking about glycemic control. It
3 doesn't matter how you get there. The data
4 have been demonstrated in sulphonylureas,
5 with metformin, with insulin, so what really
6 matters is getting the glucose down for the
7 microvascular.

8 DR. BURMAN: Thank you. Dr. Veltri?

9 MR. VELTRI: That was an excellent
10 presentation, as well as Dr. Nathan. A couple
11 of comments. Obviously, you develop drugs to
12 improve symptoms of diabetes -- polyurates,
13 polyfascia, et cetera -- to improve well-being
14 of patient. And also, some degree then
15 (inaudible) on the microvascular relationship
16 has been clearly established.

17 Obviously, the macrovascular
18 complications to date have not been
19 established -- indeed potentially, there may
20 be harm, and part of that harm may be related
21 to the fact that so many surrogates, if you
22 go too low and you have an ischemic

1 substrate, you could have a U-shaped type of
2 phenomenon, if you will.

3 The questions I have is, number
4 one, are there relationship between the
5 microvascular and the macrovascular? So it
6 could be that a patient population -- and
7 this might actually explain the latency and
8 the affects between microvascular to
9 macrovascular in the DTTC extension.

10 Is there that relationship?
11 Because clearly, there are relationships
12 among the various microvasculars -- the eye
13 and the kidney.

14 And secondly, would you think that
15 perhaps a more intensive regimen longer-term,
16 that didn't extend to the DTTC, may actually
17 manifest macrovascular improvement?

18 DR. RATNER: There are data that look
19 at relationships, and they are not causal, they
20 are solely associative between microvascular
21 complications and macrovascular surrogates, if
22 you will, so that, for example, in the VADT,

1 Peter Rieven has published work looking at the
2 relationship between stages of diabetic
3 retinopathy, a purely microvascular
4 complication, to coronary calcium scores, and
5 it's curvilinear. As retinopathy goes up, the
6 degree of coronary calcifications goes up. How
7 much this is confounded by time, duration of
8 disease, or level of glycemia, is unclear.
9 Those studies haven't been done.

10 The clearest relationship is
11 microalbuminurea to cardiovascular disease,
12 and in virtually all studies, the presence of
13 microalbuminurea is a very strong predictor
14 of cardiovascular events, so there may in
15 fact be a link between microvascular and
16 macrovascular. How long that linkage takes
17 is clearly unknown. The suggestion is 12 to
18 18 years, in DCCT/EDIC, and it becomes
19 difficult in an evolving disease to keep up
20 with the therapeutic changes and still be
21 able to have a clean outcome.

22 DR. BURMAN: Dr. Goldfine?

1 DR. GOLDFINE: I'm going to actually
2 ask you just to speculate on something. This is
3 a little bit unfair, but I think that the effect
4 of lowering blood sugar on microvascular
5 complications is absolutely clear, and it's a
6 steep relationship. The relationship may be
7 much more subtle in the cardiovascular end. The
8 other question then also has to do with when are
9 we initiating the intervention, because many
10 patients who have diabetes, by whatever measure
11 you do, already have some established
12 atherosclerosis, and that the reversal of the
13 phenomenon -- we know that the microvascular
14 disease, you can prevent the development and
15 slow progression, but for an established,
16 calcified, scarred fibrotic plaque, it may be a
17 very difficult time to intervene with existing
18 disease which is already present in many of
19 these people, and there was some interesting
20 data about the importance of early intervention.

21 And how this might then weigh on to
22 how we should be evaluating this is I think

1 another important question that you sort of
2 alluded to, and therefore I'd like to push
3 you a little bit on it.

4 DR. RATNER: Dr. Nathan showed you the
5 data from the diabetes prevention program
6 retinopathy study, which showed there was
7 diabetic retinopathy even at IGT, so we begin to
8 question what is IGT, what is pre-diabetes, what
9 is diabetes? And I think that's a very
10 legitimate discussion to have. The question,
11 though, of whether or not you need to begin
12 intervention at that point for macrovascular
13 disease is almost impossible to answer, however.
14 In the diabetes prevention program, we recruited
15 middle-aged individuals, 50 percent of whom have
16 metabolic syndrome at study entry -- and our
17 cardiovascular event rate, adjudicated
18 cardiovascular event rate, was .08 per 100
19 patient years. So that's a real problem.

20 How long is that study going to
21 have to go for the event rate in the control
22 group to get to a point where you have any

1 chance at all of seeing a benefit? Though
2 intellectually, I believe, starting earlier
3 is better. From a clinical trial standpoint,
4 the statistical power is impossible.

5 DR. BURMAN: Dr. Parks?

6 DR. PARKS: Thank you, Dr. Ratner, for
7 your excellent talk. You may have recalled that
8 in that issue of the New England Journal in
9 which the ADVANCE results were published, there
10 was also the results of the ACCORD trial, and
11 the editorial comparing and contrasting those
12 two studies. And earlier, Dr. Nathan had talked
13 about why the intensive arm of ACCORD was
14 stopped early.

15 My question here is that do we as
16 of yet know about the microvascular
17 complications in the intensively treated arm
18 of ACCORD? And I understand if you cannot
19 answer the question. Perhaps another speaker
20 can.

21 DR. RATNER: I am not an ACCORD
22 investigator, and so I'm not privy to all of the

1 data there. Dr. Gerstein is. We'll leave that
2 entirely in his hands. My understanding is that
3 they do not have that data available yet. I
4 certainly have not seen it.

5 DR. BURMAN: All right. Thank you
6 very much, Dr. Ratner. No other questions?
7 What I'd like to do is have a break and we will
8 now take a 15-minute break. Will the panel
9 members please remember there should be no
10 discussion during the break amongst yourselves
11 or with any member of the audience.

12 We'll resume at 10:35.

13 (Recess)

14 DR. BURMAN: Take your seats, if you
15 would. We'll get started in a minute. Please
16 take your seats.

17 Why don't we get started? We will
18 now proceed with further guest speakers'
19 presentations. Dr. Thomas Fleming will be
20 discussing and evaluating the benefit and
21 risk of type 2 diabetes statistical
22 considerations.

1 Dr. Fleming?

2 DR. FLEMING: Thank you. What I'd
3 like to do is, as just noted, focus on some of
4 the statistical issues that arise as we're
5 looking for reliable evaluations of
6 benefit-to-risk in type 2 diabetes. And the
7 main focus of what I want to talk about will be
8 on evaluation of safety issues, but I'd like to
9 bridge the presentations that we've had by
10 briefly talking a bit more about surrogate
11 endpoints and validation of surrogate endpoints.
12 So when we're looking specifically at biomarkers
13 in diabetes, we have some very good ones.

14 We've heard a lot about HbA1c,
15 clearly establishes biologic activity, and as
16 we've discussed in some depth already today,
17 there's considerable evidence for its
18 reliability in understanding microvascular
19 complication effects -- retinopathy,
20 neuropathy, nephropathy -- much more
21 controversy and uncertainty about effects on
22 macrovascular complications.

1 And so these effects on HbA1c are
2 not necessarily giving us the reliable
3 understanding of the overall clinical
4 efficacy. And everything is always
5 benefit-to-risk, and so the effects as well
6 on HbA1c may not be able to reliably predict
7 what the global safety or risk profile will
8 be for the intervention.

9 And so as we look at surrogates,
10 what are some of the things that we think
11 about that influence our sense about their
12 reliability? And I'll talk about a couple of
13 specific issues. One is understanding that
14 with any disease process, there are multiple
15 pathways through which the disease process
16 causally influences the clinically tangible
17 important outcomes or consequences for
18 patients, and if in fact the surrogate
19 endpoint lies in one of these pathways, we
20 could get either false negative conclusions
21 or false positive conclusions by relying only
22 on information about the effect on the

1 biomarker.

2 But even in a setting such as
3 type 2 diabetes, where we've heard
4 considerable evidence about the ability of
5 HbA1c to capture, in essence, a principal
6 causal pathway, there still are important
7 issues about what is the magnitude of the
8 effect on that biomarker; that is, the
9 targeted level to optimize the effect of the
10 intervention on the clinical outcomes? What
11 is an adequate level of effect to predict
12 clinical benefit? What is maybe an
13 over-effect? And also, what is the duration
14 of that effect that's needed?

15 In addition to the fact that the
16 intervention can have the intended effects on
17 the causal pathways, interventions can have
18 mechanisms of action that are independent of
19 the disease process, and in fact, this
20 explains very often why an intervention's
21 effect on a biomarker may not reliably
22 predict what its ultimate effect is on the

1 clinical endpoint because of these unintended
2 mechanisms of action.

3 The literature is full of examples
4 of where surrogates have gone awry, and some
5 of the recent examples that we've already
6 heard discussion about -- in the ACCORD
7 trial, the strategy for more intensive
8 glucose control against a 7 to 7.9 target did
9 in fact show a reduction did in fact achieve
10 the intended reduction of HbA1c, but
11 suggested at least an increase in mortality.

12 This type of phenomenon has existed
13 in the past in other settings. With
14 erythropoietin in renal and oncology
15 settings, getting more proper standardization
16 or normalization of hemoglobin to more ideal
17 levels hasn't yielded the intended reduction,
18 but in fact an increase in mortality.

19 Quickly to review this, the goal
20 here in end stage renal disease in patients
21 with high risk of cardiac complications was
22 to provide a more complete normalization of

1 hematocrit levels to reduce the risk of death
2 and MI, where standard dose Epogen was
3 yielding hematocrit levels of 30 percent, and
4 so treating to a higher dose of Epogen was
5 the experimental arm to achieve a more
6 complete normalization of hematocrit.

7 And what we saw in the trial,
8 looking at the relationship between the
9 hematocrit level and the percent deaths is as
10 the hematocrit level went down in the control
11 arm, the death rate was higher. And in the
12 intervention arm, the same phenomenon was
13 seen -- as hematocrit levels were lower, the
14 death rate was higher, such that looking at
15 the pool of data, for every 10 point increase
16 in hematocrit, one had a 30 percent reduction
17 in the risk of death.

18 Then looking at the patient
19 distributions in the standard arm, most
20 patients were in the 30 to 33 range, and with
21 a more intensive does of Epogen, one was able
22 to achieve a standardized level of

1 hematocrit. So it would seem logical to then
2 conclude that because models would show that
3 in both the control arm and the intervention
4 arm, as you achieve more standardization, you
5 achieve lower levels of death -- and the
6 experimental arm did in fact render patients
7 at a more standard level than the standard
8 arm -- one would expect, then, that there
9 should have been a reduction in death rate.
10 Well, in fact, there was rather than a
11 25 percent reduction in death rate, there was
12 a 30 percent increase in death rate.

13 And on our data monitoring
14 committee on which I served, when we did the
15 interim analysis at half the planned events,
16 when we had 366 patients with the primary
17 endpoint where the expectation or the hope
18 was that the high dose, achieving a more
19 standardized hematocrit or hemoglobin level,
20 should have given about 40 fewer deaths and
21 MIs, a 25 percent reduction, there was in
22 fact almost 40 increased deaths and MIs, or a

1 30 percent increase, which was statistically
2 significant even adjusting for the multiple
3 testing aspect allowed one to rule out even
4 the most trivial improvement in what was
5 intended, which was a reduction in death, a
6 reduction in death and MI.

7 Well, as the data were explored, it
8 looks like this may well have been mediated
9 through an unintended increase in thrombosis.

10 There are a number of other
11 examples that we've had discussion about
12 where, even though we've achieved the
13 intended reduction in HbA1c with
14 troglitazone, separate independent risks,
15 serious hepatic risks -- and we've got
16 examples where even though we've achieved the
17 intended effects on biomarkers, the very
18 endpoints that we were trying to improve have
19 been worsened with the addition of
20 torcetrapid to atorvastatin, we not only
21 achieve reductions in LDL, but the increase
22 in HDL, and yet as we know, we had an

1 unexpected increase in death, in
2 cardiovascular death, stroke and MI, and the
3 examples that we have discussed already,
4 rosiglitazone and muraglitazar, while we are
5 able to achieve reductions in HbA1c with
6 muraglitazar, a suggested increase in death,
7 stroke, and MI, rosiglitazone suggested
8 increase in MI.

9 In each of these settings, the
10 issue of particular concern is while these
11 interventions are affecting surrogates such
12 as HbA1c, providing benefit maybe on some of
13 the clinical component outcome, such as
14 microvascular complications, could there be
15 unintended mechanisms not captured by the
16 effects on the surrogate that give us a net
17 effect on the true clinical endpoint that are
18 adverse or not consistent with what you'd
19 expect them to be just by looking at the
20 effect on the surrogate?

21 So I'd like to spend a couple of
22 minutes talking about the issue of validation

1 of surrogates, beginning with the definition
2 of a valid surrogate. A valid surrogate
3 arises in a setting where the effect on the
4 intervention on the clinical endpoints, so
5 the totality of the effect on the clinical
6 endpoint, is reliably predicted by the effect
7 of the intervention on the surrogate.

8 And so to illustrate this
9 validation process, let's look in the setting
10 that, for example, was studied in the ACCORD
11 trial, where the clinical endpoint was
12 cardiovascular death, MI and stroke, so
13 λ represents the rate of the clinical
14 endpoint, and the intervention, the control,
15 Z equals zero and the intervention active,
16 experimental Z equal one. So in a classical
17 proportional hazards model, one is modeling
18 the effect of intervention on the endpoint of
19 cardiovascular death, stroke, and MI, and
20 broken down into simpler terms, $\lambda_0(t)$ is
21 the clinical endpoint rate in the control
22 arm. $\lambda_1(t)$ is that rate in the

1 experimental arm, and one is hoping that the
2 experimental rate is reduced from the control
3 rate by some constant multiple of the
4 proportional hazards model.

5 So in the ACCORD trial, the
6 intention or the hope was through intensive
7 glucose control compared to more standard
8 glucose control targets, that we would be
9 able to detect a 15 percent relative
10 reduction in the rate of cardiovascular
11 death, stroke, and MI, and to have 89 percent
12 power to do so with a traditional 2.5 percent
13 false positive error rate requires a trial to
14 have a very large, 1,540 events, which even
15 with a trial of 5 to 6 years follow-up, would
16 be 10,000 patients.

17 Clearly, if we can understand the
18 relationship of interventions with clinical
19 endpoints in trials that are much shorter and
20 smaller, it is one of the major potential
21 benefits of using surrogate endpoints.

22 So what are some of the principal

1 criteria we have to consider to determine
2 whether a surrogate is valid? Well, first of
3 all, it needs to be correlated with the
4 clinical outcome, so if HbA1c is the
5 biomarker, clearly it is necessary that it be
6 correlated with the clinical outcomes of
7 interest, but a correlate does not a
8 surrogate make.

9 The far more complicated and
10 critical criterion is that the surrogate
11 needs to fully capture the net effect of the
12 intervention on the clinical outcome, and to
13 look at how one can get evidence regarding
14 whether that is true -- let's consider this
15 same setting as an ACCORD where the primary
16 endpoint is cardiovascular death, stroke, and
17 MI, wherein the intervention is looking at is
18 the control, standard glucose control against
19 intensive glucose control.

20 But now let's not only look at how
21 intervention affects the outcome rate, if we
22 want to look to see whether HbA1c could be a

1 valid surrogate for how intervention is
2 affecting the clinical endpoint, we model not
3 only the treatment arm, but also the HbA1c at
4 a given time. And if in fact HbA1c is in
5 fact a valid surrogate fully capturing how
6 the intervention affects this clinical
7 outcome rate, then in this given model, gamma
8 will be non-zero, because in fact we already
9 have validated that HbA1c is correlated with
10 the clinical outcome. But the key issue is,
11 if in fact HbA1c at any given time is fully
12 capturing how the intervention is affecting
13 the clinical outcome, then beta should be
14 near zero.

15 In other words, once you've
16 factored in how the treatment affects HbA1c,
17 there's no residual or additional effect of
18 treatment on the clinical outcome. This is
19 the type of evidence that we would be looking
20 at to get further validation that the
21 biomarker is capturing accurately how
22 treatment is in fact influencing the effect

1 on clinical outcomes.

2 The reality, though, is in essence,
3 what we would then do is look to see whether
4 beta is much smaller than alpha -- is in fact
5 there evidence that the essence of the effect
6 is being captured by the biomarker? Or the
7 proportion of the net effect explained by the
8 surrogate might be $1-\beta/\alpha$. One of the
9 problems is, β/α is much more variable
10 than alpha, and so it takes multiple times,
11 more data, to estimate β/α than it
12 does alpha.

13 So in other words, to validate a
14 surrogate endpoint, you need clinical studies
15 that are powered to assess what the effect of
16 the intervention is on the true clinical
17 endpoint, and you need many of them to be
18 able to then -- to start having enough data
19 to determine whether the biomarker is a valid
20 surrogate.

21 The concept that we might validate
22 a surrogate endpoint in a phase 2 trial and

1 use it in phase 3 is only valid if your
2 phase 2 trial is many times larger than your
3 phase 3, which is in fact not the case.

4 So meta-analyses are required. The
5 other issue is, even if in this particular
6 analysis -- let's say with HbA1c, it does
7 appear that the effect of an intervention on
8 the clinical endpoint is fully captured
9 because beta is near zero, you're only
10 looking at the net effect. And to illustrate
11 this, suppose that an intervention provides a
12 15 percent reduction in the rate of major
13 clinical endpoints or major clinical events,
14 and suppose that's exactly the level of
15 effect that would be predicted by what the
16 effect is on HbA1c.

17 It doesn't allow you to conclude
18 that the only way that the intervention
19 effected the outcome was mediated through its
20 effect on HbA1c. There may have been
21 undetected positive effects through other
22 mechanisms and undetected negative effects.

1 And if these counterbalance in their
2 magnitude, then the analysis that's looking
3 at whether you're fully capturing the net
4 effect will give you in fact an answer that,
5 yes, you are. And yet the entire effect
6 isn't specifically mediated through HbA1c,
7 and that's important because new
8 interventions that come along may have
9 different balances in these mechanisms than
10 the intervention that was studied that was
11 used to "validate" the biomarker.

12 Now, this type of analysis can also
13 be used not only to get information about
14 whether the mechanism to achieve benefit was
15 mediated through the surrogate. It can also
16 be used to get some clues about whether when
17 there's evidence of harm, was that harm
18 mediated through a defined outcome? So in
19 the ACCORD trial, where -- let's say, now the
20 endpoint -- let lambda be death, the death
21 rate. So in the ACCORD trial, the intensive
22 glucose management -- the intensive control

1 against standard control suggested an
2 increase in death rate -- in this case,
3 either the alpha was positive, was a number
4 greater than one; i.e., evidence that
5 intensive glucose control may have had a
6 harmful effect on mortality -- one of the
7 questions is was that in fact mediated
8 through an increase in hypoglycemic events?

9 So we can use the same kind of
10 analysis to get clues about that.
11 Specifically, we look not only at the effect
12 of the intensive versus standard glucose
13 control, the effect on mortality, but we also
14 factor in the hypoglycemic status at a given
15 point in time. And if in fact the effects of
16 this intervention on mortality is in fact
17 mediated through the hypoglycemic episodes,
18 then beta would be near zero again, or if
19 beta on the other hand is near alpha, then
20 you would be saying the actual mechanism
21 through which this intervention led to the
22 mortality increase was not related to the

1 effect on hypoglycemic events.

2 One however has to be very cautious
3 about interpreting this, particularly in
4 settings where beta is near alpha; i.e.,
5 where you get the apparent conclusion that
6 the negative effect on mortality was not
7 mediated through hypoglycemic events. That
8 in fact might be a false negative conclusion
9 if you're mismodeling the specific nature of
10 the hypoglycemic covariate here. So if
11 you're modeling it as whether at a given time
12 you are hypoglycemic, if in fact what you're
13 missing is the level of hypoglycemia or the
14 duration of hypoglycemia, then it may be that
15 the treatment effect that was negative on
16 mortality may have in part been mediated
17 through hypoglycemia, but you're missing it
18 with the modeling.

19 It's also possible that you'd be
20 getting a false negative conclusion here if
21 this variable is highly variable. So for
22 example, in an anti-hypertensive setting

1 where the outcome is stroke and you're
2 looking at blood pressure, when we've done
3 these kinds of analyses, even though the
4 effect of an intervention on stroke is
5 undoubtedly substantially mediated through
6 effects on blood pressure, these types of
7 analyses may not reflect that, and that's
8 because blood pressure is such a variable
9 measure that the measure is not capturing the
10 true blood pressure that someone has, or the
11 true mechanism. You're going to get an
12 attenuation of effects.

13 So as you use these kinds of
14 analyses, they're giving you clues -- at best
15 clues about the mechanism through which you
16 achieve the effect.

17 Ultimately, to validate a surrogate
18 endpoint requires a comprehensive
19 understanding of the causal pathways in
20 disease process as well as the intended and
21 unintended effects of the intervention, and
22 it's very difficult to have a comprehensive

1 understanding of the unintended effects,
2 they're generally unintentional, frequently
3 unrecognized and undocumented. Ultimately,
4 the best evidence for validation of a
5 surrogate comes from meta-analyses of
6 clinical trials data.

7 So hypothetically, this would be
8 the kind of evidence -- for example, if we
9 were trying to look at the degree to which
10 effects on HbA1c could be a valid surrogate
11 of, let's say, macrovascular
12 complications -- cardiovascular death,
13 stroke, and MI. Suppose we do a large number
14 of studies, suppose about 20 separate
15 studies -- and in each study we look at what
16 is the treatment versus control difference in
17 effects on HbA1c, and we plot it against the
18 treatment versus control hazard ratio or
19 effects on the clinical endpoint of
20 cardiovascular death, stroke, and MI.

21 This would be an ideal setting for
22 validating the surrogate. In settings where

1 there is no net effect on HbA1c, there's
2 essentially no effect on cardiovascular
3 death, stroke, and MI. When you have a
4 moderate effect, you have a moderate
5 reduction. When you have a substantial
6 effect, you have a substantial reduction.

7 These kinds of data would provide
8 the best evidence to validate a surrogate.

9 In type 2 diabetes, when we're
10 looking at validating HbA1c, these kinds of
11 analyses can be done, and as is
12 well-motivated by the discussion we've
13 already had today, validating HbA1c could be
14 in fact successfully achieved for certain
15 classes of endpoints but not for others, and
16 in fact, it's important when you're looking
17 at a biomarker, in a setting where there are
18 multiple clinical endpoints that are related
19 to the disease process or the treatment for
20 that disease that are very clinically
21 important, it is important to be looking at
22 whether the biomarker is valid for all

1 aspects of these specific outcomes.

2 An example of this are in
3 anti-hypertensives. On June 15, 2005, the
4 FDA Cardio-Renal Advisory Committee met to
5 look and to probe to what extent has blood
6 pressure now been validated for an array of
7 clinical outcomes. And specifically, the
8 data that were provided for this validation
9 involved randomized comparative trials of
10 more than 500,000 patients.

11 And the totality of these data
12 allowed us to look at the extent to which
13 blood pressure lowering was a valid surrogate
14 for these clinical endpoints separately
15 across classes of agents. Low dose
16 diuretics, beta blockers, ace inhibitors,
17 calcium channel blockers, ARBs, and that's
18 one of the important issues, is when you're
19 validating a surrogate, technically speaking,
20 you need to validate it for each separate
21 class of agents, because the unintended
22 mechanisms that can affect the reliability of

1 the prediction of the effect on the clinical
2 endpoint based on the biomarker, can differ
3 across those indications.

4 And what was found with these data
5 was that blood pressure gave a very good
6 prediction of the actual effect on stroke
7 across all of these -- nearly in all
8 instances across these agents -- moderately
9 well for MI and cardiovascular disease, not
10 quite so well for mortality, and not well for
11 heart failure.

12 And to give just one illustration
13 of this, of the kind of evidence that was
14 provided, it was looking at the extent to
15 which systolic blood pressure differences
16 were predicting effects on cardiovascular
17 events. And so in this particular display
18 across the X axis is the degree of effect in
19 reducing systolic blood pressure. The
20 further to the right, the better. The Y axis
21 was giving the clinical outcome, the relative
22 risk for cardiovascular events, hopefully

1 looking at reduced values being more positive
2 effects -- and the wide array of trials that
3 are listed here were used to look at the
4 relationship, and this is a slide from Henry
5 Black's presentation of that advisory
6 committee.

7 And what we see is a definite
8 relationship here with blood pressure, that
9 as interventions achieve a better effect in
10 reducing systolic blood pressure, you are
11 seeing a reduction in the rate of
12 cardiovascular events, although with some
13 diminishing returns. More is not necessarily
14 better. So kind of a common theme that we're
15 seeing potentially here with HbA1c and that
16 we've seen with ESAs, erythropoietin
17 stimulating agents.

18 What I'd like to do now is to move
19 to some specific issues or challenges we're
20 going to have as we look at evaluation of
21 safety. When we're assessing safety issues,
22 everything is benefit-to-risk, and so the

1 stronger or more compelling the evidence we
2 have for efficacy, the more resilience we
3 have to what level of confidence or certainty
4 we have in safety. There are many issues,
5 there are many examples that have arisen in
6 recent times where we have interventions that
7 have substantial effects on symptoms, or
8 interventions that have effects on biomarkers
9 for more substantive clinical outcomes.

10 And yet in those settings, there is
11 a lack of resilience to what the overall
12 benefit-to-risk would be if these
13 interventions actually had an unintended
14 negative effect on measures of irreversible
15 morbidity or mortality, and these are all
16 examples in recent times where these
17 situations arose.

18 The COX-2 inhibitors provide
19 important analgesic effects and reduce GI
20 ulceration rates relative to non-selective
21 NSAIDs in patients with rheumatoid arthritis
22 and osteoarthritis. Long acting

1 beta-agonists provide reduction in symptoms
2 of severe asthma. Anti-psychotics have been
3 important for patients with schizophrenia.

4 And in the setting where effects
5 have been shown on biomarkers, in agents that
6 have been approved with biomarkers,
7 rosiglitazone and erythropoietin provide
8 beneficial effects respectively on HbA1c or
9 overall hemoglobin levels. But in each of
10 these settings, there are concerns about what
11 true benefit-to-risk would be because of
12 potential or established negative effects on
13 measures of irreversible morbidity or
14 mortality.

15 So increased risk of cardiovascular
16 death, stroke, and MI that are occurring at
17 rates of 1.5 to 2 could substantially alter
18 the benefit-to-risk of these interventions,
19 or increased effects on mortality with
20 erythropoietin of 10 to 15 percent,
21 potentially even as much as a fourfold
22 increase in mortality in the long acting

1 beta-agonists -- also are settings where
2 these unintended effects substantially alter
3 the overall benefit-to-risk profile.

4 The primary goal is to be able to
5 identify effective interventions that are
6 safe. And in these settings where efficacy
7 is for a symptom, or efficacy is on a
8 biomarker or a surrogate endpoint for
9 clinical outcome, there's more concern that
10 the safety issues could be sufficiently
11 substantial to alter the true
12 benefit-to-risk, and long-term and rare
13 outcomes can be very influential. The goal
14 in these types of settings then would be to
15 rule out that you have unacceptable increases
16 in safety risks in order to be assured of
17 having favorable benefit-to-risk. And very
18 quickly, there are numbers of sources that we
19 have for such safety information.

20 Passive and active surveillance and
21 large-scale randomized clinical trials
22 provide us both pre- and post-marketing.

1 Most often, the surveillance approaches are
2 post-marketing, and these can be useful for
3 both surveillance of new safety signals and
4 exploration of existing signals.

5 Very quickly, the post-marketing
6 Adverse Event Reporting System with a
7 voluntary submission of MedWatch forms does
8 provide us a timely way of getting signal
9 detection or hypothesis generation, but by
10 its voluntary or passive nature, it provides
11 a less reliable aspect; hence, this approach
12 is really only particularly effective for
13 detecting risks that are large relative risks
14 that particularly have a close temper
15 relationship with the intervention. In
16 essence, while they are timely and uniform,
17 we lack having denominators and numerators.

18 And so a somewhat more rigorous
19 approach would be through active
20 surveillance, large link databases or through
21 a perspective pharmaco-vigilance program that
22 is looking at prospective cohorts. And while

1 this approach does give us numerators and
2 denominators, it still is weakened by the
3 fact that the data comes from a
4 non-randomized setting, and there are other
5 issues of sensitivity and specificity that
6 are non-optimal.

7 So for these particular reasons,
8 these approaches are particularly effective
9 when you're trying to detect, or when you are
10 detecting, very large relative risks. So
11 with Tysabri for progressive multifocal
12 leukoencephalopathy, for PML, when this
13 should be a one in million rate, when it's
14 occurring in studies at one in a thousand,
15 that's a thousand-fold relative increase. Or
16 with the rotavirus vaccine, with
17 intussusceptions, more than a tenfold
18 relative increase. Here is where the
19 post-marketing surveillance systems are very
20 effective in being able to detect safety
21 risks.

22 On the other hand, in many of these

1 other settings, these safety risks that we're
2 talking about on cardiovascular death,
3 stroke, and MI, a 1.5 to twofold increase, or
4 increases in mortality of 10 to 15 percent,
5 or even up to a fourfold increase, these
6 levels of relative risk are much more
7 difficult to reliably discern what is a true
8 treatment-induced risk just from selection
9 factors as to who received the intervention
10 and who didn't.

11 Randomization, having a randomized
12 trial, systematically removes these
13 imbalances. Patient and caregivers don't
14 start and stop therapies at random. And so
15 if we're only using data from active
16 surveillance or passive surveillance, there's
17 a tremendous risk of confounding what is the
18 true treatment effect from these selection
19 factors.

20 Also, safety assessments should
21 include among other evaluations ITT
22 evaluations, Intention To Treat evaluations,

1 that require the ability to have a time 0
2 cohort. Assessment of risk over a specified
3 time interval is key even if the intervention
4 is stopped earlier in time.

5 So for example, with the COX-2,
6 there's been some concern that even if you
7 stop Vioxx earlier in time, the overall
8 effect of the intervention, adverse effect on
9 cardiovascular death, stroke, and MI, might
10 in fact be something that's only realized
11 later in time -- unless you have a time 0
12 cohort following people beyond the time they
13 discontinue therapy, you're not going to be
14 able to assess that outcome.

15 Risk can't be assumed to be
16 independent of duration of exposure. So in
17 breast cancer, if you're giving Adrimycin,
18 it's perfectly fine until you get 450
19 cumulative dose, after which, major
20 cardiovascular risks occur. And from data
21 that we've seen today, benefit safety issues
22 are in fact a combination of beneficial and

1 negative mechanisms. And so it may well be
2 that when you're looking at the long-term
3 impact of a type 2 diabetes agent on safety
4 outcomes, those could be very different from
5 short term.

6 Having 10,000 people followed for
7 six months, whereas it's 5,000 person years
8 of follow-up, isn't necessarily giving you
9 the same insight as having 1/10th of that
10 1,000 people followed for 10 times as long,
11 5 years, and again, this kind of insight was
12 apparent from Dr. Nathan's presentation, that
13 relative effects, both safety and efficacy
14 effects long-term, may not be represented by
15 short-term.

16 Having a -- whether it's randomized
17 or not, prospective cohort is key for being
18 able to have enhanced sensitivity and
19 specificity being able to adjudicate events,
20 being able to retain increased retention and
21 being able to achieve high levels of
22 adherence. You can't rule out a safety risk

1 if people have substandard adherence to what
2 it is that you would be typically using in
3 practice.

4 So how big would these trials
5 typically have to be? Well, suppose you are
6 looking at -- in the setting of the PAX-2
7 inhibitors, where there's a 1 percent rate or
8 a 10/1,000 rate, if you wanted to rule out a
9 tripling, it would take 2,000 person
10 years -- or with the long-acting
11 beta-agonists, where it's a 1 event per
12 thousand 1,000 person years to rule out a
13 tripling would then take 10 times the sample
14 size or 20,000 person years. These analyses
15 of person years are based on the assumption
16 that you'd want 90 percent power to rule out
17 this increase -- if in fact there is no
18 increase -- while having only a 2.5 percent
19 false positive conclusion -- only a
20 2.5 percent of risk for saying there's no
21 increased risk when there really is at this
22 level.

1 But allowing 20 increased
2 cardiovascular deaths, strokes, and MIs in a
3 COX-2 inhibitor setting would be an
4 inadequate assessment of safety. Even a
5 smaller increase such as an increase of five
6 events per 1,000 person years would be
7 important; hence, you would need 20,000
8 person years in this setting. In type 2
9 diabetes, where you might have a 20/1,000
10 baseline rate, to rule out this excess of
11 five events per 1,000 person years could take
12 40,000. And so as was seen in the ACCORD
13 trial, if you're following people for five
14 years, you might need a sample size of 8,000
15 to 10,000 to be able to rule out this
16 25 percent relative increase, or this
17 increase of 5 events per 1,000 person years.

18 Let me just quickly walk you
19 through one specific trial where this type of
20 assessment was done. And this study that I'm
21 going to look at with you is in the setting
22 of COX-2 inhibitors. And specifically, this

1 is a trial, a safety study that is currently
2 underway in patients with osteoarthritis and
3 rheumatoid arthritis, looking at the pain
4 medications Celecoxib against ibuprofen and
5 naproxen, and the specific interest here is
6 to determine whether or not one can rule out
7 that the COX-2 inhibitor has an unacceptable
8 increase in the rate of cardiovascular death,
9 stroke, and MI.

10 So this is a trial being conducted
11 in a setting where ample evidence exists for
12 concern about an increased risk, but where
13 the thought is that Celecoxib might in fact,
14 if the dose is being given recommended, might
15 in fact not share the same excess risks seen
16 with other COX-2 inhibitors.

17 And so to give you a sense of how
18 this study is being constructed, I'll focus
19 in particular on the COX-2 as the
20 experimental and naproxen as the control.
21 And so $\lambda_0(t)$ represents the rate of
22 cardiovascular death, stroke, and MI in

1 Naproxen, and the question is, is Celecoxib
2 in fact -- is the rate of Celecoxib not an
3 unacceptably large increase over the rate on
4 Naproxen?

5 And what's been defined as the
6 level that has to be ruled out is a one-third
7 increase. And so the hypothesis that one
8 would want to be able to rule out is a
9 one-third increase in the setting where there
10 is no increase, so where $\beta = 0$. So the
11 study is designed in a manner such that when
12 in fact there is no increase, you'd have
13 90 percent power to rule out a one-third
14 increase, where, however if in fact there is
15 a one-third increase, you would get a false
16 positive conclusion of safety only
17 2.5 percent of the time.

18 To achieve that, the study has to
19 be of sufficient size and duration for 508
20 patients to experience the event of
21 cardiovascular death, stroke, and MI. So if
22 in fact this trial of 508 events, or a 20,000

1 person trial, is conducted, how do we analyze
2 the results?

3 What I'm showing here along this
4 axis is the relative rate on Celecoxib, the
5 COX-2 against Naproxen, for the end point of
6 cardiovascular death, MI and stroke, so a
7 favorable result for Celecoxib would be one
8 where its relative rate is lower than
9 Naproxen. An unfavorable result is off to
10 the right here, where its rate would be
11 unacceptably high.

12 The null hypothesis, or the
13 hypothesis that has to be ruled out in order
14 to establish adequate safety, is that the
15 rate on Celecoxib is at least 1/3 higher than
16 the rate on Naproxen. With 508 events, one
17 will be able to in fact rule out a 1/3
18 increase if in fact you see no more than a
19 12 percent increase.

20 So the least favorable result, this
21 result or anything to the left, would rule
22 out a 1/3 increase, and essentially after

1 much discussion, based on the analgesic
2 benefits of Celecoxib, based on its reduction
3 in the rate of GI ulceration, it was
4 determined that it would be acceptable as
5 long as it doesn't yield, essentially, three
6 additional cardiovascular death, strokes, or
7 MIs per 1,000 person years, and the result
8 will be positive if the estimate is no more
9 than one excess cardiovascular death, stroke,
10 and MI per 1,000 person years.

11 Now, how do you interpret the
12 results? If in fact the result is no more
13 than a 12 percent increase or better, then
14 one rules out the margin of 33 percent and
15 would conclude that you have in essence
16 non-inferiority, or ruling out an
17 unacceptable increase.

18 Conversely, if you have at least a
19 19 percent increase or anything worse than
20 that, you'd actually be ruling out a quality,
21 establishing that you're inferior.

22 In a result here in between, you'd

1 be neither inferior nor establishing
2 non-inferiority, and of course if the result
3 is highly favorable, where there's a 16
4 percent relative decrease in the risk of
5 cardiovascular death, stroke, and MI, the
6 confidence interval would rule out equality,
7 so even though your goal was to at least be
8 able to rule out an increase, you could in
9 fact establish that you're superior on that
10 particular outcome.

11 Now, some insight, added insight,
12 would occur here by considering a
13 hypothetical case. What if the trial was
14 done not with 508 events, but with 1,000
15 events? So you actually followed these
16 patients such that 1,000 of them had an
17 outcome of cardiovascular death, stroke, and
18 MI, and suppose you had an estimated
19 15 percent increase.

20 Then this trial would successfully
21 rule out unacceptable harm, would establish
22 non-inferiority while proving you're

1 inferior. Now, you have to be a
2 statistician, I suppose, to find that okay.
3 I'm okay with that. This is a setting where
4 this trial would establish non-inferiority
5 while proving you're inferior. Okay?

6 But it's semantics. What does it
7 mean when you're establishing
8 non-inferiority? There was a trial done not
9 long ago by a sponsor in this type 2 diabetes
10 setting where these kinds of results
11 occurred, and when this occurred, the sponsor
12 said, this allows us to conclude that our
13 experimental therapy is at least as good as
14 the active comparator -- because we've
15 established non-inferiority, we can conclude
16 we're at least as good as the active
17 comparator.

18 Well, that's not the conclusion
19 that you can make by establishing
20 non-inferiority. Clearly, they're not at
21 least as good as. They're inferior. To
22 state you're at least as good as, you'd have

1 to be superior. Superiority rules out any
2 level of being worse. This is what you'd
3 have to see in order to state you're at least
4 as good as. Essentially here, what you're
5 establishing is that you're not unacceptably
6 worse than, so that's why I have no problem
7 with non-inferiority, yet proving
8 inferiority.

9 Non-inferiority simply means that
10 you don't have an unacceptable increase in
11 harm, even though you may have an increase in
12 harm. It's not an unacceptable increase.
13 And that points out why this margin is
14 critical. This needs to be the smallest
15 excess, which if real, wouldn't be
16 acceptable. If in fact a 10 percent excess
17 would be unacceptable, then a 33 percent
18 margin is an inadequate establishment of
19 safety.

20 Now, I want to spend a couple
21 minutes on a critically important issue.
22 Properly conducting these safety studies to

1 rule out unacceptable excess requires very
2 careful attention to performance standards,
3 to ensuring you have high quality conduct.

4 The first of these is you need to
5 have timely enrollment. This is especially
6 important if it's decided that these safety
7 studies can be done in a post-marketing
8 setting. If you have evidence of efficacy,
9 let's say on microvascular complications,
10 you're going to market a product for some
11 considerable period of time, while you then,
12 in a post-marketing setting, conduct a study
13 to ensure that the overall net
14 benefit-to-risk is favorable -- if it takes
15 an extended period of time to enroll the
16 trial, you're not getting from a public
17 health perspective an adequately timely
18 result.

19 The target population of
20 ineligibility rates need to be such that
21 you're addressing settings where the excess
22 risk is most plausible. But at the same

1 time, you need to be sure you're getting a
2 sufficient event rate, because the essence of
3 those trials, the power of the trials, isn't
4 specifically the numbers of patients and
5 duration of follow-up, it's the numbers of
6 events. And so the higher the risk
7 population, the more events. But again, it
8 has to be a risk population relevant to where
9 you're concerned about excess safety risk.

10 Retention is key in order to be
11 able to maintain integrity of randomization.
12 So if we look at the RECORD trial, for
13 example, the RECORD trial was intended to go
14 after a group that had 11 percent risk rate
15 per year, and got only a 3 percent rate per
16 year. It was intended to have only 2 percent
17 loss to follow-up, but had 50 percent
18 relative higher rates of loss to follow-up.
19 These two consequences impact the timeliness
20 and reliability.

21 The ADOPT trial had a lower
22 enrollment that was intended, had a lower

1 risk level or event rate that was intended,
2 had higher levels of loss to follow-up than
3 was intended and had a withdrawal rate of
4 nearly 40 percent.

5 The consequences of all of these
6 impact the timeliness and reliability of the
7 results. So for example, the FDA in their
8 May 29, 1999 letter of approval for
9 rosiglitazone indicated that a long-term
10 four-year trial was needed, including an
11 assessment of long-term cardiovascular risk
12 that was to be provided by the ADOPT trial.

13 And yet this study was only
14 published in December of '06, so it came
15 7-1/2 years later in time, and even at that
16 time provided only 68 MIs across three
17 groups, so roughly 45 per pair-wise
18 comparison they weren't adjudicated.

19 And so issues that were violating
20 these key principles had a big impact on the
21 timeliness and reliability of the results,
22 but adherence and cross-ins are particularly

1 critical. So let me just go back to the
2 previous slide for the moment. High levels
3 of adherence and lack of cross-ins is
4 critical in a safety study where you're
5 trying to rule out an excess risk.

6 Suppose for example that Celecoxib
7 really does provide at least a one-third
8 increase in the risk of cardiovascular death,
9 stroke, and MI. Well, if the adherence to
10 Celecoxib is substandard, is less than it
11 would be in a real world setting, you're not
12 doing a true test of whether Celecoxib is
13 giving an unacceptable safety risk. Or if
14 the Naproxen patients are crossing in to
15 Celecoxib, then you may be diluting what that
16 excess risk is, and that diluting could take
17 a true scenario where you have an
18 unacceptable safety risk and give you the
19 false sense that you're not getting an excess
20 safety risk.

21 So as a consequence, adherence is
22 critical. My view is adherence should match

1 the best real-world level achievable. I
2 don't want 100 percent adherence if that's
3 not going to be seen in the real world, but I
4 would want best real-world level of
5 adherence, achievable level of adherence. It
6 must at least match the adherence also seen
7 in prior trials that gave rise to the safety
8 signal.

9 Cross-ins need to be addressed in
10 multiple fashions. The first is through
11 careful screening. So for example, in the
12 Celecoxib/Naproxen trial, we don't need to
13 enroll all patients. We should enroll those
14 patients who have true equipoise. If you
15 think you want Celecoxib, or if in fact you
16 think you have no interest in taking
17 Celecoxib, that's fine, proceed as you wish.

18 But for those patients that truly
19 have equipoise and are willing to either be
20 randomized and remain on Celecoxib long-term,
21 or to be randomized to a non-Celecoxib and
22 not cross in, those are the patients that

1 should be entered. So careful screening is
2 critical.

3 Careful educating of caregivers and
4 patients is critical so that patients
5 understand the nature of the design and why
6 such cross-ins or adherence are critical to
7 the ability to interpret. Then, as these
8 studies are conducted, they need to be
9 monitored. They need to be monitored for
10 these standards.

11 So for example, in this precision
12 trial that I've been showing you, which is a
13 20,000 person trial to be enrolled, the
14 target enrollment is a 30-month enrollment
15 period. The rate of events target is
16 2 percent. Minimally acceptable levels have
17 to be established, 1.5 to 1.75 percent. High
18 levels of adherence targets have been set.

19 Cross-in levels, a 2.5 percent
20 cross-in target has been established where it
21 would be unacceptable if it were more than
22 10 percent. Loss to follow-up, retention

1 rate standards have been set, where a
2 2 percent loss to follow-up rate is the
3 target. Greater than 5 percent would be
4 unacceptable. Careful monitoring then during
5 the course of this trial of these standards
6 needs to be done, and this is exactly what's
7 happening now in this precision trial.

8 So in conclusion, there are
9 multiple instances where surrogate endpoints
10 have been used. They've been used for
11 accelerated approval as with Tysabri, they've
12 been used for full regulatory approval as
13 with ESAs, rosiglitazone. In these types of
14 settings, we get -- by virtue of the use of
15 the surrogate, we get less reliable evidence
16 about efficacy and less reliable evidence
17 about safety. And everything is
18 benefit-to-risk.

19 Ultimately, the stronger the
20 efficacy evidence, the greater resilience you
21 have to uncertainties about safety. So if
22 we're using biomarkers as the way to assess

1 benefit, then we are less resilient to what
2 might be an unacceptable safety risk.

3 And in development of interventions
4 in diabetes, it is important to be efficient
5 here, and biomarkers provide us an enhanced
6 way to be efficient, certainly giving us a
7 more timely result, but it's key to have
8 reliability as well as timeliness in
9 assessments of both safety and efficacy.

10 And while timeliness could
11 potentially give us choices in a quicker way,
12 ultimately we can't compromise reliability
13 because in essence what patients really care
14 about isn't just a choice, it's an informed
15 choice.

16 Thanks.

17 DR. BURMAN: Thank you, Dr. Fleming.

18 Yes, Dr. Holmboe? Did you have a
19 question? Yes.

20 DR. HOLMBOE: You talked a little bit
21 about prospective cohorts, and I just wonder if
22 you could give us your feelings on one form of a

1 prospective cohort, and that's registries, where
2 you have the capability of collecting some
3 information, prospectively from the get-go that
4 may be adventurous down the road, that as you
5 point out in large databases while they could be
6 very helpful, you're stuck with what's in them.
7 You know, you can't obviously add stuff.

8 So I would just be curious, because
9 this keeps coming up, not only just in this
10 context, but I know in other meetings you've
11 been at, this idea of how do we follow this
12 stuff along when you have these difficult
13 risk/benefit ratios. And you highlighted a
14 number of the things that have really
15 challenged us. So I'd like to hear your
16 thoughts on that.

17 DR. FLEMING: Sure. Registries are
18 very important. Having large cohorts,
19 particularly in settings where they are
20 prospectively assessed, which would be more like
21 an active surveillance system, where you have a
22 greater ability to achieve high levels of

1 sensitivity and specificity and adjudication,
2 are valuable. I see them particularly valuable
3 for being able to describe natural history.
4 What happens to patients? What is the overall
5 event rate? What are the covariates that are
6 predictive of that event rate? How are patients
7 managed?

8 So for all of those purposes -- by
9 the way, some of those purposes are very
10 valuable to planning clinical trials, because
11 they give you a sense of what event rates
12 would be. They're valuable for counseling
13 patients for prognosis. They're valuable for
14 helping us understand where there's an unmet
15 need. The weakness of those is providing us
16 information about causal effects of
17 interventions and outcomes, so if we're
18 looking at very large relative risks, it
19 works.

20 It worked for Tysabri with 1,000
21 relative risk. It worked for in its
22 inception at a relative risk of 10. But in

1 so many settings, what we care about
2 clinically are relative risks that might be a
3 one-third increase, and to be able to discern
4 what's causally a treatment-induced effect
5 from selection factors is extraordinarily
6 limited.

7 DR. BURMAN: Dr. Konstam?

8 DR. KONSTAM: Thanks, Tom. Two
9 questions. One is, I just wonder if you could
10 give us some insight into the sensitivity of the
11 upper confidence boundary to the number of
12 events. So taking the example that you had of
13 the 508 events -- ruling out a 33 percent
14 increase, what would be the comparable number of
15 events for -- let's say ruling out a 50 percent
16 increase? And then I have a second question.

17 DR. FLEMING: Sure. So essentially
18 generally as you double the difference that
19 you're allowing, you would have one-fourth the
20 number of events required, and that's doubling
21 on a log scale, so if you take the log of .33,
22 at .50, if the log (inaudible) twice, then it

1 would take one-fourth the number of events.

2 So it's very tempting to define
3 those margins to be 50 percent, 70 percent,
4 et cetera.

5 DR. KONSTAM: No, that's fine, but I'm
6 just kind of trying to ask, because I think this
7 is going to be relevant to sort of judging how
8 well we're doing today based on the current
9 approaches to program development, so you're
10 saying that a quarter of 508 would yield you a
11 upper confidence limit --

12 DR. FLEMING: So just to be real
13 specific --

14 DR. KONSTAM: Right.

15 DR. FLEMING: If you were trying to
16 rule out a one-third increase, it would take 508
17 events. If you're trying to rule out a
18 50 percent increase, it would take 256 events.
19 If you tried to rule out a doubling, it takes
20 only 88 events. So if we have 88 events and
21 we're not seeing an excess, basically we're in a
22 position to rule out a doubling. If you have 15

1 events and you haven't established an excess,
2 it's a classic example of absence of evidence
3 isn't evidence of absence; i.e., when we don't
4 have a lot of events, concluding that we're fine
5 is an absence of evidence scenario which isn't
6 evidence of absence, and that's where we are
7 predominantly when we have sources of
8 information with 5 events, 20 events, 15 events.

9 DR. KONSTAM: That leads me to my next
10 question, because I guess it's not an uncommon
11 practice, and I think we're sort of being asked
12 about this practice today of looking at the
13 point estimate of whatever set of data we have
14 today and if the point estimate is on the okay
15 side of -- is in the right direction or not in
16 the wrong direction, we might say, okay, we're
17 good. But if it's in the wrong direction, then
18 we've got to do a specific safety study. And I
19 won't even ask you to comment on that because
20 I'll bet you'll say it's irrational, but maybe
21 you do think it's rational.

22 DR. FLEMING: Should I just -- what

1 you've already said is very rational. It's very
2 important. What you're talking about here is
3 what is my best sense of truth, and that's the
4 point estimate, but ultimately, the reliability
5 of that point estimate matters greatly, so it's
6 not just what it is but what is the confidence
7 interval, what can you rule out. So just to
8 follow up on your point, if we have an
9 intervention that we think actually could
10 provide a somewhat favorable effect on
11 cardiovascular death, stroke, and MI, you can
12 rule out that it provides an unfavorable level
13 using a rigorous margin without a large sample
14 size.

15 I think there's a misconception
16 that non-inferiority -- this is
17 non-inferiority here. You're trying to rule
18 out an unacceptable safety risk, it requires
19 huge sample sizes. No, it doesn't. Not in a
20 setting where you have an intervention that
21 could be slightly favorable. Now, it might
22 be, and this is pure speculation on my part,

1 that the six-month or one-year effect of an
2 anti-diabetic intervention could have a
3 somewhat unfavorable effect on relative risk,
4 but it could be over five years somewhat
5 favorable as you in fact start seeing
6 beneficial effects.

7 Maybe there are multiple mechanism,
8 some unintended negative effects early, but
9 overridden by long-term effects that are
10 eventually seen with glucose control. So if
11 you do a longer-term five-year follow-up
12 trial and you actually have a slightly
13 favorable relative risk like .9, you're not
14 going to be able to power that trial for
15 superiority, but you can power that trial to
16 rule out a 30 percent increase without an
17 inordinately large sample size.

18 DR. KONSTAM: I guess what I was going
19 to come to is, the alpha that we assign to the
20 assessments I guess has an arbitrariness to --

21 DR. FLEMING: Yes.

22 DR. KONSTAM: As does, therefore, how