

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH (CDER)

PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY  
ADVISORY COMMITTEE MEETING

DAY 2

Rockville, Maryland

Wednesday, July 23, 2008

## PARTICIPANTS:

## Committee Members (Voting):

KENNETH R. MORRIS, Ph.D., Chair

College of Pharmacy

University of Hawaii at Hilo

JESSIE L-S. AU, Pharm.D., Ph.D.

The Ohio State University

JOHN F. CARPENTER, Ph.D.

School of Pharmacy

University of Colorado Health Sciences Center

CAROL A. GLOFF, Ph.D.

Carol Gloff & Associates

MERRILL GOOZNER

Consumer Representative

Center for Science in the Public Interest

MARILYN E. MORRIS, Ph.D.

School of Pharmacy

State University of New York

ANNE S. ROBINSON, Ph.D.

Department of Chemical Engineering

University of Delaware

ELIZABETH M. TOPP, Ph.D.

Department of Pharmaceutical Chemistry

The University of Kansas

## Temporary Members (Voting):

JERRY M. COLLINS, Ph.D.

National Cancer Institute

National Institutes of Health

ARTHUR H. KIBBE, Ph.D.

Nesbitt School of Pharmacy

Wilkes University

1 PARTICIPANTS (CONT'D):

2 Temporary Members (Voting) (Cont'd):

3 MELVIN V. KOCH, Ph.D.  
University of Washington

4  
MARVIN C. MEYER, Ph.D.  
5 College of Pharmacy  
The University of Tennessee

6  
HARRIET B. NEMBHARD, Ph.D.  
7 Pennsylvania State University

8 Committee Members (Non-Voting):

9 MUKUL A. AGRAWAL, Ph.D.  
Industry Representative  
10 Roxane Laboratories, Inc.

11 PHILIP R. MAYER, Ph.D.  
Industry Representative  
12 Wyeth Research

13 RICHARD J. STEC, JR., Ph.D.  
Industry Representative  
14 Hospira, Inc.

15 PATRICIA C. TWAY, Ph.D.  
Industry Representative  
16 Merck Manufacturing Division

17 Designated Federal Official:  
18 LCDR. DIEM KIEU H. NGO, Pharm.D.  
BCPS

19  
Guest Speakers:

20  
ABU ALAM, Ph.D.

21  
PAUL DORINSKY, M.D.

22

1 PARTICIPANTS (CONT'D):  
2 Guest Speakers (Cont'd):  
3 DALE GERDING, M.D.  
4 JAMES POLLI, Ph.D.  
School of Pharmacy  
5 University of Maryland  
6 GUY ROUSSEAU  
7 FDA (Non-Voting):  
8 HELEN WINKLE  
Director  
9 Office of Pharmaceutical Science  
10 NORMAL SCHMUFF, Ph.D.  
Branch Chief  
11 Division of Pre-Marketing Assessment II  
12 KEITH WEBBER, Ph.D.  
Deputy Director  
13 Office of Pharmaceutical Science  
14 WALLACE P. ADAMS, Ph.D.  
Office of Pharmaceutical Science  
15  
GARY BUEHLER, R.Ph.  
16 Director  
Office of Generic Drugs  
17  
BADRUL A. CHOWDHURY, M.D., Ph.D.  
18 Office of Drug Evaluation II  
19 SUSAN CUMMINS, M.D., M.Ph.  
Pediatric and Maternal Health Staff  
20 Office of New Drugs  
21  
22

1 PARTICIPANTS (CONT'D):

2 FDA (Non-Voting) (Cont'd):

3 FRANK HOLCOMBE, JR., Ph.D.  
Office of Pharmaceutical Science

4  
MICHAEL E. KASHTOCK, Ph.D.  
5 Office of Food Safety  
Center for Food Safety and Applied Nutrition

6  
JOHN KAUFFMAN, Ph.D.  
7 Division of Pharmaceutical Analysis  
Office of Testing and Research

8  
ROBERT LIONBERGER, Ph.D.

9 OGD, OS

10 LAWRENCE YU, Ph.D.  
Director for Science  
11 Office of Generic Drugs

12 \* \* \* \* \*

13

14

15

16

17

18

19

20

21

22

1 P R O C E E D I N G S

2 (8:36 a.m.)

3 DR. MORRIS: Good morning, everyone.

4 Let's call this to order. I'd like to first  
5 welcome everybody, and just make a couple of  
6 comments that have to do with the sort of  
7 general nature of our discussion. There's of  
8 course no specific products being discussed;  
9 this is a general discussion.

10 And let me read the prepared  
11 statement. For topics such as those being  
12 discussed at today's meeting, there are often  
13 a variety of opinions, some of which are  
14 quite strongly held, as we saw  
15 yesterday -- that's off the script.

16 Our goal is that today's meeting  
17 will be a fair and open forum for discussion  
18 of these issues, and that individuals can  
19 express their views without interruption.

20 Thus, as a gentle reminder -- oh, sorry. If  
21 I had better glasses I could -- thus, as a  
22 gentle reminder, individuals -- do I have to

1 start over?

2 LCDR NGO: No.

3 DR. MORRIS: Oh, good. I didn't want  
4 to get shot.

5 Thus, as a gentle reminder,  
6 individuals will be allowed to speak into the  
7 record only if recognized by the Chair. We  
8 look forward to a productive meeting.

9 In the spirit of the Federal  
10 Advisory Committee Act and the Government in  
11 the Sunshine Act, we ask that the Advisory  
12 Committee Members take care that their  
13 conversations about the topic at hand take  
14 place in the open forum of the meeting. We  
15 are aware that members of the media are  
16 anxious to speak with the FDA about these  
17 proceedings; however, FDA will refrain from  
18 discussing the details of this meeting with  
19 the media until its conclusion.

20 Also, the Committee is reminded to  
21 please refrain from discussing the meeting  
22 topic during breaks or at lunch.

1 Thank you.

2 And so before we start, if we could  
3 go around the table and do introductions; and  
4 as with yesterday, we can start with Keith.

5 DR. WEBBER: Keith Webber, deputy  
6 director of OPS, Pharmaceutical Science, FDA.

7 DR. WINKLE: Helen Winkle, director  
8 of --

9 DR. MORRIS: Your mic's not on, Helen.

10 DR. WINKLE: There we go. I'm sorry.  
11 Helen Winkle, director of Office of  
12 Pharmaceutical Science, CDER.

13 DR. BUEHLER: Gary Buehler, director,  
14 Office of Generic Drugs.

15 DR. YU: Lawrence Yu, director for  
16 Science, Office of Generic Drugs.

17 DR. AU: Jessie Au, distinguished  
18 university professor at Ohio State.

19 LCDR NGO: Lieutenant Commander  
20 Diem-Kieu Ngo, designated federal official.

21 DR. MORRIS: Ken Morris, professor of  
22 Pharmaceuticals, University of Hawaii, Hilo.



1 DR. ROBINSON: Anne Robinson,  
2 professor of Chemical Engineering, University of  
3 Delaware.

4 DR. MORRIS: Marilyn Morris, professor  
5 of Pharmaceutical Sciences, University of  
6 Buffalo.

7 DR. TOPP: Liz Topp, professor of  
8 Pharmaceutical Chemistry, University of Kansas.

9 DR. NEMBARD: Harriet Nembhard,  
10 professor of Industrial Engineering, Penn State  
11 University.

12 DR. KOCH: Mel Koch, director of the  
13 Center for Process Analytical Chemistry,  
14 University of Washington.

15 DR. MEYER: Marvin Meyer, University  
16 of Tennessee College of Pharmacy, emeritus  
17 professor.

18 DR. KIBBE: Art Kibbe, chair and  
19 professor of Pharmaceutical Sciences, Wilkes  
20 University.

21 DR. GOOZNER: Merrill Goozner, I'm  
22 with the Center for Science in the Public

1 Interest. I'm the consumer representative on  
2 the Committee.

3 DR. COLLINS: Jerry Collins, National  
4 Cancer Institute at NIH.

5 DR. GLOFF: Carol Gloff, Boston  
6 University, and the independent consultant.

7 DR. TWAY: Pat Tway, Merck & Company,  
8 representing pharma.

9 DR. STEC: Rich Stec, Hospira, Inc.,  
10 industry representative.

11 DR. MORRIS: Thanks, everyone. Now,  
12 Diem will read our statement.

13 LCDR NGO: Good morning, everyone.  
14 Before I re-read the statement, can I just  
15 remind everyone to silence your phones and  
16 pagers, or put on vibrate mode.

17 And if Sandy Walsh or Rita  
18 Chappelle is in the room from the press  
19 office, please stand up. Okay, I guess  
20 they're not here yet.

21 The Food and Drug Administration is  
22 convening today's meeting of the Advisory

1 Committee for Pharmaceutical Science and  
2 Clinical Pharmacology of the Center for Drug  
3 Evaluation and Research under the authority  
4 of the Federal Advisory Committee Act of  
5 1972.

6 With the exception of the industry  
7 representatives, the members and the  
8 temporary voting members of the Committee are  
9 special government employees, SGEs, or are  
10 regular federal employees from other  
11 agencies, and are subject to federal conflict  
12 of interest laws and regulations.

13 The following information, the  
14 status of this Committee's compliance with  
15 the federal ethics and the conflict of  
16 interest laws covered by, but not limited to,  
17 those found at 18 USC Section 208 and  
18 Section 712 of the Federal Food, Drug, and  
19 Cosmetic Act, are being provided to  
20 participants in today's meeting and to the  
21 public.

22 FDA has determined that the members

1 and temporary voting members of the Committee  
2 are in compliance with federal ethics and  
3 conflict of interest laws. Under 18 USC  
4 Section 208, Congress has authorized FDA to  
5 grant waivers to special government employees  
6 and regular federal employees who have  
7 potential financial interests when it is  
8 determined that the Agency's need for a  
9 particular individual's services outweighs  
10 his or her potential financial conflict of  
11 interest.

12 Under Section 712 of the FD&C Act,  
13 Congress has authorized FDA to grant waivers  
14 to special government employees and regular  
15 federal employees with potential financial  
16 conflicts when necessary to afford the  
17 Committee essential expertise.

18 Related to the discussions of  
19 today's meeting, members and temporary voting  
20 members of this Committee have been screened  
21 for potential financial conflicts of interest  
22 of their own, as well as those imputed to

1     them, including those of their spouses or  
2     minor children, and for purposes of 18 USC  
3     Section 208, their employers. These  
4     interests may include investments,  
5     consulting, expert witness testimony,  
6     contracts, grants, CRADAs, teaching,  
7     speaking, writing, patents and royalties, and  
8     primary employment.

9             For today's agenda, the Committee  
10     will receive and discuss presentations from  
11     the Office of Generic Drugs, OGD, on one,  
12     "The Bioequivalence Methods of Locally Acting  
13     Drugs that Treat Gastrointestinal  
14     Conditions;" two, "The Use of Inhaled  
15     Corticosteroids Dose Response as a Means to  
16     Establish Bioequivalence of Inhalation Drug  
17     Products;" and three, "The Drug  
18     Classification of Orally Disintegrating  
19     Tablets (ODT) as a Separate Dosage Form, and  
20     the Need for Subsequent Guidance on the  
21     Expectations and Recommendations That Would  
22     Be Required for Applications Proposing the

1 Dosage Form."

2           This is a particular matters  
3 meeting, during which general issues will be  
4 discussed.

5           Based on the agenda and all  
6 financial interests reported by the Committee  
7 members and temporary voting members,  
8 conflict of interest waivers have been issued  
9 in accordance with 18 USC Section 208(b)(3)  
10 and Section 712 of the FD&C Act to Dr. Marvin  
11 Meyer for his stock ownership in two health  
12 care sector mutual funds. The waivers allow  
13 this individual to participate fully in  
14 today's deliberations.

15           FDA's reasons for issuing the  
16 waivers are described in the waiver documents  
17 which are posted on FDA's website at  
18 [www.fda.gov/ohrms/dockets/default.htm](http://www.fda.gov/ohrms/dockets/default.htm).  
19 Copies of the waivers may also be obtained by  
20 submitting a written request to the Agency's  
21 Freedom of Information Office, Room 6-30 of  
22 the Parklawn Building.

1           A copy of this statement will be  
2 available for review at the registration  
3 table during this meeting and will be  
4 included as part of the official transcript.

5           Additionally, we would disclose  
6 that Dr. Carol Gloff is excluded from  
7 participating in today's discussions on "The  
8 Use of Inhaled Corticosteroids Dose Response  
9 as a Means to Establish Bioequivalence of  
10 Inhalation Drug Products," due to her  
11 involvement with an affected firm.

12           We would also like to disclose that  
13 Dr. Richard Stec and Dr. Patricia Tway are  
14 serving as industry representatives acting on  
15 behalf of all regulated industry. Dr. Stec  
16 is an employee of Hospira, and Dr. Tway is an  
17 employee of Merck & Company.

18           We would like to remind the members  
19 and the temporary voting members that if the  
20 discussions involve any other products or  
21 firms not already on the agenda for which an  
22 FDA participant has a personal or an imputed

1 financial interest, the participants need to  
2 exclude themselves from such involvement, and  
3 their exclusion would be noted for the  
4 record.

5 FDA encourages all other  
6 participants to advise the Committee of any  
7 financial relationships that they may have  
8 with any firms at issue.

9 Thank you.

10 DR. MORRIS: Thank you, Diem. First  
11 topic of the day is "Bioequivalence Methods for  
12 Locally Acting Drugs that Treat Gastrointestinal  
13 Conditions." And we're going to start with  
14 presentations, the "Bioequivalence of Locally  
15 Acting GI Drugs; and Lawrence Yu, the Director  
16 for Science at OGD, is going to introduce the  
17 topic.

18 DR. YU: Thank you. Good morning,  
19 Professor Ken Morris and FDA Advisory Committee  
20 Members, my FDA colleagues, and distinguished  
21 guests.

22 As Professor Ken introduced, I'm



1 Lawrence Yu, director for Science, Office of  
2 Generic Drugs. It gives me a great pleasure  
3 and privilege to introduce this morning's  
4 topic, "Bioequivalence of Locally Acting GI,  
5 or gastrointestinal, Drugs."

6           At the end of today's presentation,  
7 we will ask two questions, specifically: what  
8 role should biorelevant dissolution play in  
9 developing bioequivalence recommendations for  
10 low solubility locally acting drugs that  
11 treat GI conditions? What role should  
12 systemic pharmacokinetics play in developing  
13 bioequivalence recommendations for low  
14 solubility locally acting drugs that treat GI  
15 conditions?

16           I should emphasize, this morning's  
17 discussion on locally acting drugs will be  
18 focused on -- in general of  
19 bioequivalence -- general bioequivalence of  
20 locally acting GI drugs; will not focus on  
21 any specific drug or drug product. Again,  
22 this morning's discussion will focus on

1 bioequivalence of locally acting GI drugs in  
2 general; do not focus on any specific drug or  
3 drug product.

4           We will have three presentations.  
5 I will give an overview. Professor Jim Polli  
6 from the University of Maryland will discuss  
7 scientific principles and the scientific  
8 considerations. Dr. Rob Lionberger from  
9 Office of Generic Drugs will discuss with you  
10 the bioequivalence of poorly soluble locally  
11 acting GI drugs.

12           My presentation will discuss  
13 bioequivalence in general, locally acting GI  
14 drugs -- the discussion which have occurred  
15 by this Committee in October of 2004, and  
16 finally, update you of the progress we have  
17 made so far.

18           So what is bioequivalence? The  
19 bioequivalence is the absence of a  
20 significant difference in the rate and extent  
21 to which the active ingredients or active  
22 moiety becomes available at the sites of drug

1 action. Now, this is for pharmaceutical  
2 equivalent or pharmaceutical alternatives.  
3 In short, the bioequivalence is defined as  
4 the absence of a significant difference in  
5 the rate and the extent of drug absorption.

6 So when we define the  
7 pharmaceutical alternative or pharmaceutical  
8 equivalence, what is the pharmaceutical  
9 equivalence? I know this terminology is not  
10 very commonly used in the scientific  
11 literature. The pharmaceutical equivalence  
12 means the same active ingredients, the same  
13 dosage forms, the same route of  
14 administration, identical in strength or  
15 concentration; may differ in characteristics  
16 such as shape, excipients, or packaging.

17 Bioequivalence clearly (inaudible)  
18 in the approval of generic drugs, but also,  
19 widely used for the approval of new drugs.  
20 Bioequivalence is used to link clinical trial  
21 material to a to-be-marketed product for the  
22 changes in formulation, for the changes in

1 manufacturing process, for the changes in  
2 dosage form, such as from capsule to tablet,  
3 or table to solution.

4           The equally significance is to the  
5 approval of generic drugs. Bioequivalence,  
6 along with the pharmaceutical equivalents,  
7 ensure the therapy equivalents. The therapy  
8 equivalent product can be substituted each  
9 other -- therapeutic equivalent product  
10 include generics, can be substituted to  
11 innovative product, or we call it, reference  
12 listed product.

13           Bioequivalence, it's also utilized  
14 for the post-approval changes, regardless  
15 whether innovative product or generic  
16 product, or brand name product, for the  
17 significant major changes, such as the  
18 formulation and manufacturing process.

19           21 CFR defines approaches to  
20 determining bioequivalence. In vivo  
21 measurement of active moiety or moieties in  
22 biological fluid, which we usually call it,

1 pharmacokinetic method or pharmacokinetic  
2 study. In vivo pharmacodynamic comparisons,  
3 we call it, bioequivalence study with PD  
4 endpoints. In vivo limited clinical  
5 comparison, which we call bioequivalence  
6 study with clinical endpoints. And in vitro  
7 comparison, in vitro dissolution comparison.  
8 And finally, any other method deemed  
9 appropriate by the FDA.

10 Now in the recent years, in vitro  
11 method, or in vitro dissolution method, has  
12 become more and more widely used.

13 Nevertheless, the pharmacokinetic study  
14 remains the most popular, most commonly used  
15 method -- preferred method. And the  
16 pharmacokinetic is usually conducted in  
17 healthy volunteer in single dose crossover.

18 (inaudible) individual product  
19 already given to patients, for example, or  
20 healthy volunteers, we will have that, we  
21 will have a plasma concentration profile, as  
22 it shown in this slide. We will have a Cmax.

1 We will have AUC.

2 As we define the bioequivalence as  
3 the absence of a significant difference in  
4 the rate and extent of drug absorption, here,  
5 the Cmax is a surrogate for the rate of drug  
6 absorption. AUC, or area under the curve, is  
7 a surrogate for the extent of drug  
8 absorption.

9 So therefore, we use, commonly use,  
10 pharmacokinetic study to demonstrate  
11 bioequivalence of -- especially, for  
12 (inaudible) systemic drugs. We use AUC and  
13 Cmax as a pharmacokinetic parameters or  
14 surrogates for determining whether product,  
15 test product, and difference product  
16 bioequivalent or not.

17 Well, yes, pharmacokinetic studies  
18 is very successful. Pharmacokinetic studies  
19 has allowed -- approved over 7- or 8,000  
20 generic drugs, as used by almost majority or  
21 all American public; contributed  
22 significantly to the health care systems in

1 America.

2           However, this method may not be  
3 applied, as it may not apply to the locally  
4 acting GI drugs. Here are the reasons. For  
5 systemic drugs, the site of action is  
6 downstream. So therefore, the concentration  
7 in the plasma in the blood control the  
8 rate -- control the safety and efficacy. The  
9 same pharmacokinetics ensure the same safety,  
10 ensure the same effectiveness of drug.

11           However, for locally acting GI  
12 drugs, the site of action is upstream of the  
13 systemic circulation. In other words, the  
14 site of action, does the drug produce its  
15 effect before it gets absorbed, before it has  
16 reached the systemic circulation. So  
17 therefore, the concentration in the plasma  
18 may not totally reflect -- reflect the  
19 concentration in the bloodstream in terms of  
20 time and location. Time and location.

21           Let me explain to you further. If  
22 it supposedly has two sites of absorption.

1 For example, in the duodenum or ileum, if  
2 there's two sites of absorption, the  
3 pharmacokinetic curve may be very similar.  
4 However, site absorption could be different.  
5 Because a different of site absorption if  
6 this drug produced its effect in the duodenum  
7 or the ileum, in the jejunum, then if the  
8 drug is absorbed in the duodenum, certainly,  
9 it will not produce effectiveness as a  
10 jejunum.

11           However, the drug absorbed from  
12 ileum will produce effect in the jejunum,  
13 because the drug travels from stomach,  
14 duodenum, jejunum, and ileum, and the colon.  
15 Of course, if this drug produces effect in  
16 the colon, then, regardless of whether  
17 (inaudible) duodenum or jejunum, it doesn't  
18 matter, because the same drug concentration  
19 probably is reached in the colon.

20           So therefore, I said, the  
21 pharmacokinetic equivalents may not produce  
22 equivalents in terms of performance. Of



1 course, it depends on drug and drug classes,  
2 depends on site of actions in the GI  
3 intestinal tract, GI tract.

4 Then, what factors affect the  
5 performance of those locally acting GI drugs?  
6 Those factors very similar -- the factors  
7 impact the drug absorption dosage form  
8 factors, drug substance or excipient factor,  
9 or sometimes in the drug absorption,  
10 (inaudible) we call the formulation factors,  
11 and physiological factors. For example,  
12 immediate release dosage versus systemic  
13 release dosage for a modified release dosage  
14 form. Impacting solubility, excipient, the  
15 permeability, and GI motility, GI pHs.

16 Now, there was one significant  
17 difference when we compared the factors  
18 affect (inaudible) drug (inaudible) versus  
19 the factors impact the performance of a GI  
20 locally acting drugs: major impact is  
21 excipients. This is because for drug  
22 absorption, excipients mainly impact the

1 rate, extent of absorption. But for the  
2 locally acting GI drugs, excipients not only  
3 impact drug absorption, but also impact -- I  
4 should have said, may impact -- may impact  
5 the performance of those drugs in the GI  
6 tract. Because, for the simple reason,  
7 excipients are there when drug produces  
8 impact in the GI tract.

9           So, when we consider the  
10 bioequivalence method of -- for locally  
11 acting drugs, those factors, those  
12 performance factors, formulation factors,  
13 physiological factors, those factors will  
14 have to be considered.

15           For those locally acting drugs,  
16 include the GI -- locally acting GI drugs,  
17 inhalation product, and topical products,  
18 indeed presents tremendous challenge for us,  
19 for the Office of Generic Drugs. And four  
20 years ago, in October 19 to 20, 2004, this  
21 topic was discussed, was presented to you, to  
22 seeking advice of this Committee. I know the

1 many, many members, including Art, Marvin,  
2 and Mel and Carol, and Ken, were members of  
3 that 2004 FDA Advisory Committee for  
4 Pharmaceutical Science.

5 At this meeting, we asked you four  
6 questions. Number one: For locally acting  
7 GI drugs, is a pharmacokinetic an in vivo  
8 sensitive formulation performance as useful  
9 as a part of determination of bioequivalence?

10 Question number two: Are there any  
11 drug specific issue that aids FDA in  
12 interpreting results of a pharmacokinetic  
13 study on a GI acting drugs with respect to a  
14 conclusion about bioequivalence?

15 Question number three: When is it  
16 possible to use dissolution testing alone to  
17 demonstrate bioequivalence of locally acting  
18 GI drugs?

19 And question number four: What  
20 should a comparative clinical trial study be  
21 conducted to demonstrate bioequivalence.

22 All this is available on the FDA

1 website. The Committee, this provides us the  
2 following recommendations. This exactly  
3 was -- was copied, so it's very busy slides.  
4 But let me point out the some of the  
5 conclusion which you have reached have had  
6 significant impact on us.

7           Number one: The pharmacokinetic  
8 studies are useful to assure the safety of  
9 the test product. In other words, we should  
10 use pharmacokinetics to assure the safety of  
11 the test product.

12           Number two: The members stressed  
13 that dissolution tests are formulation tests,  
14 can be a surrogate for clinical tests.

15           Number three: The bioequivalence  
16 for locally acting drugs, such as nasal, GI,  
17 topical be part of a Critical Path  
18 Initiatives so that those method, or  
19 bioequivalence method, it can be  
20 acceleratedly developed so that they'd be  
21 available of the generic drugs to the  
22 American public.

1           The Committee concluded, finally,  
2   that in order to prove bioequivalence, in  
3   vitro dissolution, along with the  
4   pharmacokinetics, should be acceptable. So  
5   in vitro dissolution, along with the  
6   pharmacokinetics, should be acceptable.

7           Now, those in vitro dissolution can  
8   be easily conducted to -- for highly soluble  
9   drugs, but they may not be possible, or may  
10  be difficult to do, to -- for lower soluble,  
11  poorly soluble drugs for -- because for  
12  poorly soluble drugs, in order for the drug  
13  to dissolve, very often we have to put a  
14  (inaudible). That's why we want to seeking  
15  advice today. We're seeking advice today.

16           Now, based on your recommendation,  
17  in May of 2007, FDA Office of Generic Drugs  
18  issued the White Paper or document on  
19  Critical Path for Generic Drugs. In this  
20  document, we identify four areas, including  
21  quality by design for generic drugs;  
22  including bioequivalence of systemic drugs;

1 including bioequivalence for locally acting  
2 drugs; finally, characterization for complex  
3 drug substances or drug product.

4           So therefore, we pick -- we took  
5 your advice and put bioequivalence for  
6 locally acting drugs, including nasal,  
7 inhalation, topical product as a part of our  
8 Critical Path Initiative for generic drugs.

9           We also have made some progress. I  
10 recognize this progress is limited. I  
11 certainly wish it would be faster than this.  
12 That -- immediate release dosage forms. As I  
13 talked, when we look at a performance factor  
14 for locally acting drugs, we have a dosage  
15 form, we have a formulation, we have drug  
16 substance, and we have physiological factors.  
17 So therefore, in order for us to make a  
18 scientific, mechanism-based recommendation,  
19 we have to look at those factors.

20           The first fact is dosage form.  
21 What is immediate release, or modified  
22 release, or other dosage forms? So for

1 immediate release dosage form, if drug  
2 substance are highly soluble, for immediate  
3 release dosage forms, if the drug substance  
4 (inaudible), if the test and the reference  
5 list of drug product have the same  
6 formulation, qualitatively and  
7 quantitatively.

8 Now, if you look at the Orange Book  
9 FDA has documented many cases -- Q1 and Q2.  
10 Q1 means that formulation -- that  
11 qualitatively the same. Q2 means they are  
12 quantitatively the same. The bottom line is  
13 that when your Q1, Q2 the same, or they are  
14 the same formulation in terms excipients, in  
15 terms amount.

16 So if the drug is dosed in -- is a  
17 highly soluble, formulated in immediate risk  
18 dosage form, if the test (inaudible) generic  
19 product and the reference list product have  
20 the same formulation, and (inaudible) the  
21 bioequivalence may be demonstrated by in  
22 vitro dissolution tests covering

1 physiological relevant pHs. That's because  
2 when you have a same formulation, impact of a  
3 difference of excipients is diminished or, I  
4 can say, eliminated.

5           When you eliminated excipients'  
6 impact, what is major impact here. Its  
7 impact is (inaudible) dissolution.  
8 (inaudible) dissolution. Yet, we have  
9 (inaudible) the sameness or similarity of in  
10 vitro dissolution, to ensure the similarity  
11 or the sameness of dissolution in vivo. So  
12 that, therefore, when we have a same  
13 formulation for highly soluble drugs,  
14 formulated in immediate release dosage form,  
15 when you have a same or similar dissolution  
16 profiles, we can scientifically conclude that  
17 these two products are bioequivalent.

18           So what about highly soluble,  
19 formulating immediate risk dosage form, yet  
20 as a test and (inaudible) level could have a  
21 different formulation. Then, we may say that  
22 we made the study include in vitro, in vivo



1 PK and PD, as well as even clinical trial,  
2 maybe clinical trial studies may be  
3 recommended.

4 Let me give you an example to  
5 illustrate those points. Now, for this, Drug  
6 X surpassed the test product, and the  
7 reference product have the same formulation,  
8 qualitatively and quantitatively.

9 If they have a same formulation, we  
10 basically recommended the dissolution method  
11 alone. When we show the similarity in  
12 dissolution at the 0.1 HCL, pH 4.5 buffer, as  
13 well as pH 6.8 buffer.

14 Now, you may ask, for those highly  
15 soluble drugs, would they dissolve reasonably  
16 faster, probably within 30 minutes, are  
17 complete, why do we ask it for dissolution at  
18 the high pHs, pH 6.8 or pH 4.5? Because  
19 dissolution almost complete or they are  
20 complete in the stomach at low pHs. This  
21 because we want to make sure that we cover  
22 all the pHs happened in patient. I recognize

1 some are even healthy volunteers up here.  
2 The patient is they will have pHs in the  
3 stomach. They have a -- we have to have a  
4 lot of people have a stomach pHs 4.5 or  
5 higher. Certainly, majority of us have a pHs  
6 at 1.2 or 2. This part of reason why we ask  
7 three pHs so that almost in any (inaudible)  
8 scenario pHs in -- almost in any patient,  
9 they are covered. Therefore, we expect very,  
10 very low risk.

11 And here is a Drug Y of the test  
12 product and the innovative product, or  
13 reference list product. They use different  
14 formulation. What happened. As I said, if  
15 they use different formulation, even though  
16 they are highly soluble, even though they are  
17 formulated immediate release dosage form,  
18 yet, we recommend in vitro, in vivo, even  
19 clinical trial studies. In this case, the  
20 bioequivalence is demonstrated by a PD  
21 endpoint.

22 So we have a good idea with respect

1 to highly soluble, formulated immediate  
2 release dosage forms, what we should do, in  
3 terms of recommendation for bioequivalence  
4 method. The question is what about other  
5 dosage forms. What about the other drug  
6 products. So for poorly soluble drugs, is a  
7 topic for today. I know you recommended  
8 dissolution along with the pharmacokinetics  
9 should be acceptable, yet, in for poorly  
10 soluble drugs, it is a challenge to conduct  
11 dissolutions because we have added this  
12 effect into many cases. So we are seeking  
13 advice at today's meeting.

14 For modified release dosage forms,  
15 we are still recommending, at this point,  
16 with the clinical endpoints. Certainly, we  
17 are actively exploring in vitro and in vivo  
18 approaches. We recognize that bioequivalence  
19 with clinical trials is probably too  
20 expensive. But that's the way right now we  
21 goes, because we do not have a sufficient  
22 scientific evidence data recommend the other

1 simplified or simplified approaches.

2 Certainly, we are exploring. So we're  
3 seeking advice, too, on this.

4 So finally, I give you the overview  
5 of bioequivalence for locally acting GI  
6 drugs. I discussed what the (inaudible) is.  
7 I explained why the locally acting GI drugs  
8 unique. I reviewed the Committee discussions  
9 or recommendations in four years ago.  
10 Finally, I update you on what progress in  
11 this arena.

12 With that, I conclude my talk. And  
13 any comments and questions are welcome.

14 Thank you.

15 DR. MORRIS: Thanks, Lawrence. At  
16 this time, can we have just clarification  
17 questions before our initial discussion. I  
18 think we'll start with Marvin.

19 DR. MEYER: Lawrence, I was  
20 particularly interested in the excipient  
21 effects.

22 DR. YU: Thank you.

1 DR. MEYER: Do you have an -- maybe  
2 you won't thank me. Do you have an example of a  
3 situation where the drug itself is reasonably  
4 soluble, has pretty rapid dissolution, at  
5 various pHs, it acts in the gastrointestinal  
6 tract, there's no systemic availability, so you  
7 can't do a PK study --

8 DR. YU: That's correct, yes.

9 DR. MEYER: Do you have an example of  
10 an excipient that would not be -- seem to have  
11 an effect in dissolution testing, but somehow,  
12 either before or after dissolution in the  
13 gastrointestinal tract, would cause a failure, a  
14 therapeutic failure?

15 DR. YU: Thank you, Marvin. When you  
16 talk about excipients -- you talk about how  
17 excipients impact dissolution, how excipients  
18 impact performance.

19 DR. MEYER: Right. Dissolution, I  
20 presume, you could pick up by doing dissolution  
21 testing.

22 DR. YU: Okay. Thank you. So

1 basically, excipients impact mainly on  
2 performance of product.

3 DR. MEYER: Correct.

4 DR. YU: In terms of the actions. And  
5 I'm not aware of any examples. And I have to  
6 say, for commonly used excipients like  
7 microcrystalline lactose, the impact probably is  
8 unlikely. However, we do not have solid  
9 evidence they do not impact it at all. That's a  
10 reason we are conservative; we recognize them.

11 Thank you.

12 DR. MORRIS: Go ahead. I'm sorry. Go  
13 ahead, Jerry.

14 DR. COLLINS: Jerry Collins. Good  
15 morning, Lawrence.

16 Just from your comments at the end,  
17 if you could clarify. So since the last  
18 Committee meeting four years ago, there have  
19 been no approvals based on any criteria other  
20 than clinical endpoints?

21 DR. YU: No, we do have approvals,  
22 because for highly soluble, formulated immediate

1 release dosage forms, if they can demonstrate a  
2 sameness of dissolutions -- bioequivalence is  
3 demonstrated by in vitro method, we do have  
4 approvals for those drugs.

5 DR. COLLINS: Okay, so --

6 DR. YU: We do also have approvals for  
7 top -- for locally acting GI drugs with a PD  
8 endpoints.

9 DR. COLLINS: Okay.

10 DR. YU: And certainly, we also have  
11 approvals with clinical endpoints. That's why  
12 we have so many approvals, and the leadership of  
13 Gary Buehler, I guess, and Helen Winkle.

14 Thank you.

15 DR. COLLINS: And is it consistent in  
16 the Office of New Drugs, in the Division of  
17 Gastrointestinal Drugs, the criteria that they  
18 use for manufacturing changes or formulation  
19 changes? Is there harmonization between OGD and  
20 OND in those regards?

21 DR. YU: I would say yes. In fact, we  
22 don't have the options. Reason is that, Jerry,

1 you probably know, when you were in the FDA, we  
2 received a lot of (inaudible). The (inaudible)  
3 when we're respond to those (inaudible), will be  
4 consistent response from FDA from New Drug side,  
5 from Generic side.

6 DR. COLLINS: Great.

7 DR. YU: Thank you. So therefore, in  
8 fact, any complex dosage forms in drugs, for  
9 example, these drugs, we will have to discuss  
10 with New Drugs' side; get their concurrence or  
11 agreement, or sometimes we co-develop the method  
12 for those locally acting GI drugs. We  
13 collaborate with them very actively and I truly  
14 appreciate the input and the contribution by the  
15 Office of New Drugs, by the other side of FDA,  
16 in supporting us. Thank you your question.

17 DR. MORRIS: Other clarification?  
18 Questions?

19 I, actually, have one. Ken Morris.  
20 Lawrence, just digging a little bit into what  
21 Marv was asking about. Have -- I don't want  
22 to start a discussion, but just to see. Have



1 you looked at any excipients that have known  
2 membrane activity?

3 DR. YU: We do recognize that  
4 excipients could impact transporters. I think,  
5 you know, the Morris is a -- it's in her area,  
6 in transporters.

7 We do have a scientific  
8 investigation report, excipients impact  
9 absorption, excipients inhibit, or if  
10 sometimes introduce absorption with respect  
11 to inhibit (inaudible) transporters, uptake  
12 transporters. I have to say, those report,  
13 it pretty much are concentrating in vivo, and  
14 the -- we are, so far, as far as I know,  
15 there's only one scientific publication,  
16 publishing pharm research last year, discuss  
17 excipients' impact on Tylenol, I believe, the  
18 drug. So we're not -- besides that, we have  
19 not seen any significance in impact  
20 excipients in vivo, in vivo.

21 DR. MORRIS: Thank you.

22 DR. YU: Thank you.

1 DR. MORRIS: If there are no other  
2 questions, I think we can move on to Professor  
3 Polli?

4 DR. YU: Jim.

5 DR. MORRIS: Jim.

6 DR. POLLI: Dr. Morris, Committee  
7 members, appreciate the opportunity to be  
8 invited here. For those of you visiting from  
9 outside Maryland, hope you're enjoying your stay  
10 in Maryland. Okay.

11 I've been asked to speak about  
12 bioequivalence of locally acting drugs, in  
13 particular, the two questions with regard to  
14 what role should bioequivalent dissolution  
15 play in developing BE recommendations for  
16 lowly soluble locally acting drugs that treat  
17 GI conditions, and then what role should  
18 systemic PK play in this regard? Okay.

19 And in thinking about this, most of  
20 my experience is actually in -- as probably  
21 with many people's, with these systemically  
22 acting drugs -- and here's something that

1 actually just came out, just about a month  
2 ago, that talks about the relative benefits  
3 of in vitro testing versus in vivo testing,  
4 so I figured I would just at least share this  
5 perspective with you, as it relates to  
6 systemically acting oral products.

7           In vitro tests can have some  
8 benefits. Certainly, in terms of reduced  
9 cost or benefits, especially in situations  
10 where we expect bioequivalence. And there is  
11 a fair number of such products where we can  
12 actually expect that.

13           Another reason is that, you know,  
14 in vitro tests sometimes more directly assess  
15 product performance. As we'll discuss,  
16 bioequivalence is really not necessarily  
17 focused on safety and efficacy, rather  
18 product performance, as we'll discuss.

19           Also, by virtue of being a more  
20 direct assessment of product performance, it  
21 avoids some of the complications like  
22 indirect assessment, which sometimes

1 pharmacokinetics gets involved with. Some  
2 drugs, as this Committee has talked about,  
3 many times in the past are highly variable,  
4 and by relying on plasma as an indirect  
5 assessment of product performance, that  
6 actually just complicates the picture more  
7 than anything, in some circumstances.

8           And then a third reason is, really,  
9 ethically reasons. For example, the FDA,  
10 several years ago, implemented the  
11 biopharmaceutics classification. One  
12 question might be: for a systemically acting  
13 drug, let's say, if the drug is a Class 1  
14 drug and it's rapidly dissolving, is it  
15 ethical to an in vivo study? Okay.

16           So there are differences between  
17 bioequivalence and safety and efficacy  
18 testing. This is the same definition that we  
19 just saw Lawrence present. And in  
20 bioequivalence, it doesn't specifically  
21 mention it's the same as safety and efficacy.  
22 And by virtue of that, there's different

1 types of tests that can be employed. And I  
2 think the reason for this difference is that  
3 formulation performance evaluation is at  
4 least as discriminating as clinical safety  
5 and efficacy evaluation.

6           So this Committee is certainly, I  
7 would expect, be confident that  
8 bioequivalence assures clinical safety and  
9 efficacy. And that could be illustrated here  
10 in this type of diagram. So if we divide  
11 products in terms of, say, those that are  
12 safe and effective versus those that are not  
13 safe and effective, and where does  
14 bioequivalence fit into this, we would  
15 certainly hope that it fits into this area,  
16 here, where bioequivalence is really assuring  
17 safety and efficacy. And we even see that  
18 there's space outside this circle, where  
19 there's still blue color. So there is still  
20 safety and efficacy, but it just doesn't  
21 necessarily meet the bioequivalence standard.

22           So bioequivalence assures safety

1 and efficacy, clinical safety and efficacy.  
2 And by virtue of being sort of a more  
3 conservative test, it's at least as accurate  
4 and precise as comparative clinical studies,  
5 at least, certainly, that's the intent.  
6 Because it's really not the same thing as  
7 safety and efficacy, in terms of it as a  
8 test. Okay.

9           Let's also look at, you know,  
10 clinical testing, how good is that as a test  
11 for bioequivalence? Here's some perspectives  
12 on mesalamine. Some comments about  
13 mesalamine safety and/or tolerability of test  
14 and placebo are sometimes close. This is  
15 a -- mesalamine is used to treat situations  
16 which just sometimes actually improve over  
17 time anyway. So considering rates of  
18 improvement and underlying variability, it's  
19 not always easy to tell whether it's really a  
20 test that's being very sensitive.

21           There's certainly lots of variables  
22 in doing clinical studies. For example, in

1 this particular situation, there's different  
2 severities of disease, instruments to measure  
3 efficacy, and what is the definition -- what  
4 particular is being used for the primary  
5 endpoint.

6           And there's also dose response type  
7 issues. Here's a quotation from this  
8 particular article: "Despite numerous  
9 studies investigating the effect of  
10 mesalamine dose on clinical efficacy, it  
11 remains unclear whether a dose response of  
12 mesalamine exists. Other larger studies have  
13 not consistently shown a dose response for  
14 mesalamine above doses of more than 1.5 grams  
15 per day."

16           So in terms of the clinical study,  
17 if one were to argue, well, that's the gold  
18 standard; well, really, how good is the  
19 clinical study in terms of being very  
20 discriminating. If the dose response is not  
21 particularly good, does it -- is that really  
22 a positive attribute? I think the answer

1 would be, well, that's not great.

2           So in thinking about locally acting  
3 drugs, here's actually an illustration from a  
4 book that came out, maybe, about 10, 15 years  
5 ago, "How Does Aspirin Find a Headache?" And  
6 if I remember, Dr. Topp actually studied  
7 aspirin as a graduate student, so maybe she  
8 knows the answer to this. But it gets us  
9 thinking about, well, do locally acting drugs  
10 know they are not supposed to be systemically  
11 active? So it sort of gets at the question,  
12 well, what's so different about locally  
13 acting drugs, anyway? And Lawrence, in his  
14 presentation, did emphasize certain features.  
15 Okay.

16           In terms of systemically acting  
17 drugs, certainly, conventional human PK  
18 studies are the norm. And for these types of  
19 products, as Dr. Yu indicated, the site of  
20 action is systemic tissue beyond the plasma.  
21 In this regard, there's an engagement of an  
22 extrapolation type assumption, extrapolating



1 forward from the plasma. And if the plasma's  
2 the same, we would conclude whether the  
3 absorption is the same. And if absorption is  
4 the same, then by virtue of pharmacokinetics,  
5 ADME's the same absorption distribution,  
6 metabolism excretion, and hence, they are  
7 therapeutically equivalent. Okay.

8           So this is illustrated here. And  
9 the questions that are being posed is how can  
10 dissolution testing be used for poorly  
11 soluble locally acting drugs, and how can  
12 plasma data be used?

13           So for systemically acting drugs,  
14 we have this scenario here. We have drug  
15 dissolution, drugs in plasma, and then drugs  
16 in tissue. Again, we're engaging in this  
17 sort of extrapolation type of thinking. It's  
18 a little bit different conceptually, in terms  
19 of locally acting GI drugs, where it's more  
20 of an interpolation type of process that we  
21 might have to consider. Where there's drug  
22 dissolution, and some types of tests can be

1 done in vitro, and the drug may wind up in  
2 the plasma, and that could be quantified.  
3 And the target tissue is between dissolution  
4 and plasma.

5           So in some regards, there is a  
6 difference, even though the drug may not know  
7 it's not supposed to be not -- even though  
8 the drug may not know it's locally acting,  
9 there certainly is a difference in the site  
10 of action. Okay.

11           So in terms of the question of  
12 plasma concentration, does that reflect  
13 formulation performance? Do plasma  
14 concentration -- is that indicative of  
15 formulation performance? I guess the  
16 particular question is do similar plasma  
17 profiles assure similar concentration at the  
18 site of action?

19           And when one speaks with  
20 clinicians, a common question is, well, how  
21 do you know where the drug is released?  
22 Well, in terms of what could be relied on, in

1 terms of pharmacokinetics, as this Committee  
2 knows, there's issues -- there's metrics such  
3 as total exposure, peak exposure, and early  
4 exposure.

5           And just in thinking about this, I  
6 think at least there's one thing that would  
7 need to be considered, is that to use plasma  
8 only as a surrogate -- as a measure of  
9 bioequivalence, one would certainly need to  
10 probably have some sort of minimal level of  
11 systemic exposure. And I'm saying that  
12 because the goal is to have this test be a  
13 formulation performance type of test. And  
14 plasma, alone, would not differentiate  
15 between two scenarios.

16           One scenario where there's a  
17 product which performs where there's, say,  
18 minimal or no systemic exposure, and,  
19 meanwhile, a second product which, say,  
20 completely fails to release, would have,  
21 maybe, similar plasma exposure; i.e., very  
22 low plasma exposure. So in that regard, I

1 think, you know, one can certainly come up  
2 with situations where plasma, only, would not  
3 be acceptable. Okay.

4 Other considerations with regard to  
5 the extent that plasma concentration may or  
6 may not be indicative of formulation  
7 performance. One question they're  
8 after -- Dr. Yu's talk had to do with  
9 excipients. I don't know of any excipients  
10 that modulate. Permeability, if that was the  
11 nature of that question. And then, of  
12 course, there's metabolite issues, which I  
13 believe this Committee has discussed in the  
14 past, also. Okay.

15 With regard to in vitro dissolution  
16 in formulation performance, for poorly  
17 soluble drugs, we certainly anticipate in  
18 vivo dissolution being a really key  
19 determinant in terms of tissue exposure to  
20 drug. Such that any in vitro test, for the  
21 purposes of being a surrogate, must reflect  
22 relevant in vivo parameters. Now, what are

1 those things? Well, for poorly soluble  
2 drugs, that's extremely difficult. I mean,  
3 it's not possible to rely on in vitro  
4 dissolution testing, only, to assure  
5 bioequivalence for poorly soluble drugs,  
6 including locally acting drugs. Lowly  
7 soluble drugs are certainly more complex.  
8 Okay.

9           In terms of the question, clinical  
10 studies in formulation performance, are  
11 clinical studies indicative? The thing that  
12 comes to mind is, quite often, clinical  
13 studies, almost by definition, compared to  
14 the formulation performance issues that we  
15 discussed earlier, they're not as sensitive.  
16 I mean, arguably, bioequivalence is a very  
17 high standard. And comparative clinical  
18 studies can fail to be sensitive to  
19 formulation differences, even those that are  
20 otherwise bioinequivalent.

21           So in terms of establishing  
22 biomarkers for local delivery to the GI

1 tract, potential biomarkers that we're  
2 discussing here include in vitro dissolution  
3 and plasma concentration. In terms of, you  
4 know, what are we trying to target, our  
5 evidence for using such biomarkers, things  
6 that come to mind are in vivo dissolution,  
7 local tissue levels, plasma concentration,  
8 and formulation design. Of course,  
9 formulation design is, of course, very  
10 important in -- when one contemplates product  
11 similarity.

12           So as we've already discussed, in  
13 terms of in vitro dissolution in plasma,  
14 there's these issues of, you know,  
15 interpolating. To accept in vitro  
16 dissolution, alone, as a BE method for poorly  
17 soluble drugs, one would need to compare in  
18 vitro dissolution to either in vivo  
19 dissolution or local tissue levels.

20           So as an academic, I tried to do  
21 some literature searching on this. And there  
22 are just a couple of examples where one was

1 measuring luminal concentrations of drug.  
2 The technique was in intestinal luminal  
3 microdialysis, and it was done in pigs. I  
4 don't know of any situations where it was  
5 done in humans. Okay. So that's clearly  
6 more of a research topic, shall we say.

7 In terms of local tissue level, I  
8 don't think there's any examples that I was  
9 able to find.

10 As an academic, I'll use that and  
11 say, well, people, of course, working on  
12 this. There's imaging, for example.  
13 Positron emission tomography is one  
14 particular example. It's well suited for  
15 drugs, at least theoretically. But as you  
16 may know, one major limitation to this is,  
17 really, their very, very short half-life  
18 radionuclides, on the order of minutes, such  
19 that to evaluate formulations would  
20 practically be impossible, at least today.

21 However, you know, in vitro  
22 dissolution can be used as a surrogate for BE

1 under some circumstances. For example, the  
2 FDA has IVIVC (?) guidance. Presumably, that  
3 applies to such products. But, of course,  
4 one limitation of that guidance is that it's  
5 formulation specific, it's not portable  
6 across, say, different manufacturers.

7           Let's talk a little bit about  
8 dissolution testing. There's a variety of  
9 different roles of dissolution testing,  
10 spanning from formulation development,  
11 biomimetic test, quality control test, and  
12 bioequivalence surrogates. One term that's  
13 often used in the literature, in fact, it was  
14 in the two questions that were posed, this  
15 issue of biorelevant media. It's my opinion  
16 what that term means is that it intends to  
17 mimic the gastrointestinal luminal  
18 conditions, based on things like composition,  
19 physical chemical properties, things of that  
20 sort.

21           And one example that I'll give you  
22 is maybe something you've never heard of, is



1 FaSSIF-V2, and I'll elaborate more on that.  
2 And of course, there's a variety of quality  
3 control tests for the reference listed drug  
4 for regulatory purposes. And, as has already  
5 been alluded to in the first talk,  
6 dissolution is used as a bioequivalence  
7 surrogate. For example, for BCS-type panel  
8 tests and, as I mentioned previously, for  
9 IVIVC-type of situations.

10           Of course, this is -- it's much  
11 more challenging for poorly soluble drugs.  
12 Drugs have different properties. I mean, I  
13 think at first blush, one would need to  
14 characterize them as either -- well, there's  
15 acids, there's bases, and the neutrals.  
16 Their physical chemical properties are very  
17 different in the context of dissolution.

18           Obviously, their solubilities  
19 typically increased in micellar solutions.  
20 And that can be very large under in vivo type  
21 of circumstances.

22           In terms of possible biorelevant

1 dissolution media, here's some examples. And  
2 this is a bit of an older slide. And when I  
3 mean older, I mean only a couple of months  
4 old. So there's examples for preprandial  
5 stomach, postprandial stomach, fasted jejunum  
6 and fed jejunum.

7           And in this slide, this is  
8 information from a particular article that  
9 came out just a couple months ago in  
10 Pharmaceutical Research. And the message  
11 that I'm trying to give with this particular  
12 slide is that there is no universal  
13 dissolution medium. For example, here, in  
14 this slide, these authors have been very  
15 active in the area of dissolution testing,  
16 including coming up with new media. And,  
17 actually read this, the aim of the study was  
18 to update the compositions of biorelevant  
19 media to represent the composition of  
20 physical chemical characteristics of GI  
21 fluids as closely as possible, while  
22 providing physical stability during

1 dissolution runs and short-term storage.

2           It's an excellent article; they do  
3 excellent work. They are suggesting, at this  
4 time, a new -- a fasted stomach-type of media  
5 from a recent publication. They're proposing  
6 a new fed stomach-type of media. And they're  
7 updating things that they previously have  
8 published.

9           One thing that they didn't do was  
10 they didn't do any dissolution testing. So  
11 the point that I'm trying to make is I think  
12 it's fair to say that for poorly soluble  
13 drugs, it's certainly a research area, but  
14 there's certainly not a, shall we say, a  
15 magic bullet in terms of solving (inaudible)  
16 type problems.

17           And here's an example, just to give  
18 you an idea of just the profound effect that  
19 surfactants can have on product dissolution.  
20 We see it at the, you know, at the bottom,  
21 here, in water, is very little dissolved.  
22 And then it's enhanced several fold more, but

1 still well below 100 percent in these  
2 biorelevant-type media.

3           Of course, people are obviously  
4 working -- now, these biorelevant media are  
5 actually relatively expensive. People, of  
6 course, are working on cheaper alternatives  
7 that do the same thing. Do they accomplish  
8 that? The short answer is, well, no, not  
9 globally.

10           Here's some text from an article  
11 from a couple -- from about six months or so  
12 ago, "Validation of the correspondence of  
13 results in media containing synthetic  
14 surfactants and those containing bile acid  
15 components is necessary on a case-by-case  
16 basis." In other words, it doesn't work  
17 broadly, at least in their experience.

18           And then, I noticed the composition  
19 has some engineers on the panel, so I put  
20 this in just for, I think, there's several  
21 engineers on the Committee. I think a lot of  
22 progress has been made in the last 40 or so

1 years since dissolution testing took on a  
2 regulatory component, formally. And, but to  
3 what extent is it well-understood, the  
4 mechanisms underpinning surfactant mediated  
5 dissolution?

6           And I think it's fair to say that  
7 more could be done. And here's just showing  
8 some of our work. And the point here is to  
9 show that, in general, you can get a lot of  
10 solubilization by using surfactants, as shown  
11 by these open bars, here. But dissolution  
12 tends to be much, much attenuated. And that  
13 relates to not so much solubility, but  
14 because of surfactants are very big and they  
15 diffuse very slowly. So there's a diffusion  
16 penalty here, shall we say.

17           I guess one suggestion I would have  
18 would be to get more data. I think there's a  
19 huge amount of data in the literature.  
20 There's a lot of academic research labs  
21 working on this throughout the world. I'm  
22 also under the -- it's also my impression

1 that there's a lot of dissolution test method  
2 reports. There's a -- I mean, a lot of firms  
3 go through great efforts in studying the  
4 dissolution of their product, to make the  
5 best possible product.

6           The question is, well, how portable  
7 is that information? And in my experience,  
8 it's not very portable. So the thing I would  
9 actually encourage would be to collect data.  
10 If there's a question about the relevance of  
11 dissolution for a particular type of drug  
12 class or something like that, that's very  
13 challenging. My guess is it would be some  
14 advantage to actually collecting data. For  
15 example, the BCS media in different  
16 surfactant concentrations like SLS.  
17 Otherwise, it would seem to be very difficult  
18 going forward, because it is a difficult  
19 problem.

20           So some summary, with regard to low  
21 solubility IR locally acting drugs. I'm  
22 going to be an optimist and say that in vivo

1 studies have potential to sometimes serve as  
2 a BE test, perhaps even under some  
3 circumstances compared to in vivo testing.  
4 You know, in the future. Low solubility  
5 drugs are very difficult, though. There is  
6 no dissolution test for poorly soluble drugs  
7 that will automatically solve all your  
8 problems. I often hear people saying, well,  
9 there's biorelevant tests, right. Well  
10 those, I'd say, that's more of an academic  
11 term emphasizing composition more than, at  
12 this point, performance. And data is really  
13 needed.

14 So in terms of some of the  
15 questions here, what role should biorelevant  
16 dissolution play in developing BE  
17 recommendations for lowly soluble locally  
18 acting drugs that treat GI conditions? Well,  
19 I think in general, in vitro dissolution  
20 testing, alone, cannot -- is not  
21 enough -- there's not confidence there, at  
22 least at this point, for this to serve as the

1 sole type of test.

2           What role should systemic  
3 pharmacokinetics play? Well, given current  
4 options beyond clinical testing, it would  
5 seem to be a necessary requirement if one is  
6 thinking at least going to do a clinical  
7 study. My own opinion is that on a  
8 drug-by-drug basis, there is potential for it  
9 to be as reliable as pharmacokinetic studies  
10 used for systemically acting drugs. I don't  
11 think locally acting drugs know they're  
12 locally active.

13           What role should combined  
14 dissolution and PK play? I think there's,  
15 you know, really good, strong potential here,  
16 because these types of tests do get at  
17 product performance type of issues, which is  
18 a relatively high -- which is a high  
19 standard. However, relying on dissolution  
20 and PK certainly requires an interpolation  
21 assumption, that we described previously, and  
22 justification of the proposed dissolution



1 test across different formulations. That's  
2 probably being a particularly challenging  
3 type of assumption, though.

4 Thank you very much.

5 DR. MORRIS: Thanks, James. Nice  
6 presentation and thanks for coming.

7 Do we have questions or  
8 clarifications for Dr. Polli?

9 Okay, Marilyn, and then Harriet.

10 DR. M. MORRIS: Hi, Jim. Very nice  
11 presentation. I just --

12 DR. MORRIS: Don't forget to state  
13 your name, Marilyn.

14 DR. M. MORRIS: Oh, Marilyn Morris. I  
15 had a question regarding dissolution testing,  
16 and I don't really know very much about it. But  
17 I assume that the media is the same for general  
18 testing, whether it's a high solubility or low  
19 solubility drug. Correct?

20 DR. POLLI: I don't think so. I think  
21 when one goes about designing a dissolution  
22 test, I think one of the first things they

1 consider is solubility. And by most definitions  
2 of low solubility, it wouldn't be sufficient for  
3 an in vitro test. I don't know that this is the  
4 best thing in the world, but I think most people  
5 approach in vitro dissolution testing as a  
6 situation where you need complete dissolution  
7 under synch conditions. And that would mean the  
8 solubility would be many -- could be much higher  
9 than the solubility of the drug itself. So I  
10 think there's many situations where surfactants  
11 are used and -- but if the drug is highly  
12 soluble, I think in general, surfactants are not  
13 used. So I think --

14 DR. M. MORRIS: So the media could be  
15 different, and it's maybe not defined. I know  
16 you had a suggestion in one of your slides for a  
17 change in media.

18 DR. POLLI: Yes, the suggestion I was  
19 trying to make was really just one of data. I  
20 think poorly soluble drugs, because of what we  
21 were just talking about, everyone does things  
22 differently, I'd have to say, particularly with

1 regard to poorly soluble drugs. So to even  
2 contemplate, shall we say, a universal test  
3 which might be a panel of media, I think you  
4 would need to collect data using proposed media.  
5 And I think, in general, that doesn't happen. I  
6 think if one laboratory, they do things a  
7 certain way, they might like sodium lauryl  
8 sulfate -- another lab might like Tween 80. So  
9 I think there's a lot of information on  
10 dissolution test as, for example, represented by  
11 some of those study reports that I referred to.

12           But there's usually no  
13 interconnectivity between them, particularly  
14 across, say, different laboratories. So  
15 there's a lot of different practices that  
16 are -- have nothing in common with one  
17 another.

18           DR. M. MORRIS: I had a second  
19 question. What is the -- from reviewing the  
20 literature, the possibility of actually sampling  
21 intestinal fluids, such is done in other types  
22 of studies.

1 DR. POLLI: Yes, there are some, I  
2 think, at least academic labs that have done  
3 that. I think it's difficult. When I -- I have  
4 some GI clinician colleagues. When I talk to  
5 them about this they -- even though they do  
6 intubations every day, the clinicians, to think  
7 that you can sample, say, across the GI tract,  
8 just the tube that would be needed with the  
9 multiple ports. One person, I forget exactly  
10 how he put it, but, you know, extremely  
11 difficult, something on that order.

12 DR. M. MORRIS: You know, I know  
13 sampling's been done for duodenal fluid.

14 DR. POLLI: Yes, yes. So I think it's  
15 possible to do one site, but if -- let's say, if  
16 there's more than one site that might be of  
17 interest, like lower bowel, it's even more  
18 challenging.

19 DR. M. MORRIS: Thank you.

20 DR. MORRIS: Harriet.

21 DR. NEMBHARD: Thank you for providing  
22 this background for me. I have one specific

1 question and one general question. I'll start  
2 with the general background question first.

3           And that is, in your concluding  
4 slide, you said that dissolution has the  
5 potential to be as reliable as PK studies, on  
6 a drug-by-drug basis. So does this mean that  
7 establishing this relationship or this  
8 correlation between the studies is something  
9 that would be used for ongoing quality  
10 control as opposed to any initial validation  
11 of drugs?

12           DR. POLLI: Yes. I think what the  
13 question had to do with the use of PK sampling as a  
14 bioequivalence test. Yes. I mean, so, what I  
15 was trying to say there is even though locally  
16 acting drugs may not know they're locally  
17 acting, as Dr. Yu, kind of, already indicated,  
18 there are issues about, maybe, locations within  
19 the GI tract that are being treated. So they  
20 probably do merit a drug-by-drug consideration.  
21 Now, what are the factors? I guess we'd have to  
22 talk about certain drugs. I haven't really

1 thought about it, I guess, for any particular  
2 drug.

3 DR. NEMBHARD:

4 DR. MORRIS: Can I -- I think,  
5 actually, Jessie, and then Liz. But I'm  
6 not -- let me put words in your mouth, Harriet.  
7 But I think you were asking more about the use  
8 of the test. In other words, would you use it  
9 in lieu of PK during development as opposed to  
10 just ongoing --

11 DR. NEMBHARD: Right, because it  
12 indicates a drug-by-drug basis, so that makes me  
13 think it's something for ongoing quality  
14 control, or am I off base here? I don't want to  
15 put words in your mouth, either. I'm just  
16 trying to understand the --

17 DR. MORRIS: You're talking about more  
18 where in the development path it occurs, I  
19 think, James.

20 DR. POLLI: Oh, so, in the context of  
21 development? Actually, I actually just don't  
22 know.

1 I don't know what the routine is in  
2 terms of reliance on in vitro tests for  
3 locally acting drugs.

4 DR. NEMBHARD: Okay.

5 DR. POLLI: I don't know what the  
6 answer is.

7 DR. MORRIS: And I think --

8 DR. POLLI: I think that was the same  
9 question that Dr. Collins was asking, in  
10 essence.

11 DR. MORRIS: Do you want to address  
12 that, Lawrence?

13 I mean, I can tell you -- I mean,  
14 basically, you wouldn't be doing -- you know,  
15 PK studies after -- you know, once you were  
16 approved, necessarily, unless there were  
17 changes. But during the initial drug  
18 development or if you were, depending on  
19 where you are in the generic process, what  
20 class you were in. But for low solubility  
21 drugs, you would do it prior to approval.

22 DR. NEMBHARD: Prior to approval.

1 DR. MORRIS: Right.

2 DR. NEMBHARD: Okay.

3 DR. MORRIS: And/or after  
4 the -- please.

5 DR. YU: I can comment on it.  
6 Actually, I can comment on back to Marilyn's  
7 question, too.

8 Well, it's a -- whether it's  
9 innovator or generic drug development,  
10 dissolution is pretty much very commonly used  
11 as surrogate. We recognize dissolution may  
12 not be (inaudible) in vivo, but quite  
13 commonly used because so easy to do it. Test  
14 it cost the -- you know, the couple month and  
15 very expensive. So the -- for drug  
16 development, whether it's generic or  
17 innovator, they always use dissolution as a  
18 surrogate, and dissolution is a predictive in  
19 vivo.

20 For highly soluble drugs,  
21 dissolution (inaudible) pretty much have very  
22 good indicative of in vivo, because it's very



1 easy to do and, as I point out, that you can  
2 do dissolution cover pretty much a physical  
3 relevant pH, from pH 1 to pH 7. However, for  
4 poorly soluble drugs, it depend on  
5 scientists, as the scientist depend on  
6 company, the company depend on the sponsor.  
7 But nevertheless, a scientist, as formulation  
8 scientist myself, is you always do your best  
9 at trying to devise a dissolution method at  
10 first, before you develop a formulations,  
11 because, otherwise, you don't know what's  
12 your target. Thank you.

13 DR. MORRIS: Thank you. And just  
14 so -- you wouldn't be doing a PK study as a  
15 batch-by-batch quality control --

16 DR. NEMBHARD: I had a second  
17 question, too, if I may. Harriet Nembhard,  
18 continuing.

19 Let's see. While I appreciated the  
20 lovely slide with the equation on it, I would  
21 also like an explanation of the notation in  
22 that equation. I was not familiar with it.

1 DR. POLLI: So maybe -- I'll  
2 illustrate this, maybe the data first.

3 So quite often there's a difference  
4 between the extent to which -- the thing we  
5 are interested in is studying -- you know,  
6 surfactant effect on dissolution. And -- you  
7 know, and one thing we noticed over time was  
8 that surfactants enhance solubility to a  
9 great extent, but not so much for  
10 dissolution. So the white bars are higher  
11 than the dark bars. And usually the ratio's  
12 somewhere about a third difference. So why  
13 is that?

14 So this is the extent of  
15 dissolution enhancement, 5. So 1 means  
16 there's no enhancement.

17 But there is enhancement because  
18 this is something which is positively valued.  
19 And there's two components to the  
20 enhancement: one is a dissolution component,  
21 one is -- I'm sorry, solubilization  
22 component, as represented by the fraction of

1 drug in micelles versus fraction of drug that  
2 are free. So if things are being  
3 solubilized, this has a positive value  
4 greater than 1.

5 And this is the diffusivity of drug  
6 loaded micelles versus the diffusivity of  
7 drug. And this is -- this part is less than  
8 1, because drug diffusivity is much larger  
9 than that of a large micelle.

10 So it's a battle between  
11 solubilization phenomena, which favors  
12 dissolution, surfactant media dissolution,  
13 versus diffusion where a micelle is hindered,  
14 shall we say. So this term negates, in part,  
15 this term, and using pharmaceutical  
16 surfactants, typically by a factor of three.  
17 So if you know the solubilization, you can at  
18 least get an idea of how the dissolution  
19 might be enhanced. So you'll always be  
20 disappointed. Yes.

21 So the point I was trying to make  
22 is -- now, these are academic-type studies.

1 I think in vivo, it's a lot more difficult,  
2 such that there's not a universal dissolution  
3 test, at least not yet, but we'll be  
4 optimistic.

5 DR. NEMBHARD: Thank you.

6 DR. MORRIS: Although, actually,  
7 there's a -- the non-academic -- Ken  
8 Morris -- non-academic component in that  
9 it's -- we're always -- dissolution testing is  
10 always this -- usually assuming a homogeneous  
11 phase, and this is a heterogeneous system, so.

12 Next, Jessie.

13 DR. AU: Jessie Au. Good job, Jim. I  
14 really learned a lot here.

15 I have a question, though.  
16 Thinking this is a real difficult problem,  
17 you mentioned duodenum, jejunum, ileum, and  
18 each one is going to be different. The  
19 stomach's also different. So you really have  
20 four compartments with different composition  
21 of the release media. And your site of  
22 action could be (inaudible) to your release

1 site. So and all the tests I'm listening to,  
2 the in vitro test is the beginning of the  
3 whole thing. And then we listened to the  
4 very endpoint, which is this systemic PK.

5 But what is really missing is, what  
6 is not absorbed. I mean, if you look at mass  
7 balance, the question must be asked, not just  
8 what's released and then what got in, but  
9 what is coming out. So I wonder if you can  
10 get some clues from looking at what is not  
11 absorbed.

12 So I now come to my question, and  
13 that is, if you know of any literature that  
14 tell us of the different media used for  
15 release, which one give us the best indicator  
16 of what's not absorbed? Is -- did I do okay  
17 with the question?

18 DR. POLLI: Yes, I think so.

19 DR. AU: You know what I -- yes, okay.

20 DR. POLLI: I think so. I'm going to  
21 summarize your question. Is there a universal  
22 dissolution media that will solve all of our

1 problems?

2           Whether you're talking about extent  
3 of absorption or extent not absorbed, or  
4 anything like that, I think for poorly  
5 soluble drugs, the answer is no. I think if  
6 you were to go through the USP, USP has  
7 monographs for dissolution. They're public  
8 monographs. You know, I think for poorly  
9 soluble drugs, you might see many, many  
10 different official tests. I think, in part,  
11 because, as Lawrence was describing, I mean,  
12 everyone kind of does things a little bit  
13 differently. They might check the reference  
14 listed drug test, but -- you know, there  
15 could be -- you know, could be real reasons  
16 why that doesn't apply to this, say, new  
17 formulation. I just don't think it's worked  
18 out, poorly soluble drugs.

19           DR. MORRIS: Liz, and --

20           DR. TOPP: Yes, I have a very simple  
21 question for clarification. Jim, thanks for  
22 your presentation. It's not often that I hear

1 my name mentioned in the middle of something  
2 like this, so that's kind of strange; and work  
3 that I did a long, long time ago, before many  
4 people in this room were born.

5           So I just have a very simple  
6 question for clarification, as I said. Are  
7 your comments primarily directed toward  
8 orally administered tablets that are intended  
9 to be acting in the GI tract? Are they  
10 primarily directed towards suppositories that  
11 are administered rectally to be acting in the  
12 GI tract? Or do you consider your comments  
13 to be equally applicable to both routes?

14           DR. POLLI: I must admit I was largely  
15 thinking about orally active drug -- orally  
16 administered drug. That's the area that I work  
17 in.

18           Yes.

19           DR. TOPP: That's helpful. Thanks.

20           DR. MORRIS: Actually, I screwed up  
21 the order, Marv. It's Anne, and then you, so.

22           DR. ROBINSON: Anne Robinson. I guess

1 I'm also, as a point of clarification, when  
2 we're talking about poorly soluble drugs, what's  
3 the mechanism of transport that's believed? Is  
4 it that it must be dissolved into the aqueous  
5 solution in the gut before it's absorbed?

6 DR. POLLI: Yes, I think the question  
7 had to do with the mechanism of absorption of  
8 poorly soluble drugs. I think, in general,  
9 there has to be a -- has to be released. And,  
10 I'd say people are doing studies now. If you do  
11 a search on the lipolysis model, that gives you  
12 an example of what people are thinking, where  
13 the product dissolves, but it's certainly being  
14 facilitated by a surfactant. Maybe not,  
15 necessarily, immediately adjacent to where the  
16 solid is, but then that surfactant is  
17 solubilizing.

18 So it's able to get the drug, at  
19 least, out of the dosage form. And then  
20 there's very rapid equilibrium between free  
21 drug and solubilized drug, so it forms sort  
22 of a depot for drug, not in the dosage form,



1 but otherwise cannot be dissolved. And the  
2 complicating factor is -- you know, it's more  
3 like a digestive process where there's  
4 a -- it's very dynamic, maybe, where the  
5 composition of the mixed micelle changes over  
6 time, that sort of thing, especially in the  
7 fed state.

8 DR. MORRIS: Marv.

9 DR. MEYER: Jim, the title of the  
10 morning session is, "Bioequivalence of Locally  
11 Acting Drugs," and yet it looks like all three  
12 speakers are focusing on low solubility locally  
13 acting drugs.

14 Is the implication that the issue  
15 of highly soluble drugs which meet the other  
16 criteria of not systemically available and  
17 acting locally, that's been pretty much  
18 solved by dissolution? Or is that for  
19 another day?

20 DR. POLLI: I mean, there's a BCS  
21 guidance which -- I don't recall, I think it  
22 might exclude highly soluble locally acting

1 drugs. I don't -- I mean, I don't know that  
2 locally acting drugs know they're locally  
3 acting. So in my mind, I -- it's hard for me  
4 to, at least, physiochemically, pharmaceutically  
5 just draw a big difference between locally  
6 acting drugs and drugs that are not intended to  
7 be locally acting.

8 DR. MEYER: But I guess my point is,  
9 clearly, if a drug does dissolve, you have a  
10 shot at doing dissolution. If it doesn't  
11 dissolve, you don't have a shot at doing  
12 dissolution. And that seems to be the focus  
13 today. So my question was, have we solved the  
14 does dissolve part by in vitro testing of  
15 locally acting drugs without systemic  
16 bioavailability?

17 DR. POLLI: For drugs that are poorly  
18 soluble or highly soluble?

19 DR. MEYER: Highly soluble.

20 DR. POLLI: I mean, I don't know of  
21 any examples where, basically, BCS Class 1  
22 was -- fails.

1           There was a workshop last year  
2    where that question was posed and -- by both  
3    people from agencies in the U.S. and in  
4    Europe, and there was no examples of failures  
5    of that test.

6           DR. MORRIS: Yes, there's actually,  
7    the scientific and the regulatory component to  
8    Marv's point of clarification, which is a good  
9    one. Lawrence, would you care to?

10          DR. YU: Well, for highly soluble  
11    drugs, if formulating immediate release dosage  
12    forms, we do confident that in vitro dissolution  
13    pretty much ensure the similarity in vivo  
14    dissolution. So the question is what about the  
15    difference excipients which you (inaudible) the  
16    questions. And, certainly, we want -- you're  
17    welcome to comment on this issues.

18          And you're asking for any  
19    scientific evidence whether excipients is  
20    strongly impact the performance, my answer is  
21    we have not aware of any strong scientific  
22    evidence. But we also have not aware that

1     there's strong evidence that those excipients  
2     have no impact, whatsoever, because the one  
3     of the challenges is that there's so many  
4     excipients out there, how do we gonna  
5     conclusively the make a statement that those  
6     excipients will -- will not impact the  
7     performance. So this is, indeed, is a  
8     challenge. Thank you.

9                   DR. MORRIS: Other clarifying  
10    questions? Actually, I have one. Ken Morris.  
11    I know you know that, but this is for the  
12    records.

13                   DR. POLLI: Any relation to the other  
14    Morris?

15                   DR. MORRIS: Yes, actually, yes.  
16    We're brother and sister. She's my little  
17    sister.

18                   So my question, Jim, is on your  
19    last slide, where you commented when, under  
20    the part where it says, "What role should  
21    systemic pharmacokinetics play in BE  
22    recommendation for low solubility locally

1 acting drugs." And you were saying, given  
2 the current options beyond clinical study and  
3 apparent necessity.

4 And my question is, is in the case  
5 that somebody posed -- I can't remember if it  
6 was Marv -- we have no absorption, why would  
7 the PK data tell you anything? Do you -- I  
8 mean, did I miss something? I don't know.  
9 I'm not trying to put you on the spot, again,  
10 but --

11 DR. POLLI: Let's see, what was the  
12 question? I didn't get it.

13 DR. MORRIS: So the question is, you  
14 have that given current options beyond the  
15 clinical study and apparent necessity is the PK,  
16 the systemic PK --

17 DR. POLLI: Mm-hmm.

18 DR. MORRIS: And my question is, is if  
19 there's -- if it's not an absorbed drug -- I'm  
20 not talking about safety. I'm saying, let's say  
21 that you got the safety part in hand. But in  
22 terms of the equivalence, why -- I'm not sure

1 why we'd do systemic PK if it were -- there was  
2 no absorption.

3 DR. POLLI: I guess it maybe just  
4 reflects my perception that -- you know, as far  
5 as being a conservative test, in general, and  
6 being a discriminating test, that  
7 pharmacokinetics is more discriminating than a  
8 clinical study or a PD study.

9 I made one reference to a drug with  
10 a poor dose response curve. I mean --

11 DR. MORRIS: Yes.

12 DR. POLLI: People make big deals out  
13 of a percent difference in Cmax. But if -- you  
14 know, if the drug has a poor dose response  
15 curve -- you know, aren't we being pretty  
16 conservative.

17 DR. MORRIS: Yes.

18 DR. POLLI: So I think, in general,  
19 that if one excludes a clinical study, in terms  
20 of a sensitive test, pharmacokinetics has a very  
21 strong track record.

22 DR. MORRIS: So you'd just be looking

1 at elimination -- I mean, just excretion,  
2 essentially?

3 I mean, if it's not absorbed?

4 DR. POLLI: Oh, for a drug which is  
5 not absorbed?

6 DR. MORRIS: Yes.

7 DR. POLLI: Actually, if a drug is not  
8 absorbed, I'm not sure pharmacokinetics could  
9 easily discriminate between --

10 DR. MORRIS: Right.

11 DR. POLLI: Shall we say, a performing  
12 product and non-performing product.

13 DR. MORRIS: I just -- I thought that,  
14 so I just wanted to make sure because it sounded  
15 like that's --

16 DR. POLLI: Okay.

17 DR. MORRIS: Yes.

18 DR. KIBBE: Just a comment on -- Art  
19 Kibbe. I'm sorry, am I out of order?

20 DR. MORRIS: No, no. You're in order.

21 DR. KIBBE: I'm in order?

22 DR. MORRIS: Well, you're always a

1 little out of order.

2 DR. KIBBE: I like to be a little out  
3 of order, just so you know. Wonderful to be  
4 here.

5 Just a point that you raised about  
6 drugs that are not known to be absorbed at  
7 all. And the only reason I would even  
8 consider doing any blood level study, and not  
9 even a full PK study, was to just assure  
10 myself that this particular dosage form  
11 hasn't got anything in it that might promote  
12 absorption when it wouldn't happen normally.

13 And just to comment on Lawrence's.  
14 I think we also should consider the  
15 possibility that if the monarch butterflies  
16 die, and they're not flapping their wings in  
17 California, the drugs might be absorbed. I  
18 think we shouldn't go looking for problems  
19 that are so unrealistically -- you know,  
20 possible that they create issues that we  
21 don't want to deal with. So you know, I'm  
22 not worried about lactose affecting



1 permeability of non-absorbed drugs and things  
2 like that. And I think we don't need to look  
3 for more problems than we deal with.

4 DR. MORRIS: Liz, I think you had  
5 a -- no? Is that it? Well, if that's it,  
6 thanks again, Jim.

7 DR. POLLI: Thank you.

8 DR. MORRIS: Nice job. So this brings  
9 us to the open public hearing segment of the  
10 meeting. And today, we have several speakers.  
11 And I'll start by reading the prepared  
12 statement.

13 So both the Food and Drug  
14 Administration and the public believe in a  
15 transparent process for information gathering  
16 and decision-making. To ensure such  
17 transparency at the open public hearing  
18 session of the Advisory Committee, FDA  
19 believes that it is important to understand  
20 the context of an individual's presentation.  
21 For this reason, FDA encourages you, the open  
22 public hearing speaker -- we already have one

1 up there -- at the beginning of your written  
2 or oral statement, to advise the Committee of  
3 any financial relationship that you may have  
4 with the sponsor, its product, and, if known,  
5 its direct competitors.

6 For example, this financial  
7 information may include the sponsor's payment  
8 of your travel, lodging, or other expenses in  
9 connection with your attendance at the  
10 meeting.

11 Likewise, FDA encourages you, at  
12 the beginning of your statement, to advise  
13 the Committee if you do not have any such  
14 financial relationship.

15 If you choose not to address this  
16 issue of financial relationships at the  
17 beginning of your statement, it will not  
18 preclude you from speaking.

19 The FDA and this Committee place  
20 great importance on the open public hearing  
21 process. The insights and comments provided  
22 can help the Agency and this Committee in

1 their consideration of the issues before  
2 them. That said, in many instances, and for  
3 many topics, there will be a variety of  
4 opinions. One of our goals today is for this  
5 open public hearing to be conducted in a fair  
6 and open way, where every participant is  
7 listened to carefully and treated with  
8 dignity, courtesy, and respect. Therefore,  
9 please speak only when recognized by the  
10 chair, and thank you for your cooperation.

11 And our first speaker today is Abu  
12 Alam, and he's the senior vice president of  
13 new business development at Akorn,  
14 Incorporated.

15 So thank you, Dr. Alam.

16 And please continue.

17 DR. ALAM: I think you guys heard  
18 about -- some of the speakers before me. So  
19 I'll pass some of the slides that I already  
20 have.

21 I'd like to thank the FDA Advisory  
22 Committee to give me a 10-minute slot to come

1 and speak before you. I'd like to also thank  
2 the audience for participating in this  
3 meeting.

4 The first slide just talks about  
5 this -- locally acting oral drugs is the  
6 topic that I chose.

7 And I think the previous speakers  
8 talked about the highly soluble drugs, which  
9 are not absorbed in the GI tract. And that's  
10 where I'm going to restrict my talk here.

11 It's locally acting drugs that are highly  
12 soluble and that are competing in the generic  
13 space, so that we can have affordable  
14 medicine for the American public.

15 The -- I know the speakers didn't  
16 talk about some of the things that I would be  
17 including in my slides.

18 To characterize a drug substance,  
19 the purity and the impurity of the drug is  
20 very, very critical for the safety and  
21 efficacy of the drug, whether you give it as  
22 a GI not absorbed in the systemic or not.

1 Those two criteria are very important. And  
2 there are limits for these, and so that drug  
3 A from a generic company should match the RLD  
4 or the innovator's drug to the specifications  
5 and limits.

6 The molecular size of the drug is  
7 very critical for drug absorption, whether  
8 it's a polymorphic drug, which also affects  
9 solubility. The particle size distribution  
10 of a drug is very important for an oral drug  
11 formulation.

12 And the solubility of the drug,  
13 irrespective of pH, is very important, as one  
14 of the previous speakers talked about pH of  
15 the gastric to the intestinal fluids, pH 1 to  
16 8.

17 The permeability of a drug is very  
18 important, because you can predict some of  
19 these by the (inaudible) equation, but the  
20 lipid water partition coefficient of a drug  
21 is very important. If the drug is very lipid  
22 soluble, it will be absorbed through the

1 passive transport.

2           The drugs usually have three  
3 different mechanisms of absorption. One is  
4 called pinocytosis (?), which is the size of  
5 the molecule. The other one is active  
6 transport. The other one is passive  
7 transport.

8           Degradation of the drug, both as a  
9 drug, as well as throughout the GI tract, is  
10 very important. Because if you have a  
11 degradation of a drug, you can have different  
12 impurities than degradants throughout the GI  
13 tract, which may affect the toxicity of a  
14 drug.

15           The analytical method that goes to  
16 support the drug substance is also very  
17 important. The specification and the  
18 stability of the drug, not only as a drug  
19 substance, but also throughout the GI tract,  
20 is very important.

21           You know, you cannot take the drug  
22 by itself, you have to put it in a dosage

1 form. The drug is formulated -- and the  
2 previous speaker talked about excipients.  
3 And usually, in the generic, we start -- we  
4 stay within a 5 plus, minus percent of the  
5 innovator's products. So for instance, if  
6 there are a bunch of excipients, they should  
7 all match the ethical product or the  
8 innovator's product. We go with the Q1, Q2  
9 laws, which is plus/minus 5 percent, but in  
10 qualitative as well as quantitative, so that  
11 the behavior of the drug as it traverses  
12 through the GI tract will be very similar.

13           The manufacturing process of a drug  
14 formulation is important because there are  
15 various ways of manufacturing a finished  
16 dosage form. For instance, a tablet would  
17 have different -- design of a tablet, round  
18 tablet, oval tablet. In the case of a  
19 capsule, the capsules dictate the shape of  
20 the -- or the geometry of the dosage form.  
21 The specification of the finished dosage form  
22 also should match the RLD. And the stability

1 of the dosage form to -- not only for the  
2 expiration date, but also, as it goes through  
3 the GI tract, should match, very similar, to  
4 the RLD.

5 Now, how does the oral dosage form,  
6 after you swallow, goes through. And here's  
7 a slide that my -- the previous speaker also  
8 talked about. The GI, the first, it enters  
9 the stomach, where you have gastric fluid.  
10 The pH is about 1.2. There's also enzymes  
11 and other electrolytes present at that pH.  
12 Then it goes to the duodenum through the  
13 pyloric valve. Then it goes to jejunum,  
14 ileum, colon. And finally, it's eliminated  
15 in the feces. The drug is not absorbed, in  
16 this case. I'm talking about very highly  
17 soluble drug that is not absorbed.

18 Here I give some dissolution  
19 profile. Between subjects and within a given  
20 subject, there's usually a plus/minus  
21 20 percent variability. And what I'm trying  
22 to say here is that the drug, test substance



1 A, and the RLD should match dissolution at pH  
2 1.2, because that's where the first -- the  
3 drug first starts dissolving. This is a USP  
4 Method I or II. Back in '71 and '72, I  
5 published three papers on the rotating basket  
6 method which, eventually, in the '80s, became  
7 the USP dissolution method for Method I.

8           The next slide gives you the same  
9 profile that has to also match at the next  
10 segment, which is the duodenal pH 4.5. Very  
11 similar.

12           Now, these are just an ordinary  
13 profile. This is not a first-order plot or a  
14 log-probit (?) type plot. This is just a  
15 plain coordinate paper, looking at the whole  
16 profile.

17           At pH 6.8, again, the same profile.  
18 That means the drug, throughout the GI tract,  
19 is going to be dissolving in the same manner  
20 as the RLD.

21           Now, in quality control, sometimes  
22 we have specification for only one point.

1 For instance, you just go at 30 minutes or  
2 something, and you have an 85 percent drug  
3 dissolved. As a one technique, only one time  
4 point. And that's for a QC technique, not  
5 for a complete profile of a drug. In that  
6 case, you don't know the whole profile of the  
7 drug. How is it going to release? Is it  
8 going to release like a first-order or  
9 zero-order plot? Is it going to -- this is  
10 like a first-order plot or a log-probit, or a  
11 combination thereof.

12           This schema tells -- or I thought  
13 it depicts what does the drug product go  
14 through in the GI tract. It dissolves in the  
15 GI tract, whether it's all those three  
16 compartments I talked about, and then has a  
17 local action, in case of antibiotics in the  
18 lower tract, which is the colon -- colon or  
19 the horizontal or the descending colon, but  
20 it acts locally. And then it's eliminated in  
21 the feces.

22           Now, the pathway for systemic

1 absorption for these drugs are usually very  
2 low, less than 5 percent. Now, you cannot  
3 measure blood levels for these -- some of  
4 these drugs. So the systemic absorption is  
5 blocked.

6           For instance, this pathway is  
7 blocked, or very low. The drugs like Cipro  
8 and others, where this could be 70 percent  
9 bioavailable where it goes through this  
10 route. And it could be, again -- through the  
11 bile, and could be reintroduced into the GI  
12 tract. But a lot of drugs are not absorbed,  
13 at all, and goes through this way. Some of  
14 the drugs that act locally could go through  
15 here, and then re-eliminated in the GI tract.  
16 And those drugs would have systemic toxicity  
17 as well as the elimination through the  
18 kidneys.

19           The criteria I'm talking about,  
20 highly soluble drugs are not specifically  
21 absorbed. It's not a pro drug, where you  
22 have to break or cleave a bond to have the

1 parent molecule be available for absorption,  
2 or an action at the local action at the GI  
3 tract.

4           The dissolution is pH independent  
5 and is freely available at site of action.  
6 And there's no permeation. That means the  
7 drug does not have a transport mechanism to  
8 be absorbed into the systemic circulation.  
9 Just give an example, vancomycin  
10 works -- meets those criterion.

11           Conclusion. As I mentioned, both  
12 the drug purity, the drug characteristic, as  
13 well as the drug product should be  
14 comparable. And the dissolution profile in  
15 those compartments should be superimposable.  
16 The rate and extent of dissolution, that  
17 means the kinetic part, as well as the total  
18 amount dissolved, should be also similar. In  
19 that case, the in vivo bioequivalency is  
20 unnecessary.

21           First of all, you cannot measure  
22 blood levels. Secondly, it's not necessary;