

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27
28
29
30
31
32
33

GRIEVANCE BOARD
UNITED STATES DEPARTMENT OF AGRICULTURE

IN RE:
NATIONAL ADVISORY COMMITTEE ON MEAT AND POULTRY

Hearing held on the 23rd day of June, 2003
at 8:50 a.m.
Hilton Hotel
1767 King Street
Alexandria, Virginia

TRANSCRIPT OF PROCEEDINGS

REPRESENTATIVES OF USDA, FSIS:

Dr. Elsa Murano, Under Secretary for Food Safety
Dr. Garry McKee, Administrator and Chairman, NACMPI
Mr. Robert Tynan, NACMPI Coordinator

MEMBERS OF THE BOARD:

| | |
|------------------------|----------------------|
| MS. DEANNA L. BALDWIN | DR. LEE C. JAN |
| DR. GLADYS S. BAYSE | DR. ALICE JOHNSON |
| DR. DAVID F. CARPENTER | MR. MICHAEL KOWALCYK |
| MS. CHARLOTTE CHRISTIN | DR. IRENE LEECH |
| DR. JAMES DENTON | MR. CHARLES M. LINK |
| DR. KEVIN M. ELFERING | DR. CATHERINE LOGUE |
| MS. SANDRA B. ESKIN | MR. MARK SCHAD |
| MR. MICHAEL W. GOVRO | |
| DR. JOSEPH HARRIS | |
| DR. JILL HOLLINGSWORTH | |

| | | | |
|---|-------------|-------------------|------|
| 1 | | INDEX | |
| 2 | | | |
| 3 | | | Page |
| 4 | Proceedings | | 3 |
| 5 | | | |
| 6 | | INDEX TO EXHIBITS | |
| 7 | [None] | | |

P R O C E E D I N G S

June 23, 2003

1
2
3 MR. TYNAN: I wanted to welcome you all to the
4 National Advisory Committee for Meat and Poultry
5 Inspection. We sincerely appreciate you coming. I know
6 some of you traveled considerable distances to get here,
7 and to participate in the meeting. So I'm very grateful
8 for you to be with us today. We've got a pretty full
9 agenda, several issues. I think Dr. McKee will go
10 through the agenda shortly, when he does his remarks.
11 So I won't take you through that. A couple of
12 housekeeping things, the men's and lady's room across
13 the hall, those are important things to know. There is
14 a bank of telephones across the hall as well, if anybody
15 doesn't have one of their cell phones. I would ask that
16 anybody that has a cell phone, if they could turn it off
17 for purposes of the meeting so we don't have any of the
18 speakers interrupted. Also, messages for anyone or
19 faxes, will be coming to the registration desk outside.
20 And you can catch those there on the breaks. If you
21 have not registered already as a participant or as a
22 visitor, the registration table is outside, so please do
23 that at your earliest convenience. There are handouts
24 on one of the tables adjacent to the registration desk,
25 they're loose handouts. I think all of the members have

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 notebooks with all of the handouts and materials as
2 well. The meeting is going to be transcribed, so we
3 have a transcriber here. And so everything that you say
4 can and will be used against you later on. We'll have a
5 record of it. Also, as I mentioned, Dr. McKee will be
6 doing the -- going through the agenda. But I did want
7 to point out one minor change, I think originally we had
8 talked about doing the evening sessions, the
9 subcommittee sessions this evening, from 7:00 to 9:00.
10 We've moved the time up to 6:00 to 8:00, since we'll be
11 done probably at 4:00 or 4:30; it makes the most sense
12 to have those parts of the meeting a little bit sooner
13 than later. For those members of the public that are
14 here and would like to make a statement, toward the end
15 of the day there is time in the agenda for public
16 comment. If I could ask those people who would like to
17 do that to perhaps register outside at the registration
18 table, you'll have probably five minutes or so toward
19 the end of the afternoon, I think at four o'clock, on
20 the agenda. And I think that's about it. And with no
21 further adieu, we may launch into our agenda. And I'm
22 going to turn it over to Dr. Elsa Murano. Yes, Dr. Jan.

23 DR. JAN: Would you give us the phone numbers
24 here for the -- if we need somebody to call in?

25 MR. TYNAN: Yes. I will get those for you,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 and I'll announce it at the break time. Okay. I'm
2 sorry. I don't have that on my little cheat sheet here.
3 So with no further adieu, Dr. Murano, our Under
4 Secretary for Food Safety.

5 DR. MURANO: Good morning, everybody.

6 ALL: Good morning.

7 DR. MURANO: Welcome to my town. I live in
8 Alexandria, Virginia, so this is my neck of the woods.
9 I could have just come here from home instead of going
10 all the way downtown, and all the way back here. It
11 would have saved me a little time this morning. But I'm
12 glad to have you all be here. And I know we have a full
13 agenda for you, and also the fact that we have new
14 members of this committee, as well as some returning old
15 timers. So it's an exciting time. And I know during
16 the course of these couple of days, we'll have a lot of
17 great exchanges and input from you. I know that a lot
18 of you, in fact, all of you who are participating in
19 this committee as members, you're doing so out of a
20 personal commitment to food safety and to public health.
21 For most of you it's been a professional mission, so to
22 speak, and for some of you a personal mission. So I
23 thank you for your dedication and willingness to serve
24 in this capacity. This committee includes members from
25 the industry, consumer advocacy groups, government

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 officials, and academia's. So I think it's a good mix
2 of all the interested groups that strive to do their
3 part to improve food safety in this country. So I think
4 it's a good committee. It's a committee that we are
5 going to depend on and have depended on for advice for
6 several years, and again, encourage you to not be shy
7 and give us your advice, good, constructive advice is
8 what we're here to listen to. The worldwide data on
9 food borne illness demonstrates that Americans really
10 enjoy one of the safest food supplies in the world. And
11 certainly at the Food Safety and Inspection Service, we
12 constantly and continually work to improve our program,
13 so that we can maintain this fact. And, in fact, take
14 us to the level where we can say that we have the safest
15 food supply in the world. Since 2001, we have been
16 working to pursue a vision of enhancing public health
17 through improving food safety. And along with that
18 vision, there's been five goals that we've identified
19 early on that have helped us focus on the types of
20 activities that we needed to carry out so that we could
21 achieve this vision. You may have heard me talk about
22 these five goals in the past. I thought that it would
23 be fitting this morning for me to go over some of these
24 briefly with you, given the fact that some of you are
25 new to this committee, and also because some of the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 topics that you're going to be discussing at this week's
2 meeting are related directly to these five goals. These
3 are, by the way, not in any particular order. We are
4 aggressively pursuing each of the five goals
5 simultaneously. Well, first it's ensuring that policy
6 decisions are based on science. And as a scientist,
7 that certainly has been a major goal of mine since I
8 came on board about 20 months ago. Science is our
9 strongest ally in the fight against deadly pathogens. I
10 truly believe that. So we are committed to continuing
11 our emphasis on the use of science, research, and
12 technology in framing food safety policy and prevention,
13 as much as possible. Secondly, improving the management
14 and effectiveness of all our regulatory programs is
15 something that the agency has definitely been working on
16 very actively. This lies at the heart of what we do.
17 The workforce is a key to ensuring that this goal is
18 met. And that is why training of our workforce is so
19 important. The agency has expanded its training of
20 consumer safety officers you may know to reflect this
21 increasing reliance on science and technology. And we
22 look forward to your feedback of suggestions, especially
23 subcommittee one, I believe, will be looking at our
24 training objectives and giving us some advice on how we
25 can improve that training program. That's very

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 ambitious and very aggressive, but one that we're very
2 committed to, and Dr. Garry McKee, especially, is
3 committed to pursuing. Number three is safeguarding our
4 food supply against intentional contamination. And this
5 is certainly a real threat, unfortunately. We have to
6 constantly watch for this. And we have responded to
7 this challenge by strengthening coordination and
8 preparation efforts so that we can prevent, detect, and
9 respond to these acts of terrorism as quickly as
10 possible. We have increased the number of import
11 surveillance inspectors; have assessed our most
12 vulnerable products, and the points of contamination
13 that can be subject to attack. So I'm certainly looking
14 forward to the work from subcommittee number two, who is
15 going to advise us on how we can further improve food
16 buyer security. Goal number four is coordination of
17 food safety activities, with other public health
18 agencies, not only in the federal government but also
19 within the states. And this is a vital part of our
20 public health mission. This improved coordination
21 ensures that the food safety net has no holes. So
22 subcommittee number three, I understand, will be talking
23 about our state review methods, and will try to give us
24 some good advice on how we can do these as best as we
25 can, and certainly in good coordination in conjunction

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 with the states that have their own inspection programs.
2 So I trust that it will help us determine how we can
3 improve not only state inspection, but also how we can
4 improve our relationships with the states. And lastly,
5 goal number five is our ongoing effort to enhance food
6 safety education. And that is all along the farm to
7 table continuum. Our objective has been to ensure that
8 every segment of the population has access to food
9 safety information that is useful in contributing to a
10 safer food supply. And so we will update the committee
11 on our latest initiatives. I think it is tomorrow
12 morning. And, certainly, we look forward to hearing
13 your ideas on this front as well. So in closing, FSIS,
14 I believe, has certainly made a lot of progress in the
15 past year and a half or two years, in protecting the
16 safety of our meat, poultry, and egg products. And we
17 continue to implement new initiatives so that we can
18 continue striving to become the best public health
19 agency. We couldn't do nearly as much as we have
20 undertaken without the support of President Bush. He
21 has requested a record level \$42 million increase in our
22 fiscal year 2004, budget, so that we can strengthen our
23 food safety programs. And many of the training
24 initiatives that we've begun and will seek your advice
25 on would not be possible without the President's request

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 to double the training funds from the previous fiscal
2 year. You're going to hear from many representatives of
3 the agency over the course of these couple of days on
4 the various issues that I have talked about. And I
5 certainly urge you to ask questions, think critically
6 about the path that we're taking, and give us your best
7 advice. Your work will go a long way in helping us
8 develop and implement policies that will best serve the
9 public's health. So, again, I thank you for your time,
10 for your service, for your continued commitment to
11 working in this committee, and for those of you who are
12 new to it, for your willingness to serve. And so we
13 look certainly forward to your input in the next couple
14 of days. So at this time I'd like to turn this back
15 over to Robert Tynan. Thank you.

16 MR. TYNAN: I didn't realize what a long walk
17 it is from over there. I also -- when Dr. Murano was
18 talking about new members, I failed to point out at the
19 beginning, that I am also a new member. Actually, this
20 is my first time coordinating the committee. My name is
21 Robert Tynan. I work on the strategic initiative staff
22 with Mary Cutshall, and have had the pleasure of doing
23 this. It's been a lot of fun, busy, but a lot of fun.
24 So with that, let me -- phone numbers. We have some
25 phone numbers for you. This is hot off the presses. If

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 you need some messages, it's (703) 837-0440. And the
2 fax number is (703) 837-0454. Thank you much. I
3 appreciate that. And with no further adieu, let me
4 introduce our administrator of the Food Safety
5 Inspection Service, Dr. Garry McKee. I think I'm going
6 to sit over here, so I won't have to walk so far.

7 DR. MCKEE: Thank you, Robert, and thank you,
8 Dr. Murano. On behalf of FSIS, I certainly want to
9 welcome each of you here. Some of you, as Dr. Murano
10 mentioned, are new. And I think you'll find this a very
11 productive and exciting meeting. This is my second
12 meeting of the National Advisory Committee on Poultry
13 and Meat Inspection. And I'm encouraged by the
14 dedication and enthusiasm that all of you have brought
15 with you here today. I look forward to a productive
16 forum. Having served in this position for almost a
17 year, I realize more than ever the challenge that's
18 confronting us all. Yet, I remain as committed as ever
19 to protecting public health and making sound public
20 health policy decisions at the national level.
21 Throughout the course of my brief tenure with FSIS, I
22 spelled out division I had for the agency, to transform
23 FSIS into a world-class public health agency that all
24 other public health institutions will use as a model.
25 Even though many of you have heard this before, I

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 believe it's necessary to reiterate this vision
2 regularly, to keep focus on our objectives, and gauge
3 our progress along the way. Also, with a regular review
4 and understanding of this vision, FSIS and its partners
5 in academia, consumer groups, industry, and other
6 government organizations can structure short and long-
7 term activities and goals within a public health
8 oriented perspective. To recap on how we need to
9 function as a model public health agency, FSIS needs to
10 implement three important functions to ensure the public
11 health is protected. The first function is assessment,
12 which simply means identifying problems. The second
13 function is policy development, which means taking
14 action, and actions and resources are needed to solve
15 the problems. And the third function is assurance,
16 which means making sure that the job gets done, and that
17 the problem is solved. FSIS already has a solid
18 foundation in place for improving food safety. We are
19 holding ourselves accountable to fulfilling our vision,
20 and ensuring the public health of Americans. We must
21 always remember that our number one priority is the
22 public health of all Americans. With that said, I am
23 very grateful to be here at this two-day meeting. This
24 is an opportunity for me to get to know many of you
25 further, and to meet you for the first time, if you're

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 new to our committee. I'm excited about the
2 possibilities of hearing new ideas and recommendations
3 to improve food safety. Once again, I reiterate, this
4 committee's work and recommendations are vital to our
5 efforts to make our vision of becoming a world-class
6 public health agency a reality. I know that we have
7 seven new members starting with us today on the
8 committee. And I want to give all of you a special
9 welcome. We recently found out last week that one of
10 our new members, Charlotte Kristin, has changed
11 positions and is no longer on the committee. We will
12 begin the process of soliciting nominations for another
13 consumer representative, as soon as possible. For that
14 reason, we've made a small adjustment in the
15 subcommittees to ensure consumer representation at each
16 subcommittee. Before I review today's agenda, I'd like
17 to go around the table and have everyone introduce
18 themselves. And if you would, please state your name
19 and the group you represent. Could we start with you,
20 Ms. Baldwin?

21 MS. BALDWIN: Deanna Baldwin, Maryland
22 Department of Agriculture.

23 DR. CARPENTER: David Carpenter, Southern
24 Illinois University School of Medicine.

25 DR. ELFERING: Kevin Elfering, the Minnesota
York Stenographic Services, Inc.
34 North George St., York, PA 17401 - (717) 854-0077

1 Department of Agriculture.

2 MR. HARRIS: Joe Harris, with Southwest Meat
3 Association.

4 DR. HOLLINGSWORTH: Jill Hollingsworth, Food
5 Marketing Institute.

6 MR. KOWALCYK: Michael Kowalcyk, with Safe
7 Table is our Priority.

8 MR. SHADD: Mark Schad, Schad Meats,
9 Cincinnati.

10 DR. BAYSE: Gladys Bayse, Spelman College,
11 Atlanta.

12 MR. DENTON: James Denton, with the University
13 of Arkansas.

14 MR. GOVRO: Good morning. I'm Michael Govro,
15 with the Oregon Department of Agriculture, Food Safety
16 Division.

17 DR. JAN: I'm Lee Jan, from the Texas
18 Department of Health.

19 DR. LEECH: I'm Irene Leech, I'm with the
20 Virginia Citizens Consumer Council.

21 MR. LINK: Charles Link. I'm with Cargill
22 Meat Solutions, in Harrisonburg, Virginia.

23 MS. CUTSHALL: Mary Cutshall. I'm the
24 Director of Strategic Initiatives Partnerships and
25 Outreach Staff, FSIS.

1 DR. MURANO: Elsa Murano, Office of Food
2 Safety.

3 MR. PEARSON: Ralph Pearson, Office of Food
4 Safety.

5 MR. HICKS: I'm Ron Hicks, with FSIA, Office
6 of Program Evaluation Enforcement and Review, otherwise
7 known as PEER.

8 DR. ROTH: Jane Roth. I'm head of the
9 Evaluation Office in PEER.

10 DR. ENGELJOHN: I'm Dan Engeljohn. I'm with
11 the Policy Office in FSIS.

12 DR. MCKEE: Okay. Thank you. What I'd like
13 to do next is to quickly go over the meeting's agenda
14 for the next two days. We have a lot of subject matter
15 to cover. And first we'll start off with a presentation
16 of certificates to all members of the National Advisory
17 Committee. Then we'll head off into a briefing on *E.*
18 *coli* O157:H7 developments and the *Listeria* Rule.
19 Following that we'll have a discussion of our first
20 issue, the State Review Methods. We will then pause for
21 a short break. Once we reconvene, at approximately
22 11:10, we will have a presentation on our second issue
23 of the day, which will be the training delivery. We'll
24 then break for lunch. We'll reconvene after lunch at
25 1:30, for a briefing on the content and status of FSIS's

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 plan propose a HACCP Regulation for egg and egg
2 products. At two o'clock, our third issue discussion of
3 the day will cover recommendations to increase industry
4 awareness of food security. Following this, we will
5 take a short break. After our break, at approximately
6 3:05, the committee will be briefed on the status of
7 baseline studies. Next we'll head straight into a
8 legislative update from the Congressional and Public
9 Affairs Office. Following that we'll wrap up today's
10 presentations with about 25 minutes allotted for public
11 comments. For those interested in providing public
12 comments, it would be very helpful if you would notify
13 our staff, Mary Cutshall, in particular, if you have
14 comments. Starting at 6:00 this evening, the
15 subcommittees will convene for two hours. Subcommittee
16 one will address delivery of training, subcommittee two,
17 increasing industry awareness of food security. And
18 then subcommittee three will review the issue of state
19 review methods. Tomorrow morning we'll get started at
20 about 8:45. And each subcommittee will provide a
21 briefing on their discussions and recommendations from
22 their evening sessions. Subcommittee number one will
23 have 55 minutes to give us a briefing from their evening
24 session, and that will start at 9:00 a.m. After a short
25 break, we'll reconvene, and subcommittee number two will

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 brief us on its Monday session. Immediately following
2 subcommittee two, subcommittee three will give its
3 briefing on state review methods. At noon, we'll break
4 for lunch, and we'll reconvene at 1:00 p.m., to hear
5 what the USDA's food -- to hear about the USDA's food
6 safety mobile. At 1:30, we will learn about the
7 Memorandum of Agreement between the FSIS, the United
8 States Public Health Service, and after a short break
9 we'll talk about any remaining issues, and have public
10 comment as well before we adjourn. Are there any
11 questions regarding the agenda? Okay. What we'd like
12 to do next is to have the presentation of certificates
13 for the members of the advisory committee. And, Robert,
14 if you'll help us with that.

15 MR. TYNAN: What I plan to do is call off the
16 names of each of the individuals that are on the
17 committee. It's important to note that this year we've
18 reconstituted the committee. We have a new charter, and
19 a number of new members. So this has gone through the
20 department. So what we'd like to do is maybe call each
21 name, and I'll try and remember to point out who's a new
22 member, versus an old member. And maybe I could ask Dr.
23 Murano and Dr. McKee maybe to step out a little further.
24 Where do you need them, Keith, if you were going to...

25 DR. MCKEE: Anywhere you want them.

1 MR. TYNAN: You get your picture taken, and
2 we'll put little numbers under them and all kinds of
3 things. The first person to come up would be Dr. Gladys
4 Bayse. And she's a returning member of the committee.
5 Notice how well oiled and planned this is? We had to
6 bribe her. The next person is Ms. Deanna Baldwin. I
7 should point out Ms. Baldwin is a new member. Dr. David
8 Carpenter. And I think, Dr. Carpenter, you are a
9 returning member. Are you not? Oh, a new member. I'm
10 sorry. I apologize. My cheat sheet was wrong. And we
11 have Dr. James Denton. And, Dr. Denton, I am positive,
12 is a returning member. Dr. Kevin Elfering. And Dr.
13 Elfering is a new member.

14 DR. ELFERING: Yes.

15 MR. TYNAN: I got that one right. Thank you.
16 You have to get it from the important people. Dr.
17 Joseph Harris, also a new member. Mr. Michael Govro is
18 a returning member. Thank you, Marshall. I'm getting
19 help from everyone. I can use this help. Dr. Lee Jan.
20 And Dr. Jan is a returning member. We have Dr. Jill
21 Hollingsworth. And while she's a new member -- nice to
22 see you. How are you? Mr. Michael Kowalcyk. And Mr.
23 Kowalcyk is a new member. Oh, I'm sorry. Mr. Mark
24 Schad. And Mr. Schad is a new member. Dr. Irene Leech.
25 And Dr. Leech is a returning member. Mr. Charles Link.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 And Mr. Link is a returning member. We have two other
2 members, Dr. Alice Johnson and Ms. Sandra Eskin. And
3 they have not arrived yet. But as soon as they do,
4 we'll do a private ceremony for them. Thank you, Dr.
5 Murano. I also have to correct, I called Elfering, Mr.
6 Elfering. I apologize. My reading skills, when you get
7 older, are not quite as good as they used to be. On the
8 agenda we have the first topic of the day is Dr. Dan
9 Engeljohn, and he will be talking about *E. coli* and the
10 *Listeria* Rule.

11 DR. ENGELJOHN: Good morning, everyone. I'm
12 going to walk you through briefly the *E. coli* 0157:H7
13 updates that we have within the agency, and then give
14 you a briefing over the new *Listeria* regulation that
15 issued earlier this month. With regard to *E. coli* and
16 *Listeria*, within your tab, number three, the first page
17 should be about *E. coli* 0157:H7. To give you an
18 overview, the agency identified *E. coli* 0157:H7 as an
19 adulterant in certain beef products back in 1994. Since
20 that time the agency has issued new policy statements
21 about *E. coli* in the form of Federal Register Notices,
22 or through FSIS directives. Most recently, the Agency
23 issued a Federal Register Notice in October of 2002,
24 that identified information we believed presented that
25 the prevalence of *E. coli* 0157, was more prevalent today

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 than it was at least two years ago, when we began
2 working on our 0157 policy, through the Federal Register
3 Notices. In that October Federal Register Notice, the
4 agency identified that due to the increased prevalence
5 in live animals, that those individuals producing
6 certain beef products needed to reassess their HACCP
7 plans in order to address the hazard that we believe was
8 present within the products. Based on that, the Agency
9 established a timeframe under which the plants producing
10 ground beef and beef products needed to conduct that
11 reassessment. The large plants, which are those plants
12 with 500 or more employees, needed to conduct that
13 reassessment by December 6. Small plants, which have
14 fewer than 500 employees, but more than ten, needed to
15 conduct that reassessment by February 4. And the very
16 small plants, which have ten or fewer employees, or
17 produce \$2.5 million worth of product or less, had to
18 reassess by October 7. The agency's consumer safety
19 officers were tasked with conducting those reassessment
20 reviews. In those reviews, the agency inspection
21 personnel conduct more than just a look at the *E. coli*
22 0157:H7 controls that are in place. They do a very
23 thorough review of all the HACCP plan procedures, and
24 all the associated control program and measures that are
25 listed within the plant's programs. And so the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 assessment is quite intense and expansive, and takes
2 from a day to a couple of days for each of those
3 reviews. The Agency estimated that there were roughly
4 2,000 plants that needed to undergo the reassessment.
5 Within that October 7, notice, we asked our CSO's to
6 answer four specific questions about the content of the
7 reassessment. The first question was whether or not the
8 establishment reassessed based on the relevant data that
9 we believe presented increased prevalence of this
10 particular organism. The second question was whether
11 the HACCP plan was changed based on this relevant data.
12 And if the HACCP plan had changed, than the third
13 question was how did it change. If the HACCP had not
14 changed, than why was there no change, or why, in fact,
15 was there no addressing of that particular hazard,
16 within the HACCP controls. In general, the agency has
17 received many of the reports that have come in from the
18 review of those reassessments. We have a large number
19 to go through. I'm presenting information on roughly
20 ten percent of those reviews that we have received. And
21 these reflect those from mostly the very large
22 establishments. In general, approximately 30 percent of
23 the plants did not identify *E. coli* 0157:H7 as a hazard
24 reasonably likely to occur. Now within the Federal
25 Register Notice that issued in October, the Agency did

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 identify that we would, in fact, accept the development
2 of control measures through the use of prerequisite
3 programs, particularly for grinding establishments, if
4 they, in fact, had purchased specification and other
5 control measurers with the suppliers of those programs
6 and product to the grinders with assurance that, in
7 fact, the hazard is being controlled through a critical
8 control point in the slaughter facilities or the
9 fabrication facilities, and that the establishments
10 using prerequisite programs would use those programs in
11 a manner in which they continually verify the
12 effectiveness of those programs. So the 30 percent
13 number was one for which we did not really know how many
14 plants were using this particular approach. But based
15 on the information we have thus far, we have -- we do
16 know that a fair number of the grinders are, in fact,
17 using the prerequisite program approach. We did also
18 identify, through the CSO reviews, of the entire control
19 system that the establishments had that there continue
20 to be some design problems with regard to the content of
21 the HACCP control procedures. Those involve mostly
22 those related to monitoring, record keeping,
23 verification, and corrective actins. These issues, in
24 our opinion at this point, do not present imminent
25 health issues in terms of being totally out of control

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 with regard to this HACCP issue. But the Agency does
2 recognize that now that we're conducting more in depth
3 reviews of the content of the programs, and in
4 particular the support documentation for them, that
5 there is a need for additional development of either
6 guidance to the industry or an additional focus by the
7 agency and its policy documents, on correcting some of
8 these design problems. Because we do know from our own
9 data, and from the published literature, that the
10 prevalence of *E. coli* 0157:H7, does, in fact, rise at
11 the beginning of the summer months, the agency issued,
12 through a FSIS notice, a correction or at least a
13 modification to the existing inspectional procedures,
14 and removed any exemptions that establishments may have
15 with regard to their control programs. So beginning in
16 April of this year, the Agency, through a FSIS notice,
17 identified that it would no longer exempt any
18 establishment from being tested for verification testing
19 purposes by FSIS. Our testing is ongoing. And at this
20 time the Agency is developing its directive on 0157:H7,
21 that, in fact, we will address new inspection procedures
22 for the inspection program personnel, and will, in fact,
23 identify procedures that address more than just a focus
24 on the grinding operations, but will focus on the trim
25 suppliers as well. With regard to the second issue,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 which is *Listeria monocytogenes*, behind the *E. coli*
2 0157:H7 paper -- if I could get your help please -- is
3 the *Listeria* briefing materials. The agency published a
4 new final regulation in June of this year, June 6, on
5 *Listeria monocytogenes*. For your information with
6 regard to how we set up this interim final rule, the
7 paperwork burden requirements related to *Listeria*
8 *monocytogenes* are to be submitted to the agency by
9 August 5. This relates to the issue that the agency
10 added a new paperwork burden requirement that requires
11 the establishments producing ready to eat meat and
12 poultry products affected by this rule, to provide the
13 agency with information about how the establishment
14 intends to control for this particular organism, and
15 what they're producing. So for that reason we need to
16 receive comment on that particular component of the
17 final rule, and those are due by August the 5th. By
18 August -- then after that, the final rule will go into
19 effect on October the 6th. Although this is identified
20 as an interim final rule, the rule will be effective as
21 if it were a regular final rule. But the component
22 that's different about this regulation is that the
23 agency is committed to looking at the effectiveness of
24 this regulation in terms of how well it's addressing the
25 hazard that is present as well as the implementation of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 this regulation. And so we have committed to studying
2 the effectiveness of this rule over the course of the
3 next 18 months. And so by December 8, 2004, the Agency
4 will accept comment on the interim final rule, at which
5 time the agency will remove the identification as an
6 interim and consider it a final rule. But it is
7 important to note to the industry in particular that the
8 rule does go into effect on October the 6th, of this
9 year. When the rule does go into effect, the Agency
10 will issue a new directive that will provide
11 instructions to our employees as to how to conduct
12 verification activity for those establishments producing
13 product that are affected by this rule. With regard to
14 the products that are affected, the products relate to
15 those ready to eat meat and poultry products that are
16 exposed to the environment after the lethality
17 treatment. For those of you who aren't quite familiar
18 with the operations of a ready to eat meat and poultry
19 facility, in many cases a ready to eat product is
20 produced inside of a casing or a package, and it
21 receives its lethality treatment to destroy pathogens at
22 that time. The product then may be removed from that
23 packing material and sliced or put into a new package
24 that's then available to the consumer in that final
25 packaging. The act of removing the product from its

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 original casing for which it received a lethality
2 treatment and transferring it to another package, or
3 conducting other further processing activities such as
4 slicing, increases the potential for *Listeria* to
5 contaminate the product. And so for that reasons these
6 products, in particular, will be affected by the rule.
7 So they must be ready to eat or designated as such by
8 the establishment, and also are exposed to the
9 environment after the lethality treatment. Within the
10 final regulation itself, we've added a new section,
11 which is Section 430 of 9 CFR. And it addresses
12 specifically *Listeria monocytogenes*, and identifies that
13 product that is positive for *Listeria monocytogenes* or
14 food contact surfaces that are positive for *Listeria*
15 *monocytogenes* are, in fact, considered to be adulterated
16 by the Agency. Within the regulation we added a section
17 which contains a number of definitions, many of which
18 were contained in the directive that we issued this past
19 December on ready to eat products. And then there are
20 some new definitions that we believe provide greater
21 context to the use of those terms within the final
22 regulation. The structure of the regulation is set up
23 that establishments need to determine how they're going
24 to control for this particular hazard to one of three
25 alternative approaches. Alternative one approach is the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 one for which we believe to be most effective in
2 controlling *Listeria monocytogenes* throughout the shelf
3 life of this particular product. And that product will
4 have received an additional post lethality treatment and
5 has a growth inhibitor contained within the product or
6 the packaging that if cells of this organism survive the
7 additional lethality, that this organism would not grow
8 throughout the shelf life of the product. In
9 alternative two, the options are that the product is
10 either handled by a Post-lethality treatment or has a
11 growth inhibitor formulated into the production process
12 or into the packaging material. For those operations
13 that elect to use the growth inhibitor only option, the
14 Agency identified that there are additional needs for
15 prescribing controls with regard to the sanitation
16 program. And for that reason, the Agency is requiring
17 that in those programs that the sanitation program has
18 to be modified to address product testing of food
19 contact surfaces, the hold-and-test procedures for if
20 and when a positive result is found for *Listeria* species
21 or *Listeria monocytogenes*, the establishment would need
22 to identify when it would hold product and when it would
23 be -- when it would stop its holding and testing of that
24 particular product. In addition, the establishment
25 would need to identify the frequency of testing,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 identify the size and location of the sampled sites, and
2 provide an explanation as to why the design of the
3 sampling program and the testing frequency is sufficient
4 to ensure the safety of the product. For alternative
5 three, this particular product would have the least
6 amount of additional enhancements to food safety and
7 rely strictly upon sanitation to prevent *Listeria* from
8 being exposed to the ready to eat Post-lethality exposed
9 product. And within this alternative, those operations
10 that produce deli meat and hotdogs, must, in addition,
11 have additional controls with regards to the hold-and-
12 test provision. They still must have the sanitation
13 program, much as in alternative two. But because
14 sanitation becomes more critical with regards to
15 identifying the control measures, the Agency has added
16 an additional requirement that if hotdogs or deli meats
17 are produced, which we know from data provided in the
18 2001 *Listeria* risk ranking by FDA and FSIS, that these
19 products produce a greater relative risk for
20 Listeriosis. And, therefore, there's more prescription
21 as to how the establishment must hold and test this
22 product, and when that product can be released, and the
23 conditions for that. The additional features that the
24 Agency added with regards to how it would, in fact,
25 control operations was, again, to identify that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 establishments producing these products had to identify
2 to the Agency, at least on an annual basis, how much
3 product is produced, and the types of control measures
4 that are used by the establishment. The Agency is
5 expecting to have an electronic submittal process
6 developed so that this can be conducted through the
7 Internet, as opposed to sending in hard copies to the
8 Agency. But this information, we believe, is critical
9 to the Agency's design of its verification testing
10 program, which we intend to construct in a risk base
11 manner, focusing most of our attention -- or at least
12 increasing our intention through those operations that
13 rely solely upon sanitation for the control measures,
14 and in particular, those operations that produce deli
15 meat or hotdog products. And then finally, the Agency
16 identified that although establishments could use
17 labeling to identify the enhanced safety features of
18 their products, the Agency is identifying, through this
19 final rule, that we believe that there can be increased
20 focus by industry on identifying on the label that the
21 products produced in that establishment had received an
22 additional lethality treatment or, in fact, is
23 formulated or controlled in a manner to prevent growth
24 of this particular organism. We find this to be an
25 important feature of the final rule in that for those

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 case ready products that are available to the consumer,
2 particularly the deli meat products that are not warmed
3 by the consumer prior to consumption, that the consumer
4 can search for labels that identify this additional
5 enhances safety feature, which, again, would be that the
6 product has received an additional lethality treatment
7 or is formulated in a way to prevent growth of the
8 organism, should it be present. And that way
9 individuals, particularly vulnerable populations, can
10 seek out this product and request it, and in cases where
11 it's not available, can ask their supplier to pursue
12 making that product available to them. Are we
13 entertaining questions on the briefings that I've
14 provided?

15 MR. TYNAN: Yes, we are.

16 DR. ENGELJOHN: Yes, Dr. Jan.

17 DR. JAN: I'm Lee Jan, Texas Department of
18 Health. I've got actually three questions. They're all
19 related. And I'll just run through them. And if you
20 need me to repeat them when you answer then, then I can
21 do that. First off, I'd like to point out one of the
22 objectives that Dr. Murano mentioned was science based
23 food safety, or food safety being based on science. And
24 I think this is moving in that direction, both of these
25 programs. But what I'd like to know, and the first

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 question that I have is establishments that slaughter
2 must currently test for generic *E. coli*, the carcasses
3 that they produce, as a process control. But FSIS has
4 never established a standard, using the sponge method,
5 and the methods that are commonly used are producing
6 results that are not useful or not beneficial. If you
7 go to plants that do slaughter, their results that are
8 coming back are generally less than a value, maybe .8
9 units per centimeter, or something of that nature, and
10 less than is essentially to a zero. And if a plant
11 continues to get zeros, there's no data to use. Most of
12 the plants aren't -- they're not far enough advanced to
13 understand process control or statistical process
14 control, and what does it mean. And I'm not sure that I
15 understand what it means when all I get is zeros, and I
16 don't get any values. So my question is, can FSIS
17 consider doing away with this requirement for generic *E.*
18 *coli* testing in lieu of this new, more specific testing
19 requirement under the *E. coli* plan, this new policy
20 where they -- plants are required to control *E. coli*,
21 and part of that control is going to require testing.
22 Plants that are now spending money on doing testing that
23 are providing no data that's useful kind of flies in the
24 face of sound science. If they could take that money
25 and spend it on some more specific testing related to a

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 program that is more scientifically based, that's my
2 first question. The other question, on processors, if
3 they're not doing testing of their own, they can do --
4 have a prerequisite program if they're purchasing their
5 raw gram products, and that specifications set date or
6 start to require that the plant somehow that they're
7 buying from, or the producers or suppliers somehow
8 provides them assurances that the product that they are
9 purchasing has gone through and processed to control and
10 eliminate to below a detectable level, *E. coli* 0157:H7.
11 I thought that that would have been the responsibility
12 of FSIS. When they put the USDA mark of inspection,
13 should that not indicate that that product had gone
14 through that required process, and that mark of
15 inspection, it seems to me, could the producer of a raw
16 product could rely on that, rather than getting a note
17 or a letter from a plant -- and some of these letters
18 are very generic -- and they're basically saying, we
19 have an *E. coli* sampling program. In my opinion, that's
20 the responsibility of FSIS inspectors, if they're
21 allowing the market inspection, the public, including
22 the further processors, should expect that that product
23 has gone through these steps that are now required. And
24 my final question goes to *Listeria monocytogenes*. And I
25 just would like to know who determines, or is there a

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 way to determine what is sufficiency of testing
2 frequency. How do you determine that? So you might
3 have to -- I might have to repeat some of those, but you
4 can start on them.

5 DR. ENGELJOHN: I'll give it a try. On the
6 first issue related to *Salmonella*, really, but, in fact,
7 could it have some impact on *E. coli* 0157:H7 policy, the
8 Agency is, in fact, looking at the generic *E. coli*
9 testing requirements that we have in the regulations
10 today, which requires, you had noted, that all slaughter
11 establishments must test product for generic *E. coli*.
12 And we recognize that in today's environment, the
13 establishments may, in fact, be producing product that
14 is so clean, in the sense of generic *E. coli*. And I'll
15 just remind you that the generic *E. coli* requirements in
16 the regulation which it should with the pathogen
17 reduction HACCP regulations in 1996, relate specifically
18 to their find for fecal contamination. Generic *E. coli*
19 was intended to do that. The Agency opted to test for
20 *Salmonella* as an indication of process control. So for
21 fecal contamination, generic *E. coli* is, in fact, our
22 best indicator of the effectiveness of those procedures.
23 We are, in fact, aware of establishments that may be
24 using improper technique for finding generic *E. coli* on
25 their products. We have, in fact, conducted baseline

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 studies using sponge methods and excisions in terms of
2 having the information available about that level of
3 generic *E. coli*. And the Agency is working on policy at
4 this point, formulating how best to make information
5 available to industry about the proper techniques used
6 to sample for generic *E. coli*. One of the things that
7 the CSO officers do when they conduct their verification
8 activities is, in fact, look at how the establishments
9 are conducting their testing. We, as an agency do, in
10 fact, want to better ensure that when industry is doing
11 verification testing themselves, that they are using
12 methodology that will, in fact, be designed sufficiently
13 to find the organisms if they're present, and use
14 sampling techniques that will actually find the organism
15 as opposed to using techniques that may not find it if
16 it were present. So I think the overall issue of
17 testing is one for which the agency is looking at now.
18 There is a need for standardization of methodology,
19 particular with regard to O157:H7, in terms of how
20 frequently testing should be conducted by industry.
21 That's something the Agency does not, at this time,
22 intend to prescribe. I believe that when we get into
23 the prescription of how much testing has to occur, that
24 we need to be focusing on rule making as opposed to
25 using our directives. Right now our directives are used

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 to provide instructions to our inspectors. We are
2 looking at the types of programs available to industry
3 today, and what is being done on the slaughter floor on
4 trim and on grinding, and trying to get some idea of the
5 confidence that's being built into those testing
6 programs. So testing, in general, is something that
7 agency's looking at. On your question about applying
8 the mark of inspection on slaughtered product, going to
9 grinding, and that that should be sufficient for the
10 grinders, the issue with *E. coli* 0157:H7 is that that
11 organism, if present, is generally present in
12 extraordinarily low levels, and is present in a sporadic
13 manner. It's not consistently present on carcasses,
14 even when other indicators, such as fecal contamination,
15 or hygeneric *E. coli*, or high total plate counts are
16 present, it's not an assurance that 0157 would not be
17 present. And we believe the data would support the fact
18 that testing carcasses is not the most effective way of
19 looking for 0157:H7. It's the same with trim. As the
20 product is reduced in size and more surface area is
21 exposed, the likelihood of finding the organism is
22 greater. But the greatest likelihood of finding it
23 would be in the ground product. So for that reason, the
24 Agency has established the policy such that the
25 slaughter operations need to have in place effective

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 controls, primarily through the HACCP Program, and have
2 verification testing that would demonstrate that their
3 control measures are effective. To that extent, the
4 degree to which they conduct their verification testing
5 may, in fact, provide greater or less confidence that if
6 it were present, they could have found it. And that is
7 the reason why the Agency established its policy in the
8 October Federal Register Notice, to have and ensure that
9 grinders purchasing product also conduct verification
10 testing, that the products that they're purchasing are,
11 in fact, meeting the specifications under which those
12 programs were to be produced, and to have increased
13 assurance that if, in fact, the organism was present,
14 that through their verification programs, they may have
15 the opportunity to find it and prevent it from going
16 into commerce. So testing is not the most effective way
17 to find this particular organism, it's a way to verify
18 process control, or at least the procedures that are in
19 place, and would remove high levels of contamination.
20 But I think the focus on testing is what we're not able
21 to use at this time, because of the sporadic presence
22 and low levels of the organism. On the issue for
23 *Listeria* -- I'm sorry -- what specifically was that?

24 DR. JAN: What -- who, or how is the frequency
25 -- sufficient frequency determined for the testing?

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 DR. ENGELJOHN: Okay. When the Agency issued
2 this final regulation, at the same time we made
3 available compliance guidelines that are posted on our
4 web pages, related documents. And I believe our
5 intention is to make available to all small and very
6 small plants in particular, a copy of this compliance
7 guideline, which does, in fact, provide what the Agency
8 would recommend in terms of control programs to meet the
9 intent of the regulation. One of the regulatory
10 requirements that we have when we produce regulations,
11 is that if we establish some type of performance level
12 that must be achieved by the industry, the Agency's
13 obligated to provide guidance to industry on how to meet
14 those regulatory requirements. And so we did issue a
15 compliance guidance -- guideline, that does, in fact,
16 identify levels of sanitary controls that we think are
17 more effective than versus those that may be less
18 effective, but in any case would meet the intent of the
19 regulation. And the Agency identified levels of growth
20 control for the organism, whether or not the organism is
21 growing at greater than one log over the course of the
22 shelf life, or with regard to post lethality treatment,
23 whether or not that treatment is at a level that would
24 effectively destroy any potential contamination that's
25 present. And so in that guidance, the Agency put levels

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 such that if the industry was designing their programs
2 to conduct post lethality treatment or growth
3 inhibition, or sanitary controls that are verified at a
4 certain level of frequency, than the Agency's intention
5 or expectation would be to verify the effectiveness of
6 those programs through its FSIS testing program. And
7 then for those operations that exceed those minimal
8 requirements that we believe are necessary for
9 additional control, that the Agency then can focus its
10 verification resources on those establishments that are
11 doing less. So we establish what we believe are minimal
12 requirements. And then within those, we identified what
13 would exceed those minimal requirements to provide an
14 incentive to industry to do more than what we think is
15 minimally necessary, and to provide us with some means
16 for focusing our verification resources. So I think we
17 have provided that information within that compliance
18 guidance. We will continually update that guidance as
19 we receive comment or information that demonstrates that
20 there are, in fact, other effective ways to control for
21 *Listeria*.

22 DR. JAN: I looked at that guide you're
23 talking about, but I don't remember if anywhere finding
24 what would be considered an acceptable or a frequency
25 that's sufficient that they have stated frequency of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 testing for the sanitation procedures -- I mean, if they
2 use alternative three, or they use the growth control in
3 alternative two, they must explain the sufficient
4 testing frequency, and that's -- I didn't see anywhere
5 that they used any term other than that. And then, you
6 know, who's going to determine between the industry and
7 FSIS, what is sufficient. That's the question the
8 industry's going to have.

9 DR. ENGELJOHN: And, again, the last page of
10 that compliance guide, which does, in fact, identify
11 those frequencies that we believe are, in fact, minimal,
12 and those for which if they're exceeded, we believe
13 provide additional enhanced verification control
14 measures. The Agency did not mandate minimal
15 frequencies, as it had proposed, because, in part,
16 through the scientific support documentation that we
17 were able to generate and to consider, we were not able
18 to identify, except under conditions where there was
19 continuous ongoing testing, that there would be a
20 significant impact on reducing the risk for Listeriosis.
21 There are, in fact, benefits to be derived, simply by
22 mandating that there are written programs for which
23 there are sanitation procedures that must be verified.
24 We know that that is, in fact, providing some additional
25 level of assurance that *Listeria* is being controlled.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 So I believe the last page of that document does contain
2 that specific information. Yes, we'll just go down to
3 the end of the table here, Dr. Bayse.

4 DR. BAYSE: I believe Michael was next, if you
5 would like to go first.

6 DR. KOWALCYK: Mike Kowalcyk, from STOP. I
7 have a question regarding the *E. coli* testing, the
8 monitoring procedures and record keeping. As far as the
9 consumer safety officers involvement with this process,
10 is there going to be continued working in these areas,
11 especially with respect to record keeping? It seems
12 like there's a lot of data that's probably getting lost
13 in the verification process. Is that an issue that FSIS
14 is dealing with?

15 DR. ENGELJOHN: Yes. I think record keeping
16 is one of those areas for which we recognize a need to
17 provide additional policy to the industry, but more
18 importantly to our inspectors in terms of how to read
19 and understand the data, and specifically to look at the
20 data. Recently, the Agency issued a new directive,
21 5000.1, which contained new instructions to our
22 employees in the field, also in terms of how to conduct
23 their daily inspectional activity. And within that
24 documented, it does specifically address the issue that
25 verification testing or monitoring data by the industry

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 is something for which our employees are needing to take
2 more time to look at, and to seek it out, as opposed to
3 waiting for the industry to just simply provide it to
4 them. And so there are new instructions contained
5 within that directive that came out quite recently. When
6 the Agency issues its new directive on *E. coli* 0157:H7,
7 the goal will be to provide additional guidance to
8 industry on the types of records that are important to
9 look at, and the way to present them. We understand
10 that there's a need to provide instructions to our
11 employees in particular as to how to interpret data. So
12 record keeping is a focus that we have, in terms of a
13 regulatory requirement. Establishments that rely upon
14 data to make their decisions must, in fact, make that
15 information available to the agency. And so we
16 constructed our policy on *E. coli* 0157:H7, through the
17 October notice, to specifically identify that if a
18 prerequisite program is being used, and for which data
19 is being used to verify its ongoing effectiveness, that
20 it must be available in the support documentation, so
21 that our inspectors can, in fact, verify the
22 effectiveness that's there, demonstrated by that data.
23 Yes.

24 DR. BAYSE: Yes, Dr. Engeljohn, I guess
25 referring back to Dr. Murano's and Dr. Lee's comments

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 about scientific basis really for testing and
2 evaluation, I noticed that there is an article in press,
3 in The Journal of Agriculture and Food Science,
4 indicating that at least from the -- that it's very
5 helpful to use fluorescence as a measure of fecal
6 contamination on a beef carcass. And it's essentially,
7 you know, impinging laser light, and then the
8 fluorescents from the chlorophyll breakdown products
9 from the grazing. It's in press, you know, so we
10 haven't seen the full article. But it seemed to me, at
11 least at the level of the light to help the inspectors
12 to have a rather fast and very efficient sensitive
13 method for detecting the fecal material, at least at
14 that point in this whole process.

15 DR. ENGELJOHN: Yes. Thank you for
16 identifying that. The Agricultural Research Service
17 here at USDA, in fact, conducted much of that research
18 with regard to online or easy ways to detect whether or
19 not fecal contamination continues to be present on
20 product. There are a number of pieces of equipment that
21 can look at the entire carcass versus smaller pieces,
22 and they're hand-held equipments. And I think from the
23 Agency's perspective, we are, in fact, looking into the
24 issue of the potential for the use of those types of
25 technologies that can better present information to the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Agency about the effectiveness of the trimming
2 operations, particularly if fecal contamination is found
3 and the industry is then obligated to remove that
4 contamination and to prevent ongoing contamination, it
5 could, in fact, serve as a tool for that. It's far more
6 sensitive than a visual inspection. And it certainly
7 would present new issues for the Agency as to the degree
8 to which we could find fecal contamination, or in this
9 case chlorophyll, that may be on the product. And
10 because fecal contamination is believed to be one source
11 of distributing adulterants or other contaminants on the
12 product, it is an area that we're looking into in terms
13 of the feasibility of the technology that would assist
14 us more than just the visual inspection. One more
15 question. Yes, Dr. Baldwin -- no, Dr. Carpenter.
16 Sorry. But the...

17 DR. CARPENTER: David Carpenter, from SIU
18 School of Medicine. Thank you for the update in
19 addressing *Listeria* in the final rule. And I think that
20 it's very commendable that the Agency had put a focus on
21 the importance of this organism. Referring back to Dr.
22 Murano's fourth goal in interacting with others in
23 public health, when you consider soft cheeses, dairy
24 products, is FDA mounting a comparable effort to warn
25 consumers about the potential of *Listeria* in things like

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 soft cheeses?

2 DR. ENGELJOHN: Both FDA and FSIS are the two
3 primary agencies that are public health related and
4 regulatory in the sense of all foods. The FDA agenda,
5 with regard to how it's handling its product, is one for
6 which they're establishing. And I do not know the
7 details to that, although the risk ranking that was
8 conducted by FDA and FSIS in 2001, certainly did
9 identify a number of FDA regulated commodities that
10 served as relative risk factors for Listeriosis. And so
11 I do know that that agency is looking into their policy
12 development. I will say on the issue of public health
13 and Listeriosis, the Agency is in particular looking at
14 how it can better identify whether or not meat and
15 poultry products, and at what point meat and poultry
16 products are contributing to the overall burden for this
17 particular disease. We -- although we have good
18 information to pulse net and food net about Listeriosis
19 and the prevalence of *Listeria* in products, or in
20 clinical isolates, we still don't have a really good
21 tracking of at what point in the distribution chain
22 *Listeria* is, in fact, a more pronounced problem. So
23 we're looking at how to better improve our finding of
24 *Listeria*, and where better to apply control.

25 MR. TYNAN: Excuse me. If I can, in closing,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 I think, Mr. Govro, you had a question, and maybe we can
2 close it out with that.

3 MR. GOVRO: I have some questions related to
4 the implementation of the interim final rule. Dr.
5 Murano talked about wanting to make science-based
6 decisions. And then her second point was about making
7 sure that these were effective food safety programs.
8 And in past meetings, we've talked about the directives
9 that FSIS has issued, and some research that's been done
10 about whether or not those are fully understood by the
11 people who implement them. And I'm curious about what
12 the Agency has done, if anything, or what it intends to
13 do to make sure that the rules are enforced and
14 implemented consistently across the country, what the
15 timeframes for compliance with the rule are, and what
16 types of enforcement will be taken to ensure that it's
17 implemented correctly. Thank you.

18 DR. ENGELJOHN: *Listeria* is an ongoing issue
19 for the Agency. And we have current inspection-drive
20 tasks for the inspectors to pull samples of product for
21 our verification testing program, as well as for
22 verifying the overall design of the program. So on a
23 daily basis, our inspectors are continuing to address
24 the issue of *Listeria* through existing directives. The
25 Agency will continue to look at those existing

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 procedures from now until the time the rule goes into
2 effect. The rule will be effective on October 6, of
3 this rule -- of this year, I believe -- yes, October 6.
4 And so at that point the industry needs to have its
5 programs modified and ready to address the new
6 regulatory requirements. Having said that, we have
7 several thousand establishments that are obligated to
8 meet the requirements of this rule. And so through the
9 new director that we'll issue in advance of that
10 effective date, and where our goal is to have it issued
11 at least 30 days in advance, but not be effective until
12 the effective date, that we will have, by that time,
13 conducted a sufficient amount of review with the
14 District Offices, so that they, in fact, can ensure that
15 the employees within their plants understand the
16 procedures. We have a number of ongoing efforts that
17 other program areas within the agency where we'll be
18 looking at to see how we can ensure the effectiveness of
19 the implementation. Part of the reason why we issued an
20 interim rule with an 18-month comment period, is so that
21 we, as an agency, would commit to designing a mechanism
22 to study its effectiveness. Whether or not it's being
23 understood, whether or not our employees are, in fact,
24 understanding the instructions that they have, and
25 whether or not the industry is applying the requirements

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 of the regulations in the way that they're intended. So
2 there will be a number of activities throughout the
3 course of the 18 months, to study the effectiveness of
4 the rule. The directive, however, we'll issue in
5 October, and will set forward new instructions for how
6 we will conduct our verification.

7 MR. TYNAN: Thank you. If there are other
8 questions, I think Dan has a commitment back at the
9 office, so I don't know if you'll be able to stay. But
10 perhaps during the break, if there's any additional
11 questions, we could use that time to talk with Dan a
12 little bit further about some of the issues you may
13 have. What we were planning on doing is having the
14 speakers come up here to the lectern, but in this
15 particular case we're going to make an exception. We
16 have actually two for the price of one. We have Mr.
17 Ronald Hicks and Dr. Jane Roth -- I apologize for
18 putting the wrong thing on your sign -- to talk a little
19 bit about state review methods. And Moshe Dreyfuss from
20 my office, is going to work the computer so that we'll
21 get done, as opposed to me doing it. I'm
22 technologically illiterate.

23 MR. HICKS: Good morning. There's probably
24 one just very fundamental basic key reason why I'm
25 sitting here and not up at the lectern. And that's

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 because the computer's there. And as most people know,
2 it is best that I be here or most of what's up there
3 will be -- it was just disappear. So before I'm even
4 asking, people offered to do this for me. So I
5 appreciate that. Good morning to all of you. It's good
6 to be here. I want to talk to you a little bit about
7 currently there's a study that the Agency's doing of the
8 review of a State Meat and Poultry Inspection Programs.
9 Jane Roth is here to help me present this issue to you.
10 The primary purpose of the review is to update and
11 strengthen FSIS's policies and procedures for reviewing
12 state meat and poultry programs. As it's been
13 indicated, the Agency is doing a lot of work in terms of
14 looking at various programs from cross agency lines.
15 And this is one of the areas that the Agency feels is
16 important to take a look at this time. There's an
17 office of PEER Programming Evaluation Enforcement and
18 Review. It's a newly formed office, as a result of the
19 recent reorganization within FSIS. What it does is it
20 combines the various review, audit, and evaluation
21 functions that currently existed in different parts of
22 the Agency, along with the two enforcement parts of the
23 Agency that deal primarily with outside of the plant,
24 and puts all of those into one office, under one
25 leadership. There is quality assurance for the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 administrator. Dr. Murano indicated in her opening
2 remarks that one of the key goals is to ensure effective
3 management of Agency programs, and having that function
4 reside in the administrator's office helps perform that
5 function optimally. There were reviewing and evaluation
6 functions, like I say, in other parts of the Agency
7 before. What this does is just expand the use of it,
8 and makes it more visible, the use of those functions.
9 It provides objective information for and about FSIS
10 programs. It looks at root causes, identifies root
11 causes of problems in particular program areas, and
12 looks for agency-wide solutions, in terms of how to deal
13 with those problems. So that's what this office of PEER
14 is striving to be about. Two of the key components
15 within PEER is domestic reviews and foreign reviews. We
16 also have Royce Sperry, from our Omaha, Nebraska office.
17 I'm happy to see that Royce was able to make it in this
18 morning. I understand there were tornadoes out in
19 Nebraska yesterday, so I was glad to see that he was
20 able to make it. But the Office out there, which is
21 headed up by Don Smart, who many of you may know, is
22 primarily responsible for domestic and foreign reviews.
23 And that office reports to PEER. And some of the
24 factors that are driving this study, the 2002, Farm Bill
25 mandate, which asks the Agency to determine the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 effectiveness of state programs, and context of
2 interstate shipment, the HACCP Final Rule that was
3 implemented back in 1996, and the director that governs
4 or deals with the state reviews precedes that. So it's
5 critical that we take this opportunity now to, after
6 implementation of HACCP, look at how we do state reviews
7 to try and make sure that we are consistent with the
8 HACCP rule, increased need for -- to protect food supply
9 with the bio terrorism events over the last few years,
10 as well as the recent concern with BSE. It's critical
11 that -- not just with state programs, in this case
12 concerning state programs, that we take a look at the
13 procedures and methodology that we utilize to assess the
14 state programs, and also just to incorporate the input
15 that we've gotten from this group last year, I think it
16 was in May. And in November, we got feedback for the
17 advisory committee dealing with the review of state
18 programs. So we need to put that into some kind of
19 manual and to a directive, and to some strong, good
20 guidance for the Agency and for the state. So those are
21 some of the factors driving the study. Some of the
22 recommendations that came out of the advisory committee
23 meeting from last June, you can see there, is that the
24 committee asked that we assess all the reviews,
25 summarize the reviews from 2000, on, to see what the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 trends were, what the -- may be, and what some of the
2 problems might be. We were also -- it was also
3 suggested that we look to see if we can get an extension
4 of time. The Farm Bill indicated a timeframe by which
5 we would complete this review. And the committee
6 suggested we get an extension of time to conduct the
7 review, and that also we seek funds to maybe contract
8 out to review this process. The recommendations also
9 asked that we ensure that there's uniform compliance
10 between the federal and state programs, asked us to look
11 at deficiencies that may exist within the programs, to
12 develop better guidance so as to trying to show that
13 we'll reduce problems in the future. FSIS offers
14 training for a variety of people. One of the
15 recommendations is that we allow state staff,
16 participate and be part of that training. And lastly,
17 it requires stage to adopt federal regulations and
18 implementing policies. So those are the recommendations
19 that came out of the June 2002, advisory committee
20 meeting. In November, the committee met again. And
21 much of the discussion in that meeting centered around a
22 document that was prepared by Ralph Stafko, and who was
23 working with the committee and some of the state
24 directors. And the document was perceived this way,
25 evaluating State Meat and Poultry Inspection Programs.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 And some of the recommendations coming out of that
2 meeting were that the Agency should take samples of
3 product produced by state establishments, clarify
4 documents needed for self-assessment, and on site
5 reviews. There was concern as to whether or not there
6 was consistency and clarity with the documents that were
7 being utilized for those -- the two aspects of the state
8 reviews, allows states to participate in training. And
9 this training is primarily consumer safety officer
10 training and district veterinary medical specialist
11 training. To make that training available to the
12 states, and also advocate it right in a new directive
13 for oversight of states as soon as possible. What the
14 Agency is doing right now in terms of the review of our
15 manual and the processes address these recommendations
16 as best as we can. FSIS's response to all of these is
17 that -- is the following: We have an agency-wide
18 participation in terms of looking at how we review our
19 state programs, Office of Policy, Office of Field
20 Operations, Office of Management, Office of Homeland
21 Security, and other parts of the agency are all involved
22 and have provided input to us in terms of how we should
23 be going about looking at state programs. We developed
24 a new two-part review manual, which goes over the
25 methodology for how we should be conducting our state

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 reviews. We've met twice with state directors, once
2 from the eastern part of the country, and then most
3 recently with the entire group of state directors, met
4 with them to get input on the manual, get their concerns
5 and feedback. And it was a good two-day meeting, I
6 think. We heard a lot from the state directors about
7 their concerns about what they needed from us. A lot of
8 it also centered around the need to strengthen
9 relationships with the Agency. So while that's not part
10 of the manual that's being developed, it's obviously
11 part of what we see as important and critical to the
12 overall success of how we go about this process of
13 reviewing the state programs. And we also need to get
14 input from the advisory committee as to how we should
15 proceed, input as to how we can improve enhanced
16 methodology about which we review state programs. So
17 what I can do at this time is to ask Jane Roth to walk
18 you through some of the key components of the manual
19 that we're now developing, and answer any questions that
20 you may have.

21 DR. ROTH: Ron has provided a good background
22 to where we are today in terms of working on improving
23 the way that we review state programs, and ensuring that
24 State Meat and Poultry Inspection Programs are at least
25 equal to the federal program. What we have is a new

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 manual and methodology. They mirror each other. There
2 are ten review components. The review components were
3 chosen to cover all the main aspects of the Meat and
4 Poultry Inspection Programs. They are comprehensive,
5 and mutually exclusive. They start with the statutes
6 and the laws, and they work their way all the way
7 through civil rights and financing and accounting. And
8 there are two parts to the manual, the same two parts
9 that are involved in the review methodology. Each part
10 is organized by the ten review components. The first
11 part of the manual is the self-assessment checklist. It
12 is an opportunity for the states to demonstrate at least
13 equal to, that they show that they meet the federal
14 requirements. The second part of the manual clearly
15 lays out how the onsite review process will be done.
16 The states receive the entire manual, so the process is
17 transparent. They are responsible for completing the
18 first part of the self-assessment checklist, but they
19 will also receive the instructions that go to the
20 reviewers as well as the instruments that the onsite
21 reviewers will use. Now let me go through the ten
22 review components. The first review component covers
23 the statutory authority and food safety requirements.
24 Statutory authority is the Federal Meat and Poultry
25 Inspection Acts, and then all of our food safety

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 regulations. The second component covers inspection,
2 which is actually the implementation and carrying out of
3 the first component. The third component is titled,
4 Product Sampling Requirements. By that we mean the
5 labs, facilities, equipment, sampling, protocol. The
6 fourth component ensures that the State Meat and Poultry
7 Inspection Programs have staffing that's adequate, at
8 least equal to the federal staffing requirements. The
9 fifth addresses humane handling. The sixth: other
10 consumer protection that is the non-food safety areas
11 that deals with nutrition labeling, products of
12 identity, and so forth. The seventh is enforcement
13 regulations. Eight: training requirements. Nine:
14 funding and financial accountability. And, finally, the
15 civil right requirements. Again, all State Meat and
16 Poultry Inspection Programs are reviewed. We use both
17 the completed checklist and the submitted documentation
18 that we received from the states. And then we conduct
19 the on-site reviews. All of that together helps us make
20 the judgment to support the at least equal to
21 determination. Let me continue by going through the
22 first tier of requirements. What we've done is we've
23 looked at the Meat and Poultry Inspection Acts, and
24 we've determined that the first seven review
25 requirements actually require the states to have at

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 least equal to criteria. We call these seven the first
2 tier of components. And these are the Federal Meat and
3 Inspection Act, and the Poultry Products Inspection Act
4 require mandatory anti-mortem and post-mortem
5 inspection, re-inspection, sanitation requirements,
6 record keeping and enforcement provisions. All of these
7 components, the states will be required to have laws and
8 regulations that are at least equal to the federal. The
9 second tier is the remaining three review components.
10 We also have strict review criteria. But we have made
11 the determination here that the Meat and Poultry Acts
12 themselves do not require at least equal to. So for
13 training what we're requiring is that the states have
14 adequate training to ensure that inspection personnel
15 has the knowledge, skills, and ability to perform
16 inspection. They have to demonstrate that their
17 training program results in inspection personnel who can
18 apply the inspection methodology, according to the
19 regulations or the directives, make decisions based on
20 the correction application of inspection methodology,
21 document the findings, and implement appropriate
22 regulatory action. For the ninth component, funding and
23 financial accountability, there are a number of
24 documents. There is the FMIA and the PPIA, which do lay
25 out certain requirements. There is also the directive

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 33,000.1, which provides guidelines for budget
2 submission. And then there are two additional guides
3 which the states have been using, and we ask that they
4 continue to use to meet the review criteria. And
5 finally, the Civil Rights Requirements, those
6 requirements come from Title VI, as well as other
7 federal laws and department regulations. So these three
8 areas, the states will be reviewed. And we will look at
9 these to ensure that these three areas support and at
10 least equal to state program. But if you turn, earlier
11 we mentioned there are two parts to both the review
12 manual and to the actual review that the federal
13 government does of state programs. Once again, the
14 first part is the self-assessment. Each of the ten
15 components has its own checklist. And we ask the states
16 to go through each part of the manual, and to support --
17 and to provide supporting documentation based on the
18 requirements that are laid out in each of the respective
19 sections. For each of the ten sections, we have the
20 criteria of which I just went through. We actually list
21 the relevant documents, whether they're regulations,
22 directives, or guidelines that the states need to review
23 their program against the federal. And then we suggest
24 outcomes. So we're looking for the states, in their
25 supporting documentation, to provide documents that show

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 that they are at least equal to, as well as that they
2 are following what is written on paper. The documents
3 that the states support, that they provide to us, are
4 reviewed closely by FSIS staff to verify that the state
5 program is at least equal to. And their supporting
6 documentation is used as the basis for the second part,
7 which is the on-site review. So the second part of the
8 manual, as well as the second part of the FSIS review
9 process is the on-site review. This is where the FSIS
10 review team goes out and verifies that implementation in
11 the respective states are occurring as the documents
12 state. There is an FSIS team leader who coordinates the
13 team from start to finish, the interdisciplinary team of
14 individuals who have expertise in all of these subject
15 matters. The on-site review begins with an entrance
16 meeting with the states. There are a sample of plants
17 and laboratories which are selected from an established
18 statistical procedure that we use when we go over and
19 visit foreign countries. We'll be using the same
20 statistical table for determining the number of plants
21 that we visit. The review team will visit those number
22 of plants, using the standard methodology laid out in
23 the review manual, using the instruments that are part
24 of the review manual. This will ensure a uniform
25 implementation, consistency in all the reviews that are

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 done. At the end, similar to the way that foreign
2 reviews are conducted at the exit conference, there will
3 be a PowerPoint presentation made by FSIS reviewers.
4 This is one of the things that the state directors asked
5 in the June meeting, that they do receive some written -
6 - some type of written report based on the review. And,
7 finally, these on site reviews can take anywhere from
8 several days to several weeks. And that, of course,
9 depends on the size of the state, which would then
10 dictate the number of plants that we would be visiting.
11 The next slide. Finally, there are two types of state
12 reviews. What we've been talking about here is the
13 initial state review, which closely mirrors the initial
14 equivalency determination that the Agency makes with
15 foreign governments. It has the two parts that we've
16 discussed here, the self-assessment checklist and the
17 on-site review. Once the initial state reviews have
18 been completed, the states will be reviewed annually.
19 The annual reviews will continue to have two parts, the
20 self-assessment checklist, asking the states to
21 continually update as necessary their supporting
22 documentation, and the on-site reviews, which will be
23 conducted as needed, as resources allow, and as policy
24 dictates. So once again, the two parts to the review
25 process which we will be involved in for both types of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 state reviews. So this ends our overview of the state
2 programs and the manual, and provides some background
3 for the subcommittee that's meeting this evening. What
4 I'd like to show you with the next slide are the two
5 questions that we're going to be asking the subcommittee
6 to address. The first one is what does at least equal
7 to mean with regards to state program requirements? And
8 the second question, how best can FSIS make review
9 determinations of each of the ten review components?
10 And then just the last slide, if anyone has any
11 questions for Ron, Royce Sperry, who is our expert of
12 both the state, the domestic, and the foreign reviews,
13 or myself. Irene.

14 DR. LEECH: I'm Irene Leech. I understood you
15 to say that you use the statistical table to decide how
16 many plants to inspect. How do you decide which ones,
17 and do they know that the inspectors are coming? What's
18 the setup there?

19 DR. ROTH: The table is an established table
20 that we've been -- that the state reviews have used.
21 And the state -- and the plants will be randomly
22 selected based on the table. Royce is here. He can
23 answer specifically. But the plants do know.

24 MR. SPERRY: In the past, when we've done
25 state reviews, we had to notify the state directors that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 we were coming, but had not given them a list of plants
2 until we arrived to their office. We have found in
3 foreign audits, also in federal reviews, that giving the
4 list of plants ahead of time makes a little difference.
5 But the plants are selected by computer randomization
6 before we go.

7 DR. ROTH: Lee.

8 DR. JAN: You mentioned -- one of the earlier
9 things you mentioned, that the Farm Bill was one of the
10 driving forces for changing the whole review process.
11 And I just wanted to make a comment regarding that. The
12 Farm Bill only requires a full review of state
13 inspection systems. It does not imply that a new
14 methodology should be used, or that FSIS could not use
15 the results of past comprehensive reviews, which this
16 committee suggested in a previous meeting. But the Farm
17 Bill also recognizes that the goals of providing a safe,
18 wholesome, abundant, and affordable supply of meat and
19 meat food products, could not be met in the absence of
20 viable state programs -- State Meat Inspection Programs,
21 that help to foster the participation of smaller
22 establishments in the food production economy. And that
23 comes from the Farm Bill. FSIS -- my point is that FSIS
24 does not have to wait for congressional action to change
25 or mend the federal acts that would -- that are

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 currently prohibiting interstate shipments of state
2 inspection product, because that's what the Farm Bill
3 was all about anyway. They want that report from the
4 Secretary. The Agency can act immediately to ensure
5 state programs, continue to survive and provide that
6 environment conducive to the survival and growth of
7 small plants by arranging or changing the funding of
8 inspection of TA establishments. TA establishments are
9 federal establishments. They're generally small
10 establishments that have actually graduated from a state
11 inspection program to an -- so they can go in interstate
12 commerce. They are federal programs, but they're
13 inspected by state inspectors. Currently, those are
14 funded 50 percent state, 50 percent federal. If those
15 were funded 100 percent federal funds, and allow all
16 state programs to participate, than that would help
17 ensure the survival of state programs that would allow
18 more small plants the opportunity to ship in interstate
19 commerce, and it would eliminate the objections of the
20 critics of state inspection programs because there would
21 be no equal to. It would be a federal plant. So I
22 think if FSIS seriously looks at the changing of
23 funding, the issue of state inspected product going
24 across state lines may become a moot issue. So those
25 are my comments.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 DR. ROTH: Thank you.

2 MR. GOVRO: Yes. Mike Govro, Oregon. I have
3 two questions. How many State Meat Inspection Programs
4 are there currently?

5 DR. ROTH: 28.

6 MR. GOVRO: 28. And could you please
7 elaborate a little bit on review criteria number eight:
8 training. How do you determine that there -- that the
9 training is adequate to ensure inspection personnel have
10 adequate knowledge, skills, and abilities to inform
11 inspections?

12 DR. ROTH: That's an interesting one. That's
13 one that the state -- you are a state director -- were
14 particularly interested in. What -- it's pretty much
15 what I said. We want to be certain that the states have
16 personnel that can carry out the responsibilities in an
17 equal to manner, that they can ensure that the
18 regulations and the directives are being implemented
19 properly, and that appropriate regulatory action is
20 taken. At the state director's meeting in June, there
21 was a lot of concern that we were -- that the Agency was
22 requiring that state directors actually attend FSIS
23 training per se. And there was concern among the state
24 directors that we hadn't provided enough space for the -
25 - for them to do that. Bill Smith heard that, and

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 talking to, I think, Phil and Bill -- Phil Derfler and
2 Bill Smith, the Agency is making an effort to open up
3 the food safety regulatory essentials training to
4 states. And also, I think that this initial review, we
5 are not requiring states to have FSIS certified
6 training, but to show that they have training at least
7 equal to, that covers the areas that are being covered
8 in the food safety regulatory essentials training. And
9 we plan to share that material with the states.

10 MR. GOVRO: Was the evaluation of the training
11 or of the effectiveness of the training -- that is with
12 the personnel and how they actually perform their
13 duties?

14 DR. ROTH: What we would -- what we have in
15 the manual is examples of what the states can provide
16 paper-wise, in terms of documents, which would be course
17 syllabuses, attendance in the training, any tests that
18 were given at the end of the training. How successful
19 the training is would be demonstrated during the on
20 sight and the actual carrying out of the regulatory
21 activities. We really are working quite hard to be
22 logical, to make sense in what we're doing, and not to
23 be a prescriptive. Kevin.

24 DR. ELFERING: Yes. Kevin Elfering, from
25 Minnesota. I think one of the things that we really

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 need to look at is whether or not equal to is a mirror
2 image. And I don't think it is, because I think there's
3 a lot of different approaches to end up with the same
4 result.

5 DR. ROTH: We totally agree.

6 DR. ELFERING: And I think one of the concerns
7 that may come up are trying to shape state programs into
8 being a mirror image of FSIS. And I think some of the
9 issues that are brought up are, for example, staffing.
10 And we feel that we have adequate staffing, but probably
11 maybe look at ourselves as using efficiencies. For
12 example, in the Minneapolis District Office, there's a
13 District Director, two Assistant District Managers,
14 there's a Humane Handling Specialist, there's a
15 Processing Expert. You have Consumer Safety Officers,
16 you have In Distribution Inspectors, you have Circuit
17 Supervisors, Area Supervisors, and Inspectors. In the
18 state program you have a Director, a Supervisor,
19 Compliance Officer, and an Inspector. And I think the
20 channels of reporting work out even more efficiently in
21 that regard, where we probably are a little bit more
22 efficient. Now some of the areas we're staffing,
23 there's no way that state programs would be able to put
24 in the amount of staffing that FSIS has. What we need
25 to be looked at is whether or not the final result is

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 the same in producing safe, wholesome product.

2 DR. ROTH: The manual -- the objective of the
3 manual is to do that. We are not asking for same as.
4 We're talking at least equal to, and we're focusing on
5 the outcome.

6 DR. ELFERING: And I think you'll also hope
7 recognize that many state programs do things quite well.
8 And I think you'll see that sometimes the state program
9 does things better than the FSIS. And I think that
10 you'll see sometimes FSIS does things better than the
11 state programs. But, again, end results.

12 DR. ROTH: Yes. We agree. Lee.

13 DR. JAN: Thank you. Lee Jan from Texas. And
14 I'm on the committee that's going to meet tonight. So I
15 would like a little information that might help us with
16 number one, and that would be what is the type of
17 reviews that are done on federal programs, domestic
18 federal programs -- inspection? Is there a review
19 process? And where are they? Where are the federal
20 inspection programs, the different districts, so that we
21 can at least know what we're supposed to be equal to?
22 Is there a review process for them, and what's the
23 result?

24 DR. ROTH: Are you saying -- do you want me to
25 talk about that now, or do you want to talk about that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 for this evening?

2 DR. JAN: Well, we can talk about it either
3 time. But I think that's going to be critical for us to
4 try to decide what is equal to, because we don't know
5 what the status of FSIS inspection is.

6 DR. ROTH: Okay. We'll talk about it this
7 evening. Joseph.

8 MR. HARRIS: Yes. Joe Harris. Just a quick
9 question on timing. What -- and I probably missed this
10 from the presentation. But what is the timing on
11 implementing this? Are we still developing the
12 procedures, or is this already being implemented? And
13 if so, what is the timeline for completing the state
14 reviews?

15 DR. ROTH: We are in the process of continuing
16 to revise the manual. We will be sending out another
17 draft manual to all the state directors, July 7, which
18 will give them another opportunity to respond back. And
19 we plan to conduct the initial onsite reviews of a
20 certain number of -- in a certain number of states in
21 the fall of this year. And then as resources permit,
22 we'll complete them all. Any other questions? Thank
23 you.

24 MR. TYNAN: If we don't have any other
25 questions related to the state review, I think the next

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 major item on the agenda is a break. Okay. Why don't
2 we just add a little time? See if we can't come back at
3 11:10 promptly, so that we can get into the next topical
4 area. And there's coffee, Cokes, and so on outside.

5 ***

6 [Off the record]

7 [On the record]

8 ***

9 MR. TYNAN: 11:10 is the Center for Learning's
10 Dr. Karlease Kelly. And she's going to talk a little
11 bit about the delivery of training. Karlease.

12 DR. KELLY: Thank you, Robert. First let me
13 say I'm happy to be here. This is the first time I've
14 attended a meeting of this committee. I've had the
15 opportunity to meet a number of you, but I see a lot of
16 new faces as well. So I look forward to having some
17 conversations with you, probably more after this, as we
18 stimulate some thought and some input. And I was
19 reviewing some input that the committee had provided
20 last November, when I was -- after I got into town last
21 night, and realized that this committee has had a
22 significant impact on the future direction for training
23 and education in FSIS. So as we walk through this
24 presentation, I think those of you who have been on the
25 committee previously will see a number of ideas and

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 input that you had provided us in the past that we are
2 beginning to move and to act on these things. So the
3 first thing that I want to -- the first point that I
4 want to make in our second slide here is the main thing
5 that's really driving us today in training and education
6 in FSIS is the vision that the administrator has set
7 forth for the Agency. And if you're not familiar with
8 this vision, it's something that you do need to become
9 familiar with, which is to become a world-class public
10 health agency that's a model for other public health
11 institutions. And that's really only a few words. But
12 when you start to think about what it means, it's a
13 tremendous challenge, a tremendous opportunity, and as I
14 said, it's really the thing that's driving us forward
15 with the future for training and education in FSIS. If
16 we look at the next slide, what we see there is that
17 we've got a couple of strategies that relate to the
18 people aspect in FSIS that we need to focus on to make
19 it possible to achieve this vision. And one of those is
20 improvements in recruiting, which we're not going to
21 talk about today. But I think the committee has
22 recognized and provided input in the past on the need to
23 raise the educational level of incoming employees. And
24 FSIS is taking some steps in that direction. But the
25 second strategy there is the one that we're going to

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 focus on and have some conversation on about today,
2 which is improvements in training and education of our
3 current employees. That, actually when you think about
4 it, is a tremendous point of leverage for change in an
5 organization, when you improve training and education
6 for your current employees. So as Dr. Murano said this
7 morning, and you probably have heard Dr. McKee say in
8 other speeches, training and education is a priority in
9 FSIS. And as we go further, you'll begin to see that
10 more clearly. Just a little bit more background on this
11 vision. The vision is consistent with President Bush's
12 management agenda, which calls for the strategic
13 management of human capital and performance based
14 budgeting. We are going to link our plans for training
15 and education with budgeting and strategic management
16 with this vision, which also, by the way, links with Dr.
17 Murano's priorities. Also, FSIS needs the strongest
18 return for its money when we're developing the human
19 capital in protecting public health. Just like the
20 majority of us we all have limited resources. And what
21 we're trying to do is make the best advantage we can
22 take for those resources. And we have all of that in
23 mind in our plans for training and education. The next
24 couple of slides we'll walk through, basically show you
25 a little bit about our workforce. The most new

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 occupational group in our workforce is the Public Health
2 Commission Corp Officers. I believe that currently we
3 have 11 of those in the Agency. And we have plans to
4 bring more of those on board soon. These are people who
5 in other parts of their career, have had an opportunity
6 to have probably as part of a retention access to
7 training and education. So we're going to have to keep
8 that in mind if we want to attract and retain this type
9 of individual in the Agency. Most of you are also
10 familiar with another occupational group that's fairly
11 new to FSIS, which is our consumer safety officer. That
12 number is currently 132. And I believe that it will be
13 growing in the future. We do have special training and
14 education for that group. We need to ensure that that
15 group's training and education needs, initial training
16 and education needs are met, but also that there is some
17 kind of follow on training, as they mature as an
18 occupational group within the Agency. We also have 226
19 compliance officers. Some of you are familiar with the
20 fact that organizationally, we have compliance officers
21 in PEER, as was discussed earlier, but we also have
22 compliance officers in field operations. So we need to
23 consider the different types of duties that these
24 different types of compliance officers have. And we
25 need to make sure that the training and education needs

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 of that group are met. We have 1,080 veterinary medical
2 officers, the majority of whom are in our field
3 workforce. We also have VMO's who work at a
4 headquarters, and also in different types of job duties
5 other than the inspection type duties. We've had some
6 major studies underway in the Agency, looking at
7 training and education for this particular group of
8 people. We also have a task force right now that's
9 looking at redesigning training for entry-level
10 veterinary medical officers. The bulk of our resources
11 in human resources, are devoted to the Food Inspector,
12 which is an online personnel, and our Consumer Safety
13 Inspectors, which is the off-line personnel sometimes
14 referred to as the floor aids and the processing
15 inspectors. We'll go to the next slide that shows that
16 we do have some other occupational groups, particularly
17 in the laboratories and in our Office of Public Health
18 and Science, as well as other officers in FSIS. We have
19 chemists, microbiologists, program analysts, we have
20 pathologists, so we have a huge diversity of
21 occupational groups, each of which has their own
22 specialized training and education needs. In the next
23 slide what we'll see is some of our challenges, which I
24 know most of you are very well aware. We have a highly
25 disbursed workforce, a large number of people spread out

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 over a large geographic area. That is particularly
2 challenging to train people in that kind of setting.
3 There's no way to get these people together quickly, and
4 easily, and timely sometimes. Another challenge for us
5 is to keep pace with emerging science. We've got
6 emerging policy issues. And people need some kind of
7 training. They need some kind of dialog, in some cases,
8 about some of the changes that are occurring, so that
9 they can keep pace with emerging science. And we
10 combine that with the geographic challenges, it's fairly
11 daunting. Also, the challenge of raising the bar for
12 training and education is to evaluate the training
13 programs themselves to determine that they are
14 effective, that they are on target, and that the content
15 that they have is effective. And then also, testing our
16 students to determine that they have learned what they
17 need to know, that they have mastered the content of the
18 training. So those are some of the challenges that
19 we're looking at. In our next slide, what you'll see is
20 about a year and a half ago, recognizing all of these
21 things, FSIS established the Center for Learning, which
22 manages all training and education for FSIS. Prior to
23 that we had several organizational units. And the
24 management of training and education function was
25 fragmented. But the Center for Learning has brought

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 some cohesiveness there. The Center for Learning also
2 coordinates agency contracts for training and education.
3 We're also developing an annual plan for training. And
4 we're conducting strategic planning related to training
5 and education. Our next slide shows that current
6 situation, which many of you are quite familiar with.
7 Right now we have primarily one training provider in one
8 location. We may have some smaller contracts. But
9 predominately, we have one large contract, and we have
10 one location where students go to receive training and
11 education. We're encountering a lot of high travel
12 costs. I know a lot of you have seen that lately. The
13 travel costs have really gone up immensely. Having
14 everyone in this geographically distributed workforce go
15 to one location for training presents some challenges,
16 because people have more time away from the job that has
17 to do with training. We also have difficulty in filling
18 our classes at this one location, and covering
19 assignments at the same time. So we've been having a
20 problem with our fill rate of the classes. And most of
21 our training is classroom based. So when you start
22 looking at that large geographically distributed
23 workforce, and going to a classroom at one location, you
24 see we have really -- it's like a bottleneck problem.
25 We can't train people fast enough with this process to

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 address some of the emerging issues. So here are some
2 thoughts that we have about the future for training and
3 education, some things that we believe will help move us
4 toward that public health vision that the administrator
5 has established for us. First of all, we want to
6 strengthen the knowledge and the skills of our workforce
7 so that they are more consistent with the public health
8 goals. Also, we need to ensure the training is
9 flexible, so that we can respond to the emerging needs.
10 That's absolutely essential. Also, making training more
11 accessible, and what that's going to mean is some of the
12 things that you have suggested in the past is to provide
13 training through alternative means besides the classroom
14 training. Sometimes classroom training is absolutely
15 essential to master concepts. But sometimes things like
16 video teleconferencing or computer based training can
17 help make training more accessible to that
18 geographically remote workforce. So with all of those
19 things considered, we're proposing six strategic goals
20 for training and education. And these are some of the
21 things that we would like to have your input and
22 feedback on. So let's walk through those one at a time
23 in a little bit of detail. The first goal that we have
24 is to strength the public health, scientific, and
25 technical skills of the workforce. And I believe that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 is something that this group has told us would be
2 beneficial. For example, some of the things that we
3 have as strategies to help us accomplish this goal are
4 to provide some updated advanced education programs that
5 lead to certification and credit. We have had programs
6 in FSIS, education programs in FSIS that were
7 scientifically based. However, in the past we haven't
8 really focused on providing programs that lead to
9 education degrees certification. We've done more -- you
10 might call a one shot kind of approach to training and
11 education. So this is more of a program approach that
12 we're talking about. A second strategy that would help
13 us accomplish this goal is to provide some updated HACCP
14 training. And we've already begun to implement that.
15 How many have you heard of the Food Safety Regulatory
16 Essentials Training, the updated HACCP training? That
17 is essentially what we're implementing there. We train
18 about 400 people. And beginning July the 11th, we did
19 hear some input, some requests that we open the doors so
20 that states could participate in this training. So
21 beginning July the 7th, actually, states will begin to
22 participate in this training that's designed to strength
23 public health, scientific, and technical skills of our
24 workforce. So we'll be sharing that with states. We're
25 also going go be working on addressing the training

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 needs of the new types of employees, as we said, such as
2 the CSO's, such as the public health officers; not just
3 their needs as they come on board, but their needs as
4 they advance in their career. I just want to backtrack
5 for a minute about the updated HACCP training. There's
6 something I wanted to share with you about that, that I
7 didn't want to pass over. One of the things that we
8 worked into this program is we provide a pre-test and a
9 post-test to measure the learning that is taking place
10 during training. And we are also evaluating -- we have
11 plans to evaluate the application of the training on the
12 job. So after people have completed the training, they
13 go back. We intend to find out, are they applying what
14 they've learned in an on-the-job setting? Okay. Let's
15 look at our second goal. Our second goal is to enhance
16 the ability of a workforce to protect meat, poultry, and
17 egg products from intentional harm. And most of you
18 would recognize that as a goal that links up with
19 Homeland Security. Right now we're in progress of
20 providing bio-security training to our field workforce
21 and to headquarters. And I understand at least one of
22 you, Dr. Jan, has participated in some of this training.
23 Has anybody else participated in any of this training
24 that FSIS is providing? More than likely, some of you
25 may be invited to participate in this training, as it

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 rolls out across the country. Right now we've got two
2 initiatives that are ongoing. One is to provide
3 specialized training to district offices. And that's
4 the training that Dr. Jan participated in. We also have
5 some training at the in plant level, where people in
6 plant settings actually participate with FSIS in the
7 training that will be occurring. We have done some
8 import liaison surveillance inspection training. And as
9 that program matures, we'll continue to provide that
10 training. We're also making efforts to incorporate
11 specialized animal disease topics into VMO training.
12 And those are just some examples of the training that is
13 ongoing. So that's our second proposed goal. Our third
14 proposed goal is to make training education accessible
15 to the work site. As I mentioned, this will help to
16 resolve that bottleneck problem that we have. Because
17 with 8,000 people out in the field, it's just not
18 possible to be brining them to one location for all of
19 their training. We're taking steps right now to
20 implement a strategy. It's one that was recommended
21 actually in November by this group, and by a number of
22 other groups as well. And that is a regional approach
23 for training. In other words, we're going to take
24 training closer to the work site. We are going to send
25 trainers to regional locations rather than have people

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 come to where the trainers are. We're going to have the
2 trainers go to where the people are. So that's a big
3 change for us. And, Moshe, if you want to just go ahead
4 to the next slide. This is sort of a graphic to
5 represent that we're going to be going to where the
6 people are. We don't have a lot of specifics developed
7 on this idea, but we are moving in that direction.
8 There's a lot of details to be worked out about that.
9 But that is the direction we are moving in. Can we go
10 back to the fourth slide? Okay. Thank you. Another
11 strategy that we're working on to implement this goal is
12 to train entry-level employees within their probationary
13 period. I think a lot of you have heard -- and this is
14 a chronic problem that we've had with difficulties that
15 we've had in maintaining staffing levels. In some cases
16 people are not trained within their probationary period
17 the way that we'd like to see them trained. So one of
18 the models that we're considering is to move that
19 training that we provide to employees as close to the
20 point where they enter on duty. That way we provide
21 them with their basic skills training with training on
22 professionalism. We get them started outright. We get
23 them on a track that's much more effective than if they
24 have to go on the job, kind of learn catches as catch
25 can and then come back and learn the way they're

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 supposed to learn in the setting later. So we're moving
2 that training closer to where they enter on duty. It
3 will also allow us to perhaps weed out the people where,
4 you know, in some cases this is just really not the
5 appropriate job for them, and we can do that much
6 quicker in that sort of setting. So I think there's
7 tremendous leverage with that approach. Last but not
8 least, we're looking at computer-based training. And
9 that, by saying that, I want you to think about the full
10 range of any kind of electronic based learning. It
11 could be online learning with the web. It could be
12 video teleconferencing, it could be some kind of CD-ROM
13 or video DVD that we're using, computer based training
14 of some type that we're going to provide to employees.
15 Those are the things the Agency has purchased recently,
16 a new and upgraded video teleconferencing system. So
17 we're going to be piloting some training in that mode in
18 -- within the next three to six months. So you'll see
19 more in that arena as well. Okay. Our fourth proposed
20 goal is to improve training for managers. This is an
21 area that we feel is very important. We have a number
22 of managers in FSIS. Most of them have been promoted
23 from technical ranks, and need some management training
24 skills. This is also an area that Dr. McKee has told us
25 we need to pay more attention to. It's to improve our

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 training for managers and supervisors. One of the
2 things that we're -- we have plans underway to do is to
3 update courses for our frontline supervisors. We have
4 some frontline supervisor training. But we intend to
5 have a much broader range of kinds of topics that will
6 be covered. We also intend to do some rotational
7 assignments, which give people a broader view of the
8 organization, and help them really understand things
9 from an Agency point of view, rather than a program
10 point of view. We have a Leadership Assessment and
11 Development Program that we plan to expand. That
12 program gets 360 degree feedback from peers, from people
13 reporting to that individual, from supervisors. It
14 provides people with feedback about how they're doing,
15 and gives them some suggestions for how they can
16 improve. And we're also going to coordinate our
17 management training with our succession planning. And
18 if we look at the next slide, what we'll see is that we
19 -- succession planning is something that the Agency
20 really, absolutely has to engage in. More than 55
21 percent of our FSIS leaders, supervisors are, we'll say,
22 eligible to retire in 2005. And that goes up to 70
23 percent in 2007. So there's a lot of institutional
24 memory, institutional knowledge that we might stand a
25 chance of losing if we don't try to do some kind of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 succession planning. We may find ourselves in a
2 situation where we have a lot of people filling
3 positions who don't know agency business or understand
4 some of the complexities, some of the nuances that are
5 really important to make key decisions. Succession
6 planning is going to include training of qualified
7 replacement candidates. And our goal is to have some
8 kind of a seamless transition, so we don't have real
9 gaps to fill in times where it's very difficult to
10 recruit people, but not a lot of people filling those
11 positions. And, in fact, I believe that it's this week
12 that we're launching our management council that's going
13 to look at succession planning. So we're already moving
14 in that direction. The next slide shows our fifth goal,
15 fifth out of six, six goals, and that's to maintain and
16 improve the training infrastructure. With all of these
17 changes going on, we have to make sure that our
18 infrastructure is functioning effectively, that it has
19 the kinds of changes that need -- it needs to be able to
20 support these goals. One of the things that we're going
21 to begin working with seriously in earnest within the
22 next several months, is to work on policies and
23 procedures to make training a condition of employment.
24 And what that means is to set in place policies and
25 procedures such that if a person fails training, be it

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 some kind of performance or job audit after the
2 training, or some type of test that they take when they
3 complete training, than they cannot actually be deemed,
4 I guess, competent, to perform the duty of the position.
5 So that's where we're headed with that, so that people
6 must pass the training. It puts a certain burden on the
7 training. The training has to be one that's job
8 related. It has to be clearly have clear goals and
9 objectives that are linked to job competencies. But we
10 believe this is really important in having a successful
11 training program. Testing employees, we talked about
12 that. We may be testing them for their content
13 mastering, we may be doing a job audit when they return
14 to the job to see that they can apply those skills.
15 Conducting needs assessments, that's another thing
16 that's a very important part of maintaining the training
17 program. Dr. McKee has created a training and
18 educational working group, which is sort of the roll up
19 your sleeves and get the job done group, as opposed to
20 the training and education steering group, which is more
21 of the oversight and general policy direction for
22 training. But the training and education working group
23 is in the process -- we just initiated the process of
24 doing a needs assessment in thinking about our training
25 for 2004. We know that we already have a budget that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 has been proposed for 2004. We're trying to ensure that
2 the needs that we address for 2004, are needs that are
3 linked to the strategic plan and to the budget. So we
4 are going to do that kind of activity. And also we have
5 to evaluate training. We have a lot of different
6 approaches to doing that. But we probably need to make
7 it more systematic, maybe even consider sometimes in
8 some cases a third party look at our training, because
9 that might help us drive us towards better improvement
10 in that area. Our last goal that we're proposing is to
11 respond to emerging and specialized needs. So in other
12 words, it's to plan for the things that we can't plan
13 for. Because there are always those things, and it
14 seems like those things sometimes take a lot more energy
15 than the things that you did plan for. For example, we
16 are planning to address specialized groups, such as
17 products which you'll hear later about this afternoon,
18 you'll hear some more about. Foreign government
19 officials, any group that has some kind of specialized
20 need or merging need, maybe an occupational group that
21 is emerging. Also, we are working on some strategies to
22 quickly develop training programs to support new
23 policies and procedures and regulations. We heard this
24 morning about some emerging policies. And training is
25 going to have to be linked to those. So we are working

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 on strategies to do that quickly and effectively. So to
2 kind of wrap it up and bring it to a place where we can
3 discuss, first lets talk about some recent
4 accomplishments. The Center for Learning has just
5 recently, in March, the administrator essentially
6 reorganized so that the Center for Learning does now
7 report to him. And I believe that that has clearly sent
8 a signal that training and education is a key priority
9 in the Agency. We have, as we mentioned, initiated
10 updated HACCP training for our field workforce. We have
11 initiated anti-terrorism training to the districts that
12 we talked about earlier. And also, we've initiated
13 professionalism training for our field workforce. We
14 also have a Memorandum of Understanding with the Public
15 Health Service. And that Memorandum of Understanding
16 has been bringing to us the Public Health Commission
17 Corp Officers that we talked about earlier. So we are
18 also exploring some opportunities for joint training
19 with industry. These are some things that we seem to be
20 asked for continually. We understand that we're going
21 to have to do that in the proper context because
22 they're, you know, there's always the concern about the
23 conflict of interest, and also a concern for us about
24 effective use of our resources. But for example, just
25 to give you a flavor for some steps that we're taking in

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 this direction, we have started to work with Dr. Kerry
2 here, as the International HACCP Alliance, so that we
3 can provide training materials to the International
4 HACCP Alliance, and they can distribute those materials
5 to industry groups so that they are accessible. And
6 we're also working on posting the training materials on
7 the FSIS website. And that simply makes them available
8 to anyone who would like to have access to those
9 training materials. So you should see those in the --
10 within the next month or so. But particularly what
11 we're working on currently is training that seems to be
12 of most interest to a lot of folks, which is the Food
13 Safety Regulatory Essentials Training. We're also
14 making plans to work with states on joint training
15 effort. As we mentioned earlier, the Food Safety
16 Regulatory Essentials Training is going to be opened to
17 state participation beginning July the 7th. And we will
18 continue to maintain slots in the FSIS training for
19 state participants. But we're exploring those areas.
20 So that would be an area that we probably would like to
21 have some input from you on. Just to wrap up and to
22 show you that clearly we are going to see some changes
23 in training and education, and that training and
24 education is definitely a priority, our current contract
25 for training which is \$5.2 million, which actually is

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 1.5 for our field automated information management
2 training, our computer training for the field, and \$3.7
3 million for training and education. That contract
4 expires at the end of FYO-3. It's actually a one-year
5 extension of that contract. That will be expiring. And
6 for fiscal year '04, the Bush Administration has
7 requested an addition \$5.7 million. So that's going to
8 be a significant increase that will help us move forward
9 to making some of these changes that we've been talking
10 about. So I want to close out with you -- I do have a
11 couple of questions. But I want you to realize that the
12 implementation of these initiatives is definitely going
13 to raise the bar for training and education, and move
14 FSIS forward toward its vision of becoming a premier
15 public health agency that's a model for other public
16 health institutions, or at least that's what we believe
17 that it will do. And in your issue paper that you have,
18 it's the last page under tab five, there's a couple of
19 questions that we have for you. And mainly they focus
20 on whether or not you think -- now that you've seen
21 these six goals, do you think that these goals will help
22 us move forward to achieve that public health vision.
23 Do we have the right goals? Are we headed in the right
24 direction? And the second question we have for you is
25 do you have suggestions or input for us on delivery of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 training and education, just in general. Since those
2 things -- we feel like that's a real significant issue
3 to reach our geographically disbursed workforce. And
4 with that, we've got about 15 minutes or so for
5 questions or discussion. Thank you. Dr. Jan's was up
6 first, or Dr...

7 MR. GOVRO: Michael Govro, Oregon Department
8 of Agriculture. I'm not going to be in the subcommittee
9 that's going to discuss this tonight, so I'd like to
10 throw out a couple ideas right now.

11 DR. KELLY: Great.

12 MR. GOVRO: One of the things that I think is
13 used fairly successfully around the country with various
14 agencies is pre-hire testing requirements. And one of
15 the things we do in Oregon and many other states is a
16 requirement for sanitarian registration, which requires
17 taking of a very rigorous written test. And I know that
18 there are many training, private training companies out
19 there, as well as state agencies, and groups such as the
20 Association of Food and Drug Officials and its regional
21 affiliates, which could provide training that FSIS could
22 use as a pre-hire requirement, or at least something
23 that might give someone an inside track. I don't know
24 if actually having a pre-hire requirement, testing
25 requirement, would then limit the number of people that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 you would have access to. But it would certainly be
2 something that you could put out there. And I know that
3 the Association of Food and Drug Officials has provided
4 training such as a Seafood HACCP training, and the Meat
5 and Poultry Processing at retail, that they have done
6 with the trainer program, and provided to the states.
7 That has been done fairly successfully. So I think
8 there are a lot of other resources out there that you
9 could use to provide the training.

10 DR. KELLY: Okay. Thank you for that input.
11 Dr. Jan.

12 DR. JAN: Lee Jan, Texas. I just have --
13 actually a couple of questions. One, when you
14 mentioned, I talked about training qualified candidates
15 to replace the retiring. I wonder how you avoid EEO
16 issues, or how do you select those that you will train,
17 or do you have to train everybody that is in a inspector
18 force, so that there's not a pre-selection preference
19 made of some sort prior to interviews. That's always an
20 issue for states. And then the other, I'd like to just
21 wonder or ask if you'd considered, or is it worth
22 considering not contracting but maybe communicating with
23 technical colleges and institutes to provide the
24 training that would be necessary to hire inspectors, or
25 they could go in other areas, industry as well, but that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 would have the qualifications an inspector needs that
2 then when they go to work, they'd just need OJT, and
3 wouldn't necessarily have to go through a formal
4 training process.

5 DR. KELLY: Okay. Your first question had to
6 do with selecting individuals to go into a training
7 program. In this case we're talking about for our
8 managers and supervisors. I will have to say that we're
9 working out the details of that so I can't say that we
10 definitely have a procedure or a process. But I see
11 that Mr. Billy Milton, in the background, knows some
12 more information. Do you want to share that with the
13 group, Billy? Can you please come to the microphone?

14 MR. MILTON: In moving forward to address the
15 succession planning and the question raised, the Agency
16 is required to undergo a Civil Rights Impact Analysis
17 Study. So we had to do it during the reorganization.
18 We'll have to do it in implementing the training as a
19 condition of employment, and it would apply to the
20 succession planning for our supervisors and leaders.

21 DR. KELLY: Thank you. And your second
22 question about utilizing technical colleges to provide
23 some training for inspectors, we're considering
24 utilizing all the types of resources available to us.
25 And, in fact, I'm aware of at least one community

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 college in the Midwest that's made it known that they
2 have a program that they think might be effective in
3 training inspectors. So we're exploring opportunities.
4 I think the main thing that we know that we have to
5 provide to our employees is the regulatory aspect of our
6 training. And we don't know if the academic environment
7 is the best one for that, although it may be. So we're
8 keeping our options open, in that I think that's
9 definitely something we'll look into. Thank you. Dr.
10 Leech.

11 DR. LEECH: What do you mean about the
12 regulatory -- what aspect makes it difficult to do it an
13 academic environment that you're thinking about?

14 DR. KELLY: It's just the applied part. A lot
15 of times where people talk about you can read some of
16 the things in the regulations, but then sometimes the
17 bridge between what it says in the regulations and how
18 you actually do it in that environment.

19 DR. LEECH: Okay. Just as an educated
20 curious, the question that I had, I was intrigued by
21 your interest in certification and ongoing things, and
22 thinking about how education programs get put together
23 and so forth, wondered what you really have in mind
24 there if you know that there are any institutions that
25 you're thinking about working with or organizations,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 because I can -- I know sometimes professional
2 organizations do certifications. Is that something
3 that's kind of coming down the pike already, or
4 something that you all are going to initiate, or...

5 DR. KELLY: I think it's something we're
6 exploring. We are aware that there are a variety of
7 institutions that have certification and education
8 programs that I will say have sort of sprung up as a
9 result, in particular, of HACCP implementation, that
10 we're, you know, we have some interest in. So we're
11 really just in the stage of exploring those right now.
12 We have an internal certification program, 180 hours of
13 self-study for employees. But, unfortunately, that is
14 not linked to any career progression. So we really kind
15 of have to think through a lot of this, as we spend our
16 resources and ask people to spend time, not just on the
17 job, but in a lot of cases off the job. So we don't
18 have the answers to all that yet. But we are -- I think
19 we're more interested in that as opposed to essentially
20 -- we're interested more in a program than just a
21 smattering of things. Okay. And, Dr. Elfering.

22 DR. ELFERING: One of the things that I think
23 that it really seems like that you're taking a good
24 approach to look at more science-based training, and
25 maybe expanding a little bit on not only technical

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 colleges but even land grant universities. One of the
2 things that we have available at the University of
3 Minnesota is we have the School of Public Health,
4 College of Veterinary Medicine, and the Department of
5 Food Science, pretty much all in the same campus. We
6 also have a pilot plan for slaughter facilities and
7 processing facilities right on campus. And I think one
8 of the things that we've seen to be very beneficial is
9 to maybe conduct training for state programs and federal
10 programs of the same type, because at least you have the
11 inspectors are seeing a little bit of the differences
12 and the nuances of each program. And they tend to go
13 into their inspection work, not having the feeling that
14 one program is any better than the other. When they go
15 through training together, they really start welding
16 more of a working relationship. The other thing I think
17 that we have there is the Center for Animal Health and
18 Food Safety. And I would be very willing to offer any
19 assistance that the University could provide, even
20 looking at putting together a pilot program for doing
21 training for inspectors, at least entry-level
22 inspectors.

23 DR. KELLY: Okay. Thank you. Dr.
24 Hollingsworth.

25 DR. HOLLINGSWORTH: First, Karlease, thank you
York Stenographic Services, Inc.
34 North George St., York, PA 17401 - (717) 854-0077

1 for the briefing and the update. Because I'm not on the
2 subcommittee dealing with this, I just wanted to pass on
3 one thing for them to consider, and that is this
4 question of credibility with certification. And I
5 actually think Michael mentioned it too that for certain
6 sectors of the industry in retail, both supermarkets and
7 restaurants, there is a certification food handler
8 requirement that is very rigid and must be met. And I
9 think that that kind of credibility is something you
10 should think about. The idea of the person who has a
11 certificate printed off the computer, based on an open-
12 book exam that they graded themselves, just doesn't give
13 a lot of credibility to the public when you're trying to
14 tell them that people are certified. And we would
15 suggest that any program certification you look at meet
16 and accreditation standard. It's one that we have to do
17 at retail. It's difficult. It is probably more costly.
18 But we also think in the long run it adds a lot of
19 credibility. And you also have to have a continuous
20 plan for those people who can't pass the exam, and we do
21 have that occur. And they don't become certified food
22 handlers. Thank you. Dr. Denton.

23 DR. DENTON: Thank you, Karlease. I will try
24 to address some of the questions that have been posed
25 with some of my own thinking with regard to how we

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 address this particular issue, I think starting with
2 some of Irene's questions, and maybe finishing up with
3 Jill's. We have been working at the University of
4 Arkansas for about two years in developing a food safety
5 and quality program that is administered through the
6 University that is basically in two tiers, designed
7 primarily to get at the portion of your clientele that
8 would be considered the current employees. We have not
9 considered so much trying to get into the certification
10 side of this and the education side with regard to
11 incoming new employees. But we have developed seven of
12 12 online computer-based, web delivered modules that
13 cover a range of topics, from principles of food
14 processing, food micro epidemiology and so forth, which
15 I don't want to burden you now with all of these. But
16 the entire goal of this is to provide the level of
17 education that's necessary, not only for industry but
18 for folks that would be working within the Public Health
19 Agencies, particularly FSIS, I think, where some of the
20 needs have been identified in some of our earlier
21 discussions. But this is administered by university
22 faculty. They have a testing program that's associated
23 with the completion of these particular modules. You
24 must complete six of the entry-level courses to get the
25 certification and the lower level certification. And

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 then we move to an advanced curriculum that is very much
2 like a beginning level graduate program for the
3 certification on the second tier of that. We ultimately
4 will probably be offering an online, web based master's
5 degree, again, with all of the intended questions with
6 regard to how the students are qualified to enter into
7 the program, and also with the examination for
8 completion of these particular modules. I'll stop there
9 and reserve the rest of that discussion for this
10 evening. But that's a little bit what we've been moving
11 toward, primarily to address the educational needs of
12 folks that are already in the workforce.

13 DR. KELLY: Thank you. Any other questions?
14 I look forward to the input this evening. Thank you --
15 or in the hallway during breaks, whatever.

16 MR. TYNAN: Or wherever it just happens to
17 happen.

18 DR. KELLY: Right.

19 MR. TYNAN: We've finished up the morning's
20 agenda by my watch, and correct me if I'm wrong, it
21 looks about twelve o'clock. And on my agenda, that says
22 it's lunchtime. Because we have evening sessions
23 tonight, we've allowed a little bit more time for lunch.
24 So we have about an hour and a half. So if we could
25 please be back here by about 1:30, that would be great.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 There is a restaurant here in the hotel that's, I
2 understand, is very, very nice. And there's certainly a
3 number of places right out on King Street that you can
4 enjoy as well. And if anybody decides they want to do
5 that, we'll try and get some information on where those
6 locations are. But we'll meet back here at 1:30, to
7 continue the agenda.

8 ***

9 [Off the record]

10 [On the record]

11 ***

12 MR. TYNAN: For this afternoon, we have a
13 couple of items. I think the first one on the agenda
14 relates to the HACCP Egg Regulation. And we have Dr.
15 Perfecto Santiago here to talk a little bit about that.
16 And, Perfecto, I'll leave it to you.

17 DR. SANTIAGO: Good afternoon.

18 ALL: Good afternoon.

19 DR. SANTIAGO: I think the last time we were
20 together we were talking about HIMP, maybe sometime in
21 November. Thank you for the opportunity to share with
22 you where we're going on the Egg HACCP regulations.
23 Before I give you an update where we are on that
24 initiative, let me share with you some observations I
25 had when I visited probably one of the largest egg

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 products operations two weeks ago. This plant, I was
2 amazed by three things at least in this egg products
3 operation, the volume that they handle, the state of the
4 art facilities, especially the cleaning placed systems,
5 and the extent of this market of eggs. It's truly
6 changed my focus from a dozen that I see in the grocery
7 stores in the day-to-day existence. The volume of this
8 plant is 7.5 million eggs a day. I never thought I
9 would see that many eggs in one place at one time. I
10 can't imagine the poor layers trying to supply that. So
11 that made an impression on me when I visited this --
12 probably the largest eggs products plant in the world.
13 But I look at the state of the facilities also was the
14 state of the art, in that the cleaning placed system was
15 the one that made an impression on me because the
16 cleaning placed system, there's a redundancy built into
17 it, but also several attributes within that system can
18 shut down that system immediately by a change in
19 temperature, a change in pressure, ultimately switch
20 that CIP system to another system to back it up. I was
21 very impressed by that. And, of course, when I saw all
22 the products coming out, surely the domestic consumption
23 cannot be utilizing all of these products. And I found
24 out they're shipping throughout the world the powder
25 products that they produce. So it's really my

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 observation that the eggs products plant really changed
2 my perspective of the dozen eggs that I see in the
3 grocery store. So what are we doing with eggs? For the
4 past several years we have been building on the
5 foundation to convert the Agency's command and control
6 of egg products inspection program. It's regulatory
7 based HACCP Program. The element of that foundation is,
8 number one, developing the training for FSIS inspection
9 program personnel. I'm referring to egg product
10 inspectors. And to date, we have 110 of those egg
11 products inspectors assigned in 82 egg products
12 establishments. We're also thinking of training the
13 surveillance inspectors that we have, the 77 that we
14 have now, that's assigned in 570 shell egg
15 establishments, and if you count the three hatcheries
16 that are visited also by AMS at the present time, about
17 362 hatcheries, that's a lot of establishments to cover,
18 to implement this HACCP based program. What is this
19 training that we're talking about? We're talking about
20 training first on PBIS. We would like to, before the
21 HACCP regulations comes into -- to be issued, we'd like
22 to train our egg products inspectors on how to monitor
23 the establishment of operations using the PBIS program.
24 So we're developing that PBIS program now for that. We
25 also would like to continue with the Egg Products

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Correlation to supplement the on-the-job training that
2 these egg products inspectors receive, even when they
3 were under AMS. We expect the PBIS training funds to
4 become more available to be completed by September of
5 2003. By PBIS training, we're talking about non-HACCP
6 PBIS, just to get them used to entering this into the
7 computer and documenting the deficiencies that they
8 found. Again, this is a standalone system, not -- it
9 will not be linked with the PBIS we have right now.
10 We're also developing training, the formal egg products
11 training. And I have -- I'm expecting the CFL to help
12 us develop that. It's a formal egg products training.
13 We're developing guidance documents for shell egg
14 packers in egg product plants. We're working with the
15 University of Puerto Rico to develop the first guidance
16 documents that we will proudly issue in sanitation.
17 That document was presented to us by -- to some members
18 of the work group in Puerto Rico, I think about a month
19 ago. And we're looking to see the final documents on
20 that so we can build on it if we have to, so we can
21 start working and distributing these guidance documents,
22 particularly on shell eggs and particularly in
23 sanitation. We are preparing a scientifically based
24 proposed rule. We expect this rule to be published at
25 least as a proposal by summer of 2004. I will tell you

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 more about the elements of this rule as I continue.
2 Next, please. What are the current activities we're
3 doing now? We just completed a baseline study which
4 consisted of taking over 1,000 samples of liquid and
5 pasteurized products collected at the highest possible
6 risk location. And we took almost 300 samples from
7 three classes of products. Those three product groups
8 are the liquid and pasteurized whole egg, the liquid and
9 pasteurized egg white, and the liquid and pasteurized
10 egg yolk. They're now -- they have -- the data has been
11 completed and is now being used in the preparation of
12 this *Salmonella* assessment to establish the standards.
13 We're developing egg and egg products HACCP models.
14 We're working with RTI in developing four HACCP models
15 that we hope by the time we publish the rule as a
16 proposal, that we can share with the egg industry. The
17 four product groups that we are developing models on is
18 raw shell eggs, raw liquid, not pasteurized egg
19 products, heat treated shelf stable egg products, and
20 heat treated, not fully cooked, not shelf stable
21 products. Those four product groups will be the models
22 that we are developing with RTI. We have to have the
23 models ready for final review by the end of December.
24 Next, please. I mentioned earlier about developing the
25 scientific rule for the proposed science based proposed

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 rule. Let me go over quickly the components of that
2 rule. The first two bullets, HACCP and Sanitation
3 SSOP's will be the primary focus of that rule, and will
4 all egg shell -- shell eggs and egg product plant would
5 be required to develop and implement HACCP systems and
6 incorporate needed controls to produce safe eggs and egg
7 products, and to develop, implement, and maintain
8 Sanitation SSOP's. Also, one of the proposals also is
9 they require the refrigeration labels and special
10 handling labels on containers of liquid and frozen egg
11 products, and the requirement for shell eggs that's
12 already in place in the existing regulatory structure.
13 We plan to expand the generic labeling for egg products
14 to expedite the approval of labels. And finally,
15 another one of the proposals, whether this assessment
16 now is trying to establish, is to establish the totality
17 standards in shell egg handling standards as the
18 performance standards for eggs. Another key component
19 of the proposal is the elimination of the prior labeling
20 requirements for egg product going -- egg product plants
21 drawings, specs, and equipment. We plan to apply the
22 Rules of Practice of 9 CFR 500, to egg packers and egg
23 product plants when we take action. We propose to allow
24 ionizing irradiation to pasteurize egg products. This
25 was approved for use in egg and egg products by the FDA,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 as early as June of 2000. But nobody has used that
2 procedure, to my knowledge. Shell eggs so treated are
3 not considered ready-to-eat, and would have to be broken
4 and re-pasteurized. The proposal also will include the
5 prohibition in repacking for retail sell of shell eggs
6 that have been shipped for retail sale. There is a
7 directive from AMS prohibiting this practice that was in
8 place since 1998. But the prohibition covers only those
9 eggs that are graded. And this rule will cover all the
10 eggs. They return to the egg packer, eggs that have
11 been shipped that can only be broken for further
12 processing or destroyed. Next slide. What is the next
13 step in this initiative? As I mentioned earlier, we
14 planned to publish the proposal in summer of 2004. We
15 expect the final rule, we are hopeful that the final
16 rule will be published the year after that. And then
17 the implementation will be phased out between two to
18 three years to allow the shell eggs and the egg products
19 to implement HACCP and SSOP. The biggest initiative
20 we're doing now is to conduct several activities before
21 the issuance, during the issuance, and after the
22 issuance of the rule. We have developed a very
23 aggressive strategy divided into three phases, phase one
24 being before the issue of the proposed rule. Phase two
25 is after issuing the proposed rule, and phase three will

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 be when the Final Rule is finally reissued. The key
2 elements of those phase three outreach activities, will
3 be the outreach activities, the numerous information and
4 technical meetings we hold -- we plan to hold at
5 strategic locations where these shell egg establishments
6 are located. We are planning to distribute sanitation
7 guidance materials to shell egg establishments
8 especially in these outreach activities. And as we
9 continue with our information and technical meetings,
10 develop and identify -- identify and develop whatever
11 assistance we see are needed to help the egg -- shell
12 eggs and the egg product establishment to implement
13 HACCP and SSOP. And we plan to expand the outreach
14 activities at the second phase, when the rule is ready
15 and HACCP proposed -- has been issued as a proposal to
16 include the SSOP and HACCP guidance. Thank you very
17 much. Are there any questions? Dr. Elfering.

18 DR. ELFERING: I think one of the things that
19 I look at is that maybe the difference between shell egg
20 products and liquid egg products. I had the opportunity
21 to come in to Washington a couple of days early and was
22 able to tour the Air and Space Museum yesterday. In one
23 of the displays was a display of the food products that
24 are used in space. And I couldn't help but think back
25 on why HACCP was ever developed. And it was developed

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 for the NASA Space Program on the principles that you
2 needed to either eliminate, prevent, or reduce to an
3 acceptable pathogenic microorganisms that may make
4 people sick. I can see that in egg products. I can see
5 how a HACCP plan, in a sense; they've been following a
6 HACCP plan for many years by the use of pasteurization
7 to eliminate harmful microorganisms. But I can't see
8 that same application with shell eggs. And I'll give
9 you a couple of examples. In 2001, we had two food
10 borne illness outbreaks because of *Salmonella*
11 *enteritidis* in the State of Minnesota. One was a chef
12 at a restaurant chose to use shell eggs in an
13 undercooked product, and about 45 people became ill.
14 The second was in a Perkins Restaurant. And although
15 eggs were implicated in every one of those illnesses,
16 all of the employees that were working in the kitchen we
17 also shedding *Salmonella enteritidis*. How would HACCP
18 prevented those two food borne illness outbreaks at a
19 shell egg packing plant? I can certainly understanding
20 having sanitation standard operating procedures. And I
21 think those are some real good things that the shell egg
22 industry needs to have. But I just fear that HACCP is
23 going to be used as the silver bullet that's going to
24 cure all of these ills, and will really do nothing at
25 all to prevent food borne illness outbreaks or to

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 increase food safety at all.

2 DR. SANTIAGO: One of the models that we're
3 developing at HACCP is on raw shell eggs. And we're
4 looking -- and I hope when we get the models for our
5 review, those -- we understand that SE infection can go
6 -- now. So it's -- the contamination in the shell eggs
7 might be not something that we're as sure as it will be
8 taken care of. But I think the model that is being
9 developed, we have asked them to address HACCP the
10 answers that are likely to occur in those operations,
11 and we will review those very closely to make sure they
12 are.

13 MR. KOWALCYK: Michael Kowalcyk from STOP.
14 Dr. Santiago, I have two questions, one of which is in
15 the model development, four different samples were drawn
16 for the RTI group to conduct their analysis. How many
17 different production facilities were sampled? Were
18 these all from one unique production facility, or how
19 were the samples draw across the facilities for the...

20 DR. SANTIAGO: Are you talking about the
21 baseline or the HACCP models?

22 MR. KOWALCYK: The HACCP models.

23 DR. SANTIAGO: Vicki, were there any samples
24 taken of this? No. This is just model is being
25 prepared for us. We did samples on the baseline, but

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 not for this one.

2 MR. KOWALCYK: How were those samples
3 selected?

4 DR. SANTIAGO: For the baseline?

5 MR. KOWALCYK: Yes.

6 DR. SANTIAGO: Okay. I think I identified the
7 establishments that are producing those four product
8 groups that I mentioned were sampled. And a sample
9 frame was established, and how to collect those for
10 different establishments identified. Do you have an
11 idea how this sampling of those establishments were
12 established? This is baseline.

13 DR. ENGELJOHN: Yes. Dan Engeljohn with FSIS.
14 The sampling for the baseline that was conducted by the
15 Agency was designed much like our other baseline studies
16 in which it was a statistical design in which all
17 establishments producing products at various times
18 throughout the sampling frame would have some likelihood
19 of being sampled. So we had a number of variables that
20 we looked at to get a statistical sample. So they were
21 random, but within a population based on the variables
22 for that particular plant. So all plants were included
23 in that frame.

24 MR. KOWALCYK: And the second question I have
25 is with respect to removing the prior approval of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 requirements for egg products plants, drying
2 specifications and equipment. Are there any sanitary
3 issues that could be of concern to FSIS if they don't
4 know the design of the plant and the configuration of
5 the machinery in the plants that could arise some
6 sanitation issues? Was that taken into consideration?

7 DR. SANTIAGO: Vicki, in the drafting of the
8 proposal, I'm sure that was considered.

9 MS. LEVINE: Yes. Before a plant comes on
10 line, it will still have to have a final -- before a
11 plant is allowed to come on line, they are still going
12 to have to have a final inspection by circuit supervisor
13 or someone of that level. Once we have HACCP and SSOP's
14 -- the -- they will -- it's just like with meat and
15 poultry. We no longer have prior approval of
16 specifications, drawings, so on and so forth. That has
17 to be part of either your sanitation operating
18 procedures, or it has to be part of your HACCP plan, if,
19 in fact, somehow the layout of the equipment or the
20 equipment itself could somehow be a, you know, result in
21 a hazard likely to occur. So we have considered this,
22 and we are simply moving egg products into the same
23 place as meat and poultry plants.

24 DR. SANTIAGO: Alice -- Dr. Johnson.

25 DR. JOHNSON: Alice Johnson, with the National
York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Turkey Federation. I think the committee discussed the
2 HACCP regulation in eggs back a couple of years ago.
3 And the Agency was working with FDA at that time to try
4 to coordinate the rules. Is that still the case, and do
5 you still kind of anticipate that the rules will come
6 out together? Is there a thought of any type of joint
7 training with that agency?

8 DR. SANTIAGO: Well, we -- I think that's
9 still the talk, to develop the rules together. And I
10 think they -- yes, and they met with Dr. McKee and the
11 United Egg Board and Poultry Association, for the same
12 reason that they want the rules to be published at least
13 at the same time, and so they will be able to review --
14 at least comment on those as a stimulus operation. I
15 had tried to -- I'd been in touch with FDA to see -- to
16 look at the rule that they are preparing. And that's
17 not ready for review yet, according to them.

18 DR. JOHNSON: I know that we've heard the
19 Agency talk about how they're working with the meat and
20 poultry inspectors in enhancing their HACCP training.
21 As far as egg inspectors go, will they receive training
22 similar to the way the Meat and Poultry folks did pre-
23 HACCP, or will they be included in the enhanced efforts
24 to begin with?

25 DR. SANTIAGO: Right now we're in the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 development of this training. In addition to the
2 correlation training, correlation activities that we're
3 doing, we're developing the egg products training for
4 these egg products inspectors incorporating HACCP and
5 SSOP also. And they will also be made part of the FSRE
6 training that we have now. That's actually lined up
7 under activities under phase two of the different
8 activities we're doing to prepare for the final rule.

9 DR. JOHNSON: Is there any thought given to
10 joint training between the Egg Industry and the
11 inspectors?

12 DR. SANTIGAO: No. We have not discussed that
13 yet. Ms. Eskin.

14 MS. ESKIN: Yes. Sandra Eskin. On the risk
15 assessment, can you give us a specific target date that
16 you hope that will be completed?

17 DR. SANTIAGO: I was given by the risk
18 assessors, the end of August as the completion of that.

19 MS. ESKIN: And do you anticipate there will
20 be an opportunity for interested parties to comment on
21 that?

22 DR. SANTIAGO: I'm sure we will have
23 opportunities to discuss the results of that risk
24 assessment.

25 MR. TYNAN: Other questions on the HACCP Egg
York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Regulations?

2 DR. SANTIAGO: Thank you.

3 MR. TYNAN: Thank you, Dr. Santiago. The next
4 item we have on the agenda relates to food security and
5 its increasing industry awareness of food security. And
6 the presenter is Mr. Jesse Majkowski, who heads the Food
7 Security Office for us. Jesse.

8 MR. MAJKOWSKI: Good afternoon. It's a
9 pleasure to be here. In your tab, tab seven, I think it
10 is, there's an issue paper. In there also is a green
11 booklet. It's a report that we put together that goes
12 up to January of 2003, of our activities, as well as a
13 blue book of the food security guidelines that we issued
14 some time ago to the industry, voluntary guidelines. I
15 want to make sure you all have them. What we're going
16 to try to do today is try to give you an update, at
17 least, of what we've been doing in the area of food
18 security where we're coming to the advisory council to
19 get some input on how can we improve awareness by the
20 industry. In order to do that, I think you need to have
21 an understanding of where we've been and what we've been
22 doing. In addition to that, the interest in food
23 security has risen to extremely high levels. Myself,
24 Dr. McKee, Dr. Murano has spent numerous briefings
25 briefing people at the White House, the Vice-President's

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Office, and so forth, on what we're doing to protect the
2 food supply, as well as our counterparts at FDA.
3 There's a high level of interest and concern about the
4 food supply today. But what can we expect in terms of
5 multiple terrorist attacks in this country? If you've
6 been reading the papers just recently, a truck driver in
7 Ohio was convicted of targeting the Brooklyn Bridge,
8 various sites in Washington, DC, had been in county for
9 four years, a citizen of this country. That could be
10 someone working in a federally inspected plant, for all
11 we know. We are looking at considering weapons of mass
12 destruction and an attack on the food supply. Some of
13 the information that -- some classified information,
14 results of the campaign in Afghanistan, you know, have
15 lead people to believe that there is interest and
16 capability of using these weapons of mass destruction
17 against targets here in the U.S., as well as the food
18 supply. There have been a number of recent terror
19 attacks, in the World Trade Center in '93, a bomb went
20 off in the basement, the Oklahoma City bombing, the U.S.
21 Embassy bombings in Africa, and of course, most recently
22 the World Trade Center, the Pentagon, and the plane that
23 went down in PA. The Rand Corporation has been studying
24 the terrorist events throughout the past 20 or 30 years.
25 And one of the things that they are seeing is the use of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 explosives, and now moving to a higher level,
2 coordinated attacks using explosives. And the question
3 remains, when will they move to that next level of using
4 any weapons of mass destruction? In terms of attacks on
5 the food supply, what could we expect? Well, one of the
6 things we could certainly see is a disruption in the
7 food supply without any deaths, a bona fide threat or a
8 hoax even could disrupt this food supply in the U.S. We
9 could see the destruction of brand names, a corporation,
10 or a fast food chain could be targeted for attack,
11 economic gains on the futures market. Six or nine
12 months ago we had a report of an animal being tested in
13 a Kansas feed lot for Foot and Mouth Disease. That
14 report got out into the news. And by the end of the
15 day, I think there were close to \$50 million lost on the
16 futures market. So there could be some real economic
17 consequences for an actual attack or a hoax on the food
18 supply. Also, we may have trouble distinguishing
19 between a natural occurring outbreak and an intentional
20 event that occurs. It would take us some time to
21 determine that it was an intentional event, as we begin
22 to see clusters of illnesses. And finally, if you think
23 about food, it's an easy target. The food that we had
24 sitting out here all day, an easy target for anybody to
25 spike with *Salmonella*, or whatever. So those are some

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 of the things that we're looking at when we think about
2 an attack on the food supply. Have there been attacks
3 on the food supply? Yes, there have. And this is not
4 an exhaustive list, just some representative examples
5 that I've picked up and come across. Insurgence in
6 Kenya were poisoning cattle, cattle was destined for
7 meat for the British troops. Palestine commandos
8 contaminated citrus fruits. We also had breeders
9 claiming a release of fruit flies in California.
10 Chilean grapes were contaminated in '89. And, in fact,
11 just recently we had a threat on apples coming in from
12 Chile. That threat was turned over to the FBI, and they
13 found out that it was a bona fide terrorist group
14 operating in that country, and they're still
15 investigating that. An interesting case back in '96,
16 with Shigellian donuts at a laboratory. A worker was
17 kind of irritated at his fellow workers in the lab -- I
18 think it was a hospital lab -- and laced the chocolate
19 donuts with *Shigella*. One of the other attacks, and
20 probably one that we're most familiar with is the attack
21 on salad bars with the Rajneesh cult group out of -- in
22 the northwest. There was an election going on. City
23 council seats were up for grabs. And this religious
24 group decided that they wanted to have -- and it wasn't
25 until about a year later that we were able to determine

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 that it actually was an intentional event. This was
2 back in the mid '80s. We didn't have the DNA
3 fingerprinting or patterning back at that point in time.
4 It was only after once someone was arrested for some
5 other activities, and said that they had poisoned these
6 -- or put the *Salmonella* in the salad bars. And that
7 was the only way that we found out that that was an
8 intentional act. We've had the sarin gas release in the
9 Tokyo subways. In '95, we had someone buying bubonic
10 plague and anthrax was obtained illegally. That
11 individual was caught. They had not had a chance to use
12 it. In 2001, the anthrax mail campaign. Think of the
13 disruption in the mail service that we had when that
14 occurred. We had, I think, about four deaths. But the
15 disruption that we had in the federal government, two to
16 three months delays in getting mail, mail being x-rayed.
17 The Brentwood postal facility is still closed down,
18 perhaps opening very shortly. What if that happened in
19 any one of our major -- in a major plant that was
20 supplying food? We'd be shut down. More recently in
21 2003, I think this was in January, a person in a retail
22 market mixed some pesticides in with some ground beef,
23 and we had about 60 to 70 people become ill. So it's a
24 real viable option for an attack on the food supply.
25 Since 9/11, we've had a number of federal efforts going

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 on in terms of what are we doing to protect the food
2 supply? The Bio-terrorism Preparedness Act of 2001,
3 provided USDA with about \$325 million. FSIS received
4 about \$16 million of that money. And I'm going to walk
5 through a number of the initiatives we have so that
6 you'll see what we've been doing in terms of within the
7 Agency and outside of the Agency, to make people more
8 aware of food security. Also, at that point in the past
9 year, the Department of Homeland Security was stood up
10 and formed. As you know, they've created this Homeland
11 Security Advisory System. And I want to go over that
12 with you because we have some specific actions that we
13 take, should we change alerts. The alert is assigned by
14 the Attorney General, and there's five threat
15 conditions, which I'll review shortly. The types of
16 threat conditions could be nationwide, they could be
17 geographic area specific, or they could be an industrial
18 sector. And if you listen to when the threat levels are
19 changed, sometimes the Attorney General will say that
20 it's nationwide or it's targeted to specific sectors,
21 utility sectors, and so forth. This past Memorial Day
22 weekend when we went to orange alert, that was based on
23 some of the intelligence and interviews with the truck
24 driver in Ohio that came out, because they were
25 targeting Brooklyn, the Brooklyn Bridge, and some sites

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 in Washington, DC. There are five different threat
2 conditions. We've never been below yellow. We've been
3 operating at yellow since 9/11. Orange, it's a high
4 risk of a terrorist attack occurring. Severe, red, at
5 the highest level, attack is imminent. We've gone to
6 orange several times. We went to orange just recently,
7 Memorial Day weekend. Then we've also been at orange
8 alert during the Iraq War also. We've developed a plan
9 on increasing our inspection and monitoring activities
10 for both of those levels. And I'll share those with you
11 in a little bit. One of the things we did when we first
12 had our office formed -- and this was back in August,
13 just about a year ago -- we developed a food security
14 plan for the agency, listing about seven different
15 initiatives. And we have a very simple goal, and we're
16 still looking at this food security plan and revising it
17 as we speak. But our goal is very simple, and that's to
18 prevent the use of food as a weapon. We have, like I
19 said, about seven initiatives, food security, employee
20 safety, continuity of operations, communications,
21 laboratory capability, training, and our international
22 products coming into this country. And what I'd like to
23 do is highlight for you in some of these areas what
24 we're doing. In terms of food security and emergency
25 response, one of the things that we've completed is our

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 vulnerability assessment of the domestic food supply.
2 We are working on a vulnerability assessment for
3 imported products. We'll probably complete that about,
4 I believe, in September of '03, we should have that
5 completed. The question that always is asked, what are
6 the vulnerable commodities? What are you looking at?
7 What does it say? The Department has the authority now
8 to classify information at the secret level. This
9 vulnerability assessment will be classified, and the
10 agents that we've identified and commodities that we
11 have identified will also be classified. The challenge
12 that we have is how do we get that information out to
13 the industry, out to plants? One of the areas we've
14 been working is with these ISACS [Information Sharing
15 and Advisory Councils], of trying to use that as a
16 vehicle. Ones for foods and agriculture now is in the
17 midst of being revised slightly. And so we'll be
18 working with them. Based on that vulnerability
19 assessment, we developed some strategies for preventing
20 and detecting something occurring in the food supply.
21 If you remember, on the eve of us going to war with
22 Iraq, the President alluded to a program that the entire
23 government was going on, Liberty Shield. This program
24 was put together throughout the federal government, in
25 looking at how do we protect the homeland or the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 critical infrastructures that we're dealing with? One
2 of our tasks at FSIS was to protect the food supply, and
3 our task was to increase our monitoring and inspection,
4 to protect something from occurring. We developed a
5 series of actions, one for inspectors and for sampling
6 of products. We issued a directive. That directive, I
7 believe, is in that tab seven. It's 5420. The
8 directive basically spells out what we would do when we
9 went to orange, or what would we do when we would go to
10 red, if it involved food or agriculture. During the
11 Iraq situation, we put this directive into effect. Our
12 inspectors received test, food security inspection
13 tests, based on the food security guidelines that they
14 would do in plants every day. In addition to that, we
15 also were taking close to up to 50 percent of the food
16 safety samples coming into our labs and analyzing them
17 for various agents of concern, and looking for agents
18 that we identified in our vulnerability assessment. So
19 we increased our inspection, we were monitoring food
20 security in the plants. If an inspector found something
21 that was somewhat egregious, they were instructed to
22 report that to the District Office, discuss it with
23 plant management. People have asked, well, did they
24 make any reports? What were the results of all those
25 activities? We purposely decided not to have them write

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 reports or record their findings. One of the concerns
2 at that point in time was those -- that information
3 would be foible and available to anyone, should be begin
4 to collect it. So we just had inspectors indicated that
5 that tasks were complete. And if something was really
6 egregious, it would get to our office or up to the tech
7 center, that we could discuss it with the plant. The
8 other area that we've improved upon is our agency
9 emergency response team. We've created an emergency
10 response team. And there's a notice that we issued
11 close to over a year ago, detailing how that emergency
12 response team would function. We are in the midst of
13 revising that at this point in time, based on some of
14 the tabletop exercises we have done, as well as some of
15 the information that we've learned from putting these
16 inspection tasks into place over the past year. So we
17 expect probably in the next -- probably in the fall to
18 issue some revisions to that directive 5420, also a
19 revision to how the emergency response team is going to
20 function. This team was basically stood up to deal with
21 intentional acts, not acts that were naturally
22 occurring, or accidents that occur in the normal day-to-
23 day activities of food safety issues that we come up
24 with with *Listeria* or *Salmonella*, or processing failure
25 in the plant. It was specifically set up to deal with

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 the intentional act. And by dealing with the
2 intentional act, it was just not corralling the product
3 that might be affected; it was really to take a look at
4 what else was going on in the food system. Once we
5 identified it was an intentional act, this group would
6 then turn it over to the agency to handle it, to get
7 that product out of commerce, and then they began to
8 look at the other plants that could be involved, other
9 agencies that could be involved in this intentional
10 event. So it was a much broader scope than our normal
11 day-to-day food safety activities. In terms of employee
12 safety and health, we've had a contractor that's
13 developed some scenarios in looking at how this could
14 affect our workers in the plants. We are also
15 developing an employee handbook that we have out on bid.
16 We have the bids in, and we're now in the process of
17 evaluating those contractors to see who's going to
18 develop this employee handbook. Shortly after 9/11,
19 there were anthrax hoaxes throughout the country. We
20 had several of these in our plants, inspectors noticing
21 white powder on pallets, someone opening an envelope in
22 a plant, white powder coming out. In those situations
23 we had our inspectors notify local law enforcement, the
24 local haz-mats come in to see if they could clear that
25 plant, and then retain anything in the plant, not let

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 anything in or out, or not let our inspectors go back in
2 until we get some idea that the plant was clear. We are
3 in the process of looking at different analytic
4 detection equipment. One of the questions that arose
5 after those hoaxes is even at some point in time, local
6 haz-mat was coming in, just taking a visual look saying,
7 no, this is a hoax, there's nothing to worry about. How
8 do we ensure our workers, our employees that are going
9 back in the plant that it's a safe environment? Was
10 there any other additional testing that we could do? So
11 we were looking into that also. In terms of
12 communication, we've developed a lot of educational
13 materials and awareness materials. And one of the
14 things that I have to say about communication is the
15 message that we communicate to people is going to be
16 extremely important. As it happened in the Kansas
17 feedlot, the information that was given out to the
18 public caused a panic and a scare. A lot of money was
19 lost in the future markets that day. We need to be very
20 careful in how we communicate in an event. In fact, the
21 Department of Homeland Security has a communication
22 office, and they are going to be the lead on any
23 communications. And our USDA communications office is
24 working with them to develop messages. We, ourselves,
25 are developing fact sheets on the various agents,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 radiological devices, and so forth, if something should
2 occur, that we could get information out to the
3 consumers to explain what was happening and what they
4 should do with their food. We've been participating in
5 a number of national, local conferences. And in terms
6 of the communications to consumers, we do have a meat
7 and poultry hotline. We've been looking at expanding
8 the hours of that. They are also developing a plan,
9 should we go to orange alert, and then to red alert, how
10 would they expand their operations to handle consumer
11 questions on food? In addition to that, we've
12 established this consumer complaint monitoring system.
13 We've had this for some period of time. Consumers would
14 call the District Office, report something to the
15 compliance officer. They would go and investigate that
16 complaint. A piece of paper would be filled out, and it
17 would travel from one District Office to headquarters,
18 and back and forth. We've computerized this now, so
19 where we can look at all the consumer complaints that
20 are coming in, and really see if we can detect, is there
21 a pattern going on? We're in the midst of using that
22 \$16 million to upgrade this system so that we can
23 automatically have the computer beginning to look for
24 trends, as consumer complaints get reported. So that
25 would be a good feature in helping us prevent and detect

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 something that's happening with the food supply. In
2 terms of the laboratories, we have four laboratories;
3 three of them handle food safety samples. And then we
4 have an -- what I would call our outbreak lab. They're
5 renamed it a couple of times, but it's easier to refer
6 to it as the outbreak lab in Athens. We've done some
7 security assessments. Early on the Office of Inspector
8 General went out to take a look at the USDA
9 laboratories. And in the USDA alone, there's over 360
10 laboratories. And this accounts for university
11 laboratories that we have cooperative agreements with.
12 There were certain improvements that they suggested that
13 we make in terms of security. We've installed video
14 cameras, ID's for people coming in and out of the
15 plants. We're improving the security. One of the areas
16 that we're working on is the receiving area, having a
17 separate receiving area for samples coming in. After
18 9/11, in one of our labs, we opened one of the sample
19 boxes. A brown powder came out. And we had to shut
20 that lab down for several days to figure out what that
21 was before we could let people back in. In the
22 meantime, we had samples running in that lab; we had
23 sample results that plants were waiting on for 24 to 48
24 hours, to know if we had a negative and holding product.
25 It could be very devastating, should we have our labs go

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 down, one of the reasons why we're looking at improving
2 that security. We're also in the midst of installing
3 some radiological devices that will take -- that will
4 detect any radioactivity in samples coming in, and use
5 that as a clearing mechanism. With the Iraq War, we
6 earnestly began to test for agents of concern. And
7 we've been doing that at a very high level during the
8 Iraq War. When Liberty Shield was stood down, we then
9 dropped that down to a much lower level. And the reason
10 we're doing that is so our chemists, our microbiologists
11 can maintain their skills, they can validate the methods
12 that they're using, and, in fact, they're working with
13 FDA on correlating the methods that they're using on
14 various agents and the different food matrices. In
15 terms of training and education, you've heard a lot
16 about that. But one of the things we did early on was
17 to develop these food security guidelines. And I'll
18 talk a little bit later about what GAO had to say about
19 that. But we've also been looking at how can we reach
20 the 7,500-7,600 inspectors in the field. We've been
21 looking at remote classroom learning. To give you a
22 sense, if we want to bring our inspectors in for half-
23 day training on food security for a half-day, we're
24 looking at a cost of well over \$2 million to bring them
25 in out of the plants. We'd have to do that on the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 weekend. We can't bring them out of those plants
2 without replacing them with someone. So we're looking
3 at different ways of getting to our field force. We've
4 conducted a number of tabletop exercises through the
5 past year. Starting at the USDA level, at the
6 department level, all the agencies participated at a
7 very high level of an exercise looking at how agencies
8 would respond to a terrorist even involving the food
9 supply. After that exercise was completed, we then got
10 down to the agency level of taking a look at how FSIS
11 would respond. It was called Crimson Winter. If we had
12 an event with an intentional act that occurred, and
13 began to take a look at how our agency would respond,
14 how would the emergency response team function? It was
15 during that exercise and through that exercise we
16 realized that we needed to make some revisions on how we
17 responded, to make us, so that we could respond more
18 quickly. In addition to that, our Center for Learning
19 has established a training program, an awareness program
20 for the field. The District Offices, they've been
21 conducting these tabletop exercises. There were plans
22 to bring that down to the inspector level within the
23 next year, in '03 and in '04, also. So the tabletop
24 exercises have been very useful in pointing out how we
25 can improve our response and our detection capabilities.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 In terms of the international area, we are upgrading our
2 import inspection. We've created a new position, Import
3 Surveillance Officer. There are 20 of these individuals
4 out there. They are working with Customs and APHIS now,
5 which is DHS, part of the Department of Homeland
6 Security, in looking at the entire facility. We took
7 these -- the ports of entry. A group of people took a
8 look to see where there were violations, where there
9 were possibilities that product could come into this
10 country. That's where we deployed these 20 individuals.
11 During the Iraq War, when we increased our food security
12 inspection tests, as a result of them working with
13 Customs and the APHIS inspectors more closely than ever
14 before, we found a lot of product that had left this
15 country, came into other ports of entry, and were trying
16 to get back into this country, a product that was
17 refused entry that was traveling across the United
18 States to other ports of entry. So that work really
19 paid off. And we're working more closely with the
20 Customs and the APHIS inspectors. In addition to that,
21 we're trying to get our computer systems that Customs
22 and AFIS has and that we have to interact also. They
23 are three different systems with different products.
24 Just to give you a sense of the problems that presents
25 for us, we had some product at an import facility that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 was cleared by customs. There were 50 boxes there.
2 There was some foreign material in that. The importer
3 went through ten of those boxes, thought they were
4 pretty well cleared, and then presented them for
5 inspection. There's 40 boxes sitting there, but they're
6 not there. They've gone to another port, port of entry.
7 So we're trying to get better computer systems so we
8 know what's coming into these ports. We're also doing,
9 as I said, mentioned earlier the vulnerability
10 assessment on imported products. We expect that should
11 be finished up in September of '03. COOP stands for
12 continuity of operations. Any of you that were around
13 here shortly after 9/11, on that day we saw the city in
14 chaos. Government buildings were emptied. As we got
15 out onto the streets, we heard that the State
16 Department, a bomb just went off. The Metro was closed.
17 There was no way to get out of the city. Meanwhile, we
18 had 7,500 inspectors up in plants throughout the
19 country. Product kept flowing that day. Product kept
20 being inspected. And the reason was that we had an
21 alternate site. We delegated the authority to run the
22 agency to that site. And the inspection program kept
23 running without a heart, you know, without dropping a
24 heartbeat that day. Part of the reason -- people say,
25 well, that was great planning, well, obviously, it was.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 But we had been planning some time for Y2K, you know,
2 with the electricity going out, and the ATM machines
3 going down, and so forth, and having no electricity. So
4 we had done a lot of planning of having alternate sites
5 available to use to run the agency, should something
6 happen. And it really paid off for us at that time. We
7 are continuing to maintain that capability. We have
8 alternate sites here in DC for our headquarters, people
9 to move out to. We've tested it out briefly. We plan
10 to do more testing in the fall, as well as testing our
11 emergency response team also. The area of
12 communications in cyber security, we're working on. If
13 you were here in this area during 9/11, you couldn't use
14 a cell phone, you couldn't use your regular phone, there
15 was -- communications were severely limited. So we're
16 looking at that and evaluating that to see how we can
17 best improve that, should an event occur here. In terms
18 of the GAO report that took a look at our food security
19 guidelines, they also took a look at what FDA was doing
20 at the industry also. And one of the strong
21 recommendations came back from them, was that we should
22 mandate our food security guidelines. As we were
23 dealing with GAO at that time, we were -- we felt fairly
24 comfortable that we really didn't have the regulatory
25 authority to regulate all of those provisions. And we

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 had our Office of General Counsel review the guidelines.
2 And they did determine that we have some limited
3 authority to regulate some of the items in the
4 guidelines. Two of the areas, I think, that are off
5 limits are the perimeter or the outside of the facility,
6 as well as personal -- the people working in the plant
7 doing background checks, that sort of thing. Those are
8 areas that we would not have any regulatory authority
9 over. One of the reasons for bringing this to your
10 attention is that we want to engage in the industry an
11 awareness of food security. We issued the guidelines.
12 Just about three or four months ago we had an incident
13 where an individual was attempting to collect
14 information on plants, and what they were producing.
15 Some that are involved in the associations may recognize
16 his name, a fellow by the name of Bob Miller was going
17 out and calling plants, and collecting information. I
18 was getting phone calls, districts were getting phone
19 calls, was the USDA collecting this information? Was
20 Homeland Security? Who is this individual? We turned
21 it over to our office Inspector General, because it just
22 didn't sound right, for whatever reason. And they found
23 out that this was a legitimate business. There's a
24 counterintelligence industry out there for the industry,
25 where competitors can hire this company to find out what

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 you are producing. In fact, he told me -- he came into
2 our office and discussed it with me. It's a very low-
3 tech operation. They'll hire kids out of college to
4 make phone calls. And he cited a couple examples where
5 someone in the trucking industry wanted to know what ABC
6 Trucking was producing, and how their assembly line was
7 arrayed. They called the company, arranged for a free
8 tour, and then after they got the free tour, several
9 times, got the name of the president of the company,
10 called him to tell him what a great plant that he had,
11 and then they asked him about what their future
12 expansion plans were. So they had the whole business
13 plan and the layout of the plant laid out to them with
14 little effort. Apparently, they had -- a competitor was
15 interested in producing a new product, and wanted to
16 know what was out there. And he was able to collect
17 this information readily. That -- I tell you that story
18 because the interest from the industry was key. They
19 called our office. They were calling our District
20 Office. So that tells me that they are very aware and
21 quite aware of food security, and had some concern about
22 that. We get reports from our inspectors, from plant
23 managers to inspectors. There's a truck out there. We
24 don't know what that truck is. Someone's taking
25 pictures of our plant, and so forth. So there appears

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 to be an awareness. And I think these are the questions
2 that we want to pose to the advisory committee, in
3 improving industry awareness. First off, how should we
4 go about that? We've issued some guidelines. Should we
5 now be engaging the industry in consumer associations,
6 more accurately? If so, how should we be doing that?
7 Should we be training with the industry and our people
8 jointly at this point in time? Should we mandate some
9 provisions of these food security plans, or should we
10 supply to all plants, or should we exempt some of the
11 plants? So these are some of the questions that we
12 posed, and some of the areas we wanted you to consider
13 tonight? So I'll take any questions. Yes.

14 DR. LEECH: I'm Irene Leech.

15 MR. MAJKOWSKI: Irene.

16 DR. LEECH: As I listened, maybe I missed it,
17 but I know that in my state we have got a state
18 committee operation in deal with security. As I heard
19 about the cooperation and so forth, I didn't hear that
20 level mentioned. Is there a connection between what
21 states are trying to do and what you all are trying to
22 do?

23 MR. MAJKOWSKI: We are working on really
24 developing contacts with the states at this point. What
25 we're focusing in on is the physical -- the plant itself

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 and people owning that -- those plants. Are they aware
2 of who their workers are? Are they aware of who's
3 coming in and out of those plants?

4 DR. LEECH: That's the same kind of thing I'm
5 hearing at the state level. That's why I'm asking the
6 question. That kind of thing is what the states...

7 MR. MAJKOWSKI: Well, that may be a good
8 recommendation to come up at the meeting tonight,
9 because we've recognized that the states are doing a lot
10 of those activities. And quite frankly, we have just
11 been at the level of getting ourselves in line of trying
12 to deal with food security at the agency level. And
13 we've gotten to the point now where we've got some
14 procedures in place, we're testing for agents. We've
15 got activities that -- inspection activities, inspectors
16 can do. So we've got our people in tuned and the
17 awareness built up. And I think this is where we want
18 to go to the next level. Yes. Jan.

19 DR. JAN: Lee Jan, Texas Department of Health.
20 You're mentioned Crimson Winter, that tabletop scenario,
21 and there were three stages participating, and we were
22 one of them. And I thought it was a very good exercise,
23 but -- and I'm glad that you recognize that there were
24 some problems or some things that you needed to look at
25 differently. But there's supposed to have been a follow

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 on Crimson Spring that was supposed to take those
2 findings and then try it again after you refined that.
3 Did that happen? I heard that...

4 MR. MAJOWSKI: No. No. That did not happen.
5 Let me explain what happened. After Crimson Winter
6 happened, we recognized that we needed to do a lot of
7 revisions in terms of our emergency response. We had
8 the inter agency food working group at that time. And
9 there was some debate on how they should be functioning,
10 what their role should be. And we sat back from that
11 and we realized that we could fix some of those things
12 internally. When we were looking at going to that next
13 level with the agency -- and so was APHIS, by the way --
14 they decided that really where we needed to go was at
15 the inner agency level of how does HHS, FDA, USDA, FSIS,
16 connect [ph], and Department of Homeland Security, how
17 are we going to interact? And so instead of going down
18 to the agency level, we actually raised it up a notch,
19 and we had some high-level tabletop exercises. In fact,
20 one that we had with HHS, the Vice-President actually
21 attended for at least 45 minutes to an hour that day,
22 and was engaged in that exercise with the Deputy
23 Secretary for HHS, and the Deputy Secretary for USDA.
24 So that's what happened to that effort. Dave.

25 DR. CARPENTER: Hi. David Carpenter, SIU.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 You just mentioned that you sent guidelines out to the
2 industry.

3 MR. MAJKOWSKI: Yes.

4 DR. CARPENTER: When did you do that? Did you
5 get any impact -- any feedback, a good thing, not good,
6 suggest additional?

7 MR. MAJKOWSKI: We -- see, that's been over a
8 year ago, I believe. And the general feedback was good
9 in terms of the brochure that went out, the style of the
10 brochure, the readability, and the information in it. I
11 don't remember seeing any negative impacts -- I mean,
12 comments coming back. I think we've put that out into a
13 Federal Register for comments. And I don't remember
14 that we got any comments. Perfecto, do you remember, if
15 I could ask you?

16 DR. SANTIAGO: I think the only comment we
17 received regarding the operation on these guidelines, is
18 some of these guidelines do not apply to small and very
19 small plants. And we are looking at those comments. I
20 think there was only one set of comments that came in.
21 And we're offering, of course, these guidelines to pick
22 and choose whatever applies to you. So they sound too
23 complicated for the small and very small. They can use
24 other alternative procedures for that.

25 MR. MAJKOWSKI: Mrs. Eskin, whoever was first.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 MS. ESKIN: Thank you. I have a few
2 questions. First, you mentioned that they're doing, or
3 undertaking a vulnerability assessment, both for the
4 domestic food supply and the international.

5 MR. MAJKOWSKI: Yes.

6 MS. ESKIN: Is there any attempt to compare
7 the relative risk between -- meaning at some level
8 common sense would say, well, it's a lot easier for
9 someone to put something in an imported product than to
10 infiltrate the domestic plant. Again, that's not based
11 on anything except common sense or lack thereof. Do you
12 have any sense that you're going to be looking at those
13 comparatively, relative to resources and other issues?

14 MR. MAJKOWSKI: Well, what we looked at
15 domestically, we looked at the issue of farm to table,
16 recognizing that we have certain regulatory provisions
17 that we can only regulate within. And then we basically
18 asked, you know, several questions, you know, are the
19 agents, are they easy to get? Are there points in the
20 processing chain where they can be added? Are there
21 things in our regulatory process that would mitigate
22 those agents? So then we came up with somewhat of a
23 ranking. Okay. What the import vulnerability
24 assessment is looking at, similar questions, but on top
25 of that, then you have product that has left the plant

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 that's sitting someplace, and then coming into this
2 country. So they're going to be looking at the
3 vulnerability of those -- of that process. And when we
4 take a look at that, and then take a look at our
5 domestic side, we may have some inkling of do we have
6 more concern about imported products? Do we have more
7 concern about domestic products? But that's a good
8 point, and I'll raise it with the risk assessors and the
9 people that are looking at that.

10 MS. ESKIN: Now, again, in terms...

11 MR. MAJKOWSKI: Go ahead.

12 MS. ESKIN: I have another quick questions
13 that are related.

14 MR. MAJKOWSKI: Sure.

15 MS. ESKIN: Obviously, the personnel from the
16 FSIS point of view, the same personnel that are doing
17 all the other tasks in the plant are also responsible
18 for doing these security related functions. And that's
19 probably true for industry employees as well, plant
20 employees. We're not looking at adding a whole other
21 set of whatever you want to call them.

22 MR. MAJKOWSKI: No. We're doing this on a
23 random basis. And basically, what we're doing is we
24 have a set of consumer safety tasks, someone checking
25 labels, net weights, and what we're doing is

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 periodically substituting food security tasks on a
2 random basis that the inspector would do, that would
3 come up on his inspection schedule.

4 MS. ESKIN: And how -- to what degree, again,
5 a lot of these activities are prevention based. And in
6 terms of detection, what role does product testing going
7 to play or does it even play, whether we're talking
8 about import -- imported product or a domestically
9 produced product.

10 MR. MAJKOWSKI: It plays a role in telling us
11 if there's something happening. You know, obviously,
12 with any testing it takes time. You know, you pull a
13 sample on a Monday, it takes a day to get it there, and
14 so forth. But, certainly, if we got a positive on a
15 product that would tell us that something's amiss, and
16 than we could ramp up our other testing as well as our
17 inspection activities too. I think, you know, I think
18 you have to look at it's a two prong approach. One is a
19 physical look at what's happening in the plant, as well
20 as some low-level product testing. Yes, Mike.

21 MR. GOVRO: I have two questions. I'll ask
22 them separately. My first question is, I think, fairly
23 similar to Dr. Carpenter's question, with regard to the
24 documents that you've already put out. And the first
25 question, how can the Agency improve food security

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 awareness? In order to answer that, I think we need to
2 know how effective these have been, and where you think
3 these have failed to reach the mark, and why we need to
4 improve.

5 MR. MAJKOWSKI: Well, we don't know if they've
6 been effective, because we have not gone out to survey
7 the industry of how many plants have adopted these food
8 security guidelines. We just have anecdotal information
9 of attending meetings. We do -- I mean, association
10 meetings or other meetings that I've attended, people
11 have installed video cameras, they're screening
12 employees. While they're screening employees for
13 criminal background so that this person doesn't work in
14 the accounting department, so they work on the loading
15 dock. It's not the same purpose. So we really have not
16 been able to really go out and do that survey. And if
17 we did, we'd have to, you know, if we're going to do
18 that survey and survey the industry, we've got to go
19 through a long process of getting approval for
20 questionnaires and so forth. So we have not done that.
21 We're looking at, you know, one way of improving the
22 awareness has been going out to the national meetings,
23 talking about what we're doing, the importance of this,
24 getting the guidelines out. And I think the question
25 is, what else can we do? What more should we be doing?

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Or maybe the question is, should we be doing anything
2 more also?

3 MR. GOVRO: I have a second question.

4 MR. MAJKOWSKI: Go ahead.

5 MR. GOVRO: I'm going to be thinking a little
6 too creatively, but I'm wondering if the Agency doesn't
7 have the ability, through existing requirements -- and
8 it appears that you've addressed that. But the food
9 security guidelines appear to be very much like HACCP,
10 in terms of doing a risk assessment and putting measures
11 in place where they're needed. Did the Agency give any
12 thought to simply considering food security as a risk
13 that is reasonably likely to occur, and having the
14 plants address it in their HACCP plans?

15 MR. MAJKOWSKI: No. We haven't gone that far
16 yet. But one area that we've been thinking about is
17 whether or not to have -- require plants to have a food
18 security plan, and to really do their own -- somewhat
19 similar to what you're saying, do their own internal
20 assessment, what does this -- what do I need as an owner
21 of a large plant to improve my food security, and if I'm
22 a small plant, my requirements would certainly be
23 different. And the other question too is then should
24 some plants be exempt? Should we not be concerned about
25 some of the small or very small plants? Okay. I think

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Mr. Schad. Yes.

2 MR. SCHAD: Mark Schad. I had a comment. And
3 I think it's been touched upon, or somewhat addressed.
4 And I just wanted to make sure there was some
5 flexibility there for small and very small plants,
6 because a lot of them, the processing -- the wholesale
7 processing that's done is in the same operation where
8 out front there's a retail operation. So we don't want
9 to post no trespassing signs in front of a retail
10 operation.

11 MR. MAJKOWSKI: That could be very
12 devastating.

13 MR. SCHAD: Yes. And also, most owners of
14 small plants know their employees on a first name basis.
15 They're aware of who's in and out of the building pretty
16 much at all times. And a lot of very small plants are
17 in a rural area, as opposed to an urban area. So I just
18 wanted to make sure there was some flexibility involved
19 in those guidelines.

20 MR. MAJKOWSKI: Okay. Dr. Jan.

21 DR. JAN: I just wanted to touch on -- it
22 seemed like maybe everybody didn't know about these, how
23 extensive or how well FSIS got it out. And initially,
24 when they came out last year, they went to every
25 establishment owner or plant, and Ms. Swacina, as an

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 acting associate administrator at the time, or as
2 associate, signed a letter asking everyone to look at
3 them and implement them. And then when the threat code
4 orange, Liberty Shield, I think, is what it was called,
5 which is some potential threat against food, again,
6 every inspector was instructed to go and take that, and
7 sit down and talk with the plant management about that
8 again. So I think that guide -- and, you know, it may
9 not be a perfect guide, but they had a lot of good
10 points. And I think the industry, the Wholesale
11 Industry or the Meat and Poultry Industry, ought to have
12 a good awareness of this possibility. I just want to
13 bring that out to those that are not in the plants.

14 MR. MAJKOWSKI: Yes. I think we've
15 distributed over 36,000 of these to inspectors and
16 plants over the -- since we've put this out. And the
17 requests keep coming in, the numbers keep growing. No
18 other questions? Thank you.

19 MR. TYNAN: I note we're all a little bit
20 early again just before the break. I don't know if
21 there's a pattern here developing, but whatever the case
22 may be. Why don't we go ahead and take a break, and
23 we'll come on back at 3:05.

24 ***

25 [Off the record]

1 [On the record]

2

3 MR. TYNAN: It's on my agenda near and dear to
4 our hearts is the status of baseline studies. And we
5 have on the agenda Dr. Hulebak. To my right is Loren
6 Lange, who is not Dr. Hulebak, in case anybody knows
7 her. You should be able to tell right off the bat. But
8 just in case, Loren Lange, and he's going to talk a
9 little bit about the baseline studies. I should point
10 out also there is a handout that we have in our
11 notebooks here. But for some of the visitors, we're
12 going to make copies this evening, and we'll have some
13 of those out on the table in the morning. They're
14 available for you if you want those. So with that, I'm
15 going to turn it over to Loren, to talk a little bit
16 about baseline studies.

17 MR. LANGE: Thank you. Good afternoon. That
18 sort of reminded me of that phrase someone used, I've
19 met Dr. Hulebak, and you're no Dr. Hulebak. It went
20 something like that anyway. As you all may be somewhat
21 aware over the last couple of years there's sort of been
22 reviews of the whole area of performance standards and
23 performance criteria in food that had been undertaken by
24 both the National Academy of Sciences, and the National
25 Advisory Committee for Microbiological Criteria in Food.

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 And they've, while commenting on performance standards,
2 they've certainly also both given us advice on how we
3 should do microbiological baseline studies. The recent
4 -- they both commented on that, and certainly their
5 recommendations are, as you will see as I go along, have
6 helped shape our current plans. The Academy of Science
7 has recommended that we sort of continue to conduct
8 baseline studies, and recommended that we put a
9 particular emphasis on beef trim. The national advisory
10 committee, which after this -- that's such a mouthful --
11 I'll just say NACMCF -- that's the way we refer to the
12 group. NACMCF recommended that studies also should
13 allow for discrimination between controllable and non-
14 controllable factors affecting the frequency and/or
15 concentration of contamination to help identify means to
16 reduce contamination across the food chain. NACMF
17 pointed out that an analysis of data, or a good analysis
18 of data, should facilitate determining whether variation
19 can be reduced through controls, that is intervention
20 technologies or best practices. They also pointed out
21 that analysis should be able to determine whether the
22 variation, which is uncontrollable due to regionality,
23 seasonality, or other factors, is significant in terms
24 of public health consequences when developing
25 performance standards. In the specific case of ground

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 beef, NACMCF recommends that we do conduct a baseline
2 survey of trimmings, as the intermediate product stage
3 between carcass and ground product. And they can
4 recommend that we consider stratifying that study by
5 looking at different products such as boneless
6 trimmings, head meat, low temperature rendered
7 materials, product from advanced meat recovery systems,
8 lean meat, finely textured meat, and frozen, imported
9 beef. NACMCF further noted that determining the
10 microbiological profile of trimmings and other products
11 will better reflect the prevalence of pathogens and
12 other organisms in the source materials for ground beef.
13 And they recommended that we should establish
14 performance standards if that is deemed necessary. So
15 we've had a lot of sort of guidance from these two
16 organizations in planning our future baseline studies.
17 And with that, I'll move onto just a brief history of
18 baseline studies at FSIS. Since the early 1990's, FSIS
19 has conducted statistically designed microbiological
20 baseline studies to determine the microbiological
21 profiles of FSIS regulated commodities. These baselines
22 have been used to set performance standards, as was
23 included in the 1996 HACCP Pathogen Reduction Rule. And
24 they've been used to measure the effectiveness of
25 pathogen control. Baseline studies have also been used

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 as inputs to risk assessments and to help guide and
2 support agency policy decisions. On this slide, I sort
3 of just listed the sort of different baseline studies
4 that have occurred. I'm not going to read them. I'll
5 point out a couple things. The very first one was
6 actually the fourth one down, which was the baseline
7 program for steers and heifers. That began in October
8 of 1992, and was completed in '93. The next two were
9 the original ground beef survey and the cow/bull
10 baseline. These earlier baselines, as you'll note, were
11 conducted actually prior to any discussions at FSIS in
12 the development of the pathogen reduction rule, which I
13 think planning and discussion of how to use those
14 baselines probably started sometime around September of
15 October of 1994. So we were doing baseline studies
16 prior to the development of that rule and continued, you
17 know, through about the end of the 1990's. There's one
18 that's not on here. There was a baseline study for
19 fresh pork sausage. In fact, there was a proposed
20 performance standard for fresh pork sausage in 1998.
21 That's probably still an open proposed rule. It was
22 never published over a methodology issue. And then it
23 just sort of became a low priority. But the proposed
24 rule was a 30 percent performance standard. In other
25 presentations I know I talked about the only thing for

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 the performance standards that we really used from the
2 baselines is the estimate of national product
3 prevalence. And there's a lot of disagreement sometimes
4 within statisticians of how to best account for non-
5 responses and things like that. So that one isn't in
6 there. And I have to apologize to Dr. Santiago, that I
7 recently completed, egg products baseline study isn't
8 also on that list. When we look at the need for
9 additional baseline studies, we know that our
10 verification data is indicated that microbiological
11 profiles of our FSIS regulated commodities have changed
12 "significantly" since the advent of HACCP. And I put
13 significantly in quotes to sort of remind you all that
14 I'm not saying statistically significant. But we do
15 need to be able to determine whether this change is
16 statistically significant, because every time we sort of
17 publish our verification data on the website, you know,
18 we get criticisms that, you know, it's misleading
19 because it's not statistically valid. So I think it is
20 important to sort of have statistically designed studies
21 so that one, you know, people will not sort of debate
22 whether, yes, it is down or it isn't down. There's a
23 need to assess current microbiological profiles of
24 regulated products so that today's benchmarks can be
25 used to measure future improvements. One comment here,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 to date in the 15 or so baseline studies that we've
2 done, the one thing we've never done is repeated a
3 product, you know, bug pair, I mean, whether it was a
4 pathogen or even an indicator organism, there's always
5 been some difference. The closest, I think, we've come
6 is within broilers and young chickens, we've looked at
7 *Salmonella* in two different time periods. But the
8 methodology was different. And one early baseline we
9 collected whole birds and shipped them to labs, and
10 rinsed them one way. And the follow-up, we had our
11 inspectors rinse birds and plants. So there's never
12 been really a completely duplicated thing. Which we
13 want to do, but there's at least a question, how do you
14 handle when there's a better method comes along? You
15 want to use the best methods available. But if you're
16 trying to sort of really measure change, you've got to
17 be able to account for any change in method. There's
18 also -- we have a strong need for, you know, additional
19 data for risk assessments. And there's always a need
20 for better and more valid current data, to drive policy
21 and program initiatives. The next slide just sort of
22 points out a couple of changes in our current thinking.
23 We really do need to emphasize always measuring the
24 numbers of organisms present. Many of the more recent
25 baselines were sort of limited to, you know,

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 positive/negative results or microbiologists refer to as
2 prevalence as opposed to level, you know, somewhat out
3 of cost. It costs a lot of money to do quantitative,
4 you know, analysis on samples. But the risk assessment
5 people really do need that. So we are putting an
6 increased emphasis on that. We also need to develop,
7 you know, have sufficient data so that we can examine
8 what NACMCF has been pointing to. We should be looking
9 at geographical variability, seasonality, plant volume,
10 plant size. Almost all the early baselines had sort of
11 one goal in line as to sort of look at the profile of
12 the product and sort of, you know, estimate a national
13 product prevalent. So we didn't get -- we probably
14 didn't have the number of samples, or we didn't have the
15 design right to really answer questions about
16 seasonality or geographic regions. Sometimes we ran the
17 tests. The statisticians can always run the tests. I
18 can say, do you see a difference, you know, is there a
19 statistically significant difference from month to
20 month. And you can say, well, there's not that. But
21 that's a different question than asking, did you have
22 sufficient samples and analysis to, you know, come up
23 with a level of confidence, that there is no difference
24 between geographic areas and seasonality. So it's a
25 little different question in how you look at it. The

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 next slide just sort of looks at the, you know, the full
2 range of commodities without egg products on there. But
3 it's all the carcasses and the ground products. And the
4 last one is sort of the newest element in terms of
5 interest, and that's raw ground beef components. The
6 organisms we're looking at, the list shouldn't surprise
7 anyone. I'd just note that not all of these will be
8 part of every baseline we conduct, but the list of
9 pathogens we will look at is *E. coli* 0157:7, *Listeria*
10 *monocytogenes*, *Salmonella* species, *Campylobacter*,
11 *Staphylococcus aureus*, and *Clostridium perfringens*. We
12 also have four indicator organisms of sanitation and
13 general process control that we will concern, that's
14 generic *E. coli*, Enterobacteriaceae, Coliforms, and an
15 Aerobic Plate Count. With that I'll move under our sort
16 of current thinking for raw ground beef component
17 baseline studies. Just one comment. This is now our
18 top priority. In a minute, I'll mention that our budget
19 initiative in the FY-04 budget requests were baselines.
20 If I go back to when that was put in, we were thinking
21 of that was money for doing the sort of traditional
22 carcass studies, or ground finish product studies. We
23 always thought at the time we could handle the raw
24 ground beef components in house. But Jesse's food
25 security issue sort of changed that right now because

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 we're doing a lot of food security testing, and we've
2 made that sort of change to get these done and to get
3 them done in a manner so we don't have a risk of
4 interruption with a, you know, a code orange increased
5 testing and stuff that we have made raw ground beef, you
6 know, our number one priority. And I'll get to that.
7 And we intend to do that outside of FSIS. For the raw
8 ground beef component baseline studies, we're going to
9 looking at *E. coli* 0157:7 and *Salmonella* species. We
10 will do, you know, initial screening for positive and
11 negative, and enumerate all positive samples. We will
12 enumerate, you know, for each sample generic *E. coli*,
13 Coliforms, Enterobacteriaceae, and do an Aerobic Plate
14 Count. For 0157, we want to determine both the
15 prevalence and levels of 0157 in raw ground beef to
16 develop strategies to improve the safety of raw ground
17 beef, we want to potentially use the information from
18 the studies to improve our verification sampling
19 strategies and improve on the existing *E. coli* 0157:H7
20 risk assessment. For *Salmonella*, we want to determine
21 both the prevalence in levels of *Salmonella* in raw
22 ground beef components. And these data can be used to
23 provide input for the *Salmonella* risk assessment, which
24 has been initiated and for subsequent policy decisions.
25 People might ask, well, why do we spend a lot of money

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 on looking at organisms that are not pathogenic? And
2 before -- I mentioned before, Generic *E. coli*,
3 Coliforms, Enterobacteriaceae, and conducting Aerobic
4 Plate Counts. To answer this, I'll sort of use what
5 NACMCF, you know, sort of said in their report. I think
6 that's a pretty decent answer. They recommend data
7 should be gathered to demonstrate that the non-
8 pathogenic microorganism can be used to indicate
9 conditions associated with contamination by a pathogen.
10 They recommended that, you know, we should demonstrate
11 the reductions, and indicators will lead to reductions
12 in pathogens. And we should be determining whether
13 analytical tools should be developed, which can assess
14 whether a reduction and indicator organisms could lead
15 to a decrease in food borne illness by the pathogenic
16 question, and to determine whether a broader microbial
17 indicator can be used as a performance standard. Next I
18 will sort of review our current plans for carrying out
19 raw ground beef component studies. As is always been
20 usually done in the past, samples will be collected at
21 establishments throughout the country. Sample analysis
22 will be contacted out to a third party commercial
23 laboratory. Results will report both presence and
24 levels of selected organisms that we've talked about.
25 Results will be expressed as both the national average

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 or broken down by geographic location, season of
2 collection, or other variables, as appropriate. Our
3 staff sort of took a detailed look at the production of
4 ground beef in the United States. And I think they
5 identified in the marketing about 18 different
6 ingredients that actually go, you know, can be purchased
7 to put into ground beef. And we've sort of separated
8 those into five different categories at this time, based
9 on perceived risk. And I underline perceived. We don't
10 really have the data. But it's sort of the professional
11 judgment call of the microbiologists. And we intend to
12 sort of have each of a separate baseline study for these
13 sort of five categories, the raw ground beef
14 ingredients. The first category includes the head meat,
15 the cheek meat, and the reasons, the second, the product
16 from advanced meat recovery, the third, the low
17 temperature rendered products, and that includes
18 partially de-fatted chopped beef -- I think there's
19 another one -- partially de-fatted, beef fatty tissue,
20 lean, finely textured beef, also known as lean beef
21 tissue. The fourth one would be the traditional thing
22 that people think of is the different types of beef trim
23 and the sub-primal cuts, such as boneless chucks, that
24 are destined for ground beef. And, five, we have the
25 imported frozen beef that goes into ground beef. The

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 current status, we don't have production volume or even
2 except I think in the case of advanced meat recovery
3 beef, we know where that product is produced, but we
4 don't have the data to actually initiate a discussion of
5 sampling frames. So the survey is going to be sent to
6 FSIS inspectors, either in July or August of this year,
7 at the establishments that are producing these products
8 so that we sort of get a confirmation of, yes, who's
9 producing the product, and what is the volume. And then
10 sampling frames will be devised based on these data.
11 Some issues we're still discussing around raw ground
12 beef, as I mentioned earlier, we originally were going
13 to do these types of analysis in house. So we're now
14 discussing the issue of what does it mean to have a
15 private laboratory testing raw ground beef components
16 for 0157:H7. Certainly, if these are ingredients that
17 are going into raw ground beef, if they have a 0157:H7,
18 you know, they are adulterated as product going into raw
19 ground beef. So we have this, you know, system now, if
20 you're familiar with anything about our sampling
21 programs, when we conduct an analysis in our lab we have
22 an electronic alert system, when we're analyzing ground
23 beef for 0157, the world finds out we have a potential
24 positive. And then a couple days later these are
25 confirmed negative or a message goes out to the world

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 that we have a presumptive positive, and finally a
2 confirmed positive. So there's a whole system of
3 informing plants that, in many cases that have held
4 product pending our laboratory results, that's one issue
5 that raises a lot of complication about considering a
6 private laboratory. So we're -- we have that under
7 discussion. There's an issue about the number of
8 samples needed for seasonality and regional analysis.
9 Friday, when I was finalizing this, I went back and
10 looked at the market hog baselines from around '95 and
11 '96. And someone on the staff, at one point, had sort
12 of separated that out for me by the former FSIS five
13 regions. And you look at it at first glance, and, my
14 gosh, the northeast, you know, had this really high rate
15 of *Salmonella* compared to the north central, you know,
16 that had a far lower rate. And I looked at the numbers.
17 So out of the north central, which includes the great
18 state of Iowa, I think that baseline study had 1,200
19 samples. In the northeast, it was less than 200. So I
20 said, I don't even know, you know, you'd have to really
21 examine that. It could have been one or two plants
22 influencing that. So it really raises a lot of question
23 in design for those products that certainly hardly any
24 of them are produced -- probably none of them --
25 uniformly, you know, across the United States. So we

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 have to give a lot of serious thought to that, if we
2 really are going to sort of come up with testaments of,
3 you know, regional or geographic, you know, prevalence
4 in levels. And there's sort of a related question about
5 when regional analysis makes sense. When we look at
6 some of these raw ground beef components, we know that
7 they're only produced at a very small number of plants.
8 And it just may not make any sense to worry about, you
9 know, where they come from. It may just make sense to
10 look at the season of the year. I'll move next to sort
11 of some of our, you know, what I labeled budget
12 considerations. We're always trying to predict how much
13 it costs to do baselines. There's a lot of variables
14 that really change, you know, the number of positive
15 samples, what you're looking for if you expect a high
16 number of positives, and you're going to do a numeration
17 that's going to get real expensive, the second issue
18 that I just talked about, the number of variables, if
19 we're really going to look at geography and, you know,
20 and seasonality, we've got to make sure we have enough
21 samples. Then, of course, then the number of
22 establishments available for sampling. This next bullet
23 about a minimum of 2,000 was sort of developed when we
24 were really thinking about the traditional baselines of
25 carcasses and stuff, that we sort of -- it was a

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 planning figure that we should at least have 2,000
2 samples. And that may not hold when we look at some of
3 these things like, you know, low temperature rendered
4 ingredients and beef from advance meat recovery, because
5 there's not a large number of plants producing these
6 products. 14 months is there because it's our
7 traditional methodology to run a baseline, and a couple
8 months for just shakedown purposes. I think we would do
9 this whether we're doing it in house or using a private,
10 commercial lab. There's just glitches, there's things
11 that you didn't think about that have to be clarified.
12 So there's usually two months of data. And any baseline
13 study is not considered part of the actual baseline.
14 And that's usually five, you know, there's a bunch of
15 samples that have -- that are part of that early
16 shakedown, and we don't count them. In the FY-04 budget
17 request, we sort of did -- we put in for \$1.7 million.
18 Our current planning would indicate that we can get
19 approximately 3,000 samples conducted and quantified,
20 you know, at a private lab for that amount of funds. So
21 depending on how we sort of shake out in terms of how
22 many we need for these different components, we could
23 have three 1,000 sample studies, two 1,500, or one and a
24 half 2,000 sample studies for FY-2004. And finally,
25 I'll just conclude with some of our future baseline

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 issues. After we get through the raw ground beef
2 components, there's a question of how we should set our
3 priorities. Is it by our risk assessment needs? Is it
4 by risk management, you know, priorities? You look back
5 at that list. We've never had a baseline study on lamb
6 and sheep. Is that -- the fact that it's never been
7 done, should that put that as a high priority? Don't
8 know at this time. This question of how frequently they
9 should be repeated, we've been kicking around ideas
10 every three years, every five years, probably, you know.
11 And then there's that issue of, you know, every time we
12 come up with a new method, should we sort of restart a
13 baseline if we really are serious in measuring change?
14 And, finally, you know, there's this question about
15 allocating resources to minor species, like, you know,
16 there's -- we actually did do a sponge baseline on
17 geese, and I think there's -- you can count the total
18 inspected geese plants on one hand, if not two hands.
19 And is it really worth our spending resources on that?
20 So with that, thank you. And I'll entertain questions.
21 Dr. Hollingsworth. Now either know people or I need new
22 glasses, because everybody -- all these names over there
23 are really blurry.

24 DR. HOLLINGSWORTH: In the slide that you
25 showed us -- and, first of all, thank you for the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 update. I think your presentation was very useful. In
2 the slide with the five categories of products, you
3 indicated three of those are perceived as high risk.
4 Can you explain why that -- why you have that
5 perception? Is that based on data? Has AMR, for
6 example, been screened for 0157:H7 in the past, and
7 you've found some reason to believe that it presents a
8 higher risk than say domestic trim?

9 MR. LANGE: This is really just judgment of
10 our in house microbiologists. Obviously, on the stuff
11 that's low temperature rendered, they have, for years,
12 been concerned about that if the pathogen is present
13 that there is a potential for growth during the
14 production of that. Honestly, I can't answer why our
15 staff has sort of identified AMR -- why they think that
16 particularly, because we really don't -- there is no
17 data on the microbiological profile. We don't have data
18 on that for that.

19 DR. HOLLINGSWORTH: I guess the reason I was
20 interested in that is because if you only have the money
21 to do one or two of the products, would you be looking
22 at those high-risk in lieu of doing trim?

23 MR. LANGE: Not necessarily, because some of
24 these are produced by a small number of establishments.
25 And, of course, there's a lot of people producing trim

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 and sub-primals that go in. So we -- it may be -- and
2 we wouldn't want to -- we've got to think about that
3 there's inspectors out there that need time to collect
4 these samples and stuff. So I don't think we -- we
5 haven't made that decision yet, to necessarily go with
6 those three that had the highest...

7 DR. HOLLINGSWORTH: Okay. Thank you.

8 MR. LANGE: Judgment risk may be a better
9 term. Dr. Carpenter.

10 DR. CARPENTER: Have the microbiologists --
11 well, first of all, jus clarify for me, you said that
12 pork sausage was or was not in baseline. And I
13 apologize for...

14 MR. LANGE: There was a baseline study
15 conducted for fresh pork sausage. And it was -- and
16 there was a proposed rule for a *Salmonella* performance
17 standard in 1998, for fresh pork sausage. Then it got
18 wrapped up into a broader plan, rule making that got put
19 on hold because of the pending National Academy of
20 Sciences and NACMCF reviews.

21 DR. CARPENTER: That leads to my other
22 question. I mean, if you talk about a broader
23 evaluation, I mean, a pathogenic organism associated
24 with pork is *Yersinia enterocolitica*, I mean, was that
25 added, or thought about being added as one of the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 indicator organisms?

2 MR. LANGE: I'll take that back to our
3 microbiologists. Thank you.

4 DR. BAYSE: Gladys Bayse, Spelman College.
5 You mentioned using outside commercial laboratories.
6 And I'm just curious, these are chosen based on past
7 experience with their results. You used the same one
8 because that, or how does that work?

9 MR. LANGE: We have put in for money
10 explicitly identified that we would contract out. We
11 have to go through a competitive, you know, process. We
12 will put out a request for proposals on the street and
13 evaluate different laboratories, you know, based on
14 what, you know, their response to evaluation criteria
15 that will be laid out in that request for proposals.

16 DR. BAYSE: So for example, if you had two
17 such labs that you felt were equally qualified, I mean,
18 do you have ability to let them test the same sample and
19 compare the results that come back and that sort of
20 thing?

21 MR. LANGE: Well, we certainly could select --
22 we have the flexibility to select multiple labs. But as
23 a same sample, we've been through this on a lot of
24 products. If you have a -- if you're rinsing a bird you
25 can split that, and you really probably do once you've

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 shaken it real good, at least, you probably do have the
2 same sample. But with something like ground beef or
3 beef trimmings, it's only an adjacent sample. So, I
4 mean, it could be considered a similar sample. But
5 there's only certain types of samples that can actually
6 be split and sent to places, you know. Does that answer
7 your...

8 DR. BAYSE: Sure.

9 MR. LANGE: But we will do a competitive -- we
10 have to do a competitive, you know, process, to select
11 our contract laboratory. Mr. Schad.

12 MR. SCHAD: Yes, Mark Schad. On your
13 determination of indicator organisms, I'm just curious
14 how you plan to do that or determine that because we
15 know that a positive correlation doesn't always mean
16 cause and effect. So I'm just curious of your
17 methodology here. How are you going to determine
18 whether an organism is an indication of a pathogen?

19 MR. LANGE: I think I understand your
20 question. We've sort of labeled these as indicators
21 without having shown to the world that they're
22 indicators. So we will be testing for these organisms
23 probably to test the hypothesis that they are good
24 indicators. That's probably a little clearer way of
25 putting it. That was your question, correct?

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 MR. SCHAD: You addressed the question. I'm
2 not sure you answered it specifically.

3 MR. LANGE: Oh, okay.

4 MR. TYNAN: Do we have other questions on the
5 baseline? Yes, we do. Ms. Eskin.

6 MS. ESKIN: Thanks. Again, at the outset, you
7 mentioned that trim was a particular concern. And in
8 the slide again in which a question was asked earlier in
9 which you identified five different categories, and trim
10 is not one of those that's given at least an asterisk.

11 MR. LANGE: We believe that when the academies
12 mentioned trim, they used it as the generic...

13 MS. ESKIN: As generic.

14 MR. LANGE: Generic as it's the raw ground
15 beef ingredients, or now we call those components, you
16 know, that this is all beef trim.

17 MS. ESKIN: Everything.

18 MR. LANGE: Yes.

19 MS. ESKIN: Okay. So you're defining it
20 differently. Here, it's limited -- it's a much narrower
21 category.

22 MR. LANGE: Yes. When we separated it out.
23 We're talking about our people went out and looked what
24 was in the marketplace. And people advertised, you
25 know, 80-20 trim, 50-50 trim. There's different things

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 that are actually marketed as beef trim. But we
2 certainly understand the academy's recommendation that
3 we ought to be looking at trim as an ingredient to raw
4 ground beef, but certainly, if we're looking at it as
5 one ingredient, we should be looking at all the
6 ingredients.

7 MS. ESKIN: Thank you.

8 MR. TYNAN: Other questions? Okay. Well,
9 thank you, Loren, I appreciate it. I think the last
10 briefing topic we have for today is our legislative
11 update. And I have Mr. Rob Larew from our Congressional
12 and Public Affairs Office. And I'll turn it over to him
13 to bring you up to date on legislative issues.

14 MR. LAREW: All right. Thanks. I guess I'm
15 already breaking a couple of the cardinal rules of
16 presentations. First of all, coming here just before my
17 boss and the public comments, not a good idea normally,
18 secondly, to come here in between this and dinner. But
19 if you guys can hang with me, I promise you that my 120-
20 slide presentation will only take about 40 minutes. So
21 we should be in good shape here. The briefing here will
22 just be very, just that, brief. To kind of highlight
23 some of the things that you've heard through the other
24 briefings about what has taken place since enactment of
25 the Farm Bill back in 2002, as well as I have a short

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 discussion about the fiscal year '03 appropriations, and
2 the current cycle that we're focusing on, that is the
3 '04 budget. So, first of all, starting with the Farm
4 Bill, a couple of the highlights that were FSIS related
5 provisions within the bill was first of all
6 authorization to the Secretary to set overtime and
7 holiday pay rates. There was also a mandate for FSIS to
8 conduct an education program, this mainly about those
9 technologies to reduce the level of pathogens on meat
10 and poultry products. And there was actually a few
11 separate provisions similarly related to this, including
12 a direction to FDA to redefine the term pasteurization,
13 and then also the direction to the Secretary to review
14 State Meat and Poultry Inspection systems. On the first
15 item there, overtime and holiday pay, just a quick
16 review of what has taken place since then. On November
17 22, of this past year, the Secretary did approve a
18 request from FSIS's administrator, Dr. McKee, to adjust
19 the hourly rate to one and a half time. Since that
20 point, a proposed rule has been published. That was
21 published in February. And it's my understanding that
22 we should expect a Final Rule to be published most any
23 day now. It's very near publication. So this is moving
24 right along. On the issue of pasteurization and
25 irradiation -- if we can have the next slide, please --

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 first item there in response -- in direct response to
2 the Congressional mandate to -- or direction to perform
3 an educational program, develop and implement a program
4 on those technologies with significantly reduced
5 pathogens in meat and poultry products, we have started
6 the process of putting together the program, and we
7 expect that to be launched in the next year. So that is
8 an ongoing process right now. And just as a side note
9 here as well, but related, USDA rules do still stipulate
10 that radiated foods be labeled as such, and still carry
11 the logo. Because of the mandate the FDA look at their
12 definition of pasteurization, the way this would relate
13 to our agency, we would still be able to address any
14 label changes without any rule making on our part. So I
15 think that once that takes place, and FDA is still
16 working on that, we should be able to kind of seamlessly
17 work through that process here in the Agency. Next on
18 the State Meat and Poultry system reviews, you've
19 certainly had quite a bit of discussion here on this
20 point. Obviously, this committee has been an integral
21 part of that review. And just quick summary, the
22 committee obviously recommended that FSIS first look at
23 all their past reviews in 2000, completing the rest of
24 those state reviews by March of 2003, that has been
25 completed. And currently, we are in the final phases of

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 putting together the comprehensive review. And there's
2 ongoing discussion within the committee here on this.
3 Yes. Backing up to the 2003 Appropriations Bill, this
4 was an interesting cycle in that Congress did not finish
5 their Appropriations Bills at the expected time. The
6 omnibus Appropriations Bill was not completed until
7 February of this year. And this big omnibus bill did
8 include funding for USDA. Overall, the bill included
9 about \$74 billion for AG programs. This was a little
10 over \$600 million for the budget request, but a sizable
11 \$1 billion over the earlier year. Within FSIS, the 2003
12 Appropriations Bill had nearly \$760 million. And this
13 was an increase from the 2002 budget. In 2004, the
14 current cycle, we have requested -- the Agency has
15 requested \$797 million, as well as \$102 million from
16 user fees for the total budget level of nearly \$900
17 million. This is an increase from the earlier year.
18 And some of the provisions that we -- or initiatives
19 that we have included in that budget request include --
20 if we could have the next slide please. And there
21 again, some of these initiatives have been discussed in
22 your earlier briefings. But it includes \$5.7 million
23 for training, an integral part of this year's budget
24 request, \$1.7 million, which was referred to in the
25 briefing just before this, to establish the continuous

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 baseline program, \$4.5 million to bring on new
2 microbiologists, chemists, other technicians, to
3 increase the ability of the agency to identify trends.
4 I think those are kind of the meat and bones of the
5 request. But there are some additional ones as well,
6 equally, I guess it's important, but \$4.3 million to
7 increase workforce, the number there being 7,680 in
8 plant staff, \$1.8 million to increase the number of
9 foreign program auditors. And this is not only the
10 Agency's initiative, but also in response to Congresses
11 interest in making sure that we are looking as closely
12 as possible at where food is actually produced before
13 it's coming to the US. And finally, \$1.5 million for a
14 mass media campaign on safe food handling practices,
15 mainly targeted towards the consumers. This initiative
16 has kind of at least been started with a very large
17 campaign with the food safety mobile. And we see this
18 is kind of an outgrowth and continuation of that effort.
19 And, finally, just as a somewhat of where we are right
20 now, the House subcommittee did meet this past Tuesday,
21 and marked up the 2004 Appropriations Bill. They are
22 the first subcommittee to do this effort. The budget
23 number that they currently have for FSIS is \$11.9
24 million below the President's request. However, I would
25 stress that although that is the case, this is very

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 early in the process. We do expect the full committee
2 to have their markup this Wednesday. And it is expected
3 that the Senate could start markup within subcommittee
4 as early as -- the committee should do their markup as
5 early as early July. We're right here at the time. But
6 are there any questions about either appropriations
7 cycle or where we're at on some of these requests from
8 the 2002 Farm Bill?

9 DR. JAN: Lee Jan, Texas Department of Health.
10 I don't have a question about the budget, but I do have
11 a question about some other things you presented.

12 MR. LAREW: Sure.

13 DR. JAN: One of them you said early on about
14 FDA redefining pasteurization. How is that -- what is
15 the current definition, and how is that expected to
16 affect irradiation of meat and poultry products?

17 MR. LAREW: Just a second here. Let me
18 actually pull up the language that they use. As
19 included in the conference report, it provides for a
20 common definition of pasteurization, it clarifies the
21 Food and Drug Administration's approval process for
22 claims of pasteurization and includes a provision to
23 facilitate the use of effective food safety
24 technologies. And then it encourages the Secretary of
25 the USDA in consultation with HHS, to pursue a

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 comparable pasteurization labeling program. I think
2 where this -- there was some confusion -- and it's
3 initiated out in the Senate, as to there was no common
4 definition of pasteurization, in that there was some
5 confusion, not only in the public, with what products
6 could be -- that you could use this definition on. I'm
7 getting a little confused here. But let me just make --
8 I guess I'm getting confusing. But I think the point is
9 that we want to make sure that we have a definition in
10 place that treats all products equally for the level of
11 pathogen reduction that you can achieve with
12 pasteurization. There again, we don't have a final
13 determination on that, because FDA is currently working
14 through that. But the -- I think the ultimate goal here
15 is to be able to use pasteurization on other products,
16 but making sure that it's a, not only a common
17 definition, but that it has meaning tied to it.

18 MS. SWACINA: Are you saying that FDA defines
19 pasteurization by regulation?

20 MR. LAREW: No. I'm not saying that. I guess
21 it wasn't very clear there. FDA, of course, does have
22 regulations on pasteurization right now, certainly, with
23 milk and some of the other products. But what we're
24 trying to do here is they have been directed by Congress
25 to look at that definition and to work with the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Secretary of the USDA to make possible changes to that
2 definition to be able to use it for other products.

3 DR. JAN: Am I understanding that the
4 pasteurization that were before irradiation was a
5 process that we were even thought we might could use in
6 food, I'm talking about pasteurization of like
7 temperature, time/temperature type pasteurization. But
8 for meat and poultry products, that may or may not be.
9 And you mentioned, you know, radura and use of that
10 statement, so now we're looking at irradiation or
11 electronic beam processes are considered pasteurization,
12 which is, you know, I think that some of us already
13 considered that to be a pasteurization process. But
14 when you tie that in to not requiring the radura in the
15 labeling, is that saying that if you use an electronic
16 beam or cobalt radiation, that after this definition
17 changes you will not have to use that, or is that only
18 if you do not use those processes, but use another type
19 of pasteurization that you won't have to be using that
20 radura, or do you all not know yet?

21 MR. LAREW: Yes. I think that that's probably
22 still a question out there. I'm not sure that you can
23 necessarily tie those two together. But they may be, as
24 we move through the process. But I think as Congress --
25 and this is obviously in response to what Congress has

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 asked, and those are two separate issues.

2 DR. JAN: I have another question on a -- or
3 maybe it's not a question, it's kind of a comment. Or
4 maybe it could be a question, I don't know. When you
5 talked about the state inspection USDA programs, you
6 used the words, a more comprehensive review required by
7 the Farm Bill. And I don't read that the Farm Bill
8 requires a more comprehensive Farm Bill. What the Farm
9 Bill says is monitors intend that when the Secretary of
10 Agriculture submits any report to Congress on activities
11 or food inspection service, the Secretary should include
12 a full review of state inspection systems. The review
13 should also offer guidance about changes the state
14 system might expect, should the statutory prohibition
15 against interstate shipment or state inspection products
16 be removed. That doesn't say -- or I don't read that it
17 says a more comprehensive review. It says a full
18 review, which states have been subjected to since
19 probably 1967. And there have been different kind of
20 reviews. But I don't know where it says in here a more
21 comprehensive review than what we've already had.

22 MR. LAREW: Well, they're pretty comprehensive
23 all along.

24 MR. LANGE: Well, I appreciate your comments
25 there, and I certainly am not trying to put words in the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 conference report here. But I stand corrected that the
2 term does use full review. But as I mentioned also,
3 obviously, this committee's been an integral part of the
4 Agency's process through that.

5 DR. JAN: Okay. I just make sure -- I pointed
6 that out.

7 MS. ESKIN: Sandra Eskin. I'm wondering, in
8 light of Secretary Veneman's speech a number of months
9 back, when she raised the question of whether perhaps
10 the Department needs more or could benefit from
11 additional legislative authority, civil fines, et
12 cetera. I'm wondering if, one, there's any activity
13 internally toward that end, and two, if you're
14 monitoring in some active way, legislative proposals
15 that are -- have been introduced or being considered
16 along these lines.

17 MR. LAREW: Sure. To answer the second
18 question first, our office in particular, and certainly
19 the Agency monitors any legislation that has any direct
20 or indirect dealings with FSIS and food safety
21 activities. But then secondly, in response to the
22 Secretary's comments earlier, I think it's safe to say
23 that the Agency and the Office of Food Safety have
24 continually been looking for ways to improve, and if
25 that includes possible new authorities, that's an

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 ongoing process that we're currently reviewing.

2 MS. ESKIN: But again, do you know if there's
3 any specific activity that's like reviewing the current
4 legislation? I don't mean like your office, obviously.

5 MR. LAREW: I'm not sure, I mean, my first
6 comments, we're certainly actively engaged in watching
7 legislation that has -- is moving or is being introduced
8 up on the hill. And that's an ongoing process. And it
9 certainly continues now. I guess, you know, just to add
10 on that, each bill that is introduced, a comprehensive -
11 - I'm not sure more comprehensive or full -- memo is
12 produced to provide to the Under Secretary and the
13 Administrator to provide details on not only the status
14 of those bills, but what is included and the affect that
15 they may have on agencies' authorities.

16 MR. TYNAN: Are there other questions related
17 to legislative issues?

18 DR. JOHNSON: Alice Johnson, National Turkey
19 Federation. When we talked about adding employees in
20 the in plant level, you said 80 new positions. Is it
21 the intent that those positions would be line
22 inspectors? Are they -- I guess if they're in plant,
23 would they be considered CSO's, you know, is there a
24 distinction as to what the added positions will be, or
25 has that been determined yet?

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 MR. LAREW: Well, we'll have a breakdown on
2 that. But my understanding is it's a combination of all
3 of those. And I'm not sure. We'll provide more detail
4 on that.

5 DR. JOHNSON: Thank you.

6 DR. TYNAN: Other questions? Going once,
7 going twice. Okay. Thank you, Rob, very much.

8 MR. LAREW: Sure.

9 MR. TYNAN: I think the next item we have on
10 the agenda relates to public comments and adjourn. I'm
11 going to ask Dr. McKee to take this portion back, but
12 also ask him before we adjourn to allow me to do a
13 couple of logistical things toward the end. And with
14 that, Dr. McKee.

15 DR. MCKEE: Thank you. It's time now for our
16 public comment. And we had asked those that wanted to
17 speak to sign up. And what our practice has been is to
18 limit the comments to five minutes. And then after we
19 go through all those that want to comment, then you'd
20 have an opportunity to speak again one more time. But
21 with just two today, the first one is Bernie Shire, and
22 if you could state your name for the record and who you
23 represent.

24 MR. SHIRE: Good afternoon. My name is Bernie
25 Shire. I'm with the American Association of Meat

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 Processors. And I have really a couple of questions
2 more than a comment. The questions have to do with an
3 issue that the subcommittee is going to be discussing
4 later this afternoon, and I plan to be there. But I'd
5 like to raise the issue now. And it has to do with the
6 state review methods. And I guess the first part of
7 what I guess I would say is more of a comment than a
8 question, and then a question. I guess I'm a little
9 concerned about the fact that the agency is taking in
10 terms of looking at the state inspected meat and poultry
11 programs, and the reviews that are being done of these
12 programs. And Lee Jan, from Texas, raised a similar
13 issue before. A year ago, almost to the day, two
14 meetings ago, I guess, of this organization, there was a
15 lengthy discussion of the Farm Bill. And at that time
16 after the subcommittee met in the evening, this
17 committee decided that they were going to go ahead and
18 recommend that these state comprehensive reviews be done
19 as quickly as possible with the goal of advancing the
20 issue of interstate shipment of state inspected meat and
21 poultry as quickly as possible. And I remember the
22 discussion. There was a lot of discussion about that it
23 needed to be done very quickly, that some of the -- part
24 of the reviews were already done. Some of the state
25 programs had been already reviewed, and that if

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 necessary, the Agency needed to go outside to outside
2 contractors, and ask Congress for additional money in
3 order to get these things done as quickly as possible.
4 Now, from what I can see, the Agency is now going to
5 start discussing how to do the reviews. In other words,
6 review the process of how the reviews are done, and do
7 those. And it just seems like this lends itself to an
8 endless process that's never going to get done, the idea
9 being that the state reviews were to be done as soon as
10 possible, and then with that happening, that legislation
11 could be introduced in Congress to move this issue
12 forward. I met, as you know, Dr. McKee, with you and
13 several people from the Department a couple of weeks
14 ago, and discussed this issue. And one of the things
15 that came out of that discussion was the whole question
16 of state reviews. And the reviews have been going on
17 for a long time. And I guess I just raised the
18 question, how long are these reviews going to be going
19 on before it's decided that they're done in a sufficient
20 way that this issue can be moved forward. It seems to
21 me that by going back and now looking at how we're going
22 to do the reviews of these -- how we're going to
23 actually carry out the reviews, the reviews have been
24 done in the past. And it just seems like this is just
25 pushing the issue on further and further. And the

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 American Association of Meat Processors has a lot of
2 state inspected members who would like to be able to
3 ship their products across state lines. And this is an
4 issue that's been -- that probably started back in the
5 late '60s, with the revision of the Meat and Poultry Act
6 then, and so 30 some years. Over the last ten years,
7 there's been a great deal of effort. And about three
8 years or so ago, there was legislation introduced and it
9 was pushed quite hard at that time. But it just seems -
10 - we get the impression that instead of moving forward,
11 we're moving backward. So I guess that's the one
12 question I would like to ask, you know, and I plan to
13 bring it up again this evening. How long are these
14 reviews going to go on? And if you're now looking at
15 how you do the reviews of the state programs, how long
16 is that going to take? The other question I would raise
17 is previously, the previous administration had come out
18 with a position in favor of interstate shipment. And
19 when is the current administration and USDA planning to
20 do that? Thank you.

21 DR. MCKEE: Well, Bernie, I think you missed
22 the first part of our meeting this morning, in that we
23 had a presentation on that, and that the final report
24 will be provided on schedule in February. I believe
25 that's right. Isn't it? I believe February. And so

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 the purpose of the review that we had when we brought
2 the states in to look at the self-assessment was part of
3 making sure everybody understood how to go forward with
4 that, and how to do that, and get suggestions for
5 changes. And so there will be a return of those, and
6 then selected states audited by that February deadline.
7 And the answer to the other question is is that I think
8 that's one of the reasons that the review was requested
9 is that the information isn't really adequate at this
10 point to make recommendations or decisions. So that
11 review will need to be looked at, I think, before
12 there's any policy recommendations by the Agency. Next
13 on the list is Tony Corvo.

14 MR. CORVO: Tony Corvo, from a consumer group,
15 Public Citizen. I wanted to make several points.
16 First, I wanted to compliment Dr. Kelly on her
17 presentation. I thought it was one of the most
18 comprehensive presentations on an education program that
19 the Agency has presented. I've come to these meetings
20 for three years now, and I thought it was very well
21 done, very comprehensive. And it also reflects some of
22 the points that some of the consumer groups have been
23 raising about the need for additional training for the
24 inspection staff and decentralize it. And I appreciate
25 the fact that there is somewhat of a recognition that

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 the sun doesn't rise and set over College Station,
2 Texas, that there is some thought in terms of moving it
3 out. The other point I wanted to raise is the
4 continuation of discussion that we had last week when
5 the consumer groups met with Dr. McKee. I raised an
6 issue of the quarterly inspection reports for 2002, in
7 particular those that dealt with import re-inspections.
8 It seems that the Agency has changed its sampling
9 technique, and as a result the level of meat and poultry
10 re-inspections has dropped precipitously. And in light
11 of the concerns that Mr. McCaskey ([sic] Makjkowski) has
12 raised, it seems that it's counterintuitive that while
13 we're trying to elevate the concern for possible
14 intentional contamination of our food supply, that the
15 actual inspections of imported meat and poultry products
16 is going down. And so that's a concern. And I would
17 like, whether now or sometime during the next two days,
18 if there is a discussion or an explanation as to how
19 that new sampling technique dovetailed into the food
20 security program that is now in place within the Agency.
21 The last point I wanted to raise is the presentation
22 that was made by the Congressional staff here on trying
23 to redefine the word pasteurization. If they're
24 confused in terms of what all that means, you have two
25 sets of focus groups that were conducted among

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 consumers, FDA and USDA, that clearly state the
2 consumers do not want to make that analogy between
3 pasteurization and irradiation. It would cause more
4 confusion. And as a matter of fact, the labeling staff
5 of FSIS had taken the position that making those two
6 terms synonymous would be confusing to consumers. So
7 those are my points. Thank you.

8 DR. MCKEE: Okay. Thank you very much. Is
9 there any other comments before we close for today? Not
10 seeing any, we'll adjourn for this evening. We'll have
11 early time to go to lunch. And then I know you had some
12 work this evening. And we certainly appreciate your
13 efforts, and look forward to reconvening again in the
14 morning. Thank you. Could you wait just a second? I
15 think we have a couple of housecleaning comments.
16 Sorry.

17 MR. TYNAN: I apologize. We've got just a
18 couple of things to mention to you for this evening's
19 sessions. In the booklets that we sent to you the other
20 day, we had a listing of the subcommittees. The correct
21 one is in the books you received today. And the reason
22 we did that, as Dr. McKee mentioned earlier, that
23 Charlotte Christin was not able to, or will not be able
24 to participate as a member. And so in order to get a
25 better balance of the committees, we made a few minor

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 changes. So the committee -- subcommittee structure is
2 under tab two in the larger notebook that we sent to
3 you. That is the correct listing. I should also
4 mention that there's been a little bit of a change on
5 the agenda as a result of the hotel having some
6 difficulty with some of the breakout rooms. So the
7 delivery of training, Dr. Denton's group, he will be the
8 chair. And they'll be meeting in the Jefferson Room, as
9 indicated on the agenda. Similarly, Mr. Govro's group
10 on food security will be meeting in the Madison Room on
11 the second floor. And the State Review Methods,
12 however, Dr. Johnson, your group will not be meeting in
13 the Washington Room, but rather in the Franklin Room,
14 which is on the second floor again. It's in the same
15 area. But it would be just a slightly different room.
16 What I'd like to do is if I can impose on the chair
17 people and the recorders and facilitators that we have
18 that will be helping you with your discussions tonight,
19 if perhaps if you could come back maybe half an hour
20 early, maybe 5:30, between 5:30 and quarter of, so we
21 could talk a little bit about the meeting and the
22 reports, as you'll have to do, and make sure everybody's
23 on the same page so that we don't have any glitches for
24 the morning. So -- yes, we can meet right in -- I think
25 in this section. If I'm not mistaken, I think the hotel

York Stenographic Services, Inc.

34 North George St., York, PA 17401 - (717) 854-0077

1 may be using the back portion of the room. So anyone
2 that is sitting in there may have to take their
3 notebooks with them. Everyone here can -- as far as I
4 know, can leave them on the table if you'd like. Okay.
5 So if I can see you maybe between 5:30 and quarter of
6 six, back here, the chair people, and the facilitators,
7 and recorders. And with that, we'll adjourn.

8

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27
28
29
30
31
32
33
34
35
36
37

CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

IN RE: Meat and Poultry Inspectors' Meeting
HELD AT: Alexandria, Virginia
DATE: June 23, 2003

We, the undersigned, do hereby certify that the foregoing pages, numbered 1 through 184, inclusive, are the true, accurate and complete transcript prepared from the reporting by the reporter in attendance at the above identified hearing, in accordance with applicable provisions of the current USDA contract, and have verified the accuracy of the transcript by (1) comparing the typewritten transcript against the reporting or recording accomplished at the hearings, and (2) comparing the final proofed typewritten transcript against the reporting or recording accomplished at the hearing.

Date:

Lea A. Witmer, Transcriber
York Stenographic Services, Inc.

Date:

Sarah Mowrer, Proofreader
York Stenographic Services, Inc.

Date:

Brad Weirich, Reporter
York Stenographic Services, Inc.