

UNITED STATES DEPARTMENT OF AGRICULTURE

In the Matter of:)
)
NATIONAL ADVISORY COMMITTEE ON)
MEAT AND POULTRY INSPECTION)
MEETING)

Pages: 236 through 406

Place: Washington, D.C.

Date: November 1, 2000

HERITAGE REPORTING CORPORATION

Official Reporters

1220 L Street, N.W., Suite 600

Washington, D.C. 20005-4018

(202) 628-4888

hrc@concentric.net

THE UNITED STATES DEPARTMENT OF AGRICULTURE

In the Matter of:)
)
NATIONAL ADVISORY COMMITTEE ON)
MEAT AND POULTRY INSPECTION)
MEETING)

Loews L'Enfant Plaza Hotel
480 L'Enfant Plaza, S.W.
Washington, D.C. 20024

Wednesday,
November 1, 2000

The hearing in the above-entitled matter was
convened, pursuant to notice, at 8:40 a.m.

APPEARANCES:

On Behalf of the USDA:

THOMAS J. BILLY
CAREN WILCOX
RONALD HICKS
CATHERINE WOTEKI
CHERYL GREEN
YOLANDA LOCUS
PHILIP DERFLER

On Behalf of National Advisory Committee
on Meat and Poultry Inspection:

MAGDI ABADIR
TERRY BURKHARDT
JAMES DENTON

Heritage Reporting Corporation
(202) 628-4888

CAROLINE SMITH DeWAAL
NANCY DONLEY
CAROL TUCKER FOREMAN
CHERYL HALL
KATHLEEN HANIGAN
ALICE JOHNSON
LEE C. JAN

APPEARANCES (CONTINUED):

On Behalf of National Advisory Committee
on Meat and Poultry Inspection (Continued):

COLLETTE SCHULTZ KASTER
DANIEL LaFONTAINE
MICHAEL MAMMINGA
DALE MORSE
ROSEMARY MUCKLOW
DONNA RICHARDSON
GARY WEBER

P R O C E E D I N G S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

(8:40 a.m.)

MR. BILLY: Thank you very much and good morning, everyone. Rosemary, I thought I would give you an accounting. We had 96 children that came to the house last night for trick or treating. And I told each one of them that this was on behalf of me and Rosemary Mucklow.

MS. MUCKLOW: Did you dress up as Scrooge?

MR. BILLY: I don't need to. I know that the subcommittees met last night. And this morning, we were going to spend talking about the discussions and the results of your discussions, ideas that you have come up with, share those with the whole committee and hopefully out of that process will come forward some recommendations for consideration by the Secretary.

Then this afternoon after lunch, we are going to have a couple more briefings, one on the issue of nonamenable and exotic species, an area that this committee has been working on for a while. And then finally, a discussion about an approach that we are considering for how we carry out our responsibilities for non-food safety

1 consumer protection areas that are part of our
2 responsibility under the Acts.

3 Let me see if there are any initial concerns or
4 points that any of the committee members would like to make?
5 Anyone? Okay. Well, the first subcommittee is the one
6 chaired by Katy. And they focused on HACCP Phase II. So at
7 this time, it is my pleasure to turn the meeting over to
8 Katy to explain to us what they did and what the results
9 are. And then we can have a full dialogue on that product.
10 Katy?

11 MS. HANIGAN: Thank you. Mr. Billy, we had two
12 questions that we were to answer. I guess I will look to
13 you as far as do you want us to discuss question 1 in its
14 entirety and then do question 2 or do you want us to present
15 our findings, et cetera, on both questions and then open it?
16 How would you like us to do this?

17 MR. BILLY: I really kind of look to the
18 committee. My view is that they are pretty interrelated.
19 So it might be useful to go through all of it and then we
20 can circle back and deal with each of the areas. So --

21 MS. HANIGAN: Okay. Then last night, we did meet.

1 And two of our colleagues are going to present our
2 recommendations. But the first question we talked about was
3 what can industry do to improve the quality and the
4 effectiveness of their HACCP programs. We were trying to
5 come up with bullet points of recommendations and
6 suggestions.

7 And the second question was what can FSIS and the
8 states do to improve the effectiveness of their role under
9 HACCP. And some of the guidelines that we worked under was
10 we were not going to reinvent HACCP last night. And we were
11 not looking for any fundamental changes. And we decided
12 that we would provide bullet points back to the full
13 committee to convey our message.

14 And we did have a full two-hour run at this last
15 night and found out that many of the things that applied to
16 question 1 also applied to question 2. So with that, I am
17 going to turn it over to Alice Johnson. And she will
18 present our recommendations on question 1.

19 MS. JOHNSON: Alice Johnson, National Turkey
20 Federation. We had a real good discussion last night. It
21 was real fun. Our first question was what can industry do

1 to improve the quality and effectiveness of their HACCP
2 plans. And the bullet points that you have on your paper,
3 if I remember right, gang, we didn't prioritize these. We
4 just bullet pointed them. So don't think that there is any
5 special order or any significance to the order.

6 One of the first things that we talked about when
7 we talked about quality and effectiveness of the HACCP plan
8 is the accountability. And I think Dr. Denton will talk
9 about that under the agency role, as well. We talked about
10 scientific accountability for what you have in your plan.
11 And you can see further down, we talked about the scientific
12 underpinnings.

13 We also talked about accountability from the
14 professional standpoint in the way we interact within our
15 own companies, as well with industry agency personnel. And
16 then we talked about intra-company. Communication is an
17 issue I think on both sides of the fence whereas, you know,
18 management needs to be fully supportive. Everybody needs to
19 be aware of what is going on. So we thought that would be a
20 real plus.

21 The next bullet, "Stop fighting HACCP, common

1 understanding", I really don't like the word, "fighting",
2 but that is what we decided to use. And we talked about
3 resolving the philosophical differences. In all of the
4 discussions that we had, be it industry or the agency role,
5 we talked about the need for common understanding, looking
6 at the role of prerequisite programs, where they play in
7 HACCP plans and clarifying the definition of a hazard. And
8 that -- we got into a lot of detail on those that I think we
9 will probably talk about after we go over the bullet points.

10 But the group thought that in order for industry
11 to have what is a quality and improve the quality and
12 effectiveness of their HACCP plans, that there needed to be
13 this understanding because right now, we are still kind of
14 at a loss for what does quality plan mean. It means
15 something different to industry than it does to the agency.

16 Before we move too far along in the HACCP, the next steps,
17 we need to resolve some of these differences in the way we
18 are viewing HACCP.

19 We've talked a little bit about scientific
20 underpinning and a thorough reassessment. I think that you
21 will find some really good HACCP plans out there in the

1 industry and then you will find some that have just come off
2 of a generic model. It is the company's responsibility to
3 be sure that they have the scientific justification for why
4 they made their decisions.

5 As far as the quality and effectiveness of a
6 program, the documentation needs to support what they are
7 talking about, be it their critical limit or why they
8 determined this hazard was not reasonably likely to occur.
9 And we also talked the thorough reassessment.

10 And this was not just a paperwork exercise, that
11 the companies need to take this seriously. Currently, FSIS
12 requires that it be done once a year. And there needs to be
13 a thorough thought process with this. And we encouraged
14 reassessment periodically through the year as needed.

15 And then we talked about education, training and
16 communication one more time which is common between I think
17 what Dr. Denton will tell you on the agency, Part II. I
18 feel like it is very important for people dealing with HACCP
19 to be, as the agency talked yesterday, not only trained, but
20 educated on the principles and the science behind it. So I
21 will turn it back to Madam Chairperson.

1 MS. HANIGAN: Go ahead, Dr. Denton.

2 DR. DENTON: Thank you, Katy. The second question
3 that our subcommittee dealt with yesterday evening was what
4 can FSIS and the states do to improve the effectiveness of
5 their role under HACCP. And I have to state with a
6 statement of agreement with what Tom just said, that these
7 two questions are very interrelated.

8 The first bullet point that we see here is that we
9 are seeking to achieve a common understanding of the hazards
10 associated with each process and each species. Underneath
11 that, we dealt with two issues. One is a clarification of
12 the definition of a hazard. And there in parentheses you
13 will note that there was not a complete subcommittee
14 consensus with regard to that. There was a great deal of
15 discussion about the definition of a hazard potential versus
16 a significant hazard and whether or not this was a way to
17 get out of defining things as a hazard.

18 We do not see it that way. We think that there
19 needs to be a very clear distinction on what constitutes a
20 potential hazard and what constitutes a very significant
21 hazard.

1 The second part of that is the role of the
2 prerequisite programs. And here we are thinking in terms of
3 the sanitation SOPs, SOPs and GMPs that are the cornerstones
4 for any food safety assurance program that exists in any
5 food plant. We believe that there needs to be a very clear
6 understanding of what constitutes the roles of each of those
7 prerequisite programs from the standpoint of what makes them
8 distinct from the HACCP plan, yet a foundation for the HACCP
9 plan.

10 Pardon me. I am having a little trouble this
11 morning with my voice. It seems to be getting worse every
12 day. The second bullet point is to identify what is
13 acceptable for scientific validity in a HACCP plan. And
14 here we included the concept of a nationwide basis. We
15 think there is a distinct need for more uniformity of the
16 application of the HACCP system across the agency,
17 recognizing that there are some very distinct differences in
18 how that is viewed within certain regions of the country.

19 The third point is one in which the point was made
20 with regard to very small processors not having resources to
21 develop and defend many of their scientific positions with

1 regard to HACCP plans, that there probably needs to be some
2 existence of the safe harbor to accommodate these types of
3 operations.

4 And then, of course, we get back down to this
5 accountability issue, much like what Alice discussed in
6 question 1. We believe that there is a need for improvement
7 in accountability, both on the scientific basis by which we
8 approach HACCP decision-making, the professional basis on
9 how these decisions are carried out in the field and intra-
10 agency accountability.

11 That is somewhat linked to what we see here in
12 number 5. We believe that there is a very strong need for
13 improved communication and congruency amongst the
14 headquarters staff, the field staff and the technical center
15 in Omaha so that everyone is working off of the same set of
16 parameters with regard to HACCP.

17 We also believe that there is a strong need for
18 joint education and training for all FSIS employees. And by
19 joint, we mean that FSIS employees and industry be trained
20 simultaneously so that everyone, everyone knows the
21 fundamental underpinnings of the scientific portion of

1 HACCP, recognizing that there are very distinct differences
2 on what the expectations are for the companies and what the
3 expectations are for the agency. Yet the science is the
4 same in the two systems.

5 And the last thing that we felt like was needed to
6 really improve this is objective and measurable evaluation
7 tools with regard to how the agency conducts HACCP within
8 the framework of what their responsibility is, perhaps even
9 including performance standards with regard to how that is
10 done. Thank you.

11 MR. BILLY: Thank you.

12 MS. HANIGAN: I would like to open the comments,
13 if you will, to those committee members seated at the table.
14 You are welcome to comment, question. And I assume, Mr.
15 Billy, that you will recognize them from this point? Thank
16 you.

17 UNIDENTIFIED VOICE: Full committee or
18 subcommittee?

19 MS. HANIGAN: No, full committee.

20 MR. BILLY: Okay. Nancy?

21 MS. DONLEY: Nancy Donley from STOP. I appreciate

1 the comment that, was it Kate or Alice, maybe you made that
2 -- it was Alice -- that industry is responsible, has to be
3 responsible for the effectiveness of their HACCP plans and
4 the need to reassess and their plans periodically.

5 There is still -- and I don't see how -- there is
6 nothing that was specific that was mentioned on what can be
7 done about plants that just frankly don't have adequate
8 HACCP plans. And by that I mean that for lack of knowledge,
9 for lack of interest, there can be various reasons why these
10 plans are not effective, they are not valid.

11 And how can we, as we move into HACCP II, correct
12 those plants that have ineffective HACCP plans because the
13 public is only protected as far as how well those plans are
14 designed in preventing food safety problems? And I don't
15 have any reassurance that the plants get out of this by just
16 saying, hey, yes, we have to reassess it. I don't come away
17 with any reassurance that anything meaningful is going to
18 get done unless we lay out some specifics of what should be
19 done.

20 MR. BILLY: Katy.

21 MS. HANIGAN: As a response, Nancy, I don't think

1 our committee addressed that last night. And the way I
2 looked at this -- and my committee members and subcommittee
3 members I am sure will speak up -- my feeling was we have
4 been under HACCP whether you -- regardless of the size of
5 your plant now, either three, two or one year.

6 So there is FSIS staff out there in the field now.

7 My basic assumption was if we still had HACCP programs out
8 there that did not meet the seven principles and all that --
9 those different parameters, that those companies, those
10 plants would have been identified already by FSIS staff. So
11 we didn't -- we did not address that at all last night. I
12 mean, we looked more into everybody has got HACCP in.
13 Perhaps we plateau'ed off. Where do we go from here?

14 So maybe Mr. Billy can answer your question. But
15 we didn't even address that last night.

16 MR. BILLY: I do think that a lot of our focus as
17 we have implemented HACCP has been on ensuring that there
18 are plans for each of the product categories where they are
19 needed and that those plans have the basic elements covered
20 and that the plant is following that plan in the sense of
21 monitoring the critical control points and making the

1 records that are necessary associated with the regulation.

2 And so it is -- I think there is a difference
3 between sort of having enough there to meet what one could
4 describe as the basic requirements versus the quality and
5 effectiveness of those plans which is what we have tried to
6 focus on in terms of the industry and what the question was
7 about. So I do believe it is the agency's responsibility to
8 make sure that if a plant doesn't have a HACCP plan with all
9 its components, then we should have by now reacted to that
10 and dealt with it.

11 There are some ongoing situations in the very
12 small plants where that remains a problem. But it is being
13 addressed. The plants are taking the steps to correct the
14 situation. So -- but I think it is a good point in the
15 sense that -- and let me relate it to one of the other
16 issues that are here that really was brought up under
17 question 2 regarding the -- what FSIS and the states can do.

18 The vast majority of the plants, that is, the
19 6,000 under federal inspection and the 2,500 under state
20 inspection do not have prerequisite programs other than what
21 is mandated which is the SSOPs. So there is a petition that

1 has been submitted by a number of industry groups to try to
2 address this whole issue of what are prerequisite programs.

3 But one would argue then that if, in fact, we and
4 the agency and/or the industry should pursue some sort of a
5 strategy that would come to a common understanding about
6 prerequisite programs, then there is an awful lot of work
7 that needs to be done with an awful lot of companies. And I
8 mean in the thousands that would have to then establish
9 something that doesn't currently exist.

10 Now, I know a lot of the large plants have them.
11 But they are the small minority in compared to that total of
12 8,500. So if, in fact, there is an interest on the part of
13 industry as an example to have established and recognized
14 some sort of prerequisite programs, then there is an awful
15 lot of work to be done. And then I guess a question back to
16 the subcommittee and to the full committee is who is going
17 to do that? Where is the leadership going to come from to
18 explain and convince to thousands of very small plants that
19 they need something more in terms of prerequisite programs?

20 I have -- you can answer that now or ponder it and
21 come back to it later. It doesn't matter. Yes, Lee? And

1 then Terry.

2 DR. JAN: I didn't have anything.

3 MR. BILLY: Okay. Terry.

4 MR. BURKHARDT: Terry Burkhardt. I don't think,
5 Tom, that was the thought of the subcommittee as far as
6 mandating prerequisite programs was that it would be more of
7 an allowance of prerequisite programs to be used in
8 conjunction with HACCP plans. And our thought was that
9 anything that is put in place to reduce the risk, you know,
10 is acceptable and we should encourage it.

11 The question was whether it would be a CCP as
12 opposed to a GMP. And that was the issue, not that we would
13 mandate prerequisite programs.

14 MR. BILLY: Caroline?

15 MS. SMITH DeWAAL: Thank you. Caroline Smith
16 DeWaal. One of the things -- and I want to follow up a
17 little bit on what Nancy was saying. I think one of the
18 disappointments of the first round of implementation was the
19 Sara Lee outbreak. And the fact that it came to light after
20 that, that a lot of the companies that prepared ready-to-eat
21 products didn't -- or at least some amount of them didn't

1 include Listeria as a hazard reasonably likely to occur
2 which is unbelievable to me. But that is my understanding.

3 And then the agency's response was to order a
4 reassessment. I think it pointed to the fact that we need a
5 common understanding of the hazards that are likely to be
6 linked to different regulatory products. And I don't need
7 to remind you, Tom, of the issues around seafood HACCP and
8 the fact that we were there dealing with 300 different
9 species. We were dealing with a wide variety of health
10 hazards, some of them microbial, but also natural toxins,
11 chemical contaminants that are not -- that don't occur at
12 the same frequency in these products.

13 By comparison, meat and poultry products are --
14 have I think a less challenging range of hazards. They
15 certainly have serious hazards. But FDA under your
16 leadership put together the hazards and controls guide to
17 help guide that industry in developing their HACCP plans.

18 There was a mixed understanding I think in the
19 subcommittee last night. There may be a hazards and
20 controls guide that is circulating. Nobody is sure it is
21 being used. They think it came out either for the second

1 year of implementation or the third. It may have just come
2 out for the very small plants. But nobody is teaching to
3 it. Nobody is using it. And it is not clear that it is
4 being enforced by -- from -- by the inspectors.

5 One of the things that I wanted to throw out was
6 the concept of regulatory touch points, where -- I mean,
7 what you guys are concerned about is where are you going to
8 regulate. And the industry is concerned about that, too.
9 And one way -- you can either regulate by going in and
10 saying here are the critical control points you should have
11 and you should -- you know, your critical control point for
12 this hazard needs to be here or not there.

13 You can regulate at that level. Or you can
14 regulate by saying here are the hazards you need to control
15 and we are going to check you at the end of the line to see
16 whether, in fact, you are controlling those hazards using
17 measurable objective standards like performance standards.

18 And in terms of HACCP Phase II, maybe some of the
19 struggles should be over identifying a common set of hazards
20 by species and by process and then measuring at the end of
21 the line whether those are, in fact, controlled using

1 performance standards rather than trying to dictate to the
2 industry where their critical control points should be
3 because I think a lot of the complaints we were hearing from
4 the industry last night was about, you know, well, they are
5 telling us we need to, you know, put our critical control
6 point over here and not over there and I think they are
7 wrong and I am going to -- you know, but I have to have the
8 real one and then I have to have the fake one. You know, I
9 have to have the one that FSIS is requiring us to have.

10 So I am just wondering from a regulatory
11 standpoint, perhaps the touch point should be at the
12 beginning and at the end and not necessarily at the middle.

13 You know, that is just an idea and it is one that we wanted
14 to put before you with some of these ideas. Thank you.

15 MR. BILLY: That speaks a little bit to defining
16 the safe harbors which could be done in such a guide. The
17 difficulty though in -- Caroline, in what you have said is I
18 think that the balance that needs to be struck between
19 maintaining the flexibility in industry to decide how they
20 are going to manage the food safety hazards and, on the
21 other hand, having the agency spelling out, even in a set of

1 guidelines, here is what ought to be done with regard to
2 hazards and various products and controls to deal with them.

3 So --

4 MS. SMITH DeWAAL: Can I --

5 MR. BILLY: -- I don't know if the subcommittee
6 discussed that or not. I think that is an important area
7 that perhaps we could have a little discussion on now.

8 MS. SMITH DeWAAL: Can I just follow up on that?
9 There was a lot of discussion about the agency not -- and
10 Alice and I actually went back and forth quite a bit --
11 about there should be the expertise and the knowledge within
12 the industry to define their own hazards. But the hazards -
13 - and I think it has got to be accepted understanding that
14 HACCP was not and does not addressing emerging hazards.

15 Emerging hazards are going to happen and will then
16 be added to the list of hazard. But we know what the
17 hazards are around most meat and poultry products. This
18 isn't rocket science. This is meat science. And it is not
19 -- I mean, we can -- you can name a product and develop a
20 hazard list fairly easily, even for non-scientists.

21 And I think that, you know, if you -- but if you

1 are enforcing one set of hazards over in one district and
2 another set of hazards in another district, it is going to
3 make for a very non-uniform application of HACCP. And so we
4 are trying to figure out ways that the agency could be more
5 uniform. And then again, in the hazards and controls guide,
6 you set out for the seafood industry what the controls were
7 for histamine, what the controls were for micro
8 contamination.

9 And I didn't always agree with them. But you put
10 on paper for the industry where those safe harbors were.
11 Again, you know, there may be a hazard linked to, say,
12 residues in a particular product. But if someone -- if a
13 company says, well, we only purchase from producers who do
14 not use this drug and, therefore, that is not in our hazard
15 because we have controlled it in the incoming product, I
16 mean, I guess that would itself be a type of control.

17 But those -- there -- they should be able to opt
18 out of hazards depending on their own business plans and
19 strategies. But to have a common set of hazards I think
20 would be helpful in regulating -- in creating more uniform
21 HACCP plans nationally. And -- okay.

1 MR. BILLY: Thank you. I have Alice and Nancy.
2 And I would be interested in hearing from other members of
3 the committee about this idea, some sort of hazards and
4 controls guide. Alice?

5 MS. JOHNSON: Carol and I had a good time talking
6 about this last night. And I want to address two things.
7 First of all, Terry's remarks about the prerequisite
8 programs I think were very good. It all goes to the
9 flexibility of HACCP. If you have these in place and the
10 agency and the industry can come to some common
11 understanding on how they are to be used, then they are
12 appropriate for use. If you don't have them in place, then
13 it is -- your hazard analysis reflects different critical
14 control points.

15 So I don't think anybody is pushing mandatory
16 prerequisite programs, but just the flexibility to be able
17 to use those programs if you have them in place and some
18 sort of industry recognition that they are valid in
19 supporting justification for hazard analysis.

20 Now, let's talk about the fun things. The
21 mandating of hazards, when we first started talking about it

1 last night, you know, it does sound like it would make life
2 a lot easier. However, it just totally blows apart the
3 whole HACCP concept in my opinion. The flexibility to work
4 for your hazard analysis and I think everybody in here can
5 say that it is just such an eye-opening process when you
6 work through a hazard analysis.

7 I have a real fear if you mandate hazards, we are
8 going to have little cookie-cutter programs that aren't
9 going to mean anything. And there will be no further work
10 done. I think it will stop some of the innovations that a
11 lot of companies are going through because here are your
12 hazards and here is what you have to do them.

13 And I also think that it will stifle any type of
14 meaningful reassessment. And you probably won't get the
15 reaction to emerging pathogens, new hazards that need to be
16 viewed because we are in this little box. And you know how
17 hard it is. I like my little box. It is hard to get out of
18 it. So I think it will really stifle any type of innovation
19 in the industry.

20 I pulled off on the website this morning -- and
21 the FSIS website is great. It sure beats digging through

1 files -- the hazard guide that was put out with the very
2 small. And I think there have been -- there was also one
3 that was put out when the rule first came out that was very
4 thick and very detailed. Whereas I think this is a good
5 start and I threw it to Terry real quick and am running to
6 sit down. So I would be interested in seeing what he has to
7 say.

8 I think it is a good document. It is a good
9 start. But maybe we need to work on refining that and
10 making it more like the seafood document and offering that
11 up as, you know, things to consider. But instead of just a
12 list of mandated hazards, you've got the whole process and
13 be sure that it is understood that it is a guideline and not
14 regulated. Thank you.

15 MR. BILLY: Okay. Nancy?

16 MS. DONLEY: I said, and I will reiterate what I
17 said yesterday, that I really appreciate that the agency
18 once again is moving on and talking about HACCP Phase II.
19 And as again, I hope there is HACCP Phase infinitum.

20 However, let's make it meaningful. And by that is
21 if HACCP was the answer to food borne illness problems in

1 meat and poultry, we would not see a tapering off of
2 numbers. We would have seen a sharp decline and that HACCP
3 is the answer.

4 It is not the answer. If it was, we would be
5 seeing very definite public health ramifications on it.
6 With that said, I think right now, we have an opportunity to
7 recognize the weaknesses that HACCP has. There are some
8 weaknesses and we have a chance here to fix them. And that
9 to make this dialogue meaningful, let's recognize where some
10 of these problems are.

11 Some of the problems are that some companies and
12 plants can do it better than others. And that doesn't
13 reassure the public who is getting it from a plant that is
14 not as good as doing it as those who are. One of the things
15 that STOP had advocated from the get-go was that there be
16 FSIS validation of HACCP plans. And we still believe that
17 that is what should be happening.

18 We want -- the industry should be developing the
19 plans. But we rely on our government to make sure that
20 those plans are meaningful and that they are -- and that
21 then, that the government is regulating a plan that they

1 believe in and that they can get behind and sink their teeth
2 into and that it is really protecting the public's health.

3 We don't want government regulating ineffective
4 HACCP plans. The public's health and safety stands to lose
5 by it. So I really think that we really need to with this -
6 - again, back to that point of thorough reassessments, my
7 question I wrote here is by whom. And I would like to
8 suggest that these plans be reassessed by FSIS.

9 MR. BILLY: Okay. Terry, then Jim, and then Katy.

10 MR. BURKHARDT: In our discussion last night, one
11 thing we talked a lot about was the one size fits all and
12 the difference that we have in the very small plants. You
13 know, regarding Alice's comments, you know, for a large
14 industry that have their own scientific staff and so forth,
15 I think, you know, the flexibility there is wide open.

16 In the smaller plants though that don't have the
17 scientific wherewithal to put the plan together, more of --
18 you know, at least we took more of a directing approach as
19 to what the hazards were and what -- where CCPs were likely
20 to be. And for the small plants, it seemed that they needed
21 that help in conjunction with the university in providing,

1 you know, the training and so forth as to why those were
2 important.

3 But the idea of one size fits all, it is going to
4 apply to ever plant. There is a lot of difference between
5 what goes on in a very small plant and a large plant. And I
6 think HACCP principles apply to food production in a small
7 plant has a lot of applicability. Let's monitor the whole
8 food production process with HACCP principles.

9 But in the way it is regulated, you know, it is
10 based on individual process. And what happens in the small
11 plants is you probably have six plans when in a large plant
12 that makes one product, you have one plan. And so
13 administering it in a very small plant in many times is more
14 difficult than in a large plant. The complexity is a
15 concern. But we have worked our way through that. But in
16 hindsight, if we would have identified what is to be
17 expected ahead of time, it may have been easier for us.

18 MR. BILLY: Just a question on that, if we did
19 that now, would that be helpful in the context of what you
20 are experiencing?

21 MR. BURKHARDT: If we provided a little bit more

1 guidance on what are you considering as scientific validity
2 and what are your expectations, I think it would be helpful
3 to bring all the plans to a common base. And we have -- you
4 know, we have a lot of very small plants that do an
5 excellent job and are really on top of things from a food
6 safety standpoint, but others that can bring their plan up
7 to speed.

8 But that doesn't -- you know, in reference to
9 Nancy's comments, the bottom line of the HACCP plans are
10 what is the result of the product, what are the lab samples
11 showing. The plan might not look so good on paper. But we
12 have negative Salmonella reports, we have negative L.m.
13 reports. From a food safety standpoint, it appears that the
14 food production practices are working. And that should be
15 the basis for evaluation of the HACCP plans bottom line.
16 They are producing safe products. Whether they have
17 scientific validity in their plan, they are producing safe
18 food.

19 MR. BILLY: Okay. Jim?

20 DR. DENTON: I have to make a comment with regard
21 to the comments that Nancy made here just a little bit. I

1 think that all of us recognize that HACCP is a system by
2 which we improve the safety assurance program in meat and
3 poultry processing that has been applied across public
4 industries in advance of our involvement in meat and
5 poultry.

6 For meat and poultry, this required a serious
7 redirection of focus on the part of the companies and on the
8 part of the regulatory agency. I don't believe any of us in
9 the scientific community, the regulatory community and the
10 industry expected an instantaneous response to implementing
11 HACCP. If that is the case, then I am afraid that we have
12 over-promised on what HACCP is capable of delivery which may
13 have set some unrealistic expectations with that.

14 The reality is that HACCP is a system that results
15 in improvement over a period of time that will be gradual,
16 but it will be continuous improvement. And I think that
17 that is probably the message that we need to take away from
18 what we have accomplished to this point. But now is not the
19 time to abandon this and give up on HACCP as a system
20 because it is working.

21 MR. BILLY: Okay. Thanks. Katy?

1 MS. HANIGAN: Just a little bit more on this
2 thorough reassessment. We had a very, very healthy
3 discussion last night. And regarding thorough reassessment,
4 I think that some plants like you said, Nancy, in your words
5 could do that very well because they understand what is
6 scientifically valid and what is not. And I also as Chair
7 last night recognized quite a few people that were sitting
8 in our audience in that room. And we definitely had an
9 attendee last night tell us that there are small plants that
10 they don't know what is scientifically valid and they don't
11 know how to go about it. They just know that they are
12 producing safe food.

13 So I think when we talk about who is doing the
14 reassessment and how thorough it is, I think whether you are
15 looking at industry or agency, it gets back to this whole
16 thing of training, common understanding of, you know, what
17 the hazards are, what are the scientific underpinnings, what
18 are the safe harbors, are there any more because we have
19 been subject to a number of regulations, directives, et
20 cetera, being removed and being told that they are no longer
21 a safe harbor, et cetera, et cetera.

1 It is not as easy to me -- for me it is not easy
2 to say FSIS is going to do your reassessment because they
3 don't know how to do it either. The plant inspectors are
4 not educated. They may be trained. But they are not
5 educated to the point where they could reassess one of my
6 HACCP models.

7 And so I just want -- I mean, I just wanted to
8 throw that out. I mean, I'm not disagreeing with your point
9 on that. But it's just there is a huge gap between training
10 and education on this whole thing on scientific validity.
11 And the other point I wanted to bring up which, Caroline,
12 you surprised me that you didn't bring it up this morning
13 and I will probably -- my other subcommittee members
14 probably just shake their head, yes.

15 I thought you had a very valid point last night
16 when you talked about in my words the CCP of the month or
17 the hazard of the month. That we need to be careful that if
18 we do get out there and we put out something that is seen as
19 more than a hazard guide, that people aren't just focusing
20 on the hazard of the month or the hazard of the quarter and
21 letting everything else go because then we are going to end

1 up with these cookie-cutter programs that Alice is talking
2 about and we've lost the whole thing all together.

3 And you surprised me that you didn't bring that
4 concern up. I thought it was very valid. And you
5 articulated it much better last night than I just did.

6 MR. BILLY: Rosemary.

7 MS. MUCKLOW: I was otherwise on my broomstick
8 last night. So I didn't get to listen into any of that
9 discussion since I was in another meeting. But there were
10 several things as I listened to the discussion this morning.

11 First of all, various organizations came together with
12 great seriousness and submitted to you a petition for
13 consideration.

14 I'm not sure that that has bene distributed to
15 this committee. It might be a good idea to distribute it
16 because before we can go too far down the road of HACCP II,
17 we really need to make sure that if there are things that
18 need cleaning up from basic HACCP, that we address those.

19 So I would strongly encourage you to share that
20 with this committee. This is an ongoing dialogue for
21 change. And that might be useful. And I'm sure this

1 committee and whatever, what is the new word, redesignated
2 form or something, resigned form, that is the new buzzword
3 out, that it will be able to speak to maybe the petition and
4 maybe that could be circulated.

5 The second point I wanted to make is that as Dr.
6 Denton exquisitely stated and I can't be nearly as exquisite
7 with the words as he is, but there is a philosophical change
8 occurring and a re-balancing, if you will, a government's
9 role and the industry's role in how we move forward to make
10 -- to identify those hazards, eliminate them or reduce them
11 to a reasonable level and make the food as safe as we
12 possibly can.

13 One of the entities that has been fairly
14 significant in working with the industry and working with
15 all interested parties is the International HACCP Alliance.

16 I think it might be very appropriate, again, as we evaluate
17 where we are going with HACCP II to try to engage the
18 professional people with the Alliance. And I am, you know,
19 very pleased that the agency has utilized this as a major
20 resource over the last several years.

21 Maybe we could invite Dr. Harris, who is a very

1 fine, distinguished and probably no -- she has forgotten --
2 my mother used to say this -- she has forgotten more about
3 HACCP than I will probably ever know. She really does have
4 tremendous capabilities and both from a practical and from
5 the philosophical point of view. And that might be very
6 helpful as we go forward here to work on HACCP II.

7 So that -- those roles need to somehow be better
8 balanced than maybe we've got them as we sit around this
9 table. Many of us are organizational members of the HACCP
10 Alliance. It will be having a board meeting in the very
11 near future. And I am sure you will have one of your senior
12 staff attend as you always do. And we appreciate that
13 because it does -- it is where industry and the agency with
14 a lot of other people can come together.

15 I would tell everybody here that these are open
16 meetings. Anybody in this room is welcome and invited to
17 attend. They are not closed meetings. And that has been
18 the policy of the HACCP Alliance since it was involved and
19 since it was initiated six years ago.

20 One of the other things that has long concerned me
21 is whether HACCP has indeed over-promised. And there has

1 been a little discussion here this morning to that issue.

2 And somebody the other day got me to go look at the word,

3 "safe", in the dictionary. It is an interesting definition.

4 It is -- safe means without risk or without injury.

5 And we all use that word, "safe", with great

6 abandon. You know, it pops up in almost every sentence.

7 And when you are dealing with a raw agricultural product and

8 many of the end products that come out of inspected

9 establishments are not the piece that you finally put in

10 your mouth, we are dealing with intermediate products.

11 And somehow or other, we have never quite come to

12 closure to explain that you can't necessarily assure that

13 there is no hazard in that end product that needs further

14 preparation before it is going to be consumed. And looking

15 at the word, "safe", and how important it is to all of us, I

16 think we might want to revisit how we use that word in

17 relationship to the products of our industry. We make them

18 as safe as possible.

19 But we cannot make them absolutely without some

20 risk unless hopefully we cook them and we have them so that

21 they are as ready to put in your mouth. And even then,

1 there have been some problems like Listeria and the problem
2 that it brings us. So I would suggest we may want to
3 revisit and rethink a little bit about the word, "safe." I
4 am always mindful for the smaller plants.

5 I think it is quite remarkable how well they have
6 come along because they have had a very complex problem to
7 deal with. Very large plants, as we have heard today, often
8 have just one HACCP plan because they make one product.
9 Many of those very small plants with very few employees have
10 very complex operations.

11 And I think it is a credit to the organizations,
12 to the agency, to the HACCP Alliance that they have
13 performed and come to speed as well as they can. There has
14 been some discussion here this morning about the
15 prerequisite programs and how they should be recognized. I
16 would suggest that for many years, the agency approved PQC
17 programs. The prerequisite programs have some similarity to
18 the role of the QC programs, the PQC programs.

19 There is some significant differences, too. But
20 those PQC programs were a very useful way for smaller
21 organizations to figure out how to meet the

1 responsibilities. Some of the tragic events in my
2 neighborhood this last summer that were so terrible came
3 about because a young man reached the end of his rope
4 wrongly because he didn't understand how to deal with some
5 of these very complex problems.

6 And so if we learn a lesson from that tragedy, it
7 is that we need to figure out how those small firms have to
8 deal with very complex problems. And we can't give them the
9 blueprint. We can't just give them something that you fill
10 in the boxes on because that is not the concept of HACCP.
11 But at least we can give them some guidance.

12 And, again, maybe through the interactivity with
13 the HACCP Alliance, this is one of the ways in which you can
14 work to develop some of the supportive materials that can be
15 very useful in this process. Thank you.

16 MR. BILLY: Cathy has a question.

17 DR. WOTEKI: Yes, thank you, Tom. Cathy Woteki.
18 I would like to, first of all, commend the subcommittee for
19 the discussion paper that you have put together and that we
20 have been talking about so far this morning. As I listen
21 though to the presentation, particularly both that Alice did

1 and Jim did, where you talked more specifically about the
2 discussions that you had last night on each of these two
3 questions.

4 I was struck by the fact that essentially that
5 what you have elucidated right here are a set of principles.

6 And as Jim talked and as Alice talked, you made those more
7 specific than the way that they are described here. And one
8 question then to this committee is are you going to go back
9 and redraft this and bring in some of that additional
10 specificity that was talked about? Because as it is
11 currently drafted, it leaves very much open ended and
12 unanswered a lot of questions.

13 And a case in point is the one under what FSIS
14 could do, for example, under joint education and training.
15 I don't think that you mean joint education and training
16 with the industry across everything. As Jim talked about
17 it, it was only with respect to the scientific aspects of
18 HACCP.

19 And under it is a bullet that says, "All FSIS
20 employees." Does the committee want all FSIS employees
21 trained on the scientific aspects of HACCP including those

1 who do programming for us, those who are our contracts and
2 procurement specialists, those how are the personnel
3 specialists, or do you mean those who are actually in
4 decision-making capacities with respect to the field force
5 and the headquarters and the various centers around the
6 country?

7 So my question to the committee with that as an
8 example is do you want to provide some additional
9 specificity on these issues? I think it would be very
10 helpful on some of these. And that one is a case in point
11 to do so.

12 MS. HANIGAN: I have a question for you.

13 MR. BILLY: Okay. Katy?

14 MS. HANIGAN: As the Chair of that committee, I
15 think we do need to go back and be more specific. But my
16 question to you is would that document be due back by close
17 of business today?

18 DR. WOTEKI: It would be.

19 MS. HANIGAN: So then our subcommittee would need
20 -- I'm sorry?

21 MR. BILLY: Yes. I think that is what we would

1 prefer if we can do that.

2 MS. HANIGAN: Then our subcommittee will need to
3 meet at lunchtime. So why don't we plan on doing that
4 because I agree with you. Yet it is almost too bad that we
5 can't have a total -- and we did have excellent FSIS staff
6 there last night -- I was going to say a total recording of
7 all conversations last night because to go through all those
8 conversations and then try to put it down in bullet points,
9 it becomes extremely, extremely difficult. But then our
10 committee will meet at lunch today.

11 MR. BILLY: Okay. That's good. Caroline?

12 MS. SMITH DeWAAL: Thank you. And I'm not -- we
13 are going to meet at lunch today to respond to you, Dr.
14 Woteki. I just wanted to make a couple of further comments,
15 both based on Rosemary and based on Kathleen Hanigan's
16 comments.

17 First of all, the one area where there wasn't a
18 consensus is on the issue of whether we need to clarify the
19 definition of a hazard which is what the industry is
20 petitioned on. The example that was used for why we need to
21 do that is because, you know, there can be abuses of the

1 "reasonably likely to occur" standard.

2 Those abuses shouldn't happen. The inspectors
3 should be well enough trained that wet paint that happens
4 one day in front of a poultry shop shouldn't become a hazard
5 reasonably likely to occur in the HACCP plan. That is just
6 ridiculous.

7 And the thing that -- the way I think to get out
8 of that dilemma, so instead of losing up the standard to
9 address what are essentially abuses of the standard, I think
10 we need to get back to the concept of uniformity, how to
11 give -- and I am interested this morning, most of the
12 discussions has been this morning on what the industry can
13 be doing, thorough reassessments and stuff like that to
14 improve their plans and whether the industry or the agency.

15

16 But most of our discussion last night was about
17 what the agency could do. And the complaints seemed to be
18 about the lack of uniformity in the actual application of
19 the regulation. And so I want to get back to the issue of
20 hazards and defining common hazards and having those hazards
21 agreed to and understood both by industry and the agency.

1 And we are leaving that -- we are leaving that --
2 you know, the regulation is written in such a way that it is
3 just, it is open. You know, you could define any hazard
4 linked to your product or miss any hazards. And it is not
5 clear that the inspectors are well enough trained that they
6 are going to come in and catch things like, you know, the --
7 you know, missing Listeria in the plant.

8 And so I am trying to -- we talked a lot last
9 night about defining the hazards, but also not creating
10 cookie-cutters plans. That is not the goal. The goal is to
11 get away from the agency saying you have to define your
12 plans this way. We need to know the hazards are addressed
13 and we need to know that there are measurable objective
14 performance standards at the end of the line that the
15 industry needs to meet.

16 We talk about teaching to the test. The problem -
17 - one of the problem with the current framework is that we
18 have one performance standard for Salmonella. And that is a
19 regulatory touch point and it is a very important one. The
20 reason all the debate over critical control points is
21 because that is how you regulate. That is your regulatory

1 touch point. And so I am trying to figure out how to get
2 better consumer assurance at the same time by giving you
3 guys appropriate regulatory touch points.

4 The focus on Salmonella has shown us that if you
5 focus on Salmonella, you can reduce Salmonella. But it
6 doesn't reduce Listeria. It doesn't necessarily reduce
7 Campylobacter. So now we need to take what we have learned
8 from Salmonella and expand it using performance standards
9 based on pathogens and good indicators.

10 We need more performance standards. Those will
11 provide a measurable objective of tools to evaluate each and
12 every individual plant. We also need this common
13 understanding of the hazards. And the hazards need to be
14 tied to these performance standards for each species and
15 each product.

16 I just -- I am going to finish with the issue of
17 fighting HACCP. We have seen in the last year a variety of
18 attempts to stop the performance standards. We have seen it
19 in the courts. We have seen it on the floor of the Senate.
20 We have seen people who claim and trade associations who
21 claim to support modernizing this system, trying to send the

1 Salmonella performance standard back to the drawing board.

2 And I am outraged when I have to spend my days
3 fighting with the industry on something we agreed to. We
4 sat in a room and we agreed that we needed measurable
5 objective standards. And they are going back on their
6 promises to us. And if it is one company who brings one
7 lawsuit who puts the regulation at risk, then you can
8 forgive that effort. You can say that is one misguided
9 individual. When the major trade associations representing
10 industry join in, that is outrageous.

11 And I hope the trade associations take leadership
12 and don't bring their industries back to the dark ages
13 because then we will support carcass-by-carcass inspection
14 by government inspectors and mandatory testing of every
15 carcass. We will fight hard to get -- if -- HACCP is not
16 meaningful without these things. And if we do away with
17 those elements of control and measurable objective
18 standards, then HACCP is meaningless to us. Thank you.

19 MR. BILLY: Rosemary, then we will have a break.

20 MS. MUCKLOW: A break sounds like a good idea. I
21 am regretful that there is such a serious misunderstanding

1 of the Salmonella litigation and the recent legislative
2 interaction. Way back early this year, I tried to meet with
3 the lady who just preceded me. And she refused to have such
4 a meeting. There needs to be dialogue. There needs to be
5 communication. There needs to be better understanding.

6 This is not the time to argue either the
7 discussions that have occurred in court, nor probably those
8 that have occurred on Capitol Hill. I, again -- we would
9 welcome sitting down and trying to better understand these
10 issues and to communicate and to see if there is some common
11 ground. I regret deeply that they would become a football
12 in this committee.

13 MR. BILLY: Let's -- Katy, I think you are pretty
14 well set now in terms of the dialogue and so forth. Okay.

15 MS. HANIGAN: Yes, very clear.

16 MR. BILLY: Okay. All right. Let's take a break
17 now for about 20 minutes. We will be back at 10:00.

18 (Whereupon, a brief recess was taken.)

19 MR. BILLY: I think we will get started again.
20 Okay. The next item on the agenda is the issue of sharing
21 recall information with state and other Federal Government

1 agencies. And Mike Mamminga is the Chair of that
2 subcommittee. So it is my pleasure now to turn the meeting
3 over to Mike to share with us what they discussed and then
4 open it up to the full committee for further discussion.
5 Mike?

6 MR. MAMMINGA: Thank you, Tom. I would refer you
7 all again to Tab Number 8 in your binder which contains the
8 issue paper on current FSIS thinking about this proposed
9 rule. And this also contains the four questions that our
10 subcommittee was charged with discussing and answering. Up
11 front, I will thank the subcommittee members and Mr. Jolio
12 for being in attendance and helping us in our consideration.

13 The rule in the Federal Register is also attached.

14 And we did compare that with the issue paper. And I
15 believe that the issue paper follows the rule quite closely.

16 It has to do with the administrator of FSIS may authorize
17 the disclosure of confidential commercial information
18 submitted to FSIS as part of a recall of meat or poultry
19 products, and then has provisos to provide to that.

20 The state agency provides written information
21 about their authority to protect confidential information

1 and a written commitment not to disclose this. It also has
2 provisos that the Federal Government would -- these agencies
3 would also provide a written commitment to keep this
4 information confidential. And, of course, all of this
5 information that might be disclosed is only done so after
6 the administrator or their designee indicates that it is
7 necessary in the interest of the public health.

8 It contains provisos that does not make -- or
9 trade secrets are not a part of this disclosure and that
10 this information disclosed to the cooperating state agencies
11 or federal agencies is not a part of public information. In
12 other words, it again specifically states that. Going back
13 then to the questions that appear on page 2 of the issue
14 paper, our committee was asked to consider whether or not
15 this proposed rule had merit.

16 Quite briefly in considering the public health
17 implications of knowing where products went and what the
18 quantity, certain it would -- it has merit. And we answered
19 that, yes, there is merit to the proposed rule.

20 The second question was how best would this
21 regulatory change be implemented in cooperation with state

1 agencies and other Federal Government agencies. And we
2 talked that over quite a bit. Some of us were familiar with
3 the cooperative agreements. Others, with memorandums of
4 understanding.

5 And yet we also realized that there might be other
6 appropriate documents without trying to name them. So we
7 answered that question 2 with the subcommittee recommends
8 that FSIS enter into cooperative agreements, MOUs or other
9 appropriate documents with state agencies or other federal
10 agencies. FSIS will provide notice of adequate penalties
11 for improper disclosure of proprietary information within
12 the MOU or appropriate document.

13 We discussed this quite a lot because industry,
14 obviously, has concerns about proprietary information that
15 may come into the hands if someone decides FSIS in a state
16 agency or another federal agency and that they -- when they
17 enter into an agreement and provide these written
18 assurances, that they also be forewarned that there are
19 penalties for disclosing them. And I think that would be an
20 appropriate part in any such document that you would want to
21 enter into. But we discussed that a lot because there are

1 concerns there.

2 Question number 3, can committee members identify
3 specific factors that would a) facilitate or b) impede
4 implementation of such provision? For example, are
5 committee members aware of any problems that have arisen
6 under a similar rule adopted by the Food and Drug
7 Administration?

8 And in our discussions, those of us who were
9 there, we could not identify a specific problem or problems.

10 Now, maybe they are out there and other committee members
11 can share with us. But we did not know of any.

12 Going on, the question says, if so, do committee
13 members have any ideas about how these problems can be
14 avoided. Here, our answer to question number 3, we have
15 said FSIS should limit MOUs or other agreements to state
16 agencies that will assist in recall verification activities.

17 There was a feeling, why would some agencies unrelated to
18 food or food safety or have any interest in going out and
19 helping verify that recalls were being effectively carried
20 out, why should this information, why should it, why would
21 it?

1 So we decided -- and maybe my fellow committee
2 members can embellish these thoughts. But we just indicated
3 that these MOUs or other agreements to state agencies, maybe
4 that could be wordsmithed a little bit, why include any
5 designated industry? Why not just say agencies that will
6 assist in recall verification activities?

7 The fourth question to us was FSIS expects that
8 state and federal agencies would use the proprietary data,
9 the example given, the distribution data, in their own
10 activities such as conducting recall effective checks or
11 audits. What mechanisms should be developed to ensure that
12 additional data gathered by state and Federal Government
13 agencies as a consequence are shared with FSIS?

14 Well, we discussed that quite a bit because a
15 cooperative agreement or a memorandum of understanding
16 usually flows both ways. Obviously, you don't want it going
17 just one direction. So a provision that we suggested in our
18 answer to that question was MOU or other documents shall
19 include provisions that state agencies share information
20 with FSIS about recall efforts.

21 Those are our answers now. The folks that served

1 on this subcommittee, if you have other additional comments
2 certainly, here is your time to make them.

3 MR. BILLY: Okay. Anyone from the subcommittee?
4 Okay. Let's open it up then to the full committee. Alice?

5 MS. JOHNSON: Mike, in the committee discussions
6 about the MOU or cooperative agreements, was there a
7 discussion whether this should be like a blanket MOU to
8 cover any time a state or FSIS feels that they need to
9 distribute this, is this agreement an "each incident" type
10 of deal? How did you envision that or was there any
11 discussion?

12 MR. MAMMINGA: Alice, that is a very good question
13 and we did discuss that because in our discussions, one of
14 our committee members thought that maybe that should be the
15 case. It should be a blanket thing where the administrator
16 just sends this information out every time there is a public
17 health interest in providing it.

18 On the other hand, and you correct me if I am
19 wrong now, committee members, but we discussed -- it is kind
20 of hard to dictate to the agency the specifics of that sort
21 of thing when the administrator or their designee is the one

1 that ultimately is going to decide if the information is
2 available at all.

3 So I guess -- this is me speaking now personally -
4 - if I entered into a cooperative agreement with FSIS for
5 this sort of information and in the regulation itself it
6 says that the administrator or their designee would
7 determine that, I guess I would leave it to that judgement
8 to tell me when they thought I needed to know.

9 The information has to come from there. I can't
10 generate it on my own. So I guess I -- in my opinion, I
11 thought maybe it was a little too fine of a point. But I
12 can see your concern. And I would be glad to hear if you
13 think this can be improved.

14 MR. BILLY: Okay. Nancy, and then Collette.

15 MS. DONLEY: Yes, some of the discussion that had
16 come up last night, frankly, I had put out on the floor a
17 suggestion that all states regardless of -- that there be an
18 MOU. But that all states participate in it and that the
19 information automatically get sent to the correct state
20 agencies.

21 But it is -- if I am understanding your question

1 correctly, Alice, it would not be on a recall-by-recall
2 basis. That right now, as Mike was explaining, that his
3 state participates, has an MOU and that there is 25 other
4 states -- there is a total of 26 states that currently
5 receive this information.

6 It has been going on for 30 years. It has been a
7 very -- in his case, it has been -- we discussed at length
8 the confidentiality concerns and that to his knowledge that
9 among these 25 or 26 states, there has not been a problem of
10 the "confidential information." And by that, it could be
11 pricing and various things that has leaked out to the wrong
12 parties.

13 So this would not be -- it would be totally
14 unmanageable to do it on a recall-by-recall basis asking for
15 each time for there to be a separate MOU being signed. So
16 it would be something that states would just elect to
17 participate in.

18 MR. BILLY: Collette?

19 MS. KESTER: My recollection of our discussion was
20 that at the same time, the agency would filter that to the
21 appropriate states, that it wouldn't just go out to some

1 blanket announcement, that it would occur as it has been
2 occurring where if something is going on in Mike's state
3 that he needs to know about as is happening currently with
4 him today, he gets that information from the agency and it
5 just wouldn't go out as a blanket to all the states or any
6 possible agency within those other states.

7 MR. BILLY: Dale?

8 DR. MORSE: From a State Health Department
9 perspective, we would consider this very positive and it
10 should be blanket. I think it helps create a seamless
11 system, maximizes the effectiveness and the number of people
12 that can follow up on recalls, for example, health
13 departments through their health department or --
14 agriculture market-type units have cadres of staff out in
15 the field and when they do get enough information on recalls
16 almost always find product still out on the pipeline that
17 would still have been sold if it weren't for these
18 notifications.

19 We have had instances such as with Sara Lee, an
20 incident with recall ten days to two weeks afterward when
21 product had been served to Meals on Wheels' high risk

1 patients that we feel could have been prevented if there had
2 been this type of notification. So in our state, we
3 currently -- there has been at least improvement
4 notification recalls I guess.

5 We do get e-mails on a regular -- of a product
6 being recalled, a lot number, but only that it has been --
7 some products sent to New York are not. And this leads
8 massive confusion, especially if that product has been
9 associated with an outbreak.

10 We get calls throughout the state, local health
11 units wondering whether the product is there. We cannot
12 give them that information. It becomes a particular concern
13 if they actually have human cases which at that point may
14 not know whether they are linked to the product or not.
15 They don't even know whether the product is in their
16 neighborhood. So they are quite angry that we can't provide
17 the information.

18 So anything to improve this. It has been
19 effective with some of the FDA product recalls, at least in
20 terms of timeliness, in terms of us being able to assist in
21 terms of taking action. Timeliness is still an issue. And

1 I guess -- so this is one stop. But there is one step in
2 the process. But timeliness will still be an issue in terms
3 of how quickly that information is put together.

4 And I guess we are not going there today, but it
5 raises the question of the mandatory -- the need for
6 mandatory recalls or in the absence of that, steps to
7 improve the timeliness anyway, to try to speed up the speed
8 at which we find out about the products to be able to assist
9 with this.

10 MR. BILLY: Okay. I have Lee and Phil Derfler and
11 Mike if it on this point.

12 MR. MAMMINGA: My point is just to, again -- I
13 remember one thing, that there is a lot of recall
14 information out there today. We get it from FDA and FSIS.
15 And as -- this will improve upon that by being specific to
16 certain recalls. We have to -- the rule requires the
17 agency, especially if it is state agency, it requires them
18 to provide both written statement establishing their
19 authority and written intentions of keeping this
20 confidential.

21 You may have some agencies that would apply, that

1 would have difficulty doing one or the other of those. So
2 that kind of gets a blanket, a blanket to all states and all
3 agencies. It kind of puts a little bit of limit on that as
4 it should. But certainly for Dale and myself, many, many
5 others, this would be a wonderful tool to specifically work
6 at the -- protecting the public health with this additional
7 information. That's all.

8 MR. BILLY: Yes, thanks. Lee?

9 DR. JAN: Yes. I would kind of like to echo some
10 of the things that Dale said. But from a health department
11 standpoint and a state position, the people of the state
12 expect protection from health hazards from their public
13 health department.

14 And if the public health department doesn't even
15 know how much product is in the state, we know that there is
16 some in the state, but how much, those are questions that
17 are asked and particularly me wants to know how much product
18 is in a state, how much is recalled. And if we don't have
19 that information, we can't give the information to provide
20 comfort or assurance that everything has been done.

21 And I think that the MOU or agreement,

1 arrangement, certainly there needs to be some parameters or
2 guidelines. And maybe that would be renewed annually to
3 make sure that the right agency is being contacted. But
4 once a recall is announced or necessary for a public health
5 hazard, that should -- the state should at least have the
6 opportunity to be the lead and take -- instead of
7 effectiveness checks being done by the USDA, effectiveness
8 checks would be done by the state.

9 And then the state, if they in the pre-
10 arrangements say we don't have the resources and all; we
11 want FSIS's or USDA's help, we will ask for it, but have the
12 state be the lead so that they can gather the data. And to
13 the level that their people expect protection, they will get
14 it instead of relying on the Federal Government. There is
15 too much Federal Government involvement in their lives
16 anyway.

17 So we can bring it back down to where it needs to
18 be in the states with the states having the ability to go to
19 Big Brother and say I need help. Otherwise, leave it at the
20 state level.

21 MR. BILLY: Okay. Thanks. Yes. Phil Derfler,

1 and then Terry.

2 MR. DERFLER: I just wanted to follow up with a
3 question to Dr. Morse. We obviously tracked the FDA rule
4 very closely in drafting our proposal. And in conversations
5 that I had with people from AFTO, when I said that that is
6 how we are going to approach it and when they actually saw
7 the rule that we published, they suggested that there were
8 problems with FDA's rule which is sort of the basis of the
9 question here.

10 So I just wondered if you in New York or in Texas
11 who weren't on the committee whether you were aware of
12 problems that your state was having under the FDA regs. that
13 we need to be aware of as we develop it.

14 DR. MORSE: Did they give you specific examples?

15 MR. DERFLER: No. I mean, just, well, you will be
16 hearing from us. But I just wondered if you or Terry or
17 anybody was aware of the specifics.

18 DR. MORSE: I'm not aware of specific problems.
19 Timeliness, again, is what I brought up when I talked to the
20 program. They said there is still the timeliness issue
21 which there will still be delays in finding this information

1 out. And sometimes the announcement of the recall will
2 occur before you get the other details.

3 So you -- there still might be delays. And so you
4 are left with the recall almost the same. You know, it is
5 in your state; I don't have that information. So that was
6 mentioned, the only issue that I heard. I would be curious.

7 MR. BILLY: Terry?

8 MR. BURKHARDT: I had a question, Tom -- Terry
9 Burkhardt -- on this. I will give you a scenario. Let's
10 say there was a national recall. The state was provided
11 with the information. And the state reported, let's say, to
12 the press that they detained X number of products at various
13 locations. Would that violate the confidentiality of the
14 agreement, if the state reported where they found the
15 product?

16 MR. BILLY: Phil?

17 MR. DERFLER: Well, the answer is I don't know. I
18 mean, probably there would be ramifications from it. I
19 think if you factually said where you detained the product
20 and in the process of doing that did not disclose the entire
21 customer list, I think we would have an argument that all

1 you are doing is doing that.

2 I mean, if it winds up in doing that you are
3 disclosing the entire customer list, I think -- I mean, the
4 problem with that is that we are likely to get a lot less
5 cooperation from industry. It makes it less likely that we
6 are going to be able to have the information available to
7 share with you. And so that would be a problem.

8 MR. BURKHARDT: But generally when that happens,
9 when you have an outbreak, there are demands by the press as
10 to where is the product, has it been contained. That -- you
11 know, those are the questions that have to be answered. And
12 we have tended to answer it by state and by the -- answering
13 the question as to whether or not we think we have got
14 pretty good control on it.

15 MR. BILLY: Okay. Mike, and then Nancy.

16 MR. MAMMINGA: I'm sorry. When you go so far as
17 to detain product in commerce under your compliance program,
18 you at that point open the case that is under investigation.
19 You don't have to disclose anything about that until you
20 are prepared to do that with advice of counsel. And at that
21 point, you can again revisit the issue of proprietary

1 information and address any Freedom of Information Act
2 questions you get according to advice of counsel. So that
3 doesn't seem like a big deal to me.

4 MR. BILLY: Okay. Nancy?

5 MS. DONLEY: I think -- Nancy Donley. I think
6 this proposed rule I think is very, very positive as a first
7 step. I don't think it get goes far enough and that in that
8 it does not identify to the public at large where recalled
9 product has been distributed, where they, the public, may
10 have purchased it and brought it home and/or consumed it.

11 So I think this is a good first step. I would
12 like to -- we would like to see it go even further where
13 consumers can -- because consumers many times make -- would
14 not be able to -- I would be very hard pressed if someone
15 said to you what brand of potatoes do you buy; we have
16 recalled potatoes of X brand or I'll buy my potatoes based
17 on brand and based on price.

18 Consumers will not understand that, okay, this
19 particular meat or poultry product is being recalled. What
20 will trigger in them that, oh, I better go check my
21 refrigerator, is if they know that it has been distributed

1 to Jewell and Dominick stores in the Illinois area. And
2 that is what going to make them open up their refrigerator
3 and take a look.

4 So I applaud the agency for taking this step
5 forward. I think it is going to be very helpful from a
6 public health standpoint. We just encourage it to go one
7 step further.

8 MR. BILLY: Okay. Rosemary?

9 MS. MUCKLOW: I remember some discussions we have
10 had. And I believe that the emergency program has
11 implemented the policy allowing the affected company to
12 review specifically the information about the recalled
13 product because one of my greatest concerns is that we
14 impugn the integrity of innocent product and don't get the
15 right product identified.

16 And I appreciate that the agency has worked with
17 the industry cooperatively to make sure that we get our
18 hands around the right kind of product. Potatoes are a
19 different game plan from meat. Every package of meat that
20 goes through the retail business has some kind of mark of
21 inspection on it and is very traceable through a mark of

1 inspection.

2 And the emergency program staff, when they issue a
3 recall notice, I have noticed as I see them as they come
4 across my desk at a frequency that I don't like that they
5 are very clear in terms of giving consumers specific
6 markings that they need to look for. And I commend the
7 agency for its clear specificity. I think Charlie and his
8 people are doing a good job in that regard. And I haven't
9 always said that.

10 Recalls are best when they are cooperative. And
11 the concept of having a state agency assist in that recall
12 effort is absolutely appropriate. Again, it is going to be
13 important that they are out looking for the right product
14 which hopefully we have got that taken care of and that they
15 do it under an arrangement which protects proprietary
16 confidential information particularly of smaller firms. And
17 I feel fairly strongly about that.

18 As with all such documents, the devil is always in
19 the details. And it is not the responsibility of this
20 committee to design the specificity of that memorandum
21 agreement. You are going to have your policy people, your

1 lawyers and everybody else looking at it. And hopefully,
2 you will share it with others to make sure that you have
3 covered those bases. And we would look forward to seeing
4 that.

5 We will be helpful and supportive as an industry
6 because if there is product that people should not eat, then
7 we want to be cooperatively helpful in getting that product
8 back. And that is what cooperative recalls are all about.

9 MR. BILLY: Okay. Mike, that sounds like there is
10 a pretty broad consensus in terms of your responses to the
11 questions. I don't know if there are any last-minute
12 thoughts from anyone or, Charlie, do you have anything to
13 add? Okay. Okay. I appreciate that very much. Good job.
14 And I will remind everyone that the comment period is still
15 open. Is that right, Phil?

16 MR. DERFLER: Yes. Until the 20th of November.

17 MR. BILLY: So if any of you or anyone in the
18 audience, anyone has further thoughts, we encourage you to
19 provide written comment in response to the proposed rule.

20 MS. MUCKLOW: Tom?

21 MR. BILLY: Yes?

1 MS. MUCKLOW: May I go back and make an additional
2 comment about the subject that we were talking about
3 earlier? Sharon Sacks has kindly pointed out to me that the
4 petition was distributed at the July meeting.

5 MR. BILLY: Yes, it was distributed and discussed.

6 MS. MUCKLOW: And discussed. So short is my
7 memory. If anybody wanted another copy if they can't find
8 their copy, maybe your staff would be kind enough to provide
9 it.

10 MR. BILLY: Okay.

11 MS. MUCKLOW: But people should have it if they
12 got their book.

13 MR. BILLY: Okay. We will follow up on that.
14 Okay. Anything -- all right. I think then we will move on.
15 Unfortunately, Pat Stolfa hasn't arrived yet. But she is
16 on her way. And Phil is here. So I think what I would like
17 to do is press ahead and if possible complete this next
18 discussion and maybe generate a little extra time during
19 lunch for Katy's committee to follow up on the discussions
20 of this morning.

21 So let's move on now to the next subcommittee

1 discussions which focused on residue control in a HACCP
2 environment. I will remind everyone that this is covered
3 under Tab 10 in the book. And now it is my pleasure to turn
4 it over to Carol to lead the discussion.

5 MS. FOREMAN: Thank you. Let me begin with a
6 couple of introductory remarks. First of all, this was kind
7 of an unusual assignment in that there was no detailed paper
8 for us and we have a public -- you have a public meeting
9 scheduled on this subject on December the 11th. So rather
10 than working from a current thinking paper as we frequently
11 do, you left us kind of on our own. And so, of course --

12 MR. BILLY: A huge responsibility.

13 MS. FOREMAN: We -- yes, it is always a dangerous
14 thing to do, too, because we may have gone far afield from
15 where you intended. We are working -- we did have access to
16 the 1985 NAS document that Pat said yesterday you would use
17 as a base to work from since it recommended using HACCP to
18 control residues. And when Pat made her presentation
19 yesterday, I think she made it clear that the United States
20 does not have an effective comprehensive risk-based program
21 to control chemical residues in meat and poultry products.

1 The biggest problem appears to arise from illegal
2 residues -- from illegal drug residues, levels -- illegal
3 levels of animal drug residues, excuse me, especially
4 sulfonimides and antibiotics in market animals and that the
5 problem appears to concentrate on a few repeat offenders.

6 Having said that as background -- one other point
7 on background. We didn't address each of the individual
8 questions. But I think we covered them all. We think the
9 big issue is -- for a HACCP approach is the fact that you
10 have such a scattered authority in this area that it is very
11 difficult to implement a logical, coherent program. Hi,
12 Pat. Glad to see you.

13 So our very first suggestion that you go the
14 President's Food Safety Council or perhaps to the National
15 Academy of Sciences to address the need for a coherent
16 organizational structure for the regulation of food, animal,
17 drug and other chemical residues. This is a place where if
18 you don't have a single food safety agency, at least you
19 might take advantage of the Food Safety Council to try to
20 get some coherence here.

21 That you establish a ranking system that is

1 logical and transparent for compound, surveyed each year and
2 that residue control be based on a risk management system
3 that concentrates on areas of high risk, especially small
4 producers and previous violators.

5 And that is really -- I should point out that the
6 small producer issue is one where there seems to be a high
7 level of violations among some small producers. Develop
8 better methods for testing live animals. Encourage a HACCP
9 approach to the overall problem. I have a particular
10 attachment to the next point, number 6, seek legal authority
11 to establish a trace-back system for animals.

12 This is the twentieth anniversary this month of
13 the Department of Agriculture sending a bill to Congress
14 seeking authority to trace animals back to the producer. At
15 one point, this administration sent that bill to the
16 Congress. But the last couple of bills haven't included
17 that authority. And it is one that I -- we have a large
18 amount of agreement among those people on this subcommittee.

19 Explore with ARS additional research on new and
20 better testing methods for residues, especially for those
21 drugs that may be in use by some of our training partners

1 but aren't used in the U.S. And there was a very strong
2 feeling about limiting severely or prohibiting entirely the
3 availability of veterinary drugs to non-veterinarians. We
4 had a long discussion primarily led by Lee on the difficulty
5 that is created by those drugs being available willy-nilly.

6 International issues, Tom, we would like for you
7 to give as a quick run-down on the Codex activities in this
8 area. And why don't I stop right now and get you to do
9 that.

10 MR. BILLY: Okay. This is a very important area
11 to Codex and has been for some time. There are several
12 committees in Codex that deal with this area. There is a
13 Codex committee on residues of veterinary drugs in foods.
14 There is a Codex committee that deals with contaminants and
15 pesticides, so various types of contaminants including
16 pesticides or other types of contaminants.

17 The way the Codex process works is that countries
18 identify concern about a particular drug or residue,
19 environmental contaminant or residue. And that concern is
20 then captured in the plan of work in terms of developing
21 internationally a -- what is called an MRL, a maximum

1 residue limit, for that particular chemical whatever it is,
2 whether it is an animal drug or whatever.

3 The Codex committees, the ones I mentioned, should
4 be thought of as playing the role of risk manager. And
5 based on those committees identifying the questions that
6 they want answered, the matter is then referred to one of a
7 number of expert committees that WHO and FAO have
8 established. And these experts committees should be thought
9 of as the risk assessors. They are the people that gather
10 the scientific data regarding exposure and all the other
11 information that goes into developing and recommending a
12 maximum residue limit.

13 It could range from the risk is so low that there
14 is no need for setting such a limit to establishing a very
15 specific limit for a particular contaminant or veterinary
16 drug or whatever. Once the expert committee has developed
17 its recommendation, that then goes back to the appropriate
18 Codex committee. They consider it. Most often, they accept
19 it. Sometimes they have questions and there will be a sort
20 of a back and forth process to arrive at something the
21 committee is comfortable with.

1 And at that point then, the committee will advance
2 that MRL, that proposed MRL to countries for comment,
3 consider all those comments and ultimately then recommend to
4 the Commission which is all 165 countries, that the MRL be
5 adopted and recommended for use by countries. That process
6 takes some time. It takes most often several years.

7 And one of the areas that I am focusing on as the
8 elected Chairman of Codex is to accelerate that process and
9 to improve the science that is used to develop these kinds
10 of recommendations. The countries -- once there is an MRL
11 that is recommended, then it goes back to countries for
12 their consideration.

13 A country can choose to accept the MRL.
14 Alternatively, they can set a higher standard if that is
15 what they believe is necessary or maintain a higher standard
16 if they already have one. And that is provided for under
17 the various trade agreements. So a way of thinking about
18 Codex is that it is a mechanism for establishing an
19 international norm where you may already have such norms
20 established in a number of countries, particularly the more
21 developed countries, but not internationally or worldwide.

1 I don't know if that is sufficient for you or not.

2 MS. FOREMAN: I'm sure you will get some
3 questions.

4 MR. BILLY: Okay.

5 MS. FOREMAN: Quickly going on through -- thanks,
6 Tom -- through the international issues, establish ways to
7 address more effectively the problems caused by the use of
8 drugs in other countries, drugs that are not approved for
9 use in the U.S. and address problems with residues in
10 domestic products that are scheduled for export. And then
11 we have three or four words there that I just failed to
12 delete at the end of that sentence.

13 Going now to address the public education and
14 public meeting issues that were raised specifically in the
15 questions, there is a very large public concern about
16 chemical residues in drugs. It tends to be below the
17 surface most of the time. But when something happens,
18 people react with great concern because it is not something
19 you can control in your own home. As was pointed out
20 yesterday, you can cook it all day long and it is still
21 there.

1 So we thought that it might be a good idea to try
2 to hold some public meetings and conduct seminars to expand
3 the knowledge base among some interested public groups,
4 particularly public -- American Public Health Association,
5 groups of nutritionists and others who deal with food on a
6 continuing basis. And, obviously, we think that that needs
7 to be done before there is a problem of notoriety.

8 On making the public meeting a success, solicit
9 ideas for new approaches to sampling. Emphasize producer
10 and packer responsibility, especially if the animal is given
11 drugs. I think that should be fit that are used in human
12 medicine or not fit for human consumption where there is no
13 level of acceptability for human beings. Emphasize ways
14 that HACCP offers opportunities for new approaches to
15 control residues.

16 And going back, I think there is one point I
17 should have emphasized earlier which is there are -- that
18 obviously, HACCP includes the definition of hazard, any
19 hazard, chemical or physical that -- or microbiological that
20 is likely to occur. Companies that are buying their animals
21 from repeat violators know they have a hazard and they need

1 a CCP to deal with it. But is the easy step in this. The
2 others get a little harder.

3 Do any members of the committee want to add on?

4 MR. BILLY: Could I ask one question? Just I'm
5 not clear on how to make the public meeting successful, the
6 number 2. You commented about the wording and I wasn't
7 clear.

8 MS. FOREMAN: It's only because toward the end of
9 that, it is given drugs that are used in human medicine and,
10 therefore, residues are a serious problem or drugs where
11 there is no residue level that is fit for human consumption.
12 Lee, do you want to talk a little bit about that?

13 MR. BILLY: Okay. Lee?

14 DR. JAN: Well, I'm not sure about that particular
15 issue. There are some drugs that are -- you know, they are
16 not approved for use in animals. That may not be a risk to
17 humans. But that's -- so that's -- maybe that's not a food
18 safety issue. But that still would be I think a concern
19 because it is a residue that has not been established.

20 And maybe the reason it is not used in -- or
21 approved for use in food animals is because the company that

1 produced that particular drug didn't find the economic
2 benefit of going to the expense of demonstrating its safety.

3 So, therefore, it is not approved for use.

4 But then there are the other issues which I think
5 whether they are a residue or not, another issue that was
6 related to use of drugs and that it comes up is about using
7 drugs and some of those in particular taken off the poultry
8 market because of concerns that those drugs may lead to
9 resistance in organisms that can affect humans.

10 And that may be a knee-jerk reaction or maybe
11 there was a lot of science. You know, I don't know about
12 the data supporting that. But I think that the concern is
13 that we don't want -- we want to do what we can to prevent
14 the increase in numbers of resistant organisms. And then
15 that means taking it away from use in food animals. And
16 maybe that is the best way to go until we find definite
17 links or why is that happening.

18 But specifically, I don't know why else we would
19 talk about these residues or drugs that were being used. I
20 might add, if I may at this point, that we did talk a good
21 bit about the HACCP principles and that with the low level

1 of -- I mean, the low level of drug residues that are found
2 to do -- to expect FSIS or to expect industry to do testing
3 to identify with the confidence when you get real low -- the
4 lower the incidence, the more tests you have to take before
5 you find it. That becomes a problem.

6 So if we rely on HACCP to do what it is supposed
7 to do, the packer includes that if he is -- like Carol
8 mentioned, that if buying from a repeat violator or other
9 risk categories, risk groups, risk -- because there are some
10 risk groups, someone buying for a dealer, someone buying
11 through an auction where there is not a lot of control.
12 Those risks are going to be higher. And then that is where
13 the HACCP plan should at least -- well, it should be
14 addressed. I think that issue should be addressed in every
15 place.

16 But in those that are having those high risks,
17 they may have to implement or insert a critical control
18 point at that point. And then -- but with the idea of
19 limiting or directing the sampling efforts of FSIS for
20 surveillance or for any other -- or if the industry wants to
21 -- needs to do testing to HACCP. But for surveillance,

1 limit that then to the high risk.

2 And as long as the majority of the livestock
3 coming from uniform units, say, feed lots -- they go through
4 a feed lot where they have veterinary -- generally have
5 veterinary oversight for medical issues, parlors for swine
6 or chicken houses that we have uniform groups, then those
7 are probably a lot less risk. And that is not the place to
8 do sampling. Sampling efforts -- there is only so many
9 dollars for sampling. So put it where the risk is more
10 likely to be picked up.

11 MR. BILLY: Okay. Good. Katy?

12 MS. HANIGAN: I have two questions, one directed
13 to Mr. Billy and then the other one back to the committee.
14 My understanding of residues was that FSIS at the plant
15 level had backed off, if you will, on some of the STOP and
16 SOS testing that was going on because of lack of positive
17 findings. And, you know, I am just wondering if that is a
18 true statement.

19 And then the other question I wondered is if the
20 committee addressed at all last night the limited laboratory
21 methods that would be available to industry to validate,

1 verify whether or not you've got residues at the plant
2 level. So those are the two questions I pose.

3 MS. FOREMAN: Let me answer the second one real
4 quickly because I think we probably want more discussion on
5 the first. Under number seven, we did have some discussion
6 of it. And we talked about the need for research on better
7 testing methods. And that is listed under number 70 -- or
8 under number 7. We had quite a discussion about getting the
9 ARS especially to get into the development of additional,
10 simpler, more reliable tests.

11 MS. HANIGAN: So that the committee did recognize
12 or the subcommittee did recognize last night that currently
13 available to the industry, to the public is not the
14 methodology that would allow for this testing on a daily
15 basis.

16 MS. FOREMAN: I don't think we got into that
17 detailed -- I mean, we did not discuss the lack of tests
18 that make any sort of daily testing reasonable.

19 MS. HANIGAN: Okay. Because I will tell you we --
20 the company I work for, we have been doing extensive
21 research as to what is available to us. And we are using

1 the most available methods. But they are just not very good
2 and there -- I mean, there is a lot of area there that needs
3 to be developed.

4 MR. BILLY: Okay. And with regard to the first --
5 on the same point?

6 MS. KESTER: Yes, to build on what Katy said. I
7 mean, we have actually worked --

8 MR. BILLY: Collette.

9 MS. KESTER: -- with the pharmaceutical companies
10 that produce these drugs. And they are unable to provide us
11 with reliable tests for either daily monitoring or
12 verification activities, nor are there commercial labs
13 available. So I agree with Katy. I can't emphasize enough,
14 I would love to be able to test, to validate what we are
15 doing upstream. But it is just not there right there. And
16 that is an important cornerstone of this whole discussion.

17 MS. FOREMAN: Well, I just simply don't think that
18 it is reasonable that the drug companies are going to do
19 that unless you say to the drug companies you can't sell
20 these drugs until you have a rapid, reasonable test for
21 presence of residues. And there are a lot of drugs already

1 out there that you would have to withdraw approval for.

2 We did emphasize the need for public resources
3 going into this. And it seems to me that the only
4 alternative to that is to say to the companies you can't
5 sell them if there is no test for them.

6 MS. HANIGAN: Can I just respond to that, please?

7 And I don't want to get bogged down in the lab methods
8 because I did tell Ms. Stolfa that I would definitely come
9 on December 11th. I just want to make sure everyone in the
10 room understands, you know, if you are looking for a
11 chemical contaminant -- and I will just use in a meat
12 product since that is what we are talking about -- and you
13 want to do a GC analysis on it, you can't just run your
14 sample through a GC and say, equipment, tell me what
15 chemical contaminants are here.

16 You have to have a set of standards and say look
17 for this contaminant. So it is not where we can simply take
18 the meat, test it for every, if you will, residue that is
19 out there or every drug that is out there. You have to tell
20 the equipment what you are looking for. So many of these
21 tests are going to require very -- or many of these drugs,

1 residues, are going to require very specific tests be built
2 for them.

3 I mean, it is not as simple as running the meat
4 through a GC analysis and saying what is there. The
5 equipment doesn't work that way. It is very specific
6 testing for very specific drugs just as it is for
7 microorganisms, specific testing for specific bacteria.

8 MR. BILLY: Gary?

9 DR. WEBER: This is Gary Weber with the National
10 Cattlemen's Beef Association. Just a few broad comments on
11 this area. And we have a significant interest in this and
12 have invested a lot in preventing residues of chemicals as
13 well as antimicrobial compounds. And so our commitment
14 remains there. But I also have over the years spent a lot
15 of time with FSIS when I worked for the Department and still
16 spend a lot of time with them monitoring this issue. But
17 just a few things that I wanted to just touch on.

18 Number one, the scientific basis. I think that
19 government in general has been slipping in terms of the
20 technological superiority. And this showed up in terms of
21 our interactions with the European Union and a seemingly

1 difficult time in finding laboratories that could test for
2 some of the compounds that they were interested in. I think
3 we could argue whether those were irrelevant or not. But
4 they were -- we found that that technological superiority
5 was lacking.

6 Recently, the FDA held a series of conferences
7 where they brought in some experts including Jack Henryon
8 from Cornell University who was probably one of the leaders
9 in developing gas chromatography equipment tied to mass
10 spectrometry to really look at compounds at very low levels
11 with a great degree of sensitivity and specificity. And so
12 the FDA recognizes that there are some concerns there.

13 We need government though to maintain this kind of
14 superiority. I think from the standpoint of what was
15 discussed yesterday about morale, about being able to
16 compete for these sorts of scientists and keep them involved
17 with government is critical.

18 A real quick bit of an anecdote on this, many
19 years ago through some routine testing at FSIS, they were
20 detecting what appeared to be some kind of a hormone
21 substance in beef. And they weren't sure what it was. They

1 had never seen it before. And working at that time with
2 Steve Sunloff who is at University of Florida, another
3 toxicologist, it turned up that it was showing up
4 seasonally, but it was a byproduct of a mold in some peanut
5 hay.

6 Now, it probably shouldn't be there. And so
7 efforts were made on the part of universities and University
8 of Georgia and Alabama in the southeast to educate producers
9 that this kind of hay at least managed in this way could
10 possibly present a risk. And I am sure nobody ever heard
11 about this in the press. But the industry was concerned
12 enough that we could do things to ameliorate that.

13 But it was because FSIS had that technological
14 capability and people were looking for these things, that
15 kind of gave us that front end. And that was excellent. We
16 need to maintain that kind of leadership.

17 We need a consistent national policy. AMI has
18 provided leadership to pulling a lot of us together to talk
19 about this residue issue in call dairy cows. And we have
20 made a lot of advancements in our thinking there and reached
21 a lot of common ground and have submitted a request to the

1 Department to take action on that. That needs to continue
2 and we need to make every effort to stop that from
3 happening.

4 These consistent national policies though require
5 that if a packer is put into a situation where there is, so
6 to speak, a regulator on this, that these cattle or pigs or
7 whatever it might be don't just shift around to someone else
8 and have FSIS and others just chasing after the animals
9 moving along the line.

10 This relates a little bit, too, to trace-back.
11 There has been a lot of controversy whether we can trace
12 animals or not. But the fact is we can. Recently, there
13 was a gentleman prosecuted in the state of California. He
14 not only marketed animals under fictitious names through
15 fictitious places, but he was traced back and he was
16 convicted.

17 I have talked to the packers about this and said
18 you can't trace animals back; no, we can't trace them. I
19 said, well, do you have checks that are laying around where
20 you don't know who to pay for the animals you bought. No.
21 Well, they can all be traced. It is just a matter of how

1 much work it is.

2 And the cases where people have been prosecuted
3 prove that. We are all for trace-back, but we certainly
4 want to make sure the system will work. So there are a lot
5 of cases where that is functioning quite well and we support
6 that. There needs to be linkages to the Veterinary Medical
7 Association and to our beef quality assurance programs. And
8 hopefully at the meeting that you are planning in December
9 that these folks can be in attendance.

10 Relative to methodology, I think it would be great
11 that the Animal Health Institute and pharmaceutical
12 companies can be present. My understanding is that a lot of
13 these tests have to be produced by the companies. There has
14 to be an approved method for detection before FDA will
15 approve them.

16 So -- now, whether or not they are convenient or
17 can be used in a laboratory is another matter. But I
18 believe that detection is a requirement of approval. So --
19 but, again, we need to have them present to talk about those
20 things. But this is a very, very important area that we
21 want to make sure that government maintains a strong

1 presence for many reasons. And we certainly support that
2 continuing in some way and doing our part, as well.

3 MR. BILLY: Okay. Lee?

4 DR. JAN: Yes, this is Lee Jan. Just wanted to
5 kind of touch on, you know, we recognize and we did talk
6 about, as Carol mentioned, the need for new methodologies
7 for testing. But that doesn't -- not having those would not
8 be reason not to apply HACCP principles. There are other
9 things.

10 Any drugs that are legally available to be used in
11 food animals do have a withholding period if necessary. And
12 that has been demonstrated through testing when it is
13 appropriate, provided dosages are correct. So if a HACCP
14 system -- and it really needs to be pushed back down or up
15 to the farm or the producer whether that would be mandatory
16 HACCP or the quality assurance programs that are currently -
17 - many of the producers are operating under.

18 But if that becomes -- if the packers would start
19 relying on -- this is a requirement for animals that is
20 coming here that they produced under a quality assurance
21 program and any medication was done under the direct

1 supervision of a veterinarian, pesticides were used in
2 accordance with pesticide application laws or someone that
3 is a licensed pesticide applicator, there may be additional
4 costs to the producer.

5 But if the people or the experts or professionals
6 in the use of these approved drugs are involved, they have a
7 little more on line if they allow the abuse and result in
8 residues. A veterinarian may lose his license. A pesticide
9 applicator may lose his livelihood and not be able to apply
10 pesticides and those kind of things.

11 So I think we can still apply the HACCP
12 principles, not saying that we don't need these other
13 methods of testing. And certainly as we get those, then we
14 can test the effectiveness of some of those principles. So
15 that is -- and limiting the use of veterinary treatments to
16 licensed veterinarians would be important -- the philosophy
17 now or the way they look at it now, if someone has their own
18 animals, they say they can treat them.

19 They can make diagnoses or get the drugs in those
20 that are treating their own animals. But I think food
21 animals need to be looked at as not belonging to the

1 producer. They are just a temporary caretaker. And the
2 food belongs to the consumer. So any treatment that is done
3 on the farm should be done as if that was being done under
4 the practice of veterinary medicine.

5 And I think that in that thinking -- and I think
6 that FDA would have to be involved in changing that thought.

7 But I think that would help minimize residues from illegal
8 or inappropriate use of drugs. There are a lot of other
9 residues, chemicals and like you mentioned, toxins after
10 toxins or mold from peanut hay. All those things would need
11 to be considered and what kind of feed sources. But at
12 least that is a beginning.

13 MR. BILLY: Okay. Thanks. Rosemary and then
14 Caroline.

15 MS. MUCKLOW: As Carol has -- this is Rosemary
16 Mucklow. Has Carol has indicated to you, it was a lively
17 discussion and you just are lucky that you got all these
18 bullet points laid down. The -- one of the points raised
19 here at the table this morning is the difficulty of testing
20 for drugs, even some on the market today and certainly for
21 those who we have not heard about yet.

1 And that goes back to the very first bullet point.

2 We had a lot of talk about that last night. And the -- one
3 of the biggest problems in handling this issue is the
4 diverse authorities, not only at the Federal Government
5 level, but at the state government levels, too.

6 And the efforts of professional people like
7 veterinarians making sure that when they give a drug to an
8 animal, that they prescribe it and admonish the person who
9 is going to actually administer the drug to the animal,
10 often the owner of the animal, to follow the regulations
11 very specifically.

12 I am still trying to get an answer to a relatively
13 simple question in this whole arena which is phenylbutazone
14 that the agency is running some tests on right now that is
15 not approved for use in bovine animals is turning up in
16 bovine animals upon testing. And I have been told by one
17 veterinarian who shall remain nameless that he is permitted
18 to give phenylbutazone to an animal on an extra label basis.

19 If that is the case, my question becomes is that
20 animal red tagged for the rest of its life? Is its milk
21 allowed to go into the milk pool? Is its meat allowed to go

1 into meat? As I understand it, it is not an approved drug.

2 And once used on a food-producing animal, I would think it
3 renders that animal ineligible for either milk or meat for
4 the rest of its life.

5 Maybe for breeding purposes, it is fine. But for
6 milk or meat, it would be inappropriate. It is very hard to
7 get these questions answered. And thus, you see that the
8 very first bullet point under the first item was that this
9 is an issue that really calls for some assistance from the
10 leaders of the departments who work within the scope of the
11 President's Food Safety Council.

12 I like the concept that somebody suggested that
13 the packer almost becomes the regulator here. There are
14 some packers that have chosen not to handle animals that
15 come from repeat violators. Clearly, in their business, the
16 hazard of an unlawful residue is going to be a lot less than
17 those who will buy those animals at some risk. And,
18 therefore, we are looking at two different kinds of HACCP
19 approaches by those two different packers. And we have to
20 recognize that within the system.

21 One of the issues that we didn't touch on last

1 night but that really reoccurs to me today and is always a
2 major concern is if we don't provide a legitimate inspected
3 location for those livestock to be evaluated to enter the
4 meat system, they will enter it through the underground
5 system.

6 And I don't want to encourage diversion of animals
7 that may contain residues and that we really need to look at
8 under inspection because we make the barriers so great that
9 we send them to the underground system. And the enforcement
10 and compliance authorities who are pretty thin on the ground
11 anyway have to go out there hunting for shade trees or
12 worse.

13 So whatever we do in this area we need to do with
14 great care to make sure that we are providing the safest
15 meat possible with respect to residues to consumers and that
16 it all carries a mark of inspection, either federal or a
17 state marking states that have that authority.

18 MR. BILLY: Thanks. Caroline?

19 MS. SMITH DeWAAL: Thanks, Tom. Caroline Smith
20 DeWaal. I think the issue -- this raises an issue of one of
21 the new frontiers of food safety. And that is the area of

1 on farm controls. This is a very important area. We put a
2 huge amount of regulatory resources and attention at the
3 processing and packing level. But we need much -- if we are
4 going to see great advances in the future in food safety
5 protections, it really is going to be as a result of
6 improvements on the farm.

7 That said, this presents an opportunity, the whole
8 issue of residue controls really presents an opportunity to
9 introduce producers and farmers to the concepts of HACCP in
10 an area where they understand that they have controls and
11 that they have the risk.

12 I think that the document that the subcommittee
13 came up with is quite excellent. And it really -- there is
14 a lot in it that I think is just very, very good and
15 provides good direction to the agency. I do think that one
16 of the issues that we -- we haven't talked about a lot, but
17 I will note that Lee Jan I think made some excellent points
18 on that, is the whole issue of veterinary control and
19 administration of the substances.

20 In the human arena, these drugs are administered
21 by physicians. They are sold by licensed pharmacists. And

1 they are subject to pretty strict controls. And yet in the
2 veterinary area, frequently you will find a lot of drugs
3 sitting around and being administered by farmers without a
4 lot of controls.

5 You can go into feed shops and buy big bags of
6 this stuff to mix into animal feed. We have actually it at
7 CSPI press conferences, big bags of animal drugs and feeds.
8 And it is -- I mean, it is outrageous that they are being
9 administered so liberally in this area. So I think that
10 this is a good direction for the agency.

11 I also like the idea that the trace-back, the
12 issue of having Congress actually endorse the concept of
13 trace-back. This is an important area and I am glad to hear
14 that the National Cattlemen's Beef Association supports the
15 issue of trace-back. And perhaps we could get that going in
16 the legislative arena because I think that would also
17 provide great consumer protections. Thank you.

18 MR. BILLY: Okay.

19 MS. HANIGAN: My question needs to be answered.

20 MR. BILLY: Yes, yes. I haven't forgotten. Katy
21 had a two-part question. And one part -- we jumped to the

1 second part of her question. But the first part was, as I
2 recall, whether in fact it is true that the agency after a
3 certain number of results backs off on particular types of
4 residues.

5 Let me take a shot at that. And I want to ask
6 Mark Mina to elaborate further. In terms of the design of
7 our program, one of the -- one aspect of the program is that
8 we work through a collaborative process with the other
9 federal agencies involved to determine where we focus our
10 attention in terms of the wide, wide variety of possible
11 residues that could be associated with the animals that we
12 regulate as they are slaughtered.

13 And each year, we try to establish or refocus our
14 priorities based on information from the various agencies,
15 our experience and our results. And it is, in fact, the
16 case that if after some period of time -- and it can vary
17 depending on the particular residue you are talking about --
18 that we have determined that notwithstanding why we focused
19 on that residue to begin with we've got a bunch of negative
20 results, we are not finding anything in our sampling
21 programs, then we will stop that focus or are focusing on

1 that particular residue and use our resources for another
2 high priority area.

3 As someone said earlier, you never have enough
4 resources in this area. So you need to set priorities. And
5 we try to do that. That is not to say that we won't circle
6 back and check again. And we do that. So -- but it is, in
7 fact, the case that we do set priorities. We do it on an
8 annual basis, often for a particular type of compound, we
9 may check for several years. It takes that long to get
10 adequate data to satisfy ourselves that, in fact, this is or
11 isn't a problem area.

12 So I hope that is helpful in terms of our overall
13 policy. Mark, I don't know if you want to add anything to
14 this or not.

15 DR. MINA: Yes. I want to kind of briefly discuss
16 more specifically the comment I think you made earlier,
17 Katy, that you are under the impression that because we have
18 low levels of positives, we are backing off testing. And we
19 are not doing that. The contrary is true, particularly in
20 call cow plants. We have sent -- and that is based on risk.
21 This is obviously the category that is most likely to

1 contain particularly antibiotic and sulfur residues.

2 And so we have increased significantly the testing
3 in those plants. We have sent out a notice about a year ago
4 that identified to the inspectors in charge several post-
5 mortem lesions and conditions that can trigger their
6 attention in terms of testing for residues. And we have
7 several correlation meetings in Omaha for those IICs that
8 are assigned to those call cows to make sure that we
9 uniformly implement that policy.

10 There is a lot of room for improvement. But what
11 I am trying to tell you is we are increasing the testing for
12 residue, particularly in those plants. And as Gary
13 mentioned earlier, we have been working with industry for
14 about a year or so. Not only us, FSIS, but also other
15 agencies, FDA, Packers Stockyard and others, to try to get a
16 handle on this issue of residue, particularly in call cows.

17 And so we are making some progress in this area.
18 We are not there yet. But that is work in progress.

19 MR. HANIGAN: Can I just make one comment? We as
20 a committee, we have talked this morning about hazards and
21 the definition and sound, valid underpinnings, et cetera.

1 And I just feel like I need to point out when we
2 worked at Farmland at our hazard analysis which one of the
3 things we did address was residue in these live animals that
4 we were purchasing, if you go to the FSIS website and pull
5 up what I call the blue book that talks about the drug
6 residues and the amount of testing that has been done, et
7 cetera, it would clearly support not feeling like that is a
8 hazard reasonably likely to occur.

9 And we have been talking about sound scientific
10 documents and what does the industry use. I just want to
11 point out that that is one of the many documents that we
12 pulled off of your website. So that is why I questioned not
13 the backing off of the testing, but there is literature
14 published right on your website that would say it is not a
15 hazard reasonably likely to occur.

16 MR. BILLY: One of the thoughts that occurs to me,
17 just picking up on that point, is perhaps as part of the
18 public meeting and the discussion there would be a way where
19 the same scientists and experts that meet annually to talk
20 about priorities and where for whatever reason, there is a
21 particular concern, there is a new drug or there is a

1 potential use of some drug, that type of information could
2 be made available to the industry in a way where they could
3 then as they reassess their HACCP plans, they could consider
4 whether given the type of operation they have, they ought to
5 modify their HACCP plan and include it in some kind of
6 screening test or other options they have available to
7 satisfy themselves with regard to that.

8 So that there is a -- part of what we talked about
9 earlier is improving communication. Maybe there is a way to
10 share some of that information in some way that would be
11 useful to the industry, both the slaughter plants and the
12 producers, as well. Katy and then Carol.

13 MS. HANIGAN: Just as a further response or
14 clarification, even though we did pull that document as a
15 reference, you know, I do want to state that we are doing
16 regular screening of hogs that are coming into Farmland for
17 residues just to make sure that although their literature
18 showed that it wasn't reasonably likely to occur, I want to
19 make sure that it applied to the animals we are bringing in.

20 But the one thing I do want to state is that the
21 testing is not cheap, not that that should come into play

1 here. but when we get talking about small, medium and
2 large, I really don't know how many dollars these medium and
3 small people have to say, okay, there is the scientific
4 literature; does it apply to what I am bringing in here; now
5 I've got to have the testing done.

6 So I am just throwing that out, you know, food for
7 thought. We didn't just simply take your document and say
8 that's it. We have been validating it. But I would be very
9 concerned if I was a small plant.

10 MR. BILLY: Carol.

11 MS. FOREMAN: Go ahead.

12 MS. SMITH DeWAAL: Katy, can I just ask a
13 question? It is Caroline Smith DeWaal. Could you instead
14 of doing just the verification testing, do you also ask for
15 records from the producers of their drug use? I mean, I'm
16 just wondering in a HACCP concept out this might work from
17 your standpoint.

18 MS. HANIGAN: We do and we have done a number of
19 different things. We actually held producer meetings to
20 address the subject. We have actually sent mailings to
21 their home. We have a calendar that goes through different

1 CCPs, not that all address HACCP in our facilities.

2 But regarding the residue, we have a large sign
3 posted where you deliver your hogs, notifying the -- or
4 reminding the producers again that Farmland is randomly
5 screening for drug residues just as a reminder so that when
6 they are dropping off their hogs and we are checking into
7 this, you know, if they are inclined maybe not to be totally
8 honest, there is the sign posted there.

9 And they never know whose lot number or tattoo
10 number is going to be pulled on which given day for the
11 screening. But, I mean, although we are doing that, I don't
12 know how a small plant would do that. I honestly don't.

13 MR. BILLY: Okay. Carol, you were --

14 MS. FOREMAN: I just wanted to go back because
15 some points that have been made recently I think bring us
16 back to some over-arching issues here. One is we are
17 dealing with all residues here, not just animal drugs. Two
18 -- and Rosemary, I appreciate your pointing it out.

19 The system or the organizational system, the
20 structural system for trying to address this problem
21 approaches chaos theory. It is the damnedest, dumbest thing

1 you've ever seen in the world. If you go draw a picture on
2 the wall of how the United States Government tries to
3 regulate the presence of chemical residues, it looks like a
4 Ruth Goldberg contraption.

5 And in my view, a lot of the problems that come
6 out of it are the result of that. USDA as we all know, FSIS
7 cannot go back before the slaughterhouse door. These are
8 problems that are -- arise before the slaughterhouse door.
9 And if you want to try to control it on the farm, FDA has
10 got to do that. Well, you tell me how many people FDA has
11 got to send off to farms unless they think there is a gross
12 violation of the law. It is not going to happen.

13 And 25 years of MOUs and interagency committees
14 have got us exactly what I think MOUs and interagency
15 committees usually get you. You do have to be able to
16 control to the extent possible your supplier. I think the
17 government ought to be able to go back to the supplier and
18 give you some assistance there.

19 But it is true that in a HACCP system, one of the
20 key elements of avoiding the hazard is the control of the
21 supply. And you know that. With all due respect, I would

1 say that that applies to processors as well as to
2 slaughterhouses. If you control your supply, going back to
3 the discussion earlier today, you have less possibility of
4 ending up with product in your grinder that is full of
5 Salmonella.

6 MR. BILLY: Okay. Rosemary.

7 MS. MUCKLOW: One of the points -- I got so
8 carried away earlier that I failed to make it. And as you
9 know, Mr. Billy, a couple of us have some requests in to you
10 for some review. And we are -- on how you take people off
11 the violator list or put them on the violator list. And we
12 are extremely hopeful that you are going to respond to us
13 soon on that one. We thought it was pretty black and white.
14 But we understand that bureaucratic wheels move a little
15 more slowly than we would like. But we are looking forward
16 to that answer.

17 The other piece of the puzzle that we are most
18 anxious to bring to conclusion is the development of a
19 transparent -- and it is a popular word these days -- the
20 transparent list of repeat violators. These are people that
21 are like the one that Dr. Weber described, people who have

1 been prosecuted and found guilty of selling an animal into
2 the food supply that contained unlawful residues.

3 And it has been the best kept secret of the
4 Federal Government for 20 years now. It is time that that
5 list was made available so that those packers who choose to
6 try to reduce the hazard by not buying from repeat violators
7 may do so. They may make that choice. Repeat violators are
8 in lots of different parts of the country. And it is
9 unfathomable to me as to why it is such a secret.

10 MS. FOREMAN: Can we put that on the internet?
11 Why can't you put that on your website?

12 MS. MUCKLOW: That is what we have asked, Carol,
13 and they are still mulling it around. And again, it bridges
14 agencies. Two agencies have to cooperate to get one list.
15 And that is a tricky thing in this arena. We are very
16 anxious to have that list. I have developed my own list
17 based upon the certified letters.

18 MR. BILLY: Is that on the internet?

19 MS. MUCKLOW: It is not on the internet yet, but I
20 have threatened to put it there. I would rather it be the
21 government's list because you can produce a more accurate

1 list.

2 MR. BILLY: Well, you could give us a jump start.

3 MS. MUCKLOW: I have thought about it. Believe
4 me, I have thought about it.

5 MR. BILLY: Okay. Mike.

6 MR. MAMMINGA: This has really been a good
7 discussion. I bought from a regulator in a small venue if
8 you will. The length and breadth of the chemical residue
9 situation as we have heard this morning is long and broad
10 and tall. And we are not going to fix everything here. But
11 we might be able to do some things. And I am looking at
12 things that I might be able to do. And I am talking for
13 myself.

14 But there are three issues today that seem to me
15 fairly burning. And one you just got done talking about.
16 And that has to do with repeat violators. We have got to
17 target those people. And we can do that. we have the
18 resources to do that and to get the bad actors either
19 publicly humiliated or off the street or censored in some
20 appropriate government way.

21 The second thing is I think I need to work at and

1 maybe agency and all of us work at is getting some resources
2 into the area of another very excellent thing someone
3 brought up this morning. And that is better detection
4 methods. I think we would all like that. And I don't think
5 it is outside the realm of possibility.

6 Now, we are probably not going to get a silver
7 bullet. We are not going to get that magic piece of paper
8 to lay on something and tell us what there is and the
9 quantity of it. But it would certainly be better if we had
10 some more tools than we have today. And lastly, coming from
11 both animal production and food safety, the issue of animal
12 food drugs or animal drugs that can end up in foods, the day
13 of the feed store and the refrigerator at home and that, it
14 has got to be addressed. It just has to be.

15 And whether the drug companies and the feed stores
16 and my friends that raise cattle and hogs and sheep and
17 goats and poultry would like to admit it, it is going to
18 have to be addressed. We certainly have enough problems
19 with humans and drugs. But that doesn't mean that we
20 shouldn't try to address it. And those three areas I would
21 just offer as something that maybe we can move on a little

1 more quickly than trying to solve the entire situation all
2 at once. Thank you.

3 MR. BILLY: I would like to add one point, just
4 picking up on that last point you made. And I didn't
5 mention it earlier. There is very strong international
6 concern and interest in this area of the use of veterinary
7 drugs in feeds and feeding and that whole area of activity.

8 And so the Codex commission established what they called an
9 ad hoc task force with a three-year assignment to come up
10 with some new international guidelines that address these
11 very areas that you have just talked about, Mike, including
12 putting drugs in feed, how to control that, the role of the
13 veterinarian and so forth.

14 So I just wanted to mention that so people are
15 aware that it is not just an issue or a concern here. It is
16 an international concern that Codex has just begun to
17 address in the last year. Carol?

18 MS. FOREMAN: I was going to suggest that there
19 seems to be a pretty substantial agreement around the table
20 on the points that Mike just raised. With the public
21 hearing coming on December the 11th, I wonder if the

1 committee would be prepared to have us make a formal
2 recommendation including those three points to be included
3 in the record of the public meeting.

4 MR. BILLY: Any --

5 MS. HANIGAN: I think that is a good idea.

6 MR. BILLY: I don't see any -- I see a lot of
7 heads nodding. So it sounds like -- very good. Cheryl?

8 MS. HALL: Thank you. One thing on the
9 international issues, it says drugs that are approved for
10 use in other countries. We also I think intended pesticides
11 or other chemicals --

12 MS. FOREMAN: Yes, thank you.

13 MS. HALL: -- that are approved for use in other
14 countries that are not approved for use here. When we first
15 started the meeting of the committee, we talked about the
16 limited resources that were available for inspection. We
17 talked about the fact that we wanted to go to HACCP because
18 there were other issues that needed some attention such as
19 transportation, et cetera.

20 From the veterinary side, I realized there were
21 problems with residues in certain animal categories and in

1 certain and particular drug categories that need to be
2 addressed. But to take these limited resources that we
3 discussed earlier and apply those to going back to the farm
4 level I think is inappropriate.

5 Some areas need to be targeted. Some authority
6 needs to be taken by FDA which already has authority to go
7 to these areas that exist at the present time. And I don't
8 think that there needs to be more authority to go to the
9 farm level in areas that are under control with regard to
10 residues at this time. Of course, I am speaking from the
11 poultry industry. But we do not think it would be
12 appropriate for USDA to target or to concentrate on the farm
13 level in our industry. We don't have violations. We
14 control that. We test for that. I appreciate your looking
15 at that.

16 MR. BILLY: Thank you. Collette?

17 MS. KESTER: A quick question under education for
18 the subcommittee to clarify, please. Under number 2, it
19 says, "Use", blah, blah, blah, "experts to expand public
20 understanding." What would the -- what is the understanding
21 that you are trying to describe there?

1 MS. FOREMAN: The public knowledge of chemical
2 residues in animals. And, in fact, by and large under
3 control, it is some key areas of concern. Every time the
4 food marketing institute has done its supermarket shoppers
5 survey for about 25 years now, it is very consistent across
6 the board.

7 This -- residues of drugs and pesticides are of
8 very great concern to the public. We don't have any panic
9 underway right now about that. It is then a good time to
10 begin the discussion of is there a problem; what is being
11 done to address the problem and expand public knowledge of
12 this issue.

13 So that the next time there is a problem that
14 breaks out, there is a little bit higher level of
15 understanding and people are a little less frightened. I
16 think we recognized that there is a risk there. But we
17 thought -- I think it is fair to say that we thought that
18 the risk involved in starting such an education program is
19 less than the risk of wholesale panic when you do begin to
20 find residues. And we will have another -- this will happen
21 again.

1 MR. BILLY: Okay. Rosemary?

2 MS. MUCKLOW: To increase confidence, not to
3 frighten consumers so that when something like the European
4 thing blankets us again, we can tell people with a lot of
5 confidence that we do have good programs in this country to
6 manage the concerns and this issue, again, to give
7 confidence, not to frighten.

8 MR. BILLY: Okay. All right. I appreciate that.
9 That was really a good product of your efforts and we very
10 much appreciate it. It is about 11:40. And what I would
11 like to do now is terminate the meeting until 1:00. So,
12 Katy, you have about an hour and 20 minutes.

13 MS. HANIGAN: Okay.

14 MR. BILLY: It is up to you to organize how you
15 want to do it. You -- obviously, people could bring stuff
16 back to this room if that would help or however you wanted
17 to do it.

18 MS. HANIGAN: Okay.

19 MR. BILLY: Okay. Any other last minute things?
20 We will see you all at 1:00.

21 //

- 1 //
- 2 //
- 3 //
- 4 //
- 5 //
- 6 //
- 7 //
- 8 //
- 9 //
- 10 //
- 11 //
- 12 //
- 13 //
- 14 //
- 15 //

A F T E R N O O N S E S S I O N

(1:07 p.m.)

1
2
3 MR. BILLY: I think we will get started. What we
4 are going to do is talk to Katy. And she is still putting
5 the finishing touches on the revised paper. And then she
6 would like to circulate it to her subcommittee. So I am
7 going to insert the follow-up discussion on that between the
8 two briefing items that are on the agenda for this
9 afternoon.

10 The first briefing item is focusing on a project
11 that has been underway for some time. And we have had past
12 discussions with the committee and gotten advice from the
13 committee. And what we would like to do now is to bring you
14 up to date. To do that, Dr. Robert Post from the agency is
15 here, as well as Dr. Rudolph Harris from the Food and Drug
16 Administration Center for Food Safety and Applied Nutrition,
17 Office of Pre-market Approval. I got all of it out. That's
18 pretty good.

19 And what they are going to do is explain I think a
20 fairly thick package that has been made available here and
21 also on the table on this subject area. So, again, this is

1 just a briefing item to bring you up to date. So Bob.

2 DR. POST: Thank you. Well, I am pleased to be
3 here to brief you on the significant progress of this agency
4 project. I should point out that the document related to
5 this project has been stamped draft and I will explain that
6 a little bit later. As you recall, this project was
7 initiated in earnest in May of 1999 when the committee
8 recommended that the agency consider adding to the list of
9 species that under mandatory inspection.

10 The agency agreed that additional species such as
11 ratites and squab and serbiday, quail and bison should be
12 added to those currently under mandatory inspection in order
13 to be consistent with the USDA vision of a public health,
14 risk-based, seamless federal and state inspection system.

15 In November 1999, a discussion draft of a concept
16 paper was presented to the committee. The paper represents
17 the first step in the process necessary to move toward
18 legislative and regulatory modifications to add to the list
19 of species under inspection. The committee requested that
20 the concept paper be expanded, particularly in the areas
21 related to the public health basis for extending inspection

1 to nonamenable species and on the use of nitrates.

2 At the last meeting of the advisory committee, I
3 presented an updated draft of the concept paper. And the
4 committee recommended that the paper be revised to
5 incorporate available production data, to include
6 recommendations using the criteria in the concept paper
7 regarding which species to add to the list under inspection,
8 and to expand on the statutory and regulatory changes that
9 would be necessary to add additional species.

10 In addition, the committee requested that we
11 provide a status report on the use or the issue of using
12 nitrate and nitrate in products of exotic and nonamenable
13 species. If you recall, last May, I mentioned that a public
14 meeting was going to be held by the Public Health Service,
15 DHHS, in Research Triangle Park, North Carolina shortly
16 after the last committee meeting on the preliminary results
17 of a study on the safety of nitrates that was conducted over
18 a ten-year period by the National Toxicology Program.

19 The concept paper contains an expanded, detailed
20 section on the use of nitrates in nonamenable species. And
21 at the conclusion of my update, Dr. Harris of FDA's Office

1 of Pre-market Approval will give you a brief report of the
2 status of this issue.

3 In response to the recommendations of the
4 committee at the last meeting, I can report that the
5 production data that were necessary to complete the cost
6 benefits analysis were incorporated into the paper to
7 complete that section. At the last meeting, I mentioned
8 that we had just completed a survey of a sampling of state
9 programs that sought information about the numbers and kinds
10 of nonamenable species inspected and the time it takes for
11 inspection for each type of animal in order to develop the
12 costs of inspection. And these data are presented in Tables
13 3 and 4 of the paper for years 1998 and 1999.

14 As you recall, we were in agreement at the last
15 meeting that the public health and food safety data were
16 sufficient to conclude that nonamenable and exotic species
17 appear to present health risks similar to those associated
18 with meat and poultry products subject to mandatory
19 inspection. However, we were still able to expand and
20 strengthen that section of the paper with additional data on
21 ostrich and squab that we received from a couple of

1 universities and from a couple of trade associations since
2 the last meeting.

3 As recommended by the committee, we applied the
4 criteria in the decision-making framework that is outlined
5 in the paper for determining a list of exotic and
6 nonamenable species that should be under USDA jurisdiction
7 and, therefore, under mandatory inspection.

8 These criteria are that the animal and its
9 products are used as human food. There is known
10 microbiological risk and scientific evidence linking the
11 species to human illness. The products of the species
12 constitute a sufficient portion of the animal products
13 consumed by Americans. The animals are in locations
14 compatible with FSIS inspection. And the costs and benefits
15 justify adding the species to the list.

16 I should point out that the fourth criterion, that
17 the animals are in locations that are compatible with access
18 to FSIS inspection, has minimal importance because the
19 compatibility with and access to federal inspection can be
20 accommodated fairly easily. And members of my working group
21 learned that point in a very informative and well organized

1 field visit to the Texas Hill Country near San Antonio in
2 September.

3 Dr. Jan and his staff were wonderful hosts of a
4 three-tour of site visits to ranches, mobile slaughter units
5 and processing facilities that process and handle deer, elk
6 and quail. And these site visits were certainly helpful in
7 strengthening the concept paper. And we thank Dr. Jan for
8 that opportunity.

9 Using the criteria for determining which species
10 should be added to the list, the concept paper recommends
11 adding ratites, rabbits, buffalo, bison, serbiday and exotic
12 bird species including quail, squab, pigeon and pheasant.
13 They satisfy the criteria outlined in the paper.

14 In terms of the costs, the concept paper estimates
15 that the cost to extend mandatory inspection to these
16 nonamenable species would be about seven million dollars for
17 the first year which includes conducting baseline
18 microbiological and chemical residue studies and testing
19 and, without these first-time costs, about 5.7 million
20 dollars for the following years, primarily for inspection
21 and compliance activities.

1 As I mentioned, the committee requested that we
2 expand the information in the paper on the legislative and
3 regulatory amendments that are necessary to add these
4 additional species to those that are required to be
5 inspected. As the concept paper explains, the Federal Meat
6 Inspection Act would need to be amended to accommodate the
7 additional species.

8 However, the Poultry Products Inspection Act would
9 not need to be amended because the language is sufficiently
10 flexible to allow for additional species. The federal meat
11 and poultry regulations, however, would both need amendments
12 to modify the lists of specific species that are covered.
13 We also noted that the Egg Products Inspection Act and its
14 implementing regulations would need amendments if we were to
15 consider including egg products of currently nonamenable
16 birds.

17 As you probably already know, some of our workload
18 on this effort has shifted because in the Appropriations Act
19 for 2001, Congress included appropriations for the mandatory
20 inspection of ratites and squab. The Appropriation Act says
21 that effective 180 days after the date of the enactment of

1 the Act which will be April 26, 2001, and each subsequent
2 fiscal year, establishments in the U.S. that slaughter or
3 process ostriches, emus, brias and squab for distribution in
4 commerce as human food shall be subject to the ante-mortem
5 and post-mortem inspection, re-inspection and sanitary
6 requirements of the EPIA.

7 A group in the agency is currently planning the
8 regulatory and program changes that will be necessary to
9 handle ratites and squab. And that means we will need to
10 work on amendments in the federal poultry products
11 inspection regulations over the months ahead.

12 I should note that because the appropriations for
13 ratites and squab came so late in the game, we could not
14 make corrections to the concept paper in time for this
15 meeting. And it has been stamped draft pending the
16 appropriate revisions. We will get copies of the final
17 version to the committee members as soon as we make the
18 editorial changes to reflect mandatory inspection for
19 ratites and squab.

20 Of course, now that we have essentially completed
21 the concept paper, we will focus our work on the regulatory

1 changes for ratites and squab and subsequently use that
2 experience to deal with the other species that we
3 recommended for inclusion in the list of species under
4 mandatory inspection.

5 And with that, I will turn to Rudy Harris from FDA
6 to cover the issue of the status of using nitrates and
7 nonamenable and exotic species including the recent addition
8 of ratites and squab to the list of mandatory -- of those
9 under mandatory inspection.

10 DR. HARRIS: I am, as Bob just indicated, Rudolph
11 Harris, a team leader with the Office of Pre-market Approval
12 in the Center for Food Safety. I have been with the agency
13 for more than 20 years, primarily as a regulatory scientist.

14 And as you know, the agency is under the mandate of the
15 Federal Food Drug and Cosmetic Act and several other public
16 health laws in carrying out its mission to assure to the
17 consumer that the food supply is safe and wholesome for
18 human consumption.

19 In 1958, Congress passed the Food Additive
20 Amendment to the FD&C Act that provided a definition for a
21 food additive. Under Section 201(S) of the FD&C Act, a food

1 additive is defined as any substance the intended use of
2 which result directly or indirectly in its becoming a
3 component or otherwise affecting the characteristics of
4 food.

5 Now, substance added to food must be 1) generally
6 recognized as safe, prior sanctioned or approved by specific
7 FDA regulations based upon scientific data. Under the laws
8 in which FDA administered, the use of sodium and potassium
9 nitrite and nitrate is sanctioned food ingredients in the
10 production of cured red meat products and poultry product.
11 They are also sanctioned for us as fixative and preservative
12 agents in the curing of red meat and poultry products.

13 Congress established the petitioning process for
14 pre-market approval of food additive with the passage of the
15 1958 amendments, in particular, Section 409. This section
16 provides a process for the issuance of regulation
17 prescribing safe conditions of use of a food additive. This
18 section also amended the food adulteration provision of the
19 Act to deem adulterated any food that is or contains an
20 added -- any added food ingredient that is unsafe within the
21 meaning of Section 409.

1 The requirement for a petition, as outlined in
2 Title 21, CFR, gives the ways by which one can submit a
3 petition to the agency. We also have a web page where one
4 can get information in terms of guidance for a petition
5 submission. Guidelines are used to determine the chemistry
6 of the toxicology requirement, as well as to help us
7 determine safety.

8 Animal studies, as you know, are often necessary
9 to show that the additive will not cause harmful effect at
10 the level of human consumption. Some studies may utilize
11 human subjects. But this is not required by the FDA. Since
12 absolute safety cannot be determined, the agency must
13 determine if the food additive is safe under the condition
14 of use based upon the best scientific information and
15 knowledge that is available.

16 With regard to the use of nitrites and nitrates to
17 cure products of nonamenable exotic species, we have no
18 current food additive regulation except for home curing that
19 includes exotic species. The type of information that is
20 necessary to expand the intended use condition for these
21 products would require amending the regulation and

1 describing safe conditions of use.

2 Part 172.21 CFR permits the use of sodium nitrate
3 and sodium nitrate for the purpose of preserving and fixing
4 color and smoke in cured stable fish, smoke-cured salmon,
5 smoke and cured shad so that the level of nitrite does not
6 exceed 200 parts per million. These sections allow for the
7 use of nitrate and nitrate for meat cured in preparation for
8 home curing, but they do not allow for use in curing of wild
9 game and exotic meat and poultry that are commercially
10 prepared.

11 It is my understanding that the October 1958
12 amendments of nitrate and nitrite in meat and poultry
13 product did not include these provisions for exotic meat and
14 poultry. Therefore, we conclude that the regulation would
15 need to be amended to permit these uses. This has nothing
16 to do with the condition of safe use.

17 The safety concern has been raised with regard to
18 nitrite and nitrate. At one time, the FDA proposed to
19 revoke all nonessential uses of nitrite and nitrate because
20 of health concerns, concern because they induce cancer. In
21 early animal feeding studies, there was a belief that the

1 reaction of the residual nitrite caused a production of
2 nitrosamines, a cancer-inducing agent.

3 The government has now commissioned new studies
4 under the National Toxicology Program and a draft report has
5 been issued which indicates that under the condition of this
6 two-year study, there was no evidence of carcinogenic
7 activity in male and female rats. However, there was some
8 equivocal evidence of carcinogenic activities in one female
9 mice-based study. Also, there was increased incidence of
10 some hyperplasia in the male and female rats.

11 FDA's position is that the current evidence is not
12 sufficient to prove that nitrite provides any unreasonable
13 risk for its use. But there are uncertainties which prevent
14 the agency from reaching any affirmative finding of safety
15 for any new approval. We believe that the National
16 Toxicology Program final report will influence any option
17 that the agency will make in the approval of any new uses of
18 nitrate. Thank you.

19 MR. BILLY: All set? Okay. Thank you very much.

20 I would like to open it up now for any comments or
21 questions from the committee regarding the updated draft

1 report and also the presentation by Dr. Harris. Rosemary
2 and then Terry.

3 MS. MUCKLOW: Dr. Harris, at my age, your memory
4 doesn't always remember everything. And sometimes it is
5 things last week. But this was something about twenty-odd
6 years ago. But can you tell me, how did the poultry
7 industry overcome the Delaney Amendment to include sodium
8 nitrate and nitrite in cured poultry products? As I
9 remember, it was a separate step for those products to be
10 accepted by contrast with red meat products, beef and pork
11 which clearly had come within the prior sanction provision.

12 Do you have any knowledge or memory of that?

13 MR. HARRIS: Not really. But let me make an
14 attempt. Now, some of the earlier studies, they did show
15 where nitrite actually induced cancer. Now, those studies
16 actually were not very, very good to my understanding. So
17 some of the later studies are much better.

18 But in terms of the approval process in 1958,
19 there were a number of things, as you know, that came a part
20 or was allowed to be used because of being prior sanctioned
21 at that particular time. So that prior sanction allowed a

1 number of things to be used. And they are still used.

2 MS. MUCKLOW: That was the red meat. But poultry
3 was a second step as I recall because poultry -- hot dogs
4 were -- there was a question that they were not known in
5 1958. There was a second step for poultry. And I am
6 wondering -- what I am looking at and wondering is if there
7 is some way to piggy back the new amendable species into the
8 same process the poultry came by. I haven't done my
9 homework and I don't remember that well enough. Does Robert
10 -- do you have any knowledge, Robert?

11 DR. POST: No, I'm not aware of the circumstances.
12 No.

13 MS. MUCKLOW: Well, I guess I am going to have to
14 go back to the tombs and have a look. I've still got the
15 Nuburn studies. If anybody would like a copy of them, I can
16 --

17 MR. BILLY: Other -- let's see, Terry and then
18 Mike.

19 MR. BURKHARDT: Terry Burkhardt. Concern about the
20 legislation that now will mandate ratites and quail under
21 federal inspection or mandatory inspection. And if I

1 understand it correctly then, the same would apply to
2 states. They would be under mandatory inspection in the
3 respective states.

4 The issue though is, you know, right now in the
5 United States -- and the question would be about whether
6 they would be limited to in-state distribute because right
7 now you have half of the ratites according to your data
8 slaughtered under state inspection and all -- which is
9 46,000 birds. And also, over 15 million pheasants that are
10 slaughtered under state inspection.

11 Most of those birds or all of them now have access
12 to the interstate commerce. Putting them under mandatory
13 inspection in a state, would that limit them to in-state
14 only distribution?

15 DR. POST: We are talking squab here and only
16 squab, right? Because that's --

17 MR. BURKHARDT: Squab and ratites.

18 DR. POST: Right. And -- but you mentioned
19 pheasants and --

20 DR. BURKHARDT: Well, I'm -- well, actually, in
21 your listing here, you've got squab, quail and pheasant

1 linked together. So maybe there is a difference. My point
2 would be right now, those birds slaughtered under state
3 inspection have access in well established markets. By
4 putting them under mandatory inspection if we don't get this
5 interstate shipment legislation changed, you have allowed
6 them to -- or you have cut their market.

7 DR. POST: With regard to the nitrite issues
8 specifically or --

9 DR. BURKHARDT: No.

10 MR. BILLY: Let me help.

11 DR. POST: With regard --

12 MR. BILLY: I will help. The legislation is very
13 specific to the ratites and squab.

14 DR. POST: Okay.

15 MR. BILLY: And it would be my interpretation
16 subject to general counsel that now that they are under
17 mandatory inspection, that would impact their ability to be
18 marketed intrastate if they are inspected by state programs.

19 So your concern is legitimate. Yes. I think that -- yes.

20 So, anyway, we will be looking at all of those issues. We
21 have started already. And we will be sharing information

1 with the states and everyone else. So we appreciate your
2 concern.

3 DR. POST: In --

4 DEPUTY UNDER SECRETARY WILCOX: Tom, I would like
5 to be recognized.

6 MR. BILLY: Yes.

7 DEPUTY UNDER SECRETARY WILCOX: Caren Wilcox,
8 Deputy Under Secretary. That amendment was not endorsed or
9 sponsored by the administration for the record.

10 DR. POST: If I could just also add that in the
11 economic section of the paper, we deal with the economics
12 issue of this and the fall-out from what is under state. So
13 in some way, it is dealt with at least in a small part. But
14 we will have to continue to look at it in the total setting.

15 MR. BURKHARDT: But also -- I just might add, also
16 is in the states that have state inspection programs, the
17 reason that they are slaughtered under state inspection is
18 because the federal plants do not want to or do not have the
19 capacity to provide that service. So, you know, they might
20 not be able to get a place to have their birds slaughtered.

21 MR. BILLY: Mike?

1 MR. MAMMINGA: I just -- you know, this
2 Agriculture Appropriation Bill that you speak of, we have
3 read about it but I haven't seen it. I assume I can find it
4 when I get on home and get on the internet or whatever. But
5 that did -- the President has signed that?

6 MR. BILLY: Yes.

7 MR. MAMMINGA: So that is a done deal?

8 MR. BILLY: Yes.

9 MR. MAMMINGA: So now we are considering issues,
10 as Terry has, where you hope for something long enough, you
11 don't always know what you are going to get. It is kind of
12 like Ms. Gump's box of chocolates, right? Understood, thank
13 you.

14 MR. BILLY: Lee?

15 DR. JAN: I had just a question. When you
16 mentioned that Agricultural Appropriations Bill and the
17 ante-mortem and post-mortem inspection, I wonder if the bill
18 specified federal inspection or did it just say ante-mortem
19 and post-mortem inspection. And if so, state programs do
20 have recognized ante-mortem and post-mortem inspection.

21 DR. POST: According to the bill language I have,

1 it is ante-mortem and post-mortem.

2 DR. JAN: So it could possibly be looked at that
3 state ante-mortem and post-mortem inspection would be
4 acceptable.

5 MR. BURKHARDT: Think out of the box.

6 MR. DERFLER: Well, except it specifies subject to
7 the Poultry Products Inspection Act and it gives the 21 USC
8 451 rather than the Agriculture and Marketing Act.

9 MR. BILLY: Okay. Yes, go ahead, Lee.

10 DR. JAN: I had asked Dr. Harris a little bit more
11 about the nitrite issue. I think what I got from your
12 presentation was that FDA has no intention of changing the
13 regulation to include the use of nitrite in the nonamenable
14 species based on -- even following this latest report that
15 couldn't -- apparently couldn't show or at least showed that
16 it wasn't really a risk but at the same time, couldn't show
17 that it was really safe to use nitrites.

18 I just would like to know, is there anything
19 planned for the near future to maybe try and get a better
20 definition or to try to get -- you know, I just get
21 concerned that nitrites cannot be used in a meat that is not

1 meat by law. I mean, but when we consume it, it is still
2 meat or it is consumed as a meat item.

3 And that nitrite is safe even though it is prior
4 sanctioned. Prior sanctioned doesn't get you -- keep you
5 from getting cancer if it truly causes cancer. So, you
6 know, if it is truly a risk and truly causes cancer, then
7 why do you continue allowing it in other products? And if
8 it truly does not cause cancer or cause any health problems,
9 then why not allow it in other products?

10 And I don't think you will see an increase in
11 consumption of nitrites if you put it in other species
12 because people aren't going to necessarily eat more meat.
13 They just have a different variety. And so they are going
14 to still consume and intake the same amount.

15 So, you know, for the industry -- and I understand
16 that the method that FSIS -- I mean that FDA has now is --
17 if an industry wants a new use for any substance that is not
18 grass, they need to come to FDA with a petition supported
19 with scientific evidence. And that is easy to say. And it
20 may be do-able with big industries.

21 But these nonamenable species producers, you can

1 look at the numbers, is not a great big industry, although
2 it is a viable industry. But it is made up of many small
3 businesses that just do not have the wherewithal, the funds
4 or anything else to get that study that you want. So
5 couldn't they at least be allowed to petition without that
6 study and then let the Federal Government use their funds to
7 do that study?

8 DR. HARRIS: I think that you have made a very
9 good point. And I would answer by saying that it would be
10 very nice if water was never murky, meaning that there is a
11 process by which we go about doing certain things. And it
12 also requires, like you indicated, a certain kind of
13 resources.

14 The report itself, although it didn't show
15 anything, but it is still a draft. I think that it will
16 have some impact upon the agency. But it is a matter of how
17 the resources and the agency eventually will address this
18 issue. I am not in a position to offer you any definitive
19 statement except for the fact that it is still some concern.

20 And we don't think that it is an unreasonable one with the
21 current uses as they are in the marketplace.

1 But it also, as I indicated, is not sufficient at
2 the present time for us in terms of to make some additional
3 things based upon how the law -- we wanted it to be as
4 transparent as it possibly can. But it just -- the -- under
5 the current situation, I don't see any new use. But that is
6 not to say that it will not occur in terms of people coming
7 in and enough people begin to work on this particular
8 problem within the agency. I just can't give you a
9 definitive answer.

10 MR. DERFLER: If I could -- Phil Derfler -- for
11 FDA to go either way, it has got a burden that it has got to
12 meet, either to band the use of the substance -- it would
13 have to meet a burden because there are people that are
14 using it based on the prior sanction. Or to list it, it has
15 a burden, too. Right now, it feels that it can't meet
16 either burden. So the status quo remains the same.

17 DR. HARRIS: I might add -- I guess all of you
18 know that Phil has been involved with the FDA and as a
19 lawyer for a long time. I met Phil while I was new at the
20 time while he was at FDA. I had to add that.

21 MR. BILLY: You don't hold that against him, do

1 you?

2 DR. HARRIS: No, I don't hold that against him.

3 MR. BILLY: Nancy?

4 MS. DONLEY: Thank you. Nancy Donley. We -- I
5 was on the subcommittee that worked on this particular
6 issue. And it has come up every step along the way and it
7 has continued coming up again that we had members of
8 industries come and say, hey, listen, we want to be part of
9 mandatory inspection. And so we worked with that. And it
10 was something where the situation kind of came to us, if you
11 will, to discuss it and to look at it and best figure out
12 how to deal with it.

13 But then on the flip side of it is it saying,
14 well, if you do this, you are going to destroy our industry
15 or cut it in half because you are going to narrow our
16 markets because now we are not going to be able to ship
17 interstate or now we are not going to be able to use
18 nitrates in it. You just -- you can't have it both ways.
19 You have to deal with things, deal with the reality with
20 things today. If you can't use nitrates, then don't ask to
21 be put under mandatory inspection.

1 MR. BILLY: Rosemary.

2 MS. MUCKLOW: In all fairness to the people who
3 wanted this provision, they thought they were getting a two-
4 fer and they only got a one-fer because the two-fer died
5 because it got hung up with some other stuff. And the other
6 leg of the tree was the interstate shipping legislation.

7 And I think this committee's recommendations were
8 very clear. The attachment is there. The people who put
9 that attachment on the bill, they didn't talk to me before
10 they did or apparently most other people in this room. I
11 suggest they go get another attachment to another bill made
12 quickly that will clarify that they could go interstate with
13 this product.

14 Now, the nitrite issue is a different one. And I
15 think the question has to go back to how did poultry get
16 qualified. And they need to ride the coattails of that.
17 And unfortunately, it is a bureaucratic process. And I
18 sympathize with them. I have run up against bureaucratic
19 processes in my life. I am still working on the violator
20 list. Phil wasn't here to hear my speeches about that this
21 morning.

1 But those processes are very complicated and
2 lengthy. But I would think that the interstate shipment
3 piece of this could be rectified fairly easily. I think the
4 nitrite issue is a little more complicated.

5 MR. BILLY: Okay. All right. Thank you very
6 much. As indicated, it is our intent to update this draft
7 and that we will then make it available to the committee.
8 So you can expect to see it. Okay. I would like to move
9 on. Thank you very much. Thank you, Dr. Harris.

10 DR. HARRIS: Thank you.

11 MR. BILLY: Thanks, Robert. Okay. Katy, are you
12 ready now?

13 MS. HANIGAN: We are ready.

14 MR. BILLY: Okay. What we are going to do now is
15 break a little from the agenda and circle back and look at
16 an updated and expanded version of the document that the
17 subcommittee produced on HACCP Phase II. I believe it has
18 been circulated to folks and -- okay. Okay. And we are
19 making more copies. They are making copies. So for anyone
20 in the public, it will be out on the table here momentarily.
21 So, Katy, why don't you lead us through a

1 discussion on this. And we will get a general reaction from
2 the committee.

3 MR. HANIGAN: I think we'll just use the same
4 format as this morning. We will walk through question 1
5 with you. And, Dr. Denton, if you would do question 2. And
6 what we tried to do is you probably need to have your old or
7 the original one we did this morning. We tried to keep the
8 numbering the same. So we stayed in the same format. We
9 just fleshed out more of the bullet points. So with that,
10 Alice, please --

11 MS. JOHNSON: The question number 1 was what can
12 industry do to improve the quality and effectiveness of
13 their HACCP plan. And this morning, we talked about
14 accountability and we tried to develop some principles under
15 accountability. The first bullet point under accountability
16 this morning was scientific. And we worded that to say in
17 order to improve the quality and effectiveness of their
18 HACCP plans, industry must take responsibility for the
19 development of a validated scientific HACCP plan.

20 We talked about professionalism under
21 accountability this morning. And we reworded to say the

1 quality and effectiveness of HACCP plans require a
2 professional manner in implementing the HACCP plan as well
3 as in the interaction with agency representatives. We went
4 on to say that industry personnel must be accountable for
5 conducting business in a professional manner. And we took
6 the inter-company one out and I think we put it under the
7 communication.

8 Under number 2, we had a topic this morning about
9 stop fighting HACCP, common understanding. And a bullet
10 point under that was resolving philosophical differences.
11 Industry should seek to resolve philosophical differences in
12 scientific and consensus forums. Industry should reach a
13 common understanding with FSIS of the components of a
14 quality HACCP program.

15 Under number 3 this morning, we talked about
16 appropriate scientific underpinnings and a thorough
17 reassessment. And we put under that category reassessment
18 cannot be a pencil-pushing activity. The industry must
19 evaluate their data, review the appropriateness of their
20 scientific underpinning and anticipate problems by reviewing
21 current company and industry problems. Industry problems

1 include recall and outbreak investigations.

2 And we took out under -- this morning, we had
3 education, training and communication. And I think we took
4 that out to just say that what applies under the role of
5 agency would also apply under the role of the industry.

6 MS. HANIGAN: Dr. Denton.

7 DR. DENTON: Okay. Thank you, Katy. The second
8 question that this task force had to address was what can
9 FSIS and the states do to improve the effectiveness of their
10 role under HACCP. The first bullet point is the agency
11 should strive to achieve a common understanding of hazards
12 for each processed specie.

13 The expanded version of that is the agency should
14 strive to achieve a common understanding of the hazards
15 associated with each animal specie and process utilized in
16 plants operating under HACCP. This includes the
17 clarification of the definition of a hazard including the
18 distinction between a potential hazard and a hazard
19 reasonably likely to occur.

20 An integral component of this issue is the role of
21 the prerequisite programs including, but not limited to

1 SSOPs, SOPs and GMPs. The second bullet point identifies
2 what is acceptable for scientific validity in a HACCP plan
3 on a nationwide basis.

4 We have expanded that to include the agency should
5 identify for all levels responsible in the agency including
6 headquarter staff, field staff and technical service center
7 staff as to what is acceptable for scientific validity in a
8 HACCP plan. The goal of this activity is to ensure that the
9 interpretation and enforcement of the HACCP regulation is
10 being accomplished in a uniform manner across all districts
11 in the U.S.

12 The third point indicated defining safe harbors.
13 FSIS has many talented scientists and highly educated people
14 and staff. FSIS needs to provide in their hazard guide book
15 scientifically valid safe harbors for those hazards deemed
16 as significant health hazards and reasonably likely to
17 occur. These safe harbors would be voluntary.

18 With regard to accountability, the agency must
19 base their regulatory decisions on scientific findings.
20 Inspectors should utilize Omaha Technical Center to ensure
21 scientific decision-making. Agency personnel must be

1 accountable for conducting business in a professional
2 manner.

3 And here is a place where we modified the original
4 outline and moved the communication component which was in
5 number 5 up to a bullet under number 4 because we feel like
6 it ties this together. The agency needs to strive to
7 improve communication and congruency among headquarter
8 staff, field staff and technical service center staff. This
9 should address the issue of maintaining relevance in
10 addressing new and emerging information as well as
11 addressing the accountability issue.

12 Number 6, joint education and training -- pardon
13 me. The agency should strive to conduct joint education and
14 training including industry where feasible for FSIS
15 employees responsible for HACCP implementation with a focus
16 on field staff. Inclusion of headquarters and Technical
17 Service Center staff is highly desirable as a means of
18 ensuring continuity and uniformity in this process.

19 And number 7, objective and measurable evaluation
20 tools: The agency should develop objective and measurable
21 evaluation tools for assuring the accountability and

1 uniformity of HACCP implementation including performance
2 standards and tolerances. We hope that helps.

3 MR. BILLY: Okay. I would be interested in
4 reactions from the committee. Comments? Yes, Rosemary?

5 MS. MUCKLOW: Tom, just a minor one. I had hoped
6 that in the joint education training and activities, that we
7 would have had a reference to the International HACCP
8 Alliance. Do you think it would be appropriate since they
9 are so very much engaged in the accredited training and
10 supported by the industry and the agency?

11 MR. HANIGAN: I open that I guess to the
12 subcommittee. I didn't know that we were going to endorse,
13 if you will, any one group. I mean, then we get into -- and
14 I don't want to get picking associations, et cetera. But I
15 just wonder if we should be endorsing any one group here.

16 MS. MUCKLOW: I don't think it is an endorsement.
17 But they are a very knowledgeable international source of
18 assistance and guidance that is supported by a lot of other
19 organizations.

20 MR. BILLY: Caroline?

21 MS. SMITH DeWAAL: Thank you. I agree with Katy

1 on this. I think -- well, Rosemary, your endorsement, I'm
2 sure, carries a huge amount of weight. I certainly don't
3 feel qualified to pick one particular HACCP training group
4 over another. And, therefore, I don't think it is within
5 the purview of this committee to make that kind of a
6 judgement.

7 MR. BILLY: Okay. Nancy?

8 MS. DONLEY: On this same -- Nancy Donley. On
9 this same point of joint education and training, I just want
10 to go on record that I can't advocate this particular
11 position. I do and can advocate that materials be jointly
12 shared. But I do not think that it is necessary for both
13 industry and the regulatory agency to be jointly trained.

14 MR. BILLY: Okay. Phil, do you want to --

15 MR. DERFLER: I have a concern about
16 accountability, the first bullet. It says, "The agency must
17 base regulatory decisions on scientific findings.
18 Certainly, science plays an important role in things that we
19 do. But, I mean, our inspectors are still involved in
20 plants making decisions on their -- a range of evidence
21 including organoleptic findings in which the Court has told

1 us we have to use in the AFG case and various other types of
2 evidence. So I guess I have a concern that this is a little
3 too limiting.

4 MS. HANIGAN: In response to that, I guess when we
5 were given the questions by Mr. Billy and we were
6 specifically talking about improvement under HACCP, the
7 whole foundation of HACCP is science, is it not?

8 MR. DERFLER: Not as necessarily a regulatory
9 program.

10 MS. HANIGAN: But I think what we were trying to
11 address here was how FSIS could improve its role under HACCP
12 was the question we were asked to answer, improve the
13 effectiveness of their role.

14 MR. DERFLER: Effectiveness, maybe. But this is a
15 pretty broad statement.

16 MS. SMITH DeWAAL: Could I suggest an amendment
17 that might make it more acceptable which is just to the
18 extent possible or to the maximum extent possible because
19 then it would take into account what your legal limitations
20 are to that? Phil, would that work for you?

21 MR. DERFLER: That would help certainly.

1 MS. JOHNSON: What is appropriate under the
2 statutes?

3 MS. SMITH DeWAAL: Yes, to the extent allowed
4 under law.

5 MS. JOHNSON: Okay.

6 MR. BILLY: Another way of doing that perhaps is
7 to say the agency should consider scientific findings in its
8 regulatory decisions.

9 MS. KESTER: That would be acceptable to me.

10 MR. BILLY: Does that work? Nancy?

11 MS. DONLEY: Nancy Donley. Under point 7,
12 objective and measurable evaluation tools, it wasn't brought
13 up today, but it was brought up by I believe it was Caroline
14 on -- Caroline yesterday that perhaps it is time to re-
15 evaluate performance standards. And I would like to see
16 here perhaps in the final clause of this sentence saying
17 including re-evaluation of performance standards and
18 tolerance, perhaps re-evaluation and -- what's the word I'm
19 searching for -- re-evaluation and change of performance
20 standards, something along those lines.

21 MR. BILLY: Now, where are you again? I'm sorry.

1 MS. DONLEY: Point 7, objective and measurable
2 evaluation tools.

3 MS. HANIGAN: I don't know if we want to limit
4 that to re-evaluation because you could have new performance
5 standards, as well, which would not be a revision of a
6 current.

7 MS. DONLEY: Say that again.

8 MS. HANIGAN: I would not limit that to re-
9 evaluation of performance standards because we could have
10 new standards which would not be considered a revision.

11 MS. DONLEY: Oh, so maybe re-evaluation and
12 development of new performance standards?

13 MS. HANIGAN: I think that's what you are driving
14 for.

15 MS. DONLEY: Yes.

16 MR. BILLY: Caroline.

17 MS. SMITH DeWAAL: Thank you, Tom. I am just a
18 little nervous, I mean, that, you know, re-evaluation of
19 performance standards can be construed in lots of different
20 ways. I mean, what the goal here is that they use these
21 tools and here are some of the tools that they need to use.

1 And I agree, Nancy, that they need to update their
2 performance standards to reflect current industry practices
3 and tolerances.

4 But I think that the statement as it currently --
5 as it is currently written is one that I am certainly more
6 comfortable with than leaving this re-evaluation thing out
7 there, Nancy, that -- unless we can agree to put something
8 in regarding public health. So a re-evaluation --

9 MS. DONLEY: I hear what you are saying. Yes,
10 maybe re-evaluation isn't the right term.

11 MR. BILLY: Okay. Terry.

12 MR. BURKHARDT: I just would like to comment. I
13 am a strong advocate for joint training. We do it in our
14 state program all the time. And for a couple of reasons. I
15 believe that there is a better understanding from both
16 aspects, both the industry and the agency.

17 This is what, you know, let's say a third party
18 conducting training, a university or some other educational
19 body. You get a chance to talk about the expectations and
20 be clear about what the rules are. Your chances for success
21 in avoiding problems down the road are much better. It just

1 seems to work real well in our particular setting.

2 And it also improves the ability to communicate
3 things because you will have those discussions in the plant.

4 And you do that in the classroom. And so being able to
5 communicate is also improved by having joint training. So I
6 am strong advocate and it does work.

7 MR. BILLY: Okay. Katy?

8 MS. HANIGAN: If you don't mind, I just want to
9 make sure that I have, if you will, the last word because
10 the only revision -- and I'm afraid that's what we are going
11 to do here -- the only revision I have made on the document
12 so far was question 2, number 4, bullet point 1, if you
13 will: The agency should consider scientific findings in its
14 regulatory decisions.

15 I think we just agreed to that. But I did not
16 change number 7. So before this thing closes, I just want
17 to make sure that the committee understands that is the only
18 revision I made. And that was -- I think that was the
19 wording that you suggested. Okay.

20 MR. BILLY: Okay. All right. Thank you very
21 much. I think -- I would like to actually congratulate all

1 three subcommittees and obviously the full committee. As
2 you are all aware, we have worked hard to find a better
3 format where the subcommittees have an opportunity to really
4 get into an issue and have a good discussion and bring it
5 back to the committee.

6 And I think we have now found an approach here
7 that works pretty well. So we very much appreciate the work
8 that you have done and what you have developed here which
9 will be forwarded to the Secretary and, obviously, be used
10 as guidance by the agency in terms of addressing these
11 issues you have focused on. So thanks again.

12 MS. SMITH DeWAAL: Well, thanks for making us go
13 back and spend our lunch hour on it because it is -- it was
14 worth it I think.

15 MR. BILLY: Yes. Okay. What I would like to do
16 now is move -- let's see. We've done that. Let's go ahead
17 and do the next item which is the -- another briefing. It
18 is a focus on the FSIS approach to other consumer
19 protection. It is covered under Tab 7 I believe.

20 And in this case, you are going to have three
21 people from the agency that will be speaking to various

1 aspects of this briefing. Lynn Dickey, Charles Edwards and
2 Loren Lange. And so I would like to now -- I'm not sure
3 which of you will start. Okay, Charles Edwards. So,
4 Charles.

5 MR. EDWARDS: I am Charles Edwards. And with me,
6 obviously, I have Lynn Dickey and Loren Lange who are
7 actually going to provide a really substantive portion of
8 this briefing. What I am going to attempt to do is to do a
9 little bit of reminding of the environment in which we are
10 doing this work under other consumer protection activities.

11 As we have said, this briefing is to update you on
12 the status of our review of the agency's approach to
13 consumer protection activities. And we are focusing
14 primarily on processing environments and specifically
15 activities other than those that focus directly or
16 indirectly on food safety and public health.

17 The agency has consistently emphasized that under
18 the Federal Meat and Poultry Inspection Acts that it has a
19 responsible not only to ensure food safety, but that it has
20 other responsibilities with respect to consumer protection
21 such as ensuring that products are properly marked, labeled

1 and packaged; that they are not economically adulterated;
2 and that they do not contain components which while they are
3 not unsafe, they are undesirable.

4 And what the agency has done is to group these
5 assurances under the heading of other consumer protections.

6 Obviously, the purpose of these protections is to ensure
7 that consumers are not misled about the products that they
8 purchase and also to maintain the integrity of markets for
9 wholesome and unadulterated products that are properly
10 labeled and packaged.

11 So it is clear that although these are not
12 directly related to food safety, these other consumer
13 protection activities clearly are a priority for the agency.
14 I want to make just a few points to, again, set the setting,
15 if you will, that we are conducting these activities.

16 First of all, as you know, back in 1996, the
17 agency published its final rule on packaging reduction in
18 HACCP. And in that rule, it emphasized that the agency has
19 a defined strategy and that it has objectives with respect
20 to food safety. And it had a long-term agenda in this
21 regard. And that agenda was addressed towards reducing food

1 borne illnesses.

2 While the pathogen HACCP regulation provides the
3 basis for a long-term agenda with respect to the use of food
4 borne illnesses, it had very little or gave very little
5 attention to the other consumer protections that were not
6 food safety-related or health-related directly.

7 The non-safety aspects of that regulation were
8 primarily confined to the sanitation standard operating
9 procedures requirement that addressed product contamination
10 when that contamination was not necessarily of a food safety
11 nature. And the regulations did not include any reference
12 to economic adulteration or misbranding.

13 FSIS initially addressed the concept of other
14 consumer protections in a June 1997 Federal Register
15 document on HACCP meat and poultry inspection concepts. In
16 that document, the agency discussed its traditional
17 inspection system and began to identify some of the barriers
18 to the effective implementation of the packaging reduction
19 HACCP regulation.

20 Some of those barriers obviously included its
21 inspection resources. Clearly, the agency needed to ensure

1 that it properly and appropriately allocated its resources
2 given the changes in inspection that were brought about by
3 HACCP. But FSIS stated that all aspects of its traditional
4 inspection activities will be re-evaluated, reconsidered
5 and, as appropriate, changed in order to fulfill its
6 statutory responsibilities.

7 So although it was clear that the agency needed to
8 redistribute its resources to focus on food safety
9 verification under the HACCP packaging reduction
10 regulations, the agency also emphasized its commitment to
11 not diminish its food safety achievements, but also to
12 ensure that other consumer protections remained intact.

13 And we are continuing to ensure that the agency
14 meets its responsibility in this regard. But at the same
15 time, the agency recognizes that a new approach for assuring
16 protections that do not necessarily or primarily involve
17 food safety is needed. And such changes need to be
18 consistent with the applicable principles of HACCP such as
19 the farm-to-table strategy, a preventive approach and with
20 the agency regulatory reform agenda.

21 So what Loren and Lynn are going to do is to

1 inform the committee about some of the recent and
2 significant activities in this way. First of all, earlier
3 this year, as many of you know, the agency published an
4 advance notice of proposed rule-making to begin the public
5 process of revising its approach to other consumer
6 protection. And Loren is going to cover that.

7 MR. LANGE: Okay. Good afternoon. As Charles
8 said, in March, the ANPR was published. And at the same
9 time, the ANPR referred to a technical paper that has been
10 available on the FSIS website. And I understand that the
11 committee has received both those as handouts.

12 I will mention two things about sort of the NPR.
13 First, I think the NPR lays out three sort of distinct
14 reasons why the agency believes that it must sort of change
15 its approach to other consumer protection activities, the
16 first being the need to sort of clarify roles and
17 responsibilities for FSIS and the industry.

18 The second is we need to use our resources far
19 more efficiently than we do today. And the third reason was
20 that we believe FSIS should be more accountable to the
21 public on how it allocates its resources in the OCP area.

1 And we should be more accountable about making results
2 available to inform the public on what our findings are and
3 what our use of resources do achieve.

4 The ANPR identifies sort of four sort of major
5 areas of change that we envision in the future. And the
6 first is there will have to be revisions to FSIS regulations
7 and certainly our directives and guidance to our inspection
8 personnel. There will be changes in our verification
9 activities, changes in our enforcement approaches. And
10 finally, there will be sort of changes in how we believe
11 industry will have to assume more responsibility for
12 conducting activities to assure that the products comply
13 with all of the OCP requirements.

14 Now, I was just going to sort of talk a little bit
15 about the technical paper and how I think the technical
16 paper sort of expands on what we mean by changes in
17 responsibility and changes in verification activities and
18 changes in enforcement because I think it sort of gives some
19 sort of good background information to make illustrated
20 examples of what we mean by that.

21 The technical paper really is sort of a

1 description of past FSIS inspection activities. It talks
2 about the things inspectors did in plants to ensure
3 compliance with OCP requirements. And we are talking about
4 accurate labeling. We are talking about the food standards
5 that set limits for fat and protein and added water. We are
6 talking about nutrition labeling.

7 The paper also covers the -- what we call the
8 laboratory analysis, food chemistry analysis. That's for
9 fat, added water, protein, sodium and other nutrient
10 contents. And the paper talks a little bit about the
11 activities that compliance officers have conducted in the
12 past.

13 It -- I think if one just sort of steps back and
14 says what does our activity mean then, it certainly has been
15 resource intensive in the past and it certainly has been
16 focused on detection. I guess the strategy is -- I think
17 when I joined the agency 20 years ago it would be described
18 as if we look at enough product, if we analyze enough
19 samples, if we observe enough formulations, we will assure
20 that everybody is in compliance.

21 And I sort of look back at what we were doing back

1 then to put some things in perspective. When I came to the
2 agency, the manual said inspectors should probably take a
3 cooked sausage sample on every 35,000 pounds, send it to the
4 lab for fat analysis. Well, if we did that today, I would
5 think we would be doing 60,000 to 70,000 fat samples on
6 sausage every year.

7 We were doing 15 to 20 what we call boneless meat
8 re-inspection tasks per week in a plant. And that is a
9 fairly resource-intensive task where the inspector, you
10 know, collected a product of boneless manufactured meat and
11 really sorted through it looking for bone fragments, blood
12 clots and stuff like this. And there were criteria in the
13 manual for pass and fail.

14 Just to put like that 60,000 samples a little bit
15 in perspective in today's environment, the agency right now
16 is in the process of sort of consolidating our food
17 chemistry analysis in our eastern lab. We figured it's
18 better to have one group that has sufficient resources and
19 capability rather than a little bit left in all three labs.

20 And we are looking -- without adding additional
21 resources, we think we will be able to conduct approximately

1 8,000 food chemistry -- or analyze 8,000 per year. That may
2 be multiple analysis. It may be a full nutritional
3 analysis. It may be, you know, 20,000 or 25,000 analyses,
4 but to handle about 8,000 samples.

5 It is sort of curious if at the same time we put
6 out the NCR, we propose getting rid of our PFF monitoring.
7 And that had grown to about 7,000 samples a year. So if we
8 were still sort of conducting that PFF monitoring product
9 the way it was laid out in the regs., you know, we would
10 have been using our 8,000 food chemistry samples, you know,
11 to do all half a day.

12 I mentioned PFF because PFF was sort of in my mind
13 at least, it was a move forward in some ways away from the
14 sort of just, you know, blanket monitoring of every product
15 in every plant because it did sort of lay out a screen that
16 you started with, you know, a periodic sample. You got
17 certain results. You moved to daily sampling. And if the
18 results were even, you know, sort of worse in terms of
19 compliance, you went to what was called a test and hold.

20 PFF, it is protein, fat-free. It is food
21 standards. It is sort of, you know, set limits on the

1 amount of meat protein that must be in basically like the
2 ham products and the boneless ham and ham end-products, the
3 whole category of ham products and stuff. But it did -- it
4 was a move away from this sort of just treat everybody the
5 same because it sort of targeted resources.

6 But I guess I would just sort of conclude as I
7 look forward is, you know, we still basically have just
8 changed the frequency of a lot of these tasks under HACCP.
9 And we really do need to think about what it means to target
10 these resources, what it means to use it and where we should
11 be conducting our samples.

12 And one last little anecdote. Last week, I was
13 looking at -- I was looking at some results on the samples
14 we took last year. And there was a -- we under the what we
15 call PBIS HACCP, the inspection scheduling system under
16 HACCP now. The sort of schedule sample is about one a month
17 to take an economic sample and send it to the lab.

18 And in this particular plant, it was a sow
19 slaughter plant that was making fresh pork sausage which has
20 a fat limit of 50 percent. There was -- we looked at the
21 sort of results. And again, we had scheduled 12 samples

1 with the inspector sending in about 100, about two a week.

2 It relates to one comment that was in the ANPR and
3 should we really -- you know, what priority should we have
4 on a food standard that allows 50 percent? Are consumers
5 really -- if they are already eating fresh pork sausage that
6 has a fat limit of 50 percent, should we have as a concern
7 whether that product is really 51 percent or 52 percent?

8 And sort of the other issue is -- I will raise in
9 terms of enforcement is if that plant really does have a
10 problem in complying with that food standard and we need to
11 do something about it, is taking 100 samples, you know, the
12 way to do something about it? I think not. So with that, I
13 am going to turn it over to Lynn Dickey who is the person
14 that is going to talk about the comments.

15 The comment period on that ANPR closed in August.

16 And she will talk about sort of what we have found from the
17 comments to date and talk about what some of our next steps
18 are in the area of OCP.

19 MS. DICKEY: Good afternoon. I am pleased to be
20 here. I will give you a summary of our initial review of
21 the issues addressed in the comments of the OCPANPR. A

1 total of 485 comments were addressed to the OCPANRP docket.

2 However, 448 of these comments were in reference to other
3 consumer protection and slaughter environments. And,
4 therefore, they will be addressed by someone else within the
5 public dialogue on the agency's HACCP-based inspection
6 models project.

7 We received 31 comments that did address OCP
8 issues in processing environments which is the subject of
9 our briefing today. Two-thirds of the 31 relevant comments
10 came from trade associations and consultants. And the other
11 third came from companies, consumers and another federal
12 agency.

13 In general, the comments did not address or
14 advocate any particular strategy to deal with other consumer
15 protections in an integrated over-arching fashion, but
16 instead tended to address more specific OCP issues related
17 to the commenter's particular interest. This isn't
18 particularly surprising.

19 However, almost all the commentators -- commenters
20 supported focusing the majority of the agency's resources on
21 issues of food safety and matters that have a direct impact

1 on public health. Some commenters suggested that FSIS
2 should consult with the FDA when we can't steal the people
3 and the states -- who sometimes we steal those people, too,
4 I guess -- to develop a coordinated approach to the
5 management of non-food safety issues.

6 Many commenters also stated their agreement with
7 the agency's emphasis on industry assuming its
8 responsibilities for meeting OCP regulatory requirements and
9 with agency personnel verifying that these protections are
10 being provided. Some comments stated that the issue of
11 quality and price value relationships are best handled
12 through the marketplace.

13 Many of the comments received supported the
14 continuation of the prior label approval system, stating
15 that it provides necessary guidance for small and medium-
16 size companies, although one company suggested eliminating
17 the prior label approval system, stating that the cost of
18 the system outweighed the benefits to consumer protection.
19 This commenter also stated that the standards and labeling
20 policy books should be eliminated because the system is too
21 informal and the standards prescribed in it should go

1 through the rule-making process.

2 However, most comments did support the
3 continuation in some instances of the expansion of the
4 standards in labeling policy book, stating that it is an
5 important source of product standards and its elimination
6 would cause undue and unnecessary hardship to small and
7 medium-size companies.

8 As I believe is partially supported by the variety
9 of issues addressed in the comments we received, the
10 agency's reassessment of its OCP activities will need to
11 review and consider a large variety of existing agency
12 activities as Loren also referred to. And to some extent,
13 this work has already begun.

14 I want to turn now to a brief discussion of some
15 of the ancillary agency work that is relevant to other
16 consumer protections. In planning the activities, the
17 agency needs to consider the relationship of its other
18 ongoing issues and initiatives. The first of these is
19 regulatory reform.

20 In 1995, FSIS began conducting a comprehensive
21 review of its regulations to reduce regulatory burdens. The

1 agency's regulatory reform agenda had initially appeared as
2 an ANPR in the December 29th, '95 Federal Register. In this
3 document, the agency set forth its major objectives in
4 regulatory reform, combining the meat and poultry provisions
5 into a single set of regulations wherever possible,
6 expressing regulatory requirements in plain language and
7 replacing prescriptive command and control requirements with
8 performance standards that clearly define requirements, act
9 as catalysts for innovation and provide for a measure of
10 accountability for achieving other consumer protections.

11 Since that time, a significant number of
12 regulatory reform actions have been taken by the agency.
13 The current consideration of revisions to FSIS's approach to
14 OCP regulatory requirements is consistent with this program
15 of regulatory reform. Two specific regulatory reform
16 initiatives that are related directly to the revised
17 approach to OCP are changes to the label approval system and
18 the review of food standards.

19 In December 1995, prior label approval system
20 final rule amended the inspection regulations by expanding
21 the types of labeling that could be generically approved and

1 announcing its intention to make further changes after
2 completing the reassessment of the prior approval system.
3 Any changes to the current system will need to clarify and
4 reinforce industry's responsibilities and the agency's
5 oversight and verification role in assuring that other
6 protections are met.

7 An alternative option to prior label approvals,
8 which was considered but neither rejected nor adopted in the
9 final rule, was to establish a system whereby virtually all
10 label would be generically approved. Under this type of
11 system, establishments would be authorized to use labeling
12 without any submission to FSIS provided that the labeling
13 complied with the conditions for approval and that
14 establishment maintained such records as are required under
15 the labeling regulations.

16 Another regulatory reform initiative that is
17 expected to have a significant impact on the agency's OCP
18 revisions is the review of food standards. In 1996, the
19 agency published an ANPR requesting comments on whether to
20 modify or eliminate specific standards or to modify its
21 overall regulatory approach to standardized meat and poultry

1 products.

2 In December 1995, the Food and Drug Administration
3 had published an ANPR requesting comments on similar
4 possible changes to FDA's food standards. FSIS and FDA are
5 now preparing a joint proposal to amend the standards of
6 identity and composition regulations. The aim is to
7 simplify food standards by providing a general definition of
8 a food to ensure that consumers get what they expect when
9 they buy a particular product without inhibiting industry
10 innovations or reducing the usefulness of food standards to
11 commercial training.

12 The results of the review of food standards and
13 any further changes to the label approval system will bear
14 directly on several agency OCP activities and the ways and
15 means by which FSIS verifies industry compliance with OCP
16 regulations. The revision of the Performance-based
17 Inspection System also has a bearing on the revision of
18 other consumer protections. In conjunction with the HACCP
19 implementation, the Performance-based Inspection System was
20 revised.

21 The system was updated in the revision to reflect

1 needed changes for HACCP, notably to eliminate any
2 inspection activities in processing environments that were
3 not required by regulations and to more appropriately
4 classify inspection activities. With changes to the other
5 consumer protection activities, additional changes to the
6 PBIS will also need to be made.

7 And finally, the information derived from the new
8 inspection and regulations models can be expected to have an
9 impact on the revisions to OCP activities. As part of its
10 overall strategy to reconsider how its human resources are
11 deployed nationwide, the Work Force of the Future
12 Initiative, FSIS is conducting model projects. For example,
13 FSIS has initiated a small in-distribution pilot test
14 project that will, among other things, explore the
15 feasibility of conducting some OCP verification activities
16 outside the plant.

17 Finally, I want to share with you some issues that
18 are under consideration by the agency related to OCP
19 revision. The first is our initial review and removal of
20 redundant, overly prescriptive or outdated OCP requirements.

21 The agency envisions that within the framework of

1 an integrated preventative OCP approach, its revisions for
2 assuring compliance with OCP requirements and processing
3 environments will probably occur in phases that address the
4 following: the identification of conditions, product
5 characteristics or defects that are OCP concerns as opposed
6 to food safety concerns; the development of performance
7 standards for the OCP concerns -- food safety concerns will
8 be addressed in HACCP plans -- and the development of
9 procedures to verify that inspected establishments are
10 meeting these standards.

11 An activity that the agency might consider
12 undertaking prior to initiating these phases is a thorough
13 review of current processing environment OCP regulations
14 with the intention of removing any redundant, overly
15 prescriptive command and control or outdated regulations
16 that pose unnecessary regulatory obstacles.

17 Such an activity would be within the agency's
18 ongoing regulatory reform initiative and consistent with the
19 food standards reform. If a significant number of
20 regulations were found and removed, the later work involved
21 in distinguishing OCP from food safety matters and

1 developing OCP performance standards and inspections
2 procedures would be reduced. Without a pre-review of the
3 current OCP regulations, the agency would need to
4 incorporate such decisions into each of the developmental
5 phases.

6 The second issue under consideration is the order
7 of OCP revisions. Following the establishment of an
8 integrated overall conceptual framework for the agency's OCP
9 activities, the order of proceeding with revisions to
10 particular OCP activities will need to be determined. And
11 several factors will have to be considered and dealt with.
12 These factors include limiting agency resources and the high
13 resources demand for food safety issues, consumer
14 expectations, industry capabilities, and the interrelations
15 among current and imminent agency issues.

16 The limited resources and high resource demands
17 for food safety issues, as has been stated her several
18 times, requires that the agency use resources available for
19 OCP in the most efficient manner possible. With the
20 publication of the ANPR and the analysis of the comments,
21 the agency has begun the process of soliciting information

1 about consumer expectations and industry's capabilities
2 concerning the relative order in which these activities will
3 be revised.

4 MR. BILLY: Okay. I think what you have heard is
5 some description of a very important part of the role that
6 the agency has played traditionally and will play in the
7 future which is those areas of consumer protection outside
8 of food safety, the public health concerns, the proper
9 labeling and the proper -- you know, the label reflects what
10 is in the package and all the other types of things that
11 were mentioned to you.

12 This is a large complicated area. And we have
13 tried to just give you a flavor of the dimensions of it. It
14 deals with regulations that apply to standards and labeling
15 and a lot of the other activities that we have traditionally
16 carried out. I think what is important for this committee
17 to take away is that with the publishing of the advanced
18 notice of propose rule-making, we have started a process and
19 moving down a road. And we would like this committee to
20 come along for the ride, if you will, and help us in terms
21 of giving advice and counsel on the various aspects of this.

1 And perhaps what might be most useful would be to
2 target for the next meeting a more in-depth discussion about
3 the conceptual framework for this whole area so that all of
4 the committee members can get their arms around the whole of
5 the area and the sense of what is included. And then we can
6 divide it up in some manner that we will talk through that
7 will be -- this committee will be able to have the time to
8 consider and then make recommendations on, as well as
9 recognizing that we are going to proceed -- you know, that
10 all of this involves notice and comment, rule-making and
11 other steps as we work through this.

12 So with that, I would like to open it up for any
13 questions or comment that anyone has in terms of what we
14 have shared with you today. Yes, Mike?

15 MR. MAMMINGA: This probably as much as anything we
16 will discuss has tremendous ramifications for those of us in
17 cooperative programs because we are the communicator of
18 these changes to another industry that is represented at
19 this table by the people. And I think it is great. But we
20 talk transparent and methodical and making sure that your
21 partners know the order of business and what is going to

1 happen. For me to survive, that will be essential.

2 MR. BILLY: We agree your survival is essential.

3 MR. MAMMINGA: Thank you.

4 MR. BILLY: Nancy?

5 MS. DONLEY: Thank you. Just a general comment
6 about this. Whereas this is probably not -- where food
7 safety is certainly I think considered to be the most
8 important priority that we all in this room should have,
9 these areas are still important to consumers.

10 And I just want to say that particularly when you
11 are looking at processing and FSIS is not there on a, you
12 know, 24-hour basis, so to speak, that it is going to become
13 tremendously important that the enforcement activities be
14 very strictly dealt with and that there has to be a real
15 effort that if there are problems, that they be dealt with
16 swiftly by the part of FSIS. So I think enforcement in this
17 is going to be key.

18 MR. BILLY: Carol?

19 MS. FOREMAN: Thanks. I really want to follow
20 exactly on Nancy's point. This is Carol Tucker Foreman.
21 And I think it is important to state at this point, I work

1 for Consumer Federation of America. These things are very
2 important to us. Obviously, my concern over the years has
3 primarily been about food safety. I want to tell you that
4 the organization I represent cares very strongly about these
5 other consumer protections.

6 There are legal requirements on the Department
7 that you cannot walk away with without changing -- from
8 without changing the law. There are competitive issues
9 here, as well. If you are not going to have -- if you are
10 not going to look at these things, then clearly people will
11 be inclined to add a little more water to that sausage than
12 it is legal to add to it.

13 I am in favor of risk-based staffing. You know my
14 concerns about the inadequacy of the number of people that
15 you have assigned to processing inspection. I don't think
16 there are enough to even cover the safety issues. I think
17 the direction to go here does require in the end, and it
18 might help to deal with it sooner rather than later, some
19 changes in the law.

20 If you are going to really have an effective
21 change in the way you address other consumer protections,

1 you have to have civil penalties. I just think -- I know
2 that you have asked for them.

3 But I don't see how you can effectively -- and if
4 you can tell me how you might do it without civil penalties
5 -- I don't see how you can expect to effectively reduce
6 inspection resources assigned to this if you don't have a
7 reasonable way to penalize the people who cheat. And civil
8 penalties for those people who are sloppy as opposed to
9 those who might be subject to a criminal action would seem
10 to me to be a very good way to approach the problem.

11 Am I -- is there something wrong with what I am
12 saying here? Is that a reasonable --

13 MR. BILLY: Yes. Let me comment. It is a --
14 exactly why the administration has expressed interest in
15 securing civil penalties. This is a perfect example, what
16 you have just said, of how they could be used as part of the
17 basis for ensuring compliance with the law and regulation.
18 So it would be a very useful tool to have to deal with this
19 particular area.

20 MS. FOREMAN: I just -- if I could just add on a
21 little bit. There are 7,200 inspectors right now.

1 MR. BILLY: 7,643 --

2 MS. FOREMAN: Thank you.

3 MR. BILLY: -- and a half -- no.

4 MS. FOREMAN: And how many of those are assigned
5 to slaughter activities.

6 MR. BILLY: I don't know if I know that. There is
7 about 2,000 assigned to processing plants.

8 MS. FOREMAN: Okay. You skipped over my -- I was
9 going to make you go through them. And how many processing
10 plants are there?

11 MR. BILLY: Probably about -- just federal, about
12 4,000 -- 4,000 to 5,000. I don't know the exact number,
13 4,500 maybe.

14 MS. FOREMAN: Some of those plants, as everybody in
15 this room knows, are visited on a patrol basis. And that
16 patrol I think is not based on a risk assessment. And it --
17 you know, we are going to raise it every single time that
18 there is a real problem when you do not have the ability to
19 have continuous inspection in plants that are doing things
20 like grinding hamburger.

21 Caroline would like to capture some of those

1 staffing hours to give to food safety over at functions at
2 FDA, as well. All of us would benefit by finding a way to
3 enforce these provisions in a way that is less intensive in
4 terms of staffing hours from USDA. But you can't walk away
5 from them. And you can't have enough resources to do safety
6 inspections and do a good job here. And the other
7 mechanisms for enforcement just seem to be an absolute
8 requirement. Thanks.

9 MR. BILLY: Okay. I think we are starting to wear
10 you down. All right. Thank you very much for the comments.

11 I will later when we talk about future meetings reiterate
12 this desire to add this item to the agenda and to involve
13 this committee as we work through this over the next couple
14 of years.

15 We are now scheduled for a break. Since I have
16 cut all your other breaks short, let's be back at 3:00 p.m.
17 promptly. One other reminder for the public. There will be
18 an opportunity for public comment about 4:00 or so. So
19 anyone from the public that is interested in providing
20 comment, I request that you register with the desk outside
21 the room. Thank you.

1 (Whereupon, a brief recess was taken.)

2 MR. BILLY: During the break, we provided everyone
3 a copy of a news release that I now would like to invite Dr.
4 Woteki to address and share some insight with you in terms
5 of this news release and what it represents. So, Dr.
6 Woteki?

7 DR. WOTEKI: Thank you very much, Tom. As Tom
8 said, there is this news release that we put out on Friday.
9 And for those of you who are here as observers to this
10 meeting, there are copies of this available on the table
11 directly outside this room.

12 The news release announces that the Milbank
13 Memorial Fund, which is a foundation that focuses on issues
14 of health and public policy, is going to be convening a
15 dialogue to examine issues of conflict and violence in the
16 food work place, particularly focussing on issues with
17 respect to the Food Safety and Inspection Service, the
18 industry in which our employees are working. And also the
19 organizations that represent the interests of our employees
20 are all going to be invited, along with other interested
21 parties to participate in this dialogue.

1 Some of you also may be aware of the fact that
2 there was a Food Chemical News article about this topic that
3 ran last week. And it was a little bit off the mark on some
4 issues of fact. So I thought it would be worthwhile for all
5 of you here to get a copy of this press release.

6 The dialogue is being convened under the auspices
7 of the foundation. So it provides a venue that is quite
8 different from this one. This advisory committee is
9 invaluable as I said in my opening comments to you
10 yesterday. But it is convened under the auspices of the
11 Secretary of Agriculture.

12 In this case, this dialogue convened under the
13 auspices of a foundation whose interests are in promoting
14 good health, everyone who will be invited to participate in
15 the dialogue by the foundation will be there as
16 participants.

17 And I think that provides quite a different
18 atmosphere for the conducting of discussions about how we
19 can improve the way that we all work together to try to
20 reduce the amount of conflict that surrounds the food work
21 place and hopefully come up with some agreements about some

1 ways that -- some -- that these different groups can work
2 together to try to reduce conflict in the future.

3 So this is provided, you know, to you for your
4 information and also to correct the information that was
5 provided through the Food Chemical News last week. I would
6 be happy to answer any questions you might have.

7 MR. BILLY: Questions? Yes, Donna?

8 MS. RICHARDSON: Thanks. It is not a question.
9 It is a comment. You may want to also access the expertise
10 of the American Nurses Association and the Association of
11 Occupational Health Nurses, both of whom did ground-breaking
12 work on violence in the work place and have been
13 instrumental in pushing OSHA to address this as a major
14 problem.

15 DR. WOTEKI: Good. Thank you, Donna.

16 MR. BILLY: Anyone else? Okay. All right. Thank
17 you very much. Now I will draw your attention back to the
18 agenda. The next item is remaining issues and plans for the
19 next meeting. As you are aware, this committee expires in
20 late March of 2001.

21 At this time, we don't have a specific target date

1 for the next meeting. So we will have to leave that open
2 for right now. But we will let you know as soon as we get
3 clarification both with regard to the rechartering, the
4 appointment of new members and the timing of that and any
5 other related issues that will affect the scheduling of the
6 next meeting.

7 Now, I would like to draw on your involvement at -
8 - not only at this meeting, but in the past to suggest three
9 topic areas that basically fall out of the past work of the
10 committee and the time you have spent here in the last day
11 and a half or so to suggest three issue areas that we might
12 at least at this stage focus on in terms of an agenda for
13 the next meeting.

14 The first would be continuing the dialogue and the
15 input from the committee on HACCP Phase II. This is, as we
16 have talked about, an important guidance you have given us
17 already, some of the principles and other thoughts. We will
18 move that ball on down the field some. But it will remain a
19 work in progress for some time. So I think it would be
20 useful certainly to the Department and the agency to have
21 continuing input on that area. So I would suggest that that

1 would be one of the topic areas.

2 The second relates to the nonamenable species and
3 the circumstance we find ourselves in in terms of the report
4 being finalized, the Appropriation Act directing us to
5 provide mandatory inspection for some of those species and
6 the process we are going to have to go through to do
7 appropriate rule-making and the other steps that are
8 involved in making that a reality.

9 Again, I think the timing would be good to
10 interact with the committee in terms of the progress that is
11 made and any issues that we bump into regarding moving --
12 continuing to move forward in that area. And then
13 the third issue area is the last one we just talked about
14 which is this area of other consumer protections.

15 As I suggested earlier and I will recommend again,
16 I think what the agency can do is to now that we have sort
17 of set the stage and given you a sense of what we are
18 talking about and our interests in getting the comments from
19 you, I think we could provide a paper that would perhaps
20 establish a conceptual framework and capture the dimensions
21 -- the full dimensions of this and work with the committee

1 on that and then look at and assume sort of a step-wise
2 process dealing with this whole area in pieces that makes
3 sense and probably would follow logically in some sequence
4 from one to the other.

5 I think that would be of tremendous benefit to the
6 agency to get ongoing counsel and recommendations from this
7 committee as we move forward. So there are three
8 suggestions. I would like to now open it up for any
9 suggestions any of the committee members have or a reaction
10 to what I have suggested. Yes, Dale?

11 DR. MORSE: Dale Morse, New York. In regard to
12 the first topic on HACCP, I think it would be interesting is
13 -- I think is going to be interesting, Food Net data in
14 terms of national surveillance of disease, Salmonella and
15 Campylobacter, to eventually have that presented along with
16 an update on the data for testing for Salmonella and
17 Campylobacter.

18 MR. BILLY: Yes, that could be very timely. That
19 data will come out of Food Net I think sometime in the March
20 time frame. So that -- we include that as part of those
21 discussions. Terry?

1 MR. BURKHARDT: Terry Burkhardt. This may tie in.

2 But I wanted to ask you, where is the release of the
3 Listeria monocytogenes risk assessment? Wasn't that
4 supposed to be released soon or -- where is it?

5 MR. BILLY: I am tempted to say it is in the mail,
6 but -- the -- it was receiving starting in late August very
7 intensive review. The models had been developed. They had
8 been run. The -- it is really a risk comparison of 20
9 different food commodity areas. And each of those commodity
10 areas or groups -- commodity groups has a model associated
11 with it, data and so forth to be used in carrying out risk
12 assessment. It takes as I understand it 30,000 iterations
13 of these models to complete the analysis, the risk
14 comparison.

15 During the review, some of our modelers identified
16 some glitches in the design of the model. And our modelers
17 worked with the FDA modelers. And they figured out how to
18 deal with these glitches. And I think it affected eight of
19 the food categories or food group categories. So they have
20 now gone back and they are just finishing re-running the
21 model -- the models so that we will have all of the data

1 based on corrected design in at least eight instances.

2 Along with the models and the data from the
3 models, the risk assessment models, there is a report that
4 totals about 450 pages that captures all the data, provides
5 analysis and so forth. And we are embarking on updating
6 that report based on the new output from the various models.

7 This is my estimate. And I am an eternal optimist.

8 My expectation is that we will have that -- all
9 that work I have just described complete around the end of
10 November. So one could expect the -- all that to come out
11 and be made public about that time. And then there will be
12 an opportunity for public comment on the report and the
13 models and all aspects of it.

14 In the meantime, as most of you are aware, we have
15 prepared a proposed regulation related to Listeria. And we,
16 in fact, have completed based on the revisions our runs
17 using the model. And our people are now incorporating the
18 risk assessment that relates -- or assessments that relate
19 to our product categories into that proposed rule-making as
20 we are required to do. And then that will have to be
21 reviewed by the Department and the Office of Management and

1 Budget and then moved forward.

2 So all these things are tied together in that way.

3 But we will be moving -- our intent is to be moving
4 forward. It could well be timely to consider that subject
5 area as a potential agenda item, as well. If nothing else,
6 perhaps a briefing although -- and I only -- maybe we could
7 -- some of you would like to react. I am impressed with
8 limiting the number of issues to about three and giving the
9 subcommittees time to really get into it and come up with
10 the kind of recommendations and so forth that we receive.
11 So I would like to continue managing it that way.

12 I would be interested in any other thoughts anyone
13 might have. Terry -- or Lee I mean.

14 DR. JAN: Well, I am interested in limiting it to
15 three, as well. But I would like to at least put it on the
16 burner somewhere or get it close to the other, the issue.
17 And I think we have had it on before and I don't know
18 whether we resolved it, but that retail -- I mean exemptions
19 -- and I am not talking about like being like nonamenable
20 species, but like is it the exemption issue where somebody
21 is making frankfurters, they have to do it under inspection.

1 But if they go one more step and put it in a bun, they
2 don't -- they can sell it without inspection. And so -- as
3 an example, you know.

4 I think we need to get a handle on that exemption
5 issue and -- you know, because I would at least like to get
6 it back close to the other one so it may get it to the back
7 burner and then move it forward.

8 MR. BILLY: Okay. We can certainly -- we will put
9 that on the potential list, as well. Caroline?

10 MS. SMITH DeWAAL: I think -- I agree with you,
11 Tom, that the limiting at three does give the subcommittees
12 more time to really get good work done. I do think a
13 briefing for this committee though on a series of questions
14 that the agency is -- has hopefully pretty advanced thinking
15 on which would include the Listeria where you've got, you
16 know -- the President has actually asked you to do a
17 proposed rule.

18 But in addition to that, the E. coli 0157:87 on
19 non-intact meat and Campylobacter standard for poultry. So
20 I think that those three -- I think it would be beneficial
21 to have a briefing on each of those issues, but really at

1 the same time so the members of this committee can see what
2 the thinking is and how these performance standards may be
3 developing for the future.

4 MR. BILLY: Kind of quiet down at that end of the
5 table. Any thoughts?

6 MS. HANIGAN: Well, I have suggestions for when
7 the new committee meets if I could give you those.

8 MR. BILLY: Sure.

9 MS. HANIGAN: Okay.

10 MR. BILLY: Absolutely.

11 MS. HANIGAN: First of all, I just want to state
12 my disappointment in the fact that the micro committee has
13 not met. And the reason I want to just, you know, clearly
14 state that is our committee sent them an issue well over a
15 year ago which was Campylobacter. And they got back to us.

16 And we did not feel that they had answered the question
17 that we had asked. And we sent it back to them. And it has
18 been a year now. And I just am really disappointed that
19 that committee has the ability not to meet.

20 And I understand that they didn't meet because the
21 Listeria reassessment was not ready. But I find it

1 difficult to believe that they had no other agenda items
2 that were deemed significant enough to bring that committee
3 together.

4 And then regarding the new committee -- when this
5 new committee is developed -- and I don't want to step on
6 anybody's toes. But I think it is important that maybe you
7 provide a few more guidelines. And one that I would like to
8 just suggest is it really is important that all the
9 committee members plan on staying for the full two days and
10 participating at night.

11 And I don't want to step on anybody's toes. But
12 we really need everybody's opinion. I mean, that is why we
13 are appointed or we agreed to sit on the committee. And I
14 think you just need to briefly go through that with them.
15 And then the other thing is on the subcommittees, I have
16 chaired the subcommittee each time.

17 And I have always been willing to take comments
18 from the audience in the evening if I so wish. And last
19 night I was questioned as to why I was doing that when other
20 chair people had said they had not. So we are not
21 permitting that.

1 So I guess if it has been inappropriate for me to
2 have done that for the last two years, I think maybe we
3 should have some guidelines that said, you know, please
4 don't do that. And I am not looking for a comment from
5 anybody on that tonight. I am just saying maybe before you
6 start again, you should go through some of that stuff with
7 them.

8 MS. FOREMAN: I would like to make one comment on
9 that because when I have chaired the subcommittee, we have
10 been willing and, in fact, welcomed some comments from
11 people. We have not welcomed nonmembers of the Meat and
12 Poultry Inspection Advisory Committee to sit at the table as
13 members of the subcommittee, but to speak so that we could
14 take advantage of expertise that might be in the room. So
15 at least two of the committees -- subcommittees are
16 following that.

17 MS. HANIGAN: Just suggestions.

18 MR. BILLY: Yes. And there was an item that was
19 mentioned yesterday that fits into this operating principles
20 areas, as well, and that is in terms of handouts and so
21 forth and being clear about the use of materials. So we

1 will follow through on that. Any other thoughts, Terry?

2 MR. BURKHARDT: It was really good and I
3 appreciate your attempts to get us some material out ahead
4 of time this time. It was -- you know, we were able to look
5 through it. It helped our discussions later on. So that
6 was much appreciated.

7 MR. BILLY: Thanks. Nancy?

8 MS. DONLEY: Just a couple of items of unfinished
9 business that I had a couple of requests yesterday and
10 perhaps they just went unnoticed or forgotten. I asked
11 about getting the -- this is with the HIMP discussion, to
12 get the pork -- the hog models, the traditional -- that it
13 would be very helpful with the traditional, the HIMP and
14 then the revised HIMP as a basis of comparison because we
15 did get that with poultry.

16 And I had also asked a question saying -- a
17 question -- oh, I'll go on with my next one first -- what
18 about the -- are there any Salmonella performance -- or any
19 Salmonella testing going on right now in the northern
20 district -- Northern Dallas District? I would be -- still
21 am interested in knowing about if there are any plants there

1 in the testing regime.

2 And then thirdly, I am left a little unclear, and
3 I am sorry I didn't ask this question yesterday, with the
4 redesigned HIMP. Is -- has that been -- is that in effect
5 now or is that just still under discussion, these redesigned
6 pilots?

7 MR. BILLY: Okay. Let me comment on HIMP. We
8 will follow up on your other items and provide information
9 to the committee.

10 In terms of HIMP, the HIMP project, the models
11 project, we have taken to heart the comments that were made
12 about the charts and the difficulty in understanding them
13 and the other comments that were made. So we've got a group
14 that has already started working to make changes and makes
15 sure that we are making the right comparisons and so forth
16 and present the data in a different way that I think will be
17 clear and easier to understand. So once we have completed
18 that, we will make that available to the whole committee and
19 obviously make it -- share it with the public, as well.

20 We are also -- there is a couple of handouts that
21 are on the table and have been made available to the

1 committee. One is the -- it was mentioned at some point
2 yesterday the side-by-side comparison between the old -- or
3 traditional finished product standards requirements and the
4 new performance standards requirements under that project.
5 So that information is available.

6 And also, someone asked for the RTI data from
7 poultry. And that has also been available. That was made
8 available some time ago at a public meeting. But we have
9 made copies. And that is available, as well. And what our
10 intent is is to make that available to everyone on the
11 committee and to the public all that I have just talked
12 about including your request regarding the pork, the hog
13 area. And so we will follow through on that.

14 MR. DERFLER: Can I just make a comment?

15 MR. BILLY: Sure.

16 MR. DERFLER: There is a number of plants in which
17 the redesigned HIMP is in place. All of it -- it will be in
18 place in all of the I think it is 15 plants that are
19 involved right now by November 6th. So --

20 MS. JOHNSON: Phil, is that in pork and growers
21 both?

1 MR. DERFLER: Yes, both.

2 MS. JOHNSON: So you are redefining the pork.

3 MR. DERFLER: I think it is 14 actually, 11 and
4 three, but yes.

5 MS. JOHNSON: Thank you.

6 MR. BILLY: Yes. Lee?

7 DR. JAN: I would like to let Nancy know that in
8 north Texas, the Texas-inspected plants, we are testing for
9 Salmonella under the program.

10 MS. HANIGAN: Good.

11 MR. BILLY: Go ahead. Phil?

12 MR. DERFLER: I believe we continue to test, too.

13 It is what we do about the test results where the Court has
14 said something, not our ability to test.

15 MR. BILLY: Yes.

16 MS. DONLEY: Well, it is not the ability to test.

17 I just -- I recognize that not all plants are currently,
18 you know, undergoing Salmonella testing sets. So I am just
19 curious and just want to know if it is indeed going on.

20 MR. DERFLER: Yes, we are doing -- we are not
21 changing who we are testing. And there has been no reason

1 so far to consider how the next goes.

2 MR. BILLY: Caroline?

3 MS. SMITH DeWAAL: I was reminded the other day
4 that three members of this subcommittee, Carol, Nancy and I,
5 all the consumer members, had written to the Secretary of
6 Agriculture following the initiation of the Supreme Beef
7 case asking that the names of plants that had failed three
8 tests be published.

9 Is there any movement on that proposal because I
10 think -- I appreciated your comments yesterday, Tom, except
11 for one word where you say you encourage the industry to be
12 in compliance. And I don't know what that means. But I
13 know that we need to know if plants are not in compliance
14 regardless of whether you can enforce the rule in one area
15 of the country or not.

16 MR. BILLY: I'm just not aware of any plants right
17 now who would meet your definition of having flunked three
18 sets.

19 MS. SMITH DeWAAL: Do you not -- you don't publish
20 the names of --

21 MR. BILLY: We are not aware of any plants that

1 have failed -- more plants that have failed three sets.

2 MS. SMITH DeWAAL: Well, there was at least one
3 plant I believe after Supreme Beef.

4 MR. BILLY: Yes, there have been three.

5 MS. SMITH DeWAAL: Are you publishing -- you said
6 three plants have failed three sets. How do you alert the
7 public to that?

8 MR. BILLY: Well, it triggers the regulatory
9 response. And we capture that in our enforcement report.
10 And that includes the name and location and the status.

11 MS. SMITH DeWAAL: Okay. Well, I think it would
12 be helpful for the public if we also got the name of that
13 plant -- those plants.

14 MR. BILLY: Okay. Any other comments? Okay. I
15 understand that -- I'm going to move on now to the public
16 comment and then wrap up and adjourn. And I am aware of one
17 person that has requested to provide a comment. And that is
18 Tony Corbo who is with Public Citizen. And his comment
19 areas will relate to other consumer protections.

20 MR. CORBO: Thank you. What I would like to
21 comment on is that I have gone through and read I would say

1 a couple hundred of the comments that came in as a result of
2 the proposed rule. And what struck me is that a large
3 number of them were handwritten letters. These were not
4 form letters. These were not pre-printed post cards that
5 somebody just slapped a signature on.

6 And having worked in a Congressional office, when
7 you get large volumes of letters, whether they are on point
8 or off point, it would send signals to us that there is a
9 problem out there. So I hope that in the deliberations both
10 on the proposed rule, but on the HACCP question dealing with
11 the slaughtering model, that those comments are taken into
12 account because these were just ordinary citizens who sat
13 down and took the time to send these letters in. And that
14 is my comment.

15 MR. BILLY: Okay. Now, thank you very much. And
16 I can assure you that they are and will be taken into
17 account. We value that process very much. Okay. We might
18 be finishing early here. I'm not sure. I don't know if I
19 feel comfortable with that or not. Let me -- yes, too bad
20 Rosemary left early. I would have told her she could --

21 I wanted to mention one other area.

1 Unfortunately, there are some rumors going around that I
2 have either resigned -- one set was I resigned yesterday.
3 Well, that is apparently not the case -- or I am out to
4 resign or that kind of thing. None of that is true. What I
5 have said recently is that I expect by this time next year
6 to have shifted to a different position within the
7 Department of Agriculture that will allow me to spend more
8 time in focusing on my responsibilities as Chairman of
9 Codex.

10 I intend to run for re-election. That election
11 will be around the first of July of next year. And I have
12 put on the table in Codex a Chairman's Action Plan that
13 probably won't be a surprise to a number of you that --
14 where I am attempting to sort of reinvent Codex and make it
15 work better. And I think that is a very important area, not
16 only in terms of U.S. interests, but the interest of all the
17 165 countries that are part of Codex.

18 I don't expect anything to happen before next
19 summer at the earliest. So -- and I am not ruling out any
20 possibility in terms of what that alternative job might be.
21 But it -- hopefully, what it would do is give me more time

1 to focus on the Codex area. This doesn't have anything to
2 do with the election. This is something that I am
3 interested in doing.

4 About that time, I will have been administrator
5 for five years. And I think that there are very able people
6 that I work with in the agency that will carry on the
7 important work that FSIS is all about. So if you hear
8 rumors that I am about resign and all that, just tell people
9 they don't know what they are talking about. It is not
10 true. That's a long -- a year from now is a long time.

11 I would like to thank each and every committee
12 member. I appreciate very much your hard work and your
13 commitment. It is very evident in terms of the kind of
14 discussions we have and the recommendations that you put
15 forward. Ron sat in on one of the subcommittee meetings.
16 And he couldn't -- he commented to me this morning, he said
17 I couldn't believe how hard those people were working on
18 Halloween night and how earnest their discussions were. I'm
19 not sure which group he sat in on, but I've got a hunch I
20 know.

21 Anyway, he -- I think that is a compliment to all

1 of you. We very much appreciate your time and your effort.

2 This is a valuable part of the process and one that we will
3 continue to focus a lot of energy on. Cathy, I don't know
4 if you would like to add any other comments?

5 DR. WOTEKI: Well, I think in my opening comments
6 to the committee said many of the same things that you have
7 just said in closing. So thank you.

8 MR. BILLY: Okay. We are adjourned.

9 (Whereupon, at 3:35 p.m. on Wednesday, November 1,
10 2000, the hearing in the above-entitled matter was
11 adjourned.)

12 //

13 //

14 //

15 //

16 //

17 //

18 //

19 //

20 //

21 //

1 //

2 //

3 //

4 //

5 //

6 //

CERTIFICATE OF REPORTER, TRANSCRIBER AND PROOFREADER

National Advisory Committee on Meat and Poultry Inspection
Meeting

Name of Hearing

Washington, D.C.

Place of Hearing

November 1, 2000

Date of Hearing

We, the undersigned, do hereby certify that the foregoing pages, numbers 1 through 170, inclusive, constitute the true, accurate and complete transcript prepared from the tapes and notes prepared and reported by Muriel Barclay, who was in attendance at the above identified hearing, in accordance with the applicable provisions of the current USDA contract, and have verified the accuracy of the transcript (1) by preparing the typewritten transcript from the reporting or recording accomplished at the hearing and (2) by comparing the final proofed typewritten transcript against the recording tapes and/or notes accomplished at the hearing.

12/7/00

Date

Bonnie J. Niemann

Name and Signature of Transcriber
Heritage Reporting Corporation

12/12/00

Date

Lorenzo Jones

Name and Signature of Proofreader
Heritage Reporting Corporation

Heritage Reporting Corporation
(202) 628-4888

11/1/00

Date

Muriel Barclay

Name and Signature of Reporter

Heritage Reporting Corporation

Heritage Reporting Corporation

(202) 628-4888