

UNITED STATES DEPARTMENT OF AGRICULTURE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON MEAT AND POULTRY
INSPECTION

+ + + + +

FALL MEETING

+ + + + +

TUESDAY,
NOVEMBER 15, 2005

The meeting was called to order at 9:00 a.m., South Building Cafeteria Conference Room, United States Department of Agriculture, 14th and Independence Ave., S.W., Washington, D.C., Barbara Masters, Chair, presiding.

PRESENT:

BARBARA MASTERS
Food Safety and Inspection
Service

GLADYS BAYSE
DAVID CARPENTER

Spelman College
Southern Illinois
University
School of Medicine
University of
Arkansas
Minnesota
Department of
Agriculture
Public Policy
Consultant
Montana Department
of Livestock
Oregon Department
of Agriculture

JAMES DENTON

KEVIN ELFERING

SANDRA ESKIN

MIKE FINNEGAN

MICHAEL GOVRO

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

ANDREA GRONDAHL	North Dakota
Department of	
	Agriculture
JOSEPH J. HARRIS	Southwest Meat
Association	
JILL HOLLINGSWORTH	Food Marketing
Institute	
MICHAEL KOWALCYK	Safe Tables Our
Priority	
CHARLES LINK	Cargill Value
Added Meats	
CATHERINE LOGUE	North Dakota State
University	
MARK SCHAD	Schad Meats, Inc.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

ALSO PRESENT:

MARY CUTSHALL (SIPO)	Director
PHIL DERFLER Administrator (OPED)	Associate
DAN ENGELJOHN Administrator (OPED)	Assistant
BRYCE QUICK Administrator (FSIS)	Deputy
RICHARD RAYMOND Food Safety	Undersecretary for
ROBERT TYNAN (SIPO)	Deputy Director

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

I-N-D-E-X

Welcome	
Robert Tynan	4
Opening Remarks	
Richard Raymond	5
Chairperson Masters	31
Committee Introductions	44
Overview of Agenda and Rules of Order	
Robert Tynan	46
Updates on Previous Issues	
Update on Risk-Based Sampling	51
Question and Answer Session	52
Update on Applying Market Inspection to Product Tested for an Adulterant	69
Question and Answer Session	70
Update on Increasing Industry Awareness About New Technology Staff Cooperative Agreements	72
Briefing Papers	
Data Acquisition	74
State Reviews	83
Training and Outreach	84
Risk-Based Systems	98
Phil Derfer	
Question and Answer Session	124
Public Comment	151
Adjourn	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 8:56 a.m.

3 MEMBER TYNAN: Good morning. Welcome to
4 our Fall Session of the National Advisory Committee
5 for Meat and Poultry Inspection. I'm Robert Tynan.
6 I'm the Deputy Director with the Strategic Initiatives
7 Partnerships and Outreach staff, and I have the
8 pleasure of moderating the meeting for today.

9 I want to personally thank everyone for
10 taking the time out of their busy schedules to come
11 and participate in this meeting. I know, in some
12 cases, you've traveled great distances. And Catherine
13 assured me that it was a good thing because it's
14 snowing in North Dakota, and it hasn't quite gotten
15 Minneapolis yet, I guess. No? Okay.

16 We'll be using this room for the entire
17 meeting, so we'll be doing our plenary session, as
18 well as our breakout sessions here. And we have an
19 interesting agenda today. We've got a single issue as
20 the focus, and we'll talk a little bit more about that
21 in a few minutes. We're going to use two
22 subcommittees, and we'll go into a little bit more of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the details of the logistics in a few minutes.

2 Because of the time constraints, I think
3 I've made it a little bit difficult for Dr. Raymond to
4 do his presentation. But knowing his experience, I'm
5 sure he will make up for my problem with our
6 microphones.

7 But let me introduce to you our
8 Undersecretary for Food Safety, Dr. Richard Raymond.
9 Dr. Raymond was appointed Undersecretary of
10 Agriculture for Food Safety on July 18th, 2005. He's
11 responsible for overseeing the policies and programs
12 of the Food Safety and Inspection Service, and he
13 chairs the U.S. Codex Steering Committee, which
14 provides guidance to the U.S. delegation to the Codex
15 Alimentarius Commission.

16 Dr. Raymond has extensive experience in
17 developing and implementing policies and programs
18 designed to improve public health. And in the
19 interest of time, there's more, but I think I'm going
20 to leave it at that for right now. Dr. Raymond?

21 MEMBER RAYMOND: Thank you, Robert. And
22 we do apologize for the delay, but that may be bonus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for you because I have a 9:30 I have to get to, so
2 that does shorten things up a little bit. I'll just
3 talk fast. But I do want to assure you that the half
4 hour won't come out of the public comment time. The
5 half hour might come out of lunch or it might come out
6 of dinner, but the public comment time will not be
7 jeopardized at this meeting.

8 So good morning to the Committee and to
9 the members of the public and FSIS who are here to
10 listen and to participate in this meeting. I bring
11 you, on behalf of Secretary Mike Johans, a welcome to
12 the city of Washington, D.C. Your work here today is
13 going to be very important and your work tomorrow on
14 the agenda that we set for FSIS in the next three
15 years to try to build a more robust risk-based system.

16 I'll make sure you heard that: we want to build a
17 more robust risk-based system. We are not here to
18 build a risk-based system. We are already in one. We
19 want to make it more robust. I think it can have a
20 profound impact on the future of food safety in the
21 United States, and we need your help.

22 NACMPI has been providing the USDA with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 advice and recommendations regarding meat and poultry
2 inspection programs for nearly 25 years now. But in
3 the eyes of some, from what I have heard in my first
4 few months here, the urgency and the necessity of
5 NACMPI's missions has declined somewhat in recent
6 years. They say it's because the issues that you have
7 been asked to comment on have often not been
8 politically charged. And today I think that's going
9 to change.

10 I do not want to just serve as a caretaker
11 of an already good system. I didn't leave the comfort
12 of Nebraska where I have lived all my life and the
13 comfort of a job being that state's chief medical
14 officer that I felt very comfortable in that position
15 for seven years, I did not leave all of that to come
16 here and just be a caretaker. And I don't believe
17 that Secretary Johans left Nebraska as governor either
18 to be a caretaker of a good department. We both want
19 to push the envelope in food safety and public health.

20 And I am here to issue this challenge
21 today. I want you to push that food safety envelope
22 and I want you to get outside that NACMPI box that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you've been in for the last three or four years, and I
2 want you to provide me and the agency with sound
3 advice on a number of critical questions that we have
4 delivered to you in the issue paper concerning a risk-
5 based system that can greater protect the public's
6 health.

7 But before we go to the future, let's take
8 a quick look at the past. I think that's very
9 important. We all know that we can save lives from
10 science-based policies. And as we move closer to the
11 100-year anniversary of the Federal Meat Inspection
12 Act, we're able to show just how much progress we have
13 made.

14 This slide, and it might be small from the
15 back of the room, but these two slides, this first one
16 is the number of recalls issued from 1997 to 2004.
17 This is the amount of recall, the poundage. And as
18 you'll see, we top almost 120 recalls in 2002, and
19 we're down to a little over 40 in 2004. We did over
20 60 million pounds of meat and poultry products
21 recalled in 2002. We're less than 3 million pounds in
22 2003 - 2004. That's very impressive. That's hard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work to get there. That's working with industry, the
2 consumers, the scientists, and the agency, and our
3 inspectors, educating our inspectors in how to do
4 their job better. That was a team effort that did not
5 come easy.

6 Now, some naysayers will say, "Well, if
7 you're not recalling product, maybe you're not doing a
8 good job of policing it. Maybe more people are
9 getting sick because you're not recalling." I will
10 tell you that I didn't come here to recall chicken
11 tenders and hamburger and sausage because, by the time
12 we get to the recall, we've got people that are sick.
13 That's why we know we have a bad product. I came here
14 to keep people from getting sick because that was my
15 life as a physician.

16 Now, so we're going to use another way to
17 look at what we've done in the last four, five, six
18 years. Besides recall product, we do regulatory
19 samples. This is E. coli. You see the dramatic
20 decrease in the number of positive regulatory samples
21 coming out of the industry. The same is for Listeria.
22 Listeria will show the same dramatic continual drop

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 year after year after year after year of product
2 sampling.

3 And, again, naysayers are going to say,
4 "Well, if you don't sample as much product, you're not
5 going to get as many positives." But what we're
6 really here for today and tomorrow and the agency for
7 their careers is to make sure that people don't get
8 sick. So let's look at the CDC's data of human borne
9 illness from food contamination.

10 The E. coli rates have dropped 42 percent
11 since the baseline that CDC established from '96 to
12 '98, an average of those three years. This is a
13 sister agency, a different department. This is not
14 us. We have no control. When they produce this data,
15 it is not from a regulatory agency or from a marketing
16 agency. This is the agency concerned with public
17 health.

18 The data for E. coli, slightly less than
19 one per hundred thousand people who now get sick with
20 E. coli is below the Healthy People of 2010 goals
21 established by Health and Human Services, not by us.
22 These are not our goals. It's Health and Human

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Services' goal of less than one person per hundred
2 thousand get sick by the year 2010. We've reached it
3 in 2005. That's huge.

4 You'll see the same decline for Listeria,
5 I believe. Listeria monocytogenes will show you a 40-
6 percent decline since the baseline. That number right
7 there is a teeny-tiny hundredth of a point away from
8 Healthy People of 2010 goals and objectives.

9 We'll take a look at campylobacter.
10 You'll see a 31-percent decline. Again, campylobacter
11 is almost there where we need to be by 2010 for the
12 Healthy People goal. And then, lastly, for yersinia,
13 you'll see the same drop from the baseline into here.

14 Those are dramatic numbers. But there's
15 still work to be done. We should be proud of this,
16 but we can't rest on our laurels. I know we can do
17 more. We need the capabilities offered by this
18 enhanced, more robust risk-based system to get there,
19 however; but we can make improvements that will be
20 just as impressive as these. But it does include the
21 ability to anticipate and quickly respond to food
22 safety challenges before they negatively impact public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 health, as I already stated. This is vital. We have
2 limited resources, and we must use them more
3 effectively and efficiently that we can, and we need
4 your help to guide us in how to use our resources.

5 Our current system, while very strong, was
6 based in the world as we knew it in 1906 with the
7 Federal Meat Inspection Act. I'm not sure that that
8 99-year-old act is totally geared for the 21st Century,
9 however.

10 I was talking to Denny Greeny last week.
11 He's the District Manager for Iowa and Nebraska, and I
12 asked Denny to help me out and give me a visual
13 because I know that part of the country so well. I
14 asked him to give me an example of how plant
15 inspectors might spend a normal day going from a small
16 plant to a small plant to a small plant in a state
17 that's not very heavily populated, as opposed to maybe
18 if you have three or four plants in New York City you
19 may spend a little bit of time working plant to plant.
20 But in Nebraska, you get out into the hither lands,
21 you spend a lot of time on the road.

22 So he gave me an example here on this map

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we'll show you, and there were many, but this is the
2 one I just happened to pick. There we go, there we
3 go. This is Nebraska. This is the turf that I know.
4 And this plant inspector starts his day out in
5 Kearney, and he has three plants that he's responsible
6 for. He has to go 50 miles down to Franklin. Then he
7 has to go 13 miles up to Gibbon. Then he has to go 65
8 miles down to Beaver City, and then he drives back
9 home in Kearney.

10 Now, on a good day without wind and ice
11 and snow and blizzards and things like that, that's a
12 little over two hours of road time that we pay for out
13 of an eight-hour workday. That's what we needed to do
14 in 1906. I'm not sure in 2005 if that is the best use
15 of that person's time. With telecommunications and
16 televideo, we can surely get more plant time for that
17 individual and multiple individuals in examples just
18 like this.

19 If that person spent less time on the
20 windshield and got outside the box and outside that
21 routine, I think he could take a look, for instance,
22 what if that plant is having some problems with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Listeria. Maybe that person could spend some time
2 with plant management and go over the compliance
3 guidelines of FSIS, review the plant records, even
4 conduct some environmental sampling, if that was
5 appropriate. These are activities that are directly
6 related to food safety. Driving down the roads of
7 Nebraska are not necessarily directly related to food
8 safety.

9 Another example: that same inspector,
10 probably when he's down here in Franklin and Beaver
11 City, when he does hook his or her computer up to put
12 in the data, he's probably on a dial-up, and you all
13 know how painful that is. We're talking about wasted
14 time. Now, that isn't your issue. Our issue is to
15 get DSL out there wherever we can get it, but that
16 gives these inspectors more time to do what they're
17 paid to do, rather than sitting there watching a
18 painful dial-up come up.

19 This is what I mean by FSIS needs to use
20 its resources more effectively and efficiently to
21 improve public safety. And I don't know, nor does
22 FSIS know, exactly what those specifics are and how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we're going to streamline this system. And that's
2 where, again, we're asking you for your help for these
3 two days.

4 I want to take a look at the next slide,
5 Robert. I know this isn't going to work out in the
6 back of the room, but it's an effort to impress upon
7 everyone in the room today that we are not moving
8 towards a risk-based system but a more robust risk-
9 based system. We started out with HACCP down here.
10 I'm sorry that you can't read it. It's a dark slide
11 with dark letters. But maybe the first step towards
12 risk-based was a HACCP system. And I do believe, when
13 you look at HACCP and you look at those results of the
14 decline in foodborne illness, this was the first risk-
15 based effort that we made that FSIS has produced
16 tremendous results with cooperation of all of our
17 partners, including industry.

18 We set up HIMP five years ago. We went to
19 Listeria risk assessments two years ago. We're
20 finalizing the final rule on Listeria, which is risk-
21 based also. I don't have a name for step four or step
22 five or step six because I don't know what those are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to be. That's why I want your help. I don't
2 know if step six is the final step and that's the most
3 robust system we have. Maybe there's seven or maybe
4 there's eight steps. But you need to help us, you
5 need to help us get there. We need to determine
6 together what these steps are.

7 And I want to talk about that just a
8 little bit now with the next slide. I want to use the
9 analogy of a three-legged stool, and I think this is
10 critical to what we're trying to get as a system. A
11 stool won't stand unless it has three legs. Now,
12 there's an open stool with one leg, but you got to sit
13 on it while you milk the cow to make it stand. As
14 soon as you stand up, it falls over.

15 So we're going to talk about a concept of
16 a three-legged stool here, and I think it's important
17 to spend just about five minutes on this. This is
18 really natural for people to have concerns when we're
19 talking about change, especially if we're talking
20 about significant change. It's not always
21 comfortable. But I want to try to clear up some
22 misperceptions and the concerns by addressing these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues openly, transparently, and publicly. I've been
2 directed very clearly by my secretary to do just that,
3 and that's how I intend to carry through this process.

4 It must be as inclusive as possible. We need to
5 increase our communication, our cooperation, and our
6 collaboration with all of the involved entities.

7 And at the risk of leaving someone out, I
8 want to start talking about the three legs. So let's
9 go to the next slide, Robert. I'm kind of a visual
10 person. It helps me, at least. I don't know if it
11 helps you.

12 One of these legs is going to be the
13 employees of the Food Safety Inspection System. The
14 next leg we're building is going to be the industry.
15 We can't make these kind of changes without the
16 support of the industry and our employees. And the
17 third leg is going to be the consumers, the public,
18 the American public and also the international public
19 that we export our product to.

20 Without one of those legs, I don't care
21 which one you remove, next slide, it falls over and
22 you don't have risk-based system that you're building.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 It will take all three to build a state-of-the-art
2 food safety and public health system.

3 So the three legs, what do we have for
4 those three groups? And you've got to, again, forgive
5 me if we exclude a group. We can be inclusive, but we
6 don't need eight legs. But everybody is going to be
7 involved in this, but these, I believe, are the three
8 players that must be walking down this road with us,
9 not necessarily hand-in-hand, not necessarily with
10 unanimous agreement, but one of those three major legs
11 says we're not with you, it isn't going anywhere, and
12 we haven't done anything to improve food safety.

13 So for our employees, I think a risk-based
14 system provides them the opportunity to focus more of
15 their workday towards activities that will directly
16 impact food safety and public health and be less
17 bureaucratic. I understand it will require a large
18 investment in employees to ensure they have the
19 training and the skills that they need to be
20 successful and consistent in a robust risk-based
21 environment, but it is an investment that I know will
22 continue to provide food safety dividends well into

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the future. And if they succeed, then we all succeed.

2 I think that increased training and a
3 wider range of opportunities to make a real difference
4 in public health will also open up new avenues for
5 career enhancement for our employees. And I hope that
6 leads to improved job satisfaction, which ultimately
7 leads to retention of valuable employees, and also
8 aids recruitment of employees to fill empty spots.

9 It's important to have a dialogue with our
10 employees as we move down this road. They need to be
11 very confident, and their concerns need to be heard,
12 and we need to answer them openly and honestly. And
13 I've said it many times, and I'm going to say it again
14 today, and I'll say it again and again: this is not
15 about making reductions to our workforce, this is not
16 about saving dollars, this is not about reducing our
17 budget. This risk-based system that we're trying to
18 build is about finding a way to produce a safer
19 product that benefits every consumer by maximizing the
20 effective use of our workforce, period.

21 Any employee that's in FSIS today that
22 wants to continue work with FSIS will have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 opportunity for career enhancement under this system.

2 This is not about reducing the workforce.

3 For the consumers, what do they get out of
4 this? Well, first of all, I think we need to improve
5 the public's confidence in our food safety system as
6 we work to build this more robust risk-based system.
7 We must have safe products from all plants of all
8 sizes, no matter what they produce.

9 Those numbers you saw, that 42-percent
10 drop in E. coli, that was a tremendous effort by the
11 industry. Some made a bigger effort than others, and
12 we need to help those who have been unable to make the
13 same equal effort. We have a small plant, Mr. Schad,
14 I believe, Schad, eight employees. You know, that's a
15 small plant. But if you eat, and I'm not sure what
16 Schad's Meats produces, I'm going to go out on a limb,
17 but if Mr. Schad produces hamburger and you eat a
18 hamburger tonight, you shouldn't care whether it came
19 from a small plant or it came from a huge plant. They
20 should all have HACCP systems and other efforts to
21 make that product safe. We need to bring all of them
22 together. So I'm glad to have small plants

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 represented on this committee, so we can hear their
2 concerns also.

3 I realize it's a little more difficult for
4 some plants than others, based on resources. But our
5 experiences with HACCP and with the Listeria
6 monocytogenes survey that we did and the interim final
7 rule and now the final rule that we're writing, they
8 show me that we can use sound science to mitigate
9 risk. And the graphs show that we have done that.

10 As a physician, I also understand that
11 that simple statistical decline doesn't really express
12 the human toll that those illnesses can occur. So
13 when I say I'm really proud that 42-percent fewer
14 people will get sick this year from E. coli than got
15 sick in 1998, that's good. But if I'm the one that
16 gets sick, that's 100 percent for me. Don't tell me
17 about statistics. When I'm sick, I'm sick. And I'm
18 going to blame somebody, and it's going to probably be
19 FSIS because you didn't do a good job. So I'm trying
20 to figure out how to get that 42 percent to continue
21 going down the right direction because it is 100
22 percent for those that are sick.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I want to assure the consumers also in
2 the room and that will be looking at this speech maybe
3 later and on the committees we're going to challenge
4 you to form that we will not make changes that do not
5 result in a safer product. We're not making changes
6 just to make changes. Change is uncomfortable, and
7 it's not worth the effort if we're just going to
8 continue the flat line on the product safety. The
9 changes will only be made based on science that we
10 know will produce results, not just on the graphs of
11 recalls, not just on the graphs of sampling, but also,
12 most importantly, on the graphs that show human
13 illness as a result of foodborne illness.

14 Now, for the industry, we obviously need
15 them to work with us. They've been good partners in
16 improving those results we've already seen. Their
17 cooperation is key in implementing an enhanced or more
18 robust risk-based system.

19 Under an optimal system, which I hope we
20 can get there, the type and intensity of inspections
21 at an establishment will be based on performance and
22 the product they produce and the process they use to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 produce that product. I believe that a plant that has
2 a spotless food safety record, science-based policies
3 whose effectiveness has been validated and is in full
4 compliance with FSIS' regulations should benefit from
5 that track record. Our goal is to anticipate problems
6 and correct them before regulatory enforcement action
7 is ever needed. I've said before, but I'm interested
8 in preventing and not reacting to a problem.

9 These changes that we're talking about
10 will require the bar for the plants be raised even
11 higher than they already are. But I am confident that
12 industry will rise to meet this challenge. They've
13 expressed strong interest in working with us on a
14 risk-based, more robust risk-based inspection system.
15 This change will allow FSIS to better focus, once
16 again, its inspection efforts on the product, the
17 process, and the establishments that are most likely
18 to pose a public health risk.

19 Now, in closing, as I said earlier, we
20 know from our past experience that we can improve food
21 safety and we can improve our protection of the
22 public's health by relying on sound science and by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 working with industry, consumers, and our employees to
2 more effectively mitigate risk. We also know that we
3 cannot move forward unless all of our food safety
4 partners are communicating, cooperating, and
5 collaborating with us to make this enhanced risk-based
6 system a reality.

7 There's still a lot of unknowns, and
8 that's where your work here today and tomorrow on
9 critical questions concerning risk-based systems is so
10 vitally important. In particular, I want to challenge
11 you to describe the ideal working group that you feel
12 can best assist FSIS and me and the Office of Food
13 Safety in approaching the next steps that are needed
14 to enhance our risk-based system through an open,
15 transparent, inclusive process.

16 Now, I've got some questions for you. Is
17 it this committee that meets twice a year? I honestly
18 don't think so. I don't think you can come here to
19 Washington, D.C. two days every six months and move
20 something forward. Is it a subcommittee of this
21 committee? Possibly. But I know a lot of you have
22 volunteered to serve on this committee two days twice

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a year. To ask you to come to Washington, D.C. for
2 two days once a month may be a burden you simply
3 cannot bear because you have another life, and I
4 understand that. But that's a decision we ask you to
5 consider and ponder today.

6 Would it be a subcommittee? Would it be a
7 separate new committee? If it is, we have some rules,
8 some statutes that we have to work with. We can't do
9 it overnight. There are some limitations on advisory
10 committees, but I promise to you, I commit to you
11 that, if that is the recommendation of this committee,
12 I will do everything possible to expedite that process
13 and form a separate advisory committee. And if that's
14 what you recommend, you tell me how often should it
15 meet? Who should be on it? How many people? Don't
16 give me a committee of a hundred people. We'll get
17 nothing done. Is it eight, is it twelve, is it
18 twenty? And who should be on the committee? You tell
19 me.

20 Let's just take an example. Let's say 12
21 is a nice number. You can get a lot of work done with
22 12. Is it four representatives from the public, is it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 four representatives from the industry, and is it four
2 representatives from FSIS employees? Or do we need
3 our state inspection programs? Should they be
4 represented? I mean, you tell me the makeup, but you
5 make sure that it is something that we can live with,
6 it's something we can defend. If it is four people
7 from the industry, how does the industry decide which
8 four groups come? If it's four people from the
9 public, how does that consumer advocacy group get
10 together and decide who's represented?

11 I look at it as the U.S. Senate. There's
12 only 100 people there making laws. But they're
13 responsible to 300 million people. We can't have
14 every single entity on this committee, or we'll never
15 get it done. You tell me what the committee looks
16 like. You tell me how often they meet. That's a
17 challenge, I know.

18 And the last thing and maybe most
19 importantly, whatever you advise to me, whether it's
20 this committee, a subcommittee, a new committee, a
21 working group, whatever, who chairs it? My ego
22 doesn't say I have to chair it. Dr. Masters' ego

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doesn't say she has to chair it. If there would be
2 more confidence in the three-legged stool that we have
3 an outside person without a dog in this fight chair
4 it, fine. Tell me that. We'll figure out who that
5 person, who that entity, what that organization might
6 be.

7 We might take some ownership in the final
8 product, I don't take ownership of the committee. It
9 will be some kind of a working group, advisory
10 committee that can listen to people who have
11 knowledge, people who work in plants, people who
12 produce the product, people who are scientists that
13 have studied this issue for years and years and years.
14 I need the help. That's my commitment to you. That's
15 my biggest challenge for you today is tell me how to
16 do this. I want to move forward. I want to do it
17 fairly rapidly.

18 So I ask you don't focus on what's worked
19 in the past, don't focus on the last 99 years. We've
20 done good work. We've done great work. Let's focus
21 on the future. This is a really, I think this is a
22 crucial test for this committee, and I'm confident

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that you're going to pass this test. But we have to
2 begin work on enhancing our risk-based system so that
3 we can meet the food safety challenges of the next 100
4 years, and some of them we don't know what those
5 challenges will be yet. We know we've got issues.
6 Some of them are very open to us, and we need your
7 help to address them.

8 The state of public health is constantly
9 evolving. We can't afford the risk of not evolving
10 along with it. Robert, if you've give me the next
11 slide, I'm going to wrap up here in just a second.

12 In 1900, at the turn of the century, the
13 average life expectancy of an American was 45 years of
14 age. It is now 75 years of age, and the biggest
15 reason for that is getting control over infectious
16 diseases. In 1900, nine out of the ten top causes of
17 death were infectious diseases. They included things
18 like diphtheria, for which we have a vaccine;
19 tuberculosis, which we have medication. They included
20 things like dysentery, interiditis, typhoid, and
21 cholera. Things were brought about by crowded living
22 conditions, unsanitary sewage, bad water, bad food.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, in this job, I'm going to say safe
2 food is the biggest reason that life expectancy went
3 from 45 to 75. Last year, I said it was public health
4 and immunization. It just depends what hat you wear.
5 So now we're going to say it's safe food.

6 In the year 2000, when the life expectancy
7 was 75 years of age, nine of the ten leading causes of
8 death were illnesses brought about lifestyle
9 primarily: diabetes, lung cancer, emphysema, heart
10 attacks, strokes, HIV/AIDS. The infectious diseases
11 got under control in the last century because of
12 sanitation, water, food, antibiotics, and
13 immunizations primarily, and some better healthcare
14 services.

15 So the Centers for Disease Control was
16 formed to get a handle around infectious diseases.
17 They have added something to their name. It's now the
18 Centers for Disease Control and Prevention because the
19 Centers for Disease Control's biggest efforts now are
20 based on educating people about diet, exercise, don't
21 smoke, wear seatbelts, etcetera.

22 We need to change FSIS. It is a new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 century. And we are doing some of those things. We
2 are trying to educate the public on safe food
3 preparation and handling. For instance, you know, it
4 used to be regulation of the plants, and that was it.
5 And God help you when the food got home if you didn't
6 prepare it correctly. We've gotten into that
7 education mode. We've got some resources that would
8 help us do that. But right now, they're spending two
9 to three hours a day driving around the roads in
10 Nebraska.

11 You tell us how we move into the next
12 century. And because, I'll finish up here, because I
13 bring this point up because while things have changed,
14 things haven't changed. You're here for one reason.
15 I'm here for one reason. FSIS employees are here for
16 one reason today. The public that's sitting out there
17 is here for one reason today. And that's to help save
18 lives. This is our most important asset, and that's
19 what we're here for today.

20 So I ask you to help us figure out the
21 next steps into this new century. I know you can do
22 it. I'll look forward to your reports. I'm going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 try to spend as much time with you in the next few
2 days as I can. I definitely will be here for the
3 committee this afternoon, but I've got a couple of
4 commitments this morning that I've got to get to. I
5 apologize for eating and running. I wish you good
6 luck for your deliberations. I hope the challenges
7 will be met and accepted. It's a new world.

8 So, once again, thank you for coming to
9 D.C. Thank you for the audience. We will allow you
10 time for participation today. I promise you that.
11 Thanks.

12 (Applause.)

13 MEMBER TYNAN: Thank you very much, Dr.
14 Raymond. Next on our agenda, I'd like to introduce
15 the Administrator of the Food Safety and Inspection
16 Service, my boss, Dr. Barbara Masters.

17 CHAIRPERSON MASTERS: Thank you, Robert.
18 And we appreciate Dr. Raymond's remarks and also his
19 challenges. On behalf of FSIS, I want to welcome you
20 also to this important public meeting. As always, I'm
21 encouraged by the dedication and enthusiasm that
22 brought all of you to this meeting, and we look

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 forward to a productive forum.

2 We joked a little bit about getting your
3 rolls and your coffee this morning because we
4 recognize that what brings you here is your dedication
5 and enthusiasm, and we appreciate the work and the
6 challenges that you're going to take on in the next
7 two days.

8 I realize more than ever the challenges
9 that are confronting all of us, and I remain committed
10 to protecting public health and making sound public
11 policy decisions at the national level. I'm very glad
12 to be here at this two-day meeting because it provides
13 me an opportunity to get to know you a little better,
14 but it also gives us a chance to build on the three
15 areas that Dr. Raymond finished with, and that's
16 communication, cooperation, and collaboration. All of
17 these elements are essential as we move forward to
18 improve food safety and also to further protect public
19 health.

20 That's why I'm really excited to hear your
21 ideas and to get your recommendations that you might
22 have to improve food safety. This committee's work

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and recommendations are vital to our success as an
2 agency and, above all, to protect consumers. Your
3 suggestions and your feedback are critical, and I
4 think we have been taking your suggestions very
5 seriously as we shape our policy positions.

6 We will be providing you updates on our
7 issues that we've had in the last couple of meetings,
8 and we'll be going through the briefing papers on
9 recent topics. There's time on the agenda for you to
10 get those briefings and also to ask questions that you
11 might have on those briefing papers. And so I think
12 you'll see that we've been taking your feedback very
13 seriously, and I think we've made a lot of progress on
14 recent agenda items.

15 However, I think there is a new flavor and
16 a new tone for this particular meeting. We have a
17 very focused agenda, and the next two days are very
18 important to us as we move forward in the direction of
19 further protecting public health, and that is by
20 talking about furthering our steps in risk-based
21 inspection.

22 We are seeking input from this committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on two specific areas, and that is information on data
2 and on risk-based inspection. And those are the two
3 areas that we're going to be asking for your
4 consideration on in the two subcommittees. And again,
5 I think the information was in your package of
6 materials.

7 Robert has put up a slide. I think it's
8 really important to recognize, and I think Dr. Raymond
9 did a good job of talking about -- wrong one. It's
10 okay. I think Dr. Raymond did a really good job
11 talking about the dramatic steps that we've been
12 taking moving towards full implementation of risk-
13 based system. He provided the analogy of the three-
14 legged stool with each leg representing consumers, our
15 employees, and the industry, respectively. And each
16 of those legs are vital so the tool doesn't collapse,
17 so that we have our risk-based system.

18 We in the agency see ourselves as building
19 the infrastructure to support -- keep trying, Robert.
20 We see ourselves building the infrastructure to
21 support the Office of Food Safety's three-legged stool
22 so that it can hold up that three-legged stool.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And what we're trying to do is to give you
2 a different depiction to demonstrate how we can work
3 together to provide that infrastructure to support the
4 same three groups that Dr. Raymond was talking about,
5 which is the industry, our personnel, and the
6 consumers. And in this slide, if you look at the
7 foundation and moving towards that full implementation
8 of a risk-based system that Dr. Raymond mentioned, he
9 also talked about the steps moving towards that full
10 implementation, looking at the full implementation of
11 HACCP. We talked about HIMP, risk-based Listeria
12 testing, and not knowing what those next steps are
13 towards full implementation of a risk-based system.

14 But we recognize before we can talk about
15 those steps that we really need to conceptually
16 understand the risk-based infrastructure, and that's
17 what we're going to be talking about over the next few
18 days. We felt we needed to focus on the bigger
19 picture, the end goal of a fully-implemented risk-
20 based system. We see that as our roof. As you see in
21 this slide, we wanted to give you that picture because
22 we believe to get that public health protection that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we needed your advice and guidance on getting that
2 infrastructure, what kind of protection we might get
3 from that roof.

4 So later this morning, Mr. Phil Derfler is
5 going to walk you through the concepts of this
6 infrastructure because what we really see in giving us
7 that infrastructure is the work and the role of our
8 inspection personnel and data as it pertains to our
9 risk-based inspection system.

10 And so we're going to be getting your
11 advice and guidance on putting those pieces together.

12 And so Phil will be walking you through some very
13 detailed questions and getting your input on the data,
14 the public health data, and the kind of things our
15 inspection personnel might do to help us raise that
16 roof.

17 You can also see here, if you move to the
18 next slide, that we go to the same three groups that
19 Dr. Raymond talked about. And he started getting into
20 this a little bit. We, as an agency, recognize our
21 role and responsibility in working with the regulated
22 industry and ensuring that all establishments have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 well-designed and implemented effective food safety
2 systems as we further move into a full risk-based
3 inspection system. And we've begun doing and re-
4 energizing our outreach efforts with the small and
5 very small plants, and we're looking at how we can
6 continue our significant outreach efforts in the
7 coming year.

8 And when we look at our FSIS personnel in
9 that pillar, we have done a lot of work in the area of
10 training, and Dr. Raymond talked about that, and the
11 role for our FSIS inspection personnel to collect,
12 assess, and respond to public health data in a way
13 that they can proactively look at that data. They
14 need to be able to collect, assess, and respond to
15 that data in a way that they can be proactive. And
16 further, in a fully-developed public health risk-based
17 model, we need to be able to go back and have that
18 assurance function to be assured that whatever changes
19 we make they have been the right kind of situation to
20 verify whether or not we've corrected the situation
21 with what we did when we made the policy changes that
22 we've put in place when we collected, assess, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 respond.

2 So those are the kinds of things we're
3 looking at and the kind of questions we'll be asking
4 you as we go through the subcommittee. Because,
5 again, under an optimal risk-based system, the type
6 and intensity of inspection activity we'd be looking
7 at would be determined by an analytical process that
8 allows our inspectors to foresee problems. Again, we
9 want to be proactive, so they can focus their efforts
10 at plants and at processes that pose the most public
11 health risk.

12 But most importantly, to get to this
13 point, what we recognize as an agency is that we will
14 need either new or, at least at the very minimum,
15 updated public health data systems that allow us to
16 collect, assess, and respond to that public health
17 data. So you see that on the bottom step there. That
18 is the rock-solid foundation for us is to have the
19 right data that we can look at to be proactive. So
20 raising the roof and having that solid public health
21 data system, which is why we're going to be talking to
22 you a lot today about data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And our consumer pillar. Again, each and
2 every one of us here are consumers, and we want to
3 have confidence in the food supply that we're eating.
4 And we recognize that any step that we take forward
5 has to ensure further protection of public health and
6 that we need to be moving forward, as Dr. Raymond
7 said, receiving input from all of our stakeholders
8 every step along the way and that we need to work with
9 all of our food safety partners to ensure that every
10 one recognizes the goals and expectation of the system
11 that we're working on.

12 So Robert, if you could go back to my
13 previous slide. I just want to reiterate that we are
14 interested in receiving your input and discussing the
15 broad-range concepts of risk-based inspection, as you
16 see at the top of the slide. Again, raising the roof.
17 And also, our public health data systems that form the
18 very bottom of the foundation.

19 We know that everyone understands that, as
20 Dr. Raymond said, the three-legged stool is important,
21 that we need to have support from the regulated
22 industry and our personnel and consumers as we move

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 forward. And I know everyone sitting out there has a
2 vested interested in the steps, and it's very easy to
3 want to jump right to those steps.

4 But in particular, for those steps that we
5 don't even know what's on them, the question mark
6 steps, those are the ones in particular everyone wants
7 to run up and say, "But what about this? What about
8 that?" We recognize this is the first of many public
9 forum where we'll be discussing this. And in fact,
10 Dr. Raymond challenged this subcommittee to give us
11 ideas about how we can have more public forum. And we
12 believe it will be very likely we, as an agency, will
13 probably have public meetings on the steps.

14 But we felt it important, before we got to
15 the steps, to get advice from this subcommittee on the
16 infrastructure to support those steps so that we had a
17 solid foundation in place to even get to those steps
18 because if we don't have a solid foundation, likely
19 those steps will lead to nowhere. And so we wanted to
20 make sure that we asked the right questions before we
21 even started focusing on some of the steps,
22 particularly these unknown steps.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So I know many of you are anxious to work
2 on the steps, but we'd ask you to indulge with us on
3 the roof. When you build a house, you've got to put
4 the roof on before you can start hanging the drywall
5 and putting the paint and the furnishings. So help us
6 build the infrastructure before we get to the steps,
7 which is where many people want to get to is those
8 steps.

9 So we really would ask you to help us
10 think more broadly about the decisions that we need on
11 data and our inspection personnel, which is what we
12 really want to get through today. And I think you'll
13 understand that when Mr. Derfler walks you through. We
14 have some very detailed questions that we have for our
15 subcommittees.

16 We'd also ask you to think in your
17 subcommittees even down to the level. If you're
18 deliberating and you're talking, we'd even welcome
19 your thoughts on the definition of risk as it relates
20 to product, process, and plants. And I think that was
21 one of your questions. If you get into that kind of
22 discussion and you have thoughts, we'd welcome that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of input from our subcommittees.

2 If you're deliberating and you don't have
3 a question there but you have thoughts and say, "I
4 wonder why they didn't come up with this question, but
5 we think it would be good feedback to the agency on
6 inspection or on data," please provide that
7 information to us. We want the most solid foundation
8 that we can have because the more solid our foundation
9 the more likely we are to build an infrastructure that
10 will allow us to protect food safety and further
11 protect public health.

12 Please recognize we think we came up with
13 some good questions, but we want all of your feedback
14 as it relates to these areas, and we recognize that
15 this is not the only time we'll be talking about this
16 topic. It's the first time that we're going to be
17 presenting it, but I will remind you we did talk to
18 this committee about risk-based Listeria testing and
19 on data and on training and outreach because we
20 recognize many of those are building blocks, but now
21 we want to get to the heart of the infrastructure and
22 we'll be back to the steps in the future at many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 public forum, and we look forward to the deliberations
2 because I suspect this will be a very energetic topic.

3 But again, if you think of something that
4 we didn't think of, it's not that we didn't want to
5 talk about it. We welcome your input on all of those
6 areas. So we look forward to your input, and we'll
7 look forward to the deliberations. And we'll also
8 look forward to, again, hearing from the public and
9 your comment on these areas.

10 Before I conclude, we have a new person on
11 our committee for the first time today. We'd like to
12 give her a certificate. Dr. Andrea Grondahl, and your
13 certificate reads, "With appreciation for accepting
14 the call to serve the nation and the U.S. Department
15 of Agriculture as a member of the National Advisory
16 Committee of Meat and Poultry Inspection." So when
17 you hear us joke about your doing this for your coffee
18 and doughnuts, we do appreciate the hard work that
19 you're going to do over the next few days and the next
20 couple of years. So thank you very much.

21 (Applause.)

22 CHAIRPERSON MASTERS: And that thank you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 goes to all of the committee because we recognize the
2 next couple of days are going to be a very energetic
3 and lively day, so thanks to all of the committee.

4 MEMBER TYNAN: Good morning again. We're
5 going to go through the portion of the agenda called a
6 charge to the committee. And I know we've been
7 through the charge so many times, but bear with me.

8 But what I'd like to do, though, before we
9 do that is something in the interest of time when Dr.
10 Raymond was here we did not do is perhaps go around
11 the table and just introduce ourselves one more time
12 so that we know who we are, and the young lady that is
13 doing the transcription will also know who we are, as
14 well.

15 So I'm going to start with myself. I'm
16 Robert Tynan. Again, I'm the Deputy Director of the
17 Strategic Initiatives Partnerships and Outreach Staff,
18 and I'm going to reach down here and ask Mr. Quick.

19 MEMBER QUICK: Hi. I'm Bryce Quick, the
20 Deputy Administrator for FSIS.

21 CHAIRPERSON MASTERS: I'm Barb Masters,
22 Administrator, FSIS.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER FINNEGAN: Mike Finnegan, Montana
2 Department of Livestock.

3 MEMBER HARRIS: Joe Harris with Southwest
4 Meat Association.

5 MEMBER GOVRO: Mike Govro with the Oregon
6 Department of Agriculture.

7 MEMBER BAYSE: Gladys Bayse, Spelman
8 College in Atlanta.

9 MEMBER SCHAD: Mark Schad with Schad Meats
10 in Cincinnati, Ohio.

11 MEMBER LINK: Charles Link. I'm with
12 Cargill Value Added Meats, Wichita, Kansas.

13 MEMBER LOGUE: Catherine Logue, North
14 Dakota State University.

15 MEMBER ESKIN: Sandra Eskin. I'm an
16 attorney and public policy consultant, and I do work
17 for a number of public interest groups on food safety
18 issues.

19 MEMBER KOWALCYK: Michael Kowalcyk. I'm
20 with Safe Tables Our Priority.

21 MEMBER CARPENTER: David Carpenter,
22 Southern Illinois University School of Medicine in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Springfield, Illinois.

2 MEMBER HOLLINGSWORTH: Jill Hollingsworth,
3 the Food Marketing Institute.

4 MEMBER GRONDAHL: Andrea Grondahl, North
5 Dakota Department of Agriculture.

6 MEMBER DENTON: James Denton, University
7 of Arkansas.

8 MEMBER ELFERING: Kevin Elfering, and I'm
9 the Director of Dairy, Food, Meat, Poultry, Egg, and
10 Feed Inspection with the Minnesota Department of
11 Agriculture.

12 MEMBER TYNAN: Your acronym is longer than
13 mine.

14 MEMBER ELFERING: Yes, and we don't even
15 use an acronym. See if you can spell something with
16 it.

17 MEMBER TYNAN: Let me walk through the
18 agenda very briefly, so that we all know what we're
19 going to be doing for the next few days. We obviously
20 have gone through our welcome and some of our opening
21 remarks, but the charge to the committee is now we're
22 going to do, after we get through this portion, we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to do a little bit of an update on the issues
2 from the previous meeting. That will be followed --
3 so I'll give you a brief summary. You can ask some
4 questions on any of those issues, if you have any.
5 And then we'll go into the briefing papers, which are
6 probably updates on things from previous meetings or
7 issues that we wanted to bring to your attention.

8 In fact, I believe in front of you you
9 probably have the legislative update, and you can take
10 a look at that. I believe Lisa Picard is in the back
11 of the room, so if there are any questions regarding
12 the legislative update, you have an opportunity to
13 take a look at that, we can get those questions
14 answered for you, as well.

15 At 10:15, we're scheduled to take a break, and we'll
16 probably be back pretty much on track time-wise. And
17 then we're going to get into the issue for the
18 meeting. And you'll notice that we only have one
19 major issue, which is risk-based inspection system,
20 and that's been the theme so far in the two opening
21 remarks, and that's what we're going to be doing for
22 the rest of the day.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We'll have two subgroups that are working.
2 Mr. Kowalczyk is going to be chairing one, and Dr.
3 Carpenter will be chairing the other. And we're going
4 to divide the questions up, and we can talk about that
5 as we get a little bit closer to it. So we're going
6 to assign the questions a little bit differently this
7 time and ask you to do the work a little bit in a
8 different format than we have in previous meetings.
9 And I think it will be an enjoyable activity and get
10 us through some very detailed questions.

11 Let's first look at the rules of order,
12 finally known as Robert's Rules. They're under tab
13 14, and I'll just walk you through them very quickly.
14 We did these probably 18 months ago, maybe as much as
15 two years ago, and we did these for the purposes of
16 making sure that we had an efficient and effective
17 meeting, that things went smoothly all the way
18 through. So let me read through the Rules of Order.

19 Obviously, the FSIS Administrator is the
20 Chair that's the conducting the meeting and has
21 delegated to me the opportunity to sort of moderate
22 the proceedings. All the questions, requests to speak

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have to be addressed to the Chair. People must be
2 recognized by the Chair before speaking. So that's,
3 obviously, there are going to be a lot of questions on
4 this particular issue. We want to try to make it a
5 more considered way of responding to that.

6 And if I could ask, I don't know if you
7 did it at the last meeting, but as you have questions,
8 if you could take your tent cards, sort of stand them
9 up on their side, and then I'll know you have a
10 question, and we'll find some way, in an organized
11 fashion, to get around and get everybody's questions
12 answered.

13 Presentations of the issue paper are going
14 to be followed by some short question and answer
15 periods. In the interest of time, some of the
16 questions and comments maybe would have to be limited
17 somewhat in length. It will be more in terms of
18 clarification. Longer comments we may want to save
19 for the public comment period, but that will be up to
20 Dr. Masters to decide for timing purposes.

21 Any materials that anybody has that they
22 wanted to display, you need to check in with us before

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you put those out on the table for the public meeting.
2 So they have to be approved first.

3 Committee members are expected to attend
4 the plenary sessions of the meetings and the
5 subcommittee meetings to which they are assigned. So
6 the committee meetings who don't attend a presentation
7 of a particular issue, in this case you can't avoid it
8 I guess, participating in the subcommittee meetings,
9 you'd have to be in your own subcommittee in order to
10 participate in the discussion on Wednesday morning.
11 That's in order to be fair to everybody that is
12 working on the different aspects of the issue.

13 The subcommittee chairs designated by the
14 Chair, and, as I mentioned, Dr. Carpenter and Mr.
15 Kowalcyk are going to be the chairs for our
16 subcommittees, they have the authority to control a
17 meeting. Members of the public that want to attend
18 either of the subcommittee meetings may do so, and it
19 will be up to the Chairperson to decide how much input
20 the public can have in those deliberations.

21 And last but not least, the Rules of Order
22 are subject to review. So if at any time some of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 those are too onerous, I don't think they are, but if
2 you think they are we can talk a little bit about
3 them; or if there are some other rules that would be
4 helpful to make the committee run more smoothly, that
5 will be great. We can talk about those, as well.

6 Any questions so far? Maybe we can talk a
7 little bit about the updates from the previous
8 session, and they're under tabs two, nine, and ten. I
9 beg your pardon. I think it's -- I apologize. It's
10 four, nine, and ten.

11 So the first one has to do with an update
12 on risk-based sampling. And is Dr. Engeljohn here?
13 Okay. Just briefly, this was an issue that was
14 brought up with the committee in June. It has to do
15 with *Listeria monocytogenes* and ready-to-eat meat and
16 poultry products. FSIS has completed a test phase of
17 the surface testing program in 14 plants between July
18 and September of 2005, and that's in anticipation of a
19 nationwide implementation. So the program is going to
20 test for *Listeria monocytogenes* on food contact and
21 non-food contact surfaces.

22 So this was targeted toward high-risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 operations. I think they found that food contact
2 surfaces would be positive for *Listeria monocytogenes*
3 when the product itself tested negative.

4 There's also considerable differences in
5 the degree of validation provided by the different
6 plants, and the results of this are going to be
7 incorporated into a new compliance guideline.

8 Last but not least, FSIS is assessing how
9 to incorporate more of these issues identified by the
10 Advisory Committee into the risk-based verification
11 testing/sampling structure. So most of that is
12 incorporated right into your issue paper, your update.

13 Do we have any questions on that
14 particular update? Mr. Link?

15 MEMBER LINK: Charles Link with Cargill.
16 It's kind of loud. Yes, I've got some questions about
17 the sampling program and data collection I guess. And
18 I think it fits into this discussion. I know that
19 FSIS is out doing some data collection on *Listeria* in
20 plants and are going out. There's a draft that's
21 being utilized to gather information, as well.

22 Talking to colleagues, I guess, that have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 gone through some of this, I guess some of the
2 questions that have come up is on the survey I guess
3 FSIS is not allowed to discuss with the plant. I
4 guess the inspector in charge is supposed to gather
5 all the information. And in some cases, there's areas
6 to answer the questions yes, no, or I'm not sure.

7 When they're doing the testing, it's kind
8 of a week-long test. I guess we're going in and doing
9 a lot of product testing and contact surface testing
10 for *Listeria monocytogenes*. And in doing that, it
11 creates quite a bit of, I'll call it painful for lack
12 of a better word, simply because if we're doing week-
13 long testing, we're doing week-long holding of
14 everything we produce in that plant, which is pretty
15 detrimental to the business. So we've got to find a
16 place to put product. Customers are not very happy
17 with us because we can't ship the product. And then
18 we've got surveys where we're not real sure we're
19 getting all the answers and not sure we're coming up
20 with a good conclusion when we're leaving the plant
21 after they've done the survey and done the food safety
22 assessment, I guess, if you want to call it that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So I'm just curious as to how that's
2 working, if there's maybe some ways to make it a
3 little bit better. I know it's quite painful, and I
4 don't want to speak for small plants, but I can't
5 imagine some of these small plants being able to go
6 through a week of this and hold product and not get it
7 on the market and maintain their customer base in the
8 process. So I'm just looking for some comment on how
9 that's going and if there's way we can make the system
10 maybe even better.

11 MEMBER ENGELJOHN: This is Dan Engeljohn
12 with FSIS. On the document that you're talking about,
13 that's what we call a checklist, and it's what we're
14 using as an instrument to gather more specific
15 information about the degree of validation that is
16 used as the foundation for the food safety system. So
17 its intention was to ask the types of questions that
18 would help discern whether or not the validation is
19 supported by actual data or it's supported by computer
20 modeling or other research that may not be directly
21 related to the implementation that occurs in the
22 plant.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so that was one way that the agency
2 could also take that feedback, put it into our risk-
3 based program to target operations that don't have
4 real data or really strong justifications for their
5 food safety system to be targeted at a higher rate
6 than those that do not. So the issue was to have an
7 instrument. We did make that instrument available to
8 the public earlier in October, in which we asked for
9 comments. That was through the constituent update. I
10 will say we did not get many comments until just this
11 past week, actually, from an individual from the
12 industry associations. But we really were looking for
13 public comment on how to make that instrument a better
14 tool.

15 We found it be extremely beneficial to our
16 food safety assessment team, the EIAO that went into
17 the plant in particular, because it helped direct them
18 to look at the appropriate types of questions to help
19 discern whether or not the data is there to support
20 the system.

21 The issue about sharing the information
22 with the plant, we have made it clear there are two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues to deal with. One is we would have to seek OMB
2 approval if we were to do a survey of the
3 establishment and have them answer the questions. Our
4 intention, however, was to have our employees use that
5 instrument to better understand the food safety
6 system's rationale.

7 And so the intention, though, and as we've
8 directed our employees, is at the completion of the
9 survey, of the checklist is to share that information
10 with the plant. And this would be the perfect
11 opportunity in the exit meeting to go over what they
12 found. The plant certainly can provide at that time
13 any clarifications or their rationale for why they
14 think the answers were not appropriately assigned to
15 the checklist, and that interaction could occur. So
16 if that didn't happen, we certainly would follow-up to
17 make sure that it does.

18 Our intention is to include that checklist
19 as part of all the future food safety assessments that
20 occur because we do find it to be a very valuable
21 tool. We'll find ways to make sure that that gets
22 shared with the plant. Our goal would be that that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plant actually has that tool and has the data already
2 available for the food safety team to review before
3 they get there so that time isn't taken aside from
4 that.

5 In terms of the length of the food safety
6 assessment, the food safety assessment that occurs is
7 not simply for the Listeria program. When our team
8 goes in and conducts the food safety assessment,
9 they're looking at the entire food safety system. And
10 so depending on the complications of that system, it
11 may take longer. And we do recognize that it's taking
12 a week, in some cases two weeks. The testing
13 component to it does not take any longer than what the
14 usual testing does when we take a product sample, or
15 at least that's the intention of the program. We're
16 certainly evaluating all those components to see how
17 they work in the pilot so that, when we go nationwide,
18 we can have that under control. Our goal also is to
19 give the plant advanced notice of when we're coming in
20 to take that particular assessment, so that there
21 would be the opportunity to hold the product and to
22 make arrangements with the marketing of the product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER TYNAN: Dr. Hollingsworth, you had
2 a question?

3 MEMBER HOLLINGSWORTH: I did. And,
4 actually, Dan addressed a portion of it, but when I
5 read this update I was a bit confused, partly because
6 our first report to the FSIS on this was back in June
7 and, with my memory, that's a lifetime ago. But I was
8 confused about the status report versus what I
9 recalled we proposed to the agency, which was looking
10 at validation in small and very small plants. But
11 when I read the status, what I wasn't clear on is the
12 testing phase for contact surfaces done in 14 small
13 and very small plants, was that test focused on
14 finding better ways to deal with unique risks at small
15 and very small plants? There almost seemed to be a
16 disconnect there, so maybe I've misunderstood that.

17 Also, under the status in the bullets,
18 there was one that says as a consequence of the new
19 risk-based program, and that just seemed to drop out
20 of the blue. I wasn't sure what new risk-based
21 program was being referenced here in these bullets.
22 So it might have been my misunderstanding of this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 update, but the status report seemed to disconnect
2 with the background and what I believed this committee
3 had spoken to the agency about.

4 MEMBER ENGELJOHN: This is Dan Engeljohn
5 to follow-up. As you pointed out, Dr. Hollingsworth,
6 the work of the Advisory Committee in the June meeting
7 was to provide us information about how to better
8 address risks related to small and very small plants.

9 And I think, in general, the response was that the
10 small and very small plants are not unique in the
11 issues that they deal with and that risk-based should
12 cover all aspects of the operation.

13 What we have reported here is how we
14 currently have constructed our Listeria risk-based
15 program, and part of the 14-plant study was to look at
16 small and very small plants included within the 14
17 plants. So there was, amongst the 14 plants, large,
18 small, and very small plants. And our job now is to
19 look through that data to see what unique
20 opportunities afforded themselves in that particular
21 risk-based program.

22 The new risk-based program is the addition

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of FSA, the food safety assessments, the checklists,
2 and conducting the food product contact surface test
3 and the environmental tests. So that's the new
4 aspects that were added there.

5 So we have our Listeria program, which we
6 had designed. We took a pilot in 14 plants for
7 assessing that to see how we need to make
8 modifications. The recommendations from this
9 committee from June then will be incorporated into the
10 future design of both the Listeria risk-based program
11 and the E. coli program. So we have the Listeria one
12 ongoing right now, but the information provided by the
13 committee will help supplement how we modify it in the
14 future.

15 MEMBER TYNAN: Mr. Link?

16 MEMBER LINK: Charles Link. Just a real
17 quick follow-up. During the exit interview, I guess,
18 or the exit meeting, that would be an opportunity then
19 for us to discuss any of the questions that we
20 disagree with, answers I guess, and maybe to clear it
21 up at that point. So I guess my fear is I don't want
22 to go away from this not sure where the plant sits. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 when it's over and done, as we're moving forward, we
2 know where we are relative to the risk assessment.

3 MEMBER ENGELJOHN: It is our goal to
4 ensure that before the team leaves the plant after
5 conducting a food safety assessment that the plant
6 will have a very clear understanding of the responses
7 that have been put together. Those would be shared.
8 And to some extent, there would not necessarily be
9 consensus there, but at least if there is
10 disagreement, we need to be sure that we know that.

11 But we do want to give the plant to
12 opportunity to provide us feedback, so we will make an
13 effort to ensure that that does occur. This is
14 intended to be an educational tool, to some extent, to
15 provide the plant with the perspective that the agency
16 has about validation in particular, but for the
17 establishment itself to come back and provide
18 information that we may have missed in the conduct of
19 that food safety assessment.

20 MEMBER TYNAN: Ms. Eskin?

21 MEMBER ESKIN: Sandra Eskin. Mr.
22 Engeljohn, again in that test phase, you identified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there were 14 plants of varying sizes. How were those
2 plants picked, and is there any assurance that, in
3 some ways, it's representative of the whole set of
4 plants?

5 MEMBER ENGELJOHN: Thanks for the
6 question. The 14 plants represent -- first, there were
7 intended to be 15 plants, but we only did 14 because
8 the 15th plant was in a district which was affected
9 that same week that we were to conduct the food safety
10 assessment as the hurricanes came through.

11 Our goal in what we call this, basically,
12 a pilot phase was to test at least one plant in each
13 of the 15 districts. And the plants were selected by
14 a process in which, through the survey information
15 that the plants are required to provide to FSIS on an
16 annual basis identifying what's produced, how much is
17 produced, and how effective their programs are at
18 controlling Listeria, that was information that helped
19 provide some basic discernment as to which plants in
20 each district present the highest risk. And then from
21 that list of plants, the district manager had the
22 opportunity to decide which plants in that district

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would best be able to send in an FSA team.

2 And so we identified to the district
3 managers the high-risk operations within those plants,
4 giving them some guidance to ensure that we got a
5 cross-section of small, very small, and large. And
6 the intention really was to ensure that the EIAO,
7 these are our inspection force personnel who are
8 trained and are able to do a more in-depth analysis of
9 food safety systems. They're the ones that go in and
10 conduct these food safety assessments, so it became
11 one issue of where could one be conducted, how quickly
12 could we get a team in there, and who had not had one
13 in the relatively recent past.

14 So there were a number of factors that
15 went in there, and it really was to get each district
16 in tune with how to conduct this, not necessarily to
17 get a true sampling of all the plants because we will,
18 in fact, be operating this program nationwide. It
19 really was to ensure that each district had an
20 understanding of how we wanted this conducted,
21 particularly with the new aspects of Listeria
22 verification testing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER TYNAN: Mr. Schad?

2 MEMBER SCHAD: Yes, Mark Schad, Schad
3 Meats. Dr. Engeljohn, I guess I still, in my mind,
4 I'm a little confused. The district manager decided
5 what would be a high-risk operation; is that correct?

6 MEMBER ENGELJOHN: No. We, here in the
7 headquarters office, have access to plants'
8 performance information. We have access to the
9 information that's supplied on an annual basis by the
10 establishment. By regulation the plants are required
11 to provide specific information that helps us discern
12 what are high-risk operations and what are high-risk
13 products. And with that information, then we here in
14 headquarters directed the districts with a list of
15 plants that fell into the highest-risk category versus
16 a medium risk versus lowest risk. So we gave each
17 district a listing of the highest-risk operations.

18 MEMBER SCHAD: That risk was based on
19 compliance or that was based on -- this is really the
20 product, correct?

21 MEMBER ENGELJOHN: Yes. The risk was
22 based, in part, on what products are produced. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know from our risk assessment that deli meats and hot
2 dog products present the greatest risk for human
3 illness associated with the products that we regulate,
4 so those categories fell into the high risk. If they
5 produced the alternative three product, which would be
6 those products without a post-lethality treatment or a
7 antimicrobial growth inhibitor, that fell into high
8 risk. If they produced a high volume of product, that
9 also contributed to one of the factors.

10 Other things fell into the algorithm, such
11 as performance history. Has there been positives in
12 the past? Has there been performance related to
13 problems in the plant in the past. Those things
14 factored in, but it truly was the high-risk products
15 and high-risk operations.

16 MEMBER TYNAN: Mr. Kowalcyk?

17 MEMBER KOWALCYK: Michael Kowalcyk with
18 Safe Tables Our Priority. You mentioned in the update
19 that testing for E. coli will occur in the spring of
20 '06. Could you provide any additional update as to
21 where the agency is in the stage of setting that
22 program up?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ENGELJOHN: Yes. The agency is at
2 a crossroads in terms of moving forward with our 0157
3 program. As you know, last year we put forward our
4 directives on changes into the system in terms of what
5 products are sampled and started that process before
6 the high prevalent season began.

7 You should expect that this year the first
8 step in this process will be part of our baseline
9 study, which is going to occur, in which we are
10 beginning to test trim for the first time. The
11 baseline study is designed to get a nationwide
12 prevalence associated with the particular product that
13 we're sampling, but that also represents a step
14 forward with our risk-based program because it will be
15 testing trim, in addition to ground beef. So that's
16 the first step in this risk-based program.

17 We don't yet have specific information
18 about who produces the various types of trim and who
19 has a number of suppliers to them. The factors that
20 we believe may have an impact on risk, and some of
21 those factors are what your subcommittee in June
22 identified. We will begin the process of trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 glean from the plants who's producing what, how much
2 they're producing, and what controls they have in
3 place for an effective program. And that will
4 contribute to our decision-making.

5 But we don't yet have that information.
6 We haven't begun the process of gathering that from
7 our inspection employees first. And as we move
8 through the process and identify what risk factors
9 matter the most with regards to risk in E. coli
10 0157h7, we do intend to pursue getting OMB approval to
11 get other information that would come from the
12 establishments themselves. But our first step will be
13 to start the baseline study, which will include trim,
14 and that we count as part of our risk-based program.
15 And then from there, we'll start moving into other
16 operations that present higher risk, as we have the
17 information available to us. It will be a multi-step
18 process.

19 MEMBER TYNAN: Dr. Hollingsworth, you have
20 another question?

21 MEMBER HOLLINGSWORTH: Yes. Jill
22 Hollingsworth, FMI. Dan, do you already have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 protocol written for the E. coli testing plan, or will
2 one be made publicly available as far as what samples
3 you're taking and where and how many and all that kind
4 of information?

5 MEMBER ENGELJOHN: The only information
6 available at this time on the 0157 program is through
7 the FSIS notice that we issued that announces the
8 beginning of the trim baseline study, so that's what's
9 available right now.

10 CHAIRPERSON MASTERS: We can make the FSIS
11 notice on the trim baseline available. We can get
12 copies of that brought down. As Dan said, that's the
13 first step in moving in that direction, and we're a
14 long way from moving beyond that as an agency. So we
15 can get copies of that brought down.

16 MEMBER ENGELJOHN: If I can, Dr.
17 Hollingsworth, just to follow-up, are you asking what
18 is the protocol behind the statistical basis of the
19 program? Is that what you're asking for?

20 MEMBER HOLLINGSWORTH: Yes, more what is
21 the plan for how many samples over how long a period a
22 time. What is the baseline design on this? I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understand it's not ready yet. I understand that
2 that's not ready yet. My question then was will that
3 type of protocol be available in the future?

4 MEMBER ENGELJOHN: Yes. I think in the
5 interest of transparency, we certainly intend to do
6 everything we can to make as much information
7 available as much as possible. I will say that much
8 of the design of the program was, in fact, made
9 available to the National Advisory Committee for
10 Microbiological for Foods, in which they commented on
11 the design of the program. So that protocol has been
12 previously made available. I'm not sure if it still
13 is, but we will, we certainly will intend to make that
14 available.

15 MEMBER TYNAN: Are there other questions
16 on this update? Maybe we'll move on to the next one.

17 Thank you, Dr. Engeljohn. The next issue from the
18 June meeting has to do with applying a market
19 inspection to product tested for an adulterant. And
20 FSIS considered the advice of the committee, and the
21 agency met with industry about this particular issue.

22 As a result, a group of industry trade

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 associations drafted guidance for establishments on
2 holding products when the agency samples. I think one
3 of the recommendations was that FSIS refrain from
4 issuing their own guidelines and instead review the
5 industry guidelines instead.

6 The industry finalized its guidelines and
7 the agency found no objection to their issuance. The
8 industry has issued its guidance, and the agency is
9 working with the industry to disseminate those
10 guidelines. I have Mr. Gioglio here, who is the
11 resident expert on the guidelines, and I'll ask him
12 maybe to come up, if there are any questions from the
13 group. Ms. Eskin? One question always leads to
14 another one.

15 MEMBER ESKIN: Sandra Eskin. At the very
16 end of the briefing paper, it indicates that FSIS is
17 working with the industry to plan an evaluation of the
18 guideline's effectiveness. Have you thought about how
19 that will be accomplished, sort of what timeframe
20 after a certain period of time, and what factors
21 you're looking at?

22 MR. GIOGLIO: Right. That's really in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 preliminary stages right now, actually. That will be
2 handled by OPEER, our office of -- I'm going to forget
3 want the acronym stands for now. But OPEER, part of
4 that is evaluation.

5 CHAIRPERSON MASTERS: Program Evaluation,
6 Enforcement, and Review.

7 MR. GIOGLIO: Thank you, Dr. Masters. And
8 OPEER will be handling the evaluation part.
9 Certainly, we'll be looking at the number of recalls
10 for when we sample, continuing to trend down. But
11 there may be a number of other factors that we will be
12 looking at there.

13 CHAIRPERSON MASTERS: We want to know if
14 plants are holding product. That would be another
15 thing we'd be looking for, Sandra. This is Barb
16 Masters.

17 MEMBER TYNAN: Are there other questions
18 on the test and hold? Dr. Hollingsworth?

19 MEMBER HOLLINGSWORTH: Jill Hollingsworth,
20 Food Marketing Institute. Is there any information
21 collected or recorded as to when a plant tests
22 product, holds it, it's found to be positive, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subsequently they destroy it; therefore, there's not a
2 recall? But is there any monitoring or tracking of
3 that information to determine whether or not --
4 because I'm not sure that you can just use recalls. I
5 mean, I'm very, very happy that the recalls are going
6 down, but I'm not sure that can always be used as a
7 sole determinant as to whether or not effective
8 programs are in place for the reduction of pathogens,
9 if, in fact, products are being held and then
10 destroyed, which I think is certainly the better
11 option, but is there any record-keeping for that or do
12 we have any statistics on it?

13 MR. GIOGLIO: Not specifically, but that
14 may be one of the factors as we're planning that
15 evaluation that we may want to look at. Thank you.

16 MEMBER TYNAN: Other questions on test and
17 hold? Going once. Okay, thank you, Charlie.

18 MR. GIOGLIO: Thank you.

19 MEMBER TYNAN: Okay. The third issue from
20 the meeting in June has to do with increasing industry
21 awareness about new technology staff cooperative
22 agreements. And I think, based on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendations, the new technology staff has begun
2 posting the results of their cooperative agreements to
3 our web site. FSIS has posted, actually, nine,
4 according to the issue paper very easily understood
5 summaries of the work done under cooperative
6 agreements. So for those of you who are challenged in
7 your reading, these should be very helpful to you.
8 They're easily understood.

9 The new technology staff is in the process
10 of sending out a letter to state agriculture
11 departments, county extension agents, trade
12 associations, and others to make them aware of the
13 posting to the web site and the availability of these
14 materials. FSIS has also established an information
15 resource list that provides a brief summary describing
16 some of the new technologies that it's received and
17 reviewed. We have Dr. Syed here.

18 Are there any questions regarding this
19 update before we ask Dr. Syed to get some exercise?
20 There being none, we'll allow Dr. Syed to relax again
21 and enjoy the meeting.

22 With that, those are the updates from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 June meeting. We also have a variety of briefing
2 papers in your notebook that we could go through maybe
3 one at a time. And if there are any questions, we'll
4 try and get them answered either by people in the
5 audience or at a later date if we don't have anybody
6 here representing that particular group.

7 Let me see if I can get to my list. We'll
8 go through them in an orderly way. We talked about
9 the risk-based sampling, the data acquisition, state
10 reviews. Were there any questions on the state review
11 paper? I beg your pardon. The data acquisition under
12 tab five will be the first one. Were there any
13 questions on that particular briefing paper? Ms.
14 Eskin?

15 MEMBER ESKIN: In the update in the
16 discussion session, you mention that one company has
17 offered to provide FSIS with data and then several
18 others have signaled willingness. Any sort of
19 timetable about when we'll get from this initial stage
20 to the next stage? And, you know, while it's great
21 that these particular plants are willing to do that,
22 that's a pretty small number. So is there any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 activity to try to increase that number?

2 MEMBER TYNAN: We have Dr. Altekruse here,
3 who will try and answer that question.

4 DR. ALTEKRUSE: Hi.

5 MEMBER ESKIN: Hi.

6 DR. ALTEKRUSE: That's a great question.

7 And as my update indicates really, we haven't made a
8 tremendous amount of progress in developing a pilot
9 project. That's somewhat my responsibility because
10 this summer we were planning to move forward with
11 that, and the conversations didn't occur because
12 people were traveling and that sort of thing. So it's
13 not that that particular conversation is off the
14 agenda. It's just been moving at a slow pace. But it
15 is very timely for us to discuss this issue.

16 And as you point out, one pilot project
17 really isn't what we're looking for here. We want to
18 go to a much more sort of aggregate approach, and I
19 think that's what Dr. Masters and Dr. Raymond have
20 discussed this morning. It's the issue of data
21 sharing and the issue of providing information that
22 can help FSIS to anticipate hazards and to allocate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 resources appropriately.

2 And for example, if the inspector in
3 Nebraska had data coming in from multiple plants that
4 told him which ones were doing very, very well, which
5 ones had identified something but had initiated an
6 activity in response to that, and then which plant
7 maybe isn't even collecting the data. You know, some
8 decisions could be made by the inspector, and, at the
9 district level, they could say, "Well, we've got six
10 plants that fit this profile." And at that
11 headquarters level, some decisions could be made.

12 So that's really where we want to get. If
13 you will, something like a stock market. You know,
14 aggregate information which tells us what's doing well
15 and what isn't doing well. And the challenge is that
16 different plants, we're already aware of this,
17 different companies use different approaches. And so
18 the question is what meets the, you know, the level of
19 identifying process control in an establishment to the
20 satisfaction of FSIS?

21 So really stepping back from the
22 individual plants for one second, and we will move

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 forward with this, I think the real challenge here is
2 a three-step process. One is to hear from companies
3 what it is that they're doing that's working. The
4 second is to have them provide data to FSIS on these
5 very focused issues that FSIS can make some judgment
6 about the comparability of their system for food
7 safety. And then, third, incorporate that into
8 thinking about how to allocate resources effectively.

9 So, yes, we're making baby steps right
10 now, but that's the direction that we want to go. And
11 we discussed at previous meetings that there are
12 considerations at every step along the way of that,
13 including incentives for companies to participate in
14 this type of program, assurances about their
15 confidentiality as they present information on food
16 safety systems that they're using, and, third, the
17 quality of the data that they're receiving.

18 So this is, it was recommended at the
19 November 2004 meeting that we bring this up at all
20 subsequent meetings and receive, you know, updates on
21 where we are because it was perceived that this wasn't
22 something that was going to be done overnight. So I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bring it back to you for your attention at this
2 meeting.

3 MEMBER ESKIN: Can I just ask a follow-up
4 there? So, again, moving forward, to date, again, you
5 acknowledge your own, perhaps, dissatisfaction with
6 where you are right now. Does the agency, do you have
7 any sort of plan moving forward about how you'd like
8 to get more data?

9 DR. ALTEKRUSE: Well, we know that
10 different companies are using different approaches.
11 Perhaps that's the question that we're asking of the
12 committee today is how to present this so that there
13 is broad participation. And that's really, that is
14 the heart of the issue where we are right now.

15 MEMBER ESKIN: So, again, is it safe to
16 say that you all haven't come up, at this point, with
17 a system approach where you could hopefully get more
18 participation? You haven't come up with anything?

19 CHAIRPERSON MASTERS: This is Barb
20 Masters, and I think where you're at, Sandra, is it is
21 safe to say we don't have a broad approach to collect
22 systematic data from the industry. And I think you'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see when Mr. Derfler goes through his presentation
2 that he refers back to this issue paper to say that we
3 still believe this is the right kind of data to help
4 us make decisions, but we don't have all the answers
5 in this area yet.

6 MEMBER ESKIN: And I guess the only
7 follow-up comment is, obviously, since you haven't
8 been able to figure out a way to get this data and
9 maybe we all can help in that process, it does raise
10 the overarching issue is how do you get data short of
11 compelling it to be submitted.

12 MEMBER TYNAN: Thank you. Dr. Carpenter,
13 I think you had your tent card up next.

14 MEMBER CARPENTER: Okay. David Carpenter.
15 Yes, thank you. Do you have any research or are the
16 data sufficient to come up with an indicator organism
17 that is going to be predictive of a pathogenic
18 carcass?

19 DR. ALTEKRUSE: I think the question
20 you've raised is really right at the heart of how do
21 you anticipate hazards and allocate resources. And I
22 think the answer is that there are, there is no one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way to do it. Different companies approach it
2 differently. Some use indicator organisms. Depending
3 on the product, it may be that they use generic E.
4 coli or aerobic plate counts, or it may be that they
5 use total plate counts, depending on the actual
6 product.

7 I'm aware that there are other companies
8 that are using molecular-based approaches to evaluate
9 whether they're seeing an uptick in gene expression
10 that is typically equated with pathogens. Some
11 companies actually use pathogen testing.

12 So there are a variety of different
13 Approaches, and that's part of the challenge is how
14 does FSIS evaluate the different approaches that
15 different companies are using to make a determination
16 that they meet some level of safety control.

17 MEMBER TYNAN: Dr. Logue, and then I'll
18 come back to you, Mr. Kowalczyk.

19 MEMBER LOGUE: Catherine Logue, North
20 Dakota State. My question is pretty simple. In the
21 recommendations, we asked you to look at other sources
22 of data, such as academia and consumer groups, and I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just curious as to how far down those roads you've
2 managed to meet.

3 DR. ALTEKRUSE: Well, I mentioned the
4 molecular approach, and that is an approach that,
5 actually, several academicians have presented that
6 they are using on a consultant-type basis with
7 companies. So, yes, we have looked at that.

8 In terms of consumer groups and that sort
9 of thing, I mean, I think that it's important to hear
10 about data that companies, excuse me, that public
11 interest groups might be able to bring to this
12 discussion. But at this, standing on my feet right
13 now or whatever I'm doing right now, I struggle to
14 think of a particular example of that. But I would
15 appreciate hearing about that.

16 MEMBER TYNAN: See, and you thought it was
17 a simple question. Mr. Kowalcyk?

18 MEMBER KOWALCYK: Michael Kowalcyk from
19 Safe Tables Our Priority. On that subcommittee in
20 November, there was much discussion about
21 administration of the data and data quality. There
22 was a lot of concern on the committee about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 integrity of the data and how it's administered, and
2 I'd like to know if you have an update as to what
3 steps the agency is taking as far as putting an
4 infrastructure around that. Has any communications
5 taken place with researchers in academia, as well as
6 in industry?

7 DR. ALTEKRUSE: I can address the second
8 question more directly than the first. In one of the
9 discussions that I've had about a pilot study, and I
10 promise to, at the next meeting, to have more progress
11 to report on that particular component. There's been
12 a great deal of discussion about design and, you know,
13 transmission of the data and ensuring that we have a
14 clean data set and that sort of thing. So on the
15 pilot project, that's a very large consideration
16 that's going on.

17 In terms of the general issue, I'm aware
18 that the Office of the Chief Information Officer and
19 really all program areas within FSIS are paying a
20 great deal of attention to this, and I believe that
21 it's a subject that will be addressed in more detail
22 during the committee meeting. But I'm really not able

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to speak directly to that from my own position.

2 MEMBER TYNAN: Other questions? There
3 being none, let's move on to the next paper. Thank
4 you, Dr. Altekruse; I appreciate that. The next
5 update has to do with state reviews. Were there any
6 questions related to state reviews? Okay. Moving
7 right along, how about outreach -- I apologize. Let's
8 go back. Mr. Finnegan.

9 MEMBER FINNEGAN: Yes. Mike Finnegan,
10 Montana. Going through the state reviews, when the
11 review team picks a state, how much time do they give
12 them? One month, two months before they have a
13 review?

14 MEMBER TYNAN: Dr. Roth, could you address
15 that? Very slick, Don. You said to me this morning
16 you were just here to listen. Yes, why don't you come
17 up here so you'd be on a mike.

18 MR. SMART: There we go. Is this working?

19 MEMBER TYNAN: Yes. The music will start
20 in just a minute.

21 MR. SMART: Okay. We have a comprehensive
22 study review manual that dictates how we handle all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the business related to review. And the question was
2 how much advanced notice do the states get. A minimum
3 of 60 days, and we're approaching that mark, I
4 believe, next week on the next four. But I have it on
5 good authority that today or tomorrow, tomorrow, that
6 we're going to jumpstart that by a week because of the
7 Thanksgiving holiday and notify the next four states,
8 which that round will start the last week of January.

9 MEMBER TYNAN: Okay. Thank you, Don. Are
10 there any other questions regarding the state reviews?
11 There being none, thank you, Don. You have to turn in
12 your microphone.

13 The next issue under tab number seven has
14 to do with training and outreach. Were there any
15 questions regarding the training and outreach update?
16 Mr. Govro.

17 MEMBER GOVRO: Mike Govro with the Oregon
18 Department of Agriculture. The briefing paper
19 describes a number of things that you've done, and it
20 appears that you've adopted some of the
21 recommendations that the committee has made over the
22 past several years. We appreciate that. I wonder if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you have, if the agency has quantified the number of
2 or percentage of people it's reaching through things
3 like the web cast and that sort of thing to see how
4 effective or how you're actually reaching people.

5 MEMBER TYNAN: Dr. Kelly, Ms. Cutshall,
6 did you want to address that?

7 MS. CUTSHALL: We're tag-teaming you all
8 this morning. I can speak for OPABO and SIPO, and
9 also I'd like to welcome the committee.
10 Unfortunately, I was not here when you arrived this
11 morning, so I'd like to welcome you this morning.

12 In OPABO and SIPO, we've done a number of
13 things to begin to quantify who we've reached. We're
14 keeping extensive records on our registration for web
15 casts, for workshops. We're also looking at the
16 number of hits to our web sites and the things that
17 we're reaching there. We're keeping track of the
18 numbers of our materials that we have distributed,
19 both written, CDs, and any of the other materials. So
20 we're in the process of keeping a very close track of
21 what we're doing.

22 We're keeping a close track on the number

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of students from industry that are attending our
2 sponsored classes, and we've also done work with our
3 cooperative agreement management program to make sure
4 that, in our reporting out in the projects that we
5 fund, that we're getting good numbers back on who
6 we're reaching, where we're reaching them, and the
7 numbers that we're reaching.

8 DR. KELLY: And just to add to that, in
9 terms of the Center for Learning, which provides the
10 workforce training and then shares that information
11 with SIPO, any type of follow-up requests, for example
12 after the workshops have been held, if people who were
13 not able to attend request materials and that request
14 goes through Center for Learning, we do track that
15 information. We track the number of CDs sent out, the
16 number of state employees who are attending our
17 training programs, and so forth. So, yes, we do.

18 MS. CUTSHALL: I'd just like to add one
19 thing to what Dr. Kelly has said. One of the things
20 that we've also done, and I think this was a committee
21 recommendation maybe a couple of years back on
22 training and outreach is that the Office of Public

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Affairs Education and Outreach and the Center for
2 Learning and the Tech Service Center work more closely
3 together. And CFL and SIPO have done a number of
4 projects this year. We've been very cooperative.
5 We're working to make sure that the training that CFL
6 is putting together for our folks is also included in
7 the training that we're putting out for industry, as
8 well.

9 MEMBER GOVRO: Just a follow-up on that.

10 Are you pleased with the numbers you're getting as you
11 quantify, and can you share those with us or do you
12 have those?

13 MS. CUTSHALL: I can't give you all the
14 exact numbers. I can give you some examples. The
15 Food Defense Workshops, we've distributed over 10,000
16 workbooks, CDs, materials on the directives at a
17 minimum, and that's outside of just the workshops
18 we've been having. The series of workshops and web
19 casts up to a total of a thousand or more
20 participants. An average web cast will probably have
21 150 participants via web for single web casts.

22 I think we've distributed, through our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 random materials, and please don't hold me to this
2 exact number, but we've distributed over 15,000 copies
3 of the different materials that we have this year. So
4 we think we're making good progress.

5 CHAIRPERSON MASTERS: And I would just add
6 to that, this is Barb Masters, we started our Food
7 Defense Workshops face-to-face and doing some of the
8 joint web casts, and what we found through our
9 feedback is that people are being better served
10 through the web casts. And we actually modified an
11 approach to go to weekdays web casts, and we actually
12 heard the feedback through the sessions and modified
13 our approach. We had about 40 people showing up face-
14 to-face and 150 to 200 people showing up for the web
15 cast. So midstream we modified our approach and went
16 to more web casts. People said weekends aren't
17 working, do them during the week, make them web casts;
18 and so we modified midstream and did more web casts
19 and tried to reach folks when they're available and
20 got increased registration. And so we've been trying
21 to use that feedback to modify even midstream.

22 MEMBER TYNAN: Ms. Eskin?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER ESKIN: Sandra Eskin. I think Dr.
2 Masters just answered part of my questions, but I'd
3 like to know, again, you've mentioned the quantitative
4 tracking of how your programs and various other, web
5 casts, whatever, what kind of response you're getting.
6 But, again, is there any sort of formal assessment,
7 any sort of feedback that you're getting from
8 participants as far as the content of the programs,
9 again in a sort of a systematic way, whether it's a
10 questionnaire they fill out when they finish a program
11 or you contact them and get their input?

12 DR. KELLY: With regard to the CDs, on
13 every CD that's sent out, there is an evaluation that
14 can be answered electronically and sent to web sites,
15 and we tabulate those results. We pay close attention
16 to the feedback that we get, and that's just a routine
17 part of the way we normally do business. You know, we
18 always welcome input, and we're always interested in
19 getting ideas about how we can do better.

20 MEMBER TYNAN: Mr. Schad? Oh, I'm sorry,
21 hold on just a minute.

22 MS. CUTSHALL: I just wanted to add to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that, Sandra. We also have instituted a method of
2 working with having an evaluation filled out by each
3 student that participates with a university in our
4 class. The universities provide that back to us. We
5 look at the feedback. Whenever we do a workshop or a
6 web cast, we also have evaluation forms that we're now
7 handing out and looking at the feedback that we're
8 getting. We also use some of the four where we go out
9 to listening sessions with small or very small plants
10 to get some anecdotal feedback. So we're working to
11 institutionalize that, as well.

12 MEMBER TYNAN: Mr. Schad?

13 MEMBER SCHAD: Excuse me. On your recent
14 food defense web cast, you had in the morning and one
15 in the afternoon. Did you notice any difference
16 regarding small and very small plants, which was most
17 listened to?

18 MS. CUTSHALL: The last two that Mr. Schad
19 refers to were mainly targeted to try and reach out to
20 the state folks because we had had some feedback from
21 the folks in the states that they hadn't had adequate
22 opportunity. We had a real mix of participants across

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the board. I'd say that we tended to have, overall,
2 judging from all the web casts, more small plants at
3 our Saturday sessions. But we tended to have more of,
4 if we had a weekday session, we tended to have more
5 people in the morning, even from the West Coast, which
6 was rather surprising to us.

7 MEMBER TYNAN: And I should also mention,
8 and I think Ms. Cutshall was going to mention
9 probably, we're going to be doing on December 9th,
10 actually, the first fully Spanish language web cast
11 for food defense programs. So we're trying to reach
12 out to some of our under-served constituents who,
13 perhaps, might not benefit from the English language
14 version. So we'll be doing that on December 9th. The
15 time is to be determined. Mr. Finnegan?

16 MEMBER FINNEGAN: Yes. Mike Finnegan. Is
17 the training heading more towards CD-ROMs, web casts,
18 and less classrooms? And what are the results of
19 that?

20 DR. KELLY: In terms of our workforce
21 training, we are always probably going to have a
22 distribution of different types of training, depending

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the type of subject that we're introducing and the
2 type of things that people will be doing as a result
3 of that training. For example, I think in terms of
4 things such as introducing new policies for FSIS, if
5 that policy builds on an existing policy, that's when
6 we may choose to use electronic training because it's
7 fairly targeted, fairly focused type of topic. And I
8 know for industry, in some cases, we use workshops
9 because that policy may be fairly new, and people need
10 the chance to ask questions and interact.

11 So we vary that depending on the needs
12 that we perceive of the audience. We've got a wide
13 variety of kinds of things, and we're constantly
14 offering some new and different approaches, such as
15 web casts this year were something new that we had
16 offered, even to the workforce. We had not done that.

17 So we have a variety of those kinds of things.

18 MEMBER TYNAN: Okay. Mr. Kowalcyk?

19 MEMBER KOWALCYK: Michael Kowalcyk from
20 Safe Tables Our Priority. You mentioned in your
21 discussion that a focus group was conducted, as well
22 as in your answers talking about feedback through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CD-based training, as well as the evaluation at the
2 end of the workshops. Could you share with us some
3 specific findings that the agency has learned based on
4 those evaluations? Where are there perceived
5 knowledge gaps out there, and what is the agency doing
6 to address those?

7 MS. CUTSHALL: I'll be honest, I don't
8 think we were asking some of the specific questions
9 about where do you feel the knowledge gaps are in
10 general. But one of the things that we and SIPO are
11 doing this year is taking a look, Robert mentioned the
12 Spanish-language web casts, we're taking a look at
13 finding out the demographics of the workforce, not
14 just our workforce but industry's workforce, because
15 we've concentrated for a very long time on
16 specifically saying small and very small plants, but
17 we find that even outside of small and very small
18 plants, that within the larger industry there's a
19 population of employees that would also require the
20 same information that would assist them in their
21 knowledge and their expertise to be able to more fully
22 perform their jobs, understanding the science and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk base behind what they're doing.

2 So we've been looking at doing a number of
3 studies and information fact-finding. As you've heard
4 from other people, without OMB approval we can't do a
5 full-fledged survey, but being OPAEO we've tried to be
6 very creative, and we intend to continue to be
7 creative to gather exactly the kind of information
8 that you're talking about so that, as Carlise said, we
9 can become increasingly more targeted and more
10 effective in what we do.

11 DR. KELLY: And in terms of just looking
12 at workforce issues, for each type of training we get
13 feedback specific to that type of training, so the
14 answer would be quite varied. We do take that
15 information. We use it as input to improve our
16 efforts for the future.

17 For example, just yesterday I got some
18 feedback from a third-party evaluation that was done
19 by the program evaluation improvement staff on some
20 baseline microbiological baseline training that we did
21 for our employees, and one of the things that we found
22 in that was they need more help. Because it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 electronically-based training, they need more help in
2 just learning how to operate and use the CD. It
3 wasn't that much feedback about the training itself.
4 They said once they got the CD running, they learned
5 what they needed to know on how to collect the samples
6 and so forth.

7 So a lot of our feedback is very specific.
8 Nevertheless, you know, we're constantly looking at it
9 and updating and making changes based on that.

10 MEMBER KOWALCYK: Thank you. I agree
11 that's important feedback, although it seems very
12 trivial when the agency is talking about going beyond
13 with risk-based inspection. I mean, to me, that's a
14 key issue is making sure that it can be implemented,
15 whatever comes out of this, that it can be implemented
16 effectively.

17 MEMBER TYNAN: Could I make a suggestion
18 at this point? If we don't have anymore questions on
19 the -- I'm sorry. Mr. Elfering, how could I forget
20 you?

21 MEMBER ELFERING: Kevin Elfering. For
22 your processing inspectors especially, are there any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 academic credentials that you require?

2 DR. KELLY: What we have referred to
3 traditionally as the processing inspector we now have
4 as the job title Consumer Safety Inspector. Those
5 individuals are required to have 30 hours of some type
6 of biological sciences training that are college-
7 related credit. So it's not a degree per se, but, in
8 many cases for employees, that may be, for example,
9 their junior and senior year in college, or for a
10 person who has a lot of work experience, they may be
11 earning those college credit hours not necessarily
12 associated with a college degree but, at the same
13 time, while they're working. It's still,
14 nevertheless, junior and senior-level type biological
15 sciences training.

16 CHAIRPERSON MASTERS: Carlise has actually
17 described to you our EIAO, our consumer safety
18 officer. Our processing inspectors are required to
19 take as a training, as a condition of employment, our
20 food safety regulatory essentials, and so we have now
21 made for them training as a condition of employment to
22 pass our food safety regulatory essentials.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER TYNAN: Okay. Before anybody puts
2 their card up, I'm going to suggest that we take the
3 break that is now long overdue. I want to remind you
4 that, while we've got a different room, we've got a
5 larger room. We knew you liked the air conditioning
6 so much the last time, we left it exactly the same. So
7 we've brought fans. This is not for later use during
8 a ping-pong event. But at any rate, let's take a
9 break. Ten minutes, please, if we could. We're a
10 little bit behind time, so I have twenty minutes of.
11 If we could get back together at ten minutes till.
12 Thank you.

13 (Whereupon, the foregoing matter went off the record
14 at 10:42 a.m. and went back on
15 the record at 10:57 a.m.)

16 MEMBER TYNAN: For those of you in the
17 public area, where you're seated, I want you to know
18 that we recognize that you're probably warm back
19 there, as well. We're trying to get more fans. It's
20 an old technology, but it seems to work. Again, in
21 the interest of time, we have a couple of more
22 briefing papers, but if the committee has no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 objection, what I think we'll do is we'll defer the
2 rest of the briefing papers until maybe first thing in
3 the morning. So we'll do that, so that we can get on
4 to the substance of the meeting, which has to do with
5 risk-based inspection. I didn't realize that when we
6 got into the briefing papers that there would be quite
7 that many questions. They were all good questions and
8 certainly ones that we needed to address. But, again,
9 in the interest of time, what I'd like to do is shift
10 to the main emphasis of the meeting, which is to talk
11 about risk-based systems. And I have Mr. Phil Derfler
12 here, who is our Assistant Administrator for the
13 Office of Policy, Program, and Employee Development.

14 MEMBER DERFLER: Good morning, everybody.
15 Today I want to talk and present conceptual blueprint,
16 to use an architectural analogy, a blueprint for how
17 FSIS foresees proceeding to improve its system for
18 ensuring the safety of meat and poultry products by
19 making it more risk-based. We want to use our
20 resources as effectively and efficiently as possible,
21 and that means, we think, making risk one of the major
22 factors we look at in deciding how we're going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 expend our resources.

2 What I will do is present our current
3 thinking on the issues that we will need to address in
4 enhancing our use of risk. In presenting this
5 conceptual framework, I'm going to on the chart that
6 you all got in your book. In this chart, I've tried
7 to break FSIS' food safety system into its component
8 parts, and we've converted the chart into a series of
9 slides that can be seen as I'm talking.

10 As I go along, what I do intend to do is
11 ask a series of specific questions on how to design
12 our verification activities so that the activities
13 will achieve their purpose and questions on what data
14 we need to ensure that the ongoing assessment of risks
15 that we will be relying on to guide our activities is
16 as well informed as possible.

17 I also want to issue a bit of a caveat.
18 In order to give you as good a picture of FSIS' vision
19 of a more risk-based system or approach as possible,
20 in the chart I drew and in the slides you'll see, I've
21 drawn a fairly sharp contrast between traditional
22 inspection and how FSIS is doing inspection in its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other risk-based activities at this time.

2 Obviously, real life is not that clean.
3 The agency has not hesitated to employ risk-based
4 methods if we decided and thought that they were in
5 the interest of the public. As Dr. Raymond talked
6 about, we already have a lot of risk-based activities,
7 and so I just wanted to make that clear so that nobody
8 is confused as I go along.

9 Next slide, please. The first thing is
10 purpose of inspection. FSIS' move to a risk-based
11 system grows, in large part, out of the agency's
12 desire to anticipate problems. HACCP supports this
13 desire, as you heard from Dr. Raymond and Dr. Masters
14 this morning. In HACCP, the plant does a hazard
15 analysis. This analysis allows it to identify
16 problems that are reasonably likely to occur in its
17 process. It also identifies the points in the process
18 where it is critical to exercise control to ensure
19 that a particular hazard does not occur. The plant
20 monitors that critical control point to ensure that
21 control is maintained.

22 FSIS' role is to verify that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 establishments do what they are charged with doing
2 under the HACCP regulations, including validating the
3 effectiveness of their controls. Significantly, FSIS
4 performs its inspection in every meat and poultry
5 plant in the country, or most, aside from those
6 inspected by the state, so I'm talking figuratively.
7 That means that in becoming more risk-based, FSIS can
8 capture and analyze data across plants and look to see
9 whether there are any patterns of breakdowns,
10 findings, or even hints that presage major problems.
11 If there are, we want to be able to focus our
12 inspection personnel on those types of findings so
13 that they will be able to act in anticipation of a
14 problem and not wait until it occurs.

15 And so we ask you, members of the
16 committee, what information should we be capturing to
17 make it more likely that we will be able to identify
18 the indicators of an emerging problem? Are you aware
19 of any such indicators?

20 Some of you are from big companies. What
21 factors do you use to anticipate problems in your
22 plants? Some of you are from trade associations.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What advice do you give your members in this regard?
2 Some of you are from small businesses. What do you
3 use? And some of you are from academia. Are you
4 aware of research that has been done or is underway to
5 address these kinds of issues? And some of you are
6 from consumer groups. Are there questions that your
7 group asks when it's looking for signs of problems in
8 its own operation, just in doing its day-to-day
9 business? Are you aware of questions that we should
10 be asking in a plant environment?

11 Next slide. Next aspect of inspection
12 that I want to talk about is deployment of our
13 resources. Traditionally, FSIS has deployed its
14 resources both in domestic plants and in import
15 facilities based on the amount of work there is to be
16 done. How many carcasses can be inspected in an hour?
17 How many processing plants can be visited by a
18 consumer safety inspector in an eight-hour shift? How
19 much product can be inspected in an import house
20 during the course of a shift?

21 As we move to risk-based inspection,
22 however, these questions and considerations become

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more complex. When we talk about risk, we begin to
2 embrace the notion that it may not be appropriate to
3 treat all plants the same way at any particular point
4 in time. Some plants may require a lot of inspection
5 resources and scrutiny because the risk that they
6 present is considered to be high. And some plants may
7 require less scrutiny because the risk that they
8 present is considered low.

9 Moreover, a plant can go from presenting
10 what is considered to be high risk to presenting low
11 risk and vice versa. So how do we assess how much
12 resources to apply to a particular establishment on
13 any given day?

14 Here are four factors. On the slide,
15 there's four factors that we have identified for
16 making this assessment. The first factor is the
17 hazards presented by a species/process combination.
18 That is by a product. The goal of our inspection
19 system, as I have said, is to prevent the hazards
20 associated with the product from occurring. Thus, it
21 makes sense to start with an assessment of the hazards
22 presented by a particular establishment, given the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 products that it produces.

2 There are two dimensions to hazards: the
3 hazards associated with the species that is used in
4 making the product and the hazards that are associated
5 with the process that the plant uses to produce the
6 product. Obviously, some species present more hazards
7 than others and some processes present more hazards
8 than others. Raw ground beef is likely to present
9 greater hazards than cooked canned ham. Our premise
10 is that the greater the hazards a species/process
11 presents, the greater the resources that FSIS will
12 need to assign to verify that the hazards are not
13 realized.

14 Two other factors that we've identified
15 are, first, a consideration of how likely it is that a
16 hazard will occur in the plant; and second, how
17 significant the effects will be if the hazard does
18 occur. These two factors present the very essence of
19 risk. The more likely it is that a hazard will be
20 realized, the higher the risk. The greater the
21 potential harm to human health is a hazard is realized
22 the greater the risk. Our view is that the greater

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the risk, the more important it is that we intensify
2 our scrutiny to prevent the risk from being realized.

3 The final factor that we consider to be
4 relevant in deciding how to assign our resources is an
5 ongoing assessment of what is going on in the plant.
6 We have people in the plant critically appraising
7 every carcass or visiting every shift. If the
8 inspections these personnel perform are appropriately
9 designed, they will provide an ongoing insight into
10 what is going on in the plant and into whether there
11 are any significant problems developing. If the
12 agency takes advantage of these insights, it will be
13 able to shift its resources when it feels that there
14 is as reason to believe that a problem is developing.

15 Thus, based on the consideration of
16 hazards, the likelihood that the hazards will be
17 realized, the significance of the effects of the
18 hazards if they're realized, and an ongoing assessment
19 of the things that are going on in a plant, we will be
20 able to more effectively and efficiently assign our
21 resources.

22 We ask the inspection group to consider

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the following questions: what do you think of the four
2 factors we've highlighted? Are they the appropriate
3 elements for a risk-based approach? Do you disagree
4 with any of the factors? Are there other factors that
5 we should be considering? And do you have any other
6 suggestions?

7 Next slide, please. As for the data
8 group, we ask you to consider what data we should be
9 collecting and analyzing to accomplish our goals. We
10 believe that the data we need to assess the risk
11 presented in a particular plant which to decide how
12 much resources to assign to the plant are the types of
13 data that are listed on this slide. Data on the risk
14 presented by the types of product a plant produces,
15 data on the significance of those risks. What kinds
16 of harm can be produced if the risks are realized?
17 Data on the particular plant's performance history and
18 data on the approximate volume the plant produces of
19 each of its products, where production volume is
20 essentially a proxy for the scope of the public health
21 concern if the hazard is realized. The more product
22 you produce, the greater the risk if it gets out in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commerce.

2 What do you think of this list? Are there
3 other types of data that we should be factoring in?
4 What sources of data can the agency look to besides
5 its own data? And what other comments do you have on
6 this issue?

7 Next slide, please. The third aspect of
8 designing a modified inspection system is to arrive at
9 the general principals that will drive the work to be
10 done by inspection personnel. Traditionally, the
11 basic design for FSIS' use of its inspectors has been
12 fairly simple. Under HACCP, 70 percent of the
13 inspectors' activities focus on food safety matters,
14 and 30 percent focus on protections other than food
15 safety. For example, they spend 30 percent of their
16 time looking at formulation issues, economic
17 adulteration, adherence to food standards, and the
18 like.

19 In a risk-based system, we foresee a much
20 more flexible allocation of the inspector's time.
21 This does not mean the inspectors would devote more of
22 their time to other consumer protection activities,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 although, on occasion, that might be necessary.
2 Rather, while some portion of their time would
3 continue to be spent on these tasks, which need to be
4 a part of inspection, we would try to free up our
5 inspectors to spend as much time as they need to fully
6 explore their inspectional findings that relate to
7 food safety.

8 We intend to guide the inspectors through
9 the use of decision criteria. What do we mean by
10 decision criteria? If you're familiar with the
11 agency's directive 5000.1, which we issued in 2003,
12 you know that we tried to our inspection personnel
13 with a thought process. Rather than telling the
14 inspectors exactly what to do, we gave them a set of
15 questions to consider as they perform each
16 verification activity. We have followed this approach
17 in other directives, as well.

18 In decision criteria, we intend to build
19 on the basic questions with a series of "if then"
20 questions, questions to help an inspector through an
21 investigation. These decision criteria would help the
22 inspection personnel to analyze the situation in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plant and decide what to do based on that analysis.

2 For example, decision criteria would lead
3 a consumer safety inspector from performing routine
4 verification activities that cover a whole process to
5 focusing his or her attention on a particular aspect
6 of the plant's process where the plant seems to have
7 been having less and less control over a period of
8 time.

9 We ask the inspection group for their
10 views on this approach to the design of risk-based
11 inspection. Do you believe that there are additional
12 ways to guide inspectors as they perform their
13 activities? Are you aware of better ways to do so? If
14 you are aware of better ways to approach this aspect
15 of inspection, it would be most helpful if you cited
16 the evidence that supports that the approach that
17 you're suggesting will be useful to FSIS. What
18 evidence is there that the approach will be effective?

19 Next slide. As for the data group, we
20 would ask that you identify the types of data that you
21 think are most appropriate to use to assess plant
22 performance. What types of data are most useful in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 identifying a situation that FSIS personnel should
2 keep under surveillance? Also, what types of data do
3 you think would be most relevant and useful in
4 developing the decision criteria that we're talking
5 about implementing? Are you aware of where we could
6 find or how we could develop this type of data? Are
7 you aware of where this type of data is available?

8 Next slide. We've talked, I've talked
9 about what FSIS is trying to do in risk-based
10 inspection, how we should deploy our resources, and
11 how we should allocate inspectors' time. Now I want
12 to turn to the design of the agency's specific
13 inspection activities and how to make them risk-based.

14 We think that this translates into the
15 question what verification activities by inspectors
16 will be most effective in finding problems. In a
17 modified inspection system, given the emphasis on
18 risk, we want to focus on those aspects of a plant's
19 process where loss of control is most likely to occur.
20 We also want to focus on those aspects of a plant's
21 process where a loss of control would have the most
22 serious public health consequences. These are the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 points in the process where the agency's inspection
2 and verification activities are most critical.

3 FSIS wants to focus on those points in the
4 process where its efforts can have the greatest
5 impact. We believe that we can identify the points in
6 the process on which to focus from several types of
7 inspection activities. First, through the use of
8 performance standards to measure process control.
9 Evidence of a loss of control would indicate a problem
10 in the process and the nature of the problem should
11 help to locate where in the process the problem is
12 occurring or at least narrow our inspection focus.

13 For example, we've used the results of our
14 salmonella testing of ready-to-eat product as evidence
15 of adequate lethality. When we found positives, we
16 have focused our verification activities on whether
17 the lethality that the plant is trying to deliver is
18 adequate and whether it is being effectively
19 delivered.

20 A second means that we would use is
21 intensified verification sampling. We've started
22 doing intensified verification sampling for Listeria.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We focused our sampling on those processes and points
2 in the process where loss of control seems most
3 likely. For Listeria, this has meant focusing on
4 alternative two and alternative three operations, as
5 Dr. Engeljohn discussed. We plan to expand risk-based
6 intensified verification to sampling to include E.
7 coli 0157h7, salmonella, and other pathogens.

8 A third means of identifying a loss of
9 control would be analyzing consumer complaints and
10 other information from outside the plant, such as
11 illness investigation data. We believe that we can
12 use our analysis of consumer complaints and the extra
13 plant data to guide us to plants whose process may be
14 out of control. Based on our analysis of the data, we
15 will identify the points in the process in which to
16 focus our verification activities.

17 And fourth, something that we already do
18 and we will continue to do is use our EIAOs to assess
19 the design of HACCP plants. Based on their
20 assessments, EIAOs identify the points in a plant's
21 process where a problem seems most likely to occur.
22 Working together, the EIAO and the in-plant team

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 develop a verification plan that the in-plant
2 inspection team uses to guide and focus its
3 verification activities.

4 We would like the inspection group to
5 consider the approaches to designing inspection
6 activities that I have discussed. Are there
7 additional activities that you would suggest that FSIS
8 incorporate into its risk-based inspection activities?
9 Why would these activities be helpful?

10 Next slide. For the data group, are there
11 sources of data, besides the agency's own sampling
12 data, that we could use in identifying problems that
13 either are or are likely to be developing? At the
14 last Advisory Committee meeting, you identified
15 factors that the agency could use to identify plants
16 that have emerging problems. Given what I've just
17 laid out, are there types of data that you think we
18 should be looking at to help identify the points in a
19 plant's process where the plant is losing control and
20 that, thus, should be the focus of the agency's
21 verification activities? What data would be most
22 useful to help the agency to develop performance

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standards or would support the development of other
2 types of mechanisms that accomplish the things that
3 I've outlined?

4 Next slide, please. How should the system
5 based on risk respond to the inspectional findings?
6 Traditionally, evidence of non-compliance has had
7 little impact on the intensity of the agency's
8 inspection. While the agency certainly documents the
9 non-compliance and takes enforcement action when it is
10 justified, where the non-compliance suggests an
11 emerging problem but results only in a non-compliance
12 report and not a notice of intended enforcement, the
13 agency has not really modified its verification
14 activities to account for this finding.

15 Moreover, the agency really does not have
16 a way of capturing a situation in which a problem
17 seems to be developing but nothing that rises to the
18 level of justifying a non-compliance record has
19 occurred. This would change in a risk-based system.
20 We would still respond to non-compliance. However, we
21 would want to design our system so that evidence of
22 good control would mean less intense inspection and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidence that a plant lacks control or is losing
2 control would mean more intense inspection. We will
3 be more assertive in assessing plant performance and
4 responding accordingly. We also will be transparent
5 and consistent in that there will be a clear
6 connection between plant performance and the agency's
7 actions, and the agency's actions will be consistent
8 across plants.

9 FSIS intends to provide less intense
10 inspection in response to evidence of good control for
11 a couple of reasons. First, this approach provides an
12 incentive for plants to exercise control. There have
13 been numerous instances where this kind of incentive
14 has proven to be very effective. Listeria is a
15 situation in which the agency, in its interim final
16 rule, calibrated its verification based on the
17 intensity of the plant's activities. And as a result,
18 plants have taken steps to exercise more control,
19 moving from alternative three to alternative two and
20 alternative two to alternative one.

21 Second, it is an approach that is most
22 consistent with efficient use of our resources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Obviously, it makes little sense to spend a lot of
2 time day-after-day on a plant that is clean, sanitary,
3 and exercising excellent controls. This type of
4 approach is necessary to free up the resources,
5 particularly the people, that we may need to provide
6 more intensified scrutiny to plants that are not
7 maintaining control.

8 In addition to moving inspection personnel
9 around, there are several other ways we can respond
10 when there's a loss of control or evidence that a
11 plant is losing control of its process. First, we can
12 design our decision criteria to lead our inspection
13 personnel to intensify their verification activities
14 in this type of situation. We can intensify sampling
15 in the plant. We can target the plant for an EIAO
16 visit, or we can, in appropriate circumstances,
17 institute enforcement action. Thus, the agency would
18 design its actions to respond to an emerging problem
19 and calibrate its response based on the nature of its
20 findings.

21 To the inspection group, I ask what do you
22 think of the general approach that I've outlined? Are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there other ways that we should consider responding to
2 inspection findings, particularly evidence that the
3 establishment is losing control of its process?

4 Next slide, please. As for the data
5 group, we believe that data on the results of our
6 inspections and of our sampling needs to be available
7 to our inspection personnel as quickly as possible.
8 We also recognize that we need to provide our
9 inspection personnel with tools to analyze the data so
10 that they will recognize good control or loss of
11 control and order their inspection activities
12 accordingly.

13 Are there any suggestions that you would
14 have for us in how inspection personnel can best use
15 data in deciding what inspection activities they will
16 perform on a day-to-day basis? Are there any
17 particular types of plant data that they should be
18 looking at that would be useful to enhance their
19 understanding of what is going on in the plant.

20 Next slide. Now, responding to an
21 emergency problem is the sixth aspect of inspection
22 that I want to discuss. The point of this aspect of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 inspection is the ability of inspection personnel to
2 respond when they find evidence that there is a
3 problem. The traditional system is not designed to
4 have inspectors make a judgment about an emerging
5 problem, other than non-compliance. The goal of the
6 modified risk-based system would be to arm our
7 inspection personnel with the flexibility, data,
8 responsibility, and training that they need to
9 recognize that there is a problem and to call it to
10 the attention of the plant before it rises to a level
11 of non-compliance and before it results in a product
12 that may be unsafe entering commerce.

13 I'm not saying that we would be absolving
14 the plant of its responsibility to identify and
15 respond to problems. Quite the contrary. We would
16 certainly expect it to do so. But we also believe
17 that our inspection personnel should not stand
18 passively by and watch a problem develop.

19 In the Con Agra recall situation, for
20 example, there were clues in the plant's testing data
21 that there was a problem. And our inspection
22 personnel should have been responding to those data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 well in advance of the development of the serious
2 problems that required a recall.

3 So I ask the inspection group how can our
4 inspection personnel be most effective when there is
5 evidence that a problem exists? Obviously, we can
6 issue an NR or even an NOIE, but are there other
7 things that we can do to help plants understand the
8 significance of a problem and, thus, increase the
9 likelihood that the plant will respond constructively,
10 rather than just providing a minimal response?

11 Next slide. To the data group, I ask what
12 data will enable inspection personnel and the district
13 analysts and the others who support them to identify a
14 plant trend that signifies a potentially significant
15 problem? What parameters should the agency look at in
16 identifying a developing plant or public health
17 concern.

18 Next slide. Food defense is becoming an
19 increasing agency focus. Under the current system,
20 inspection personnel devote a specified amount of time
21 to food defense activities. Although we use
22 vulnerability assessments to target our efforts, there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is no mechanism to modify the amount of time that
2 inspection personnel spend on food defense on an
3 ongoing basis. A change occurs in the amount of time
4 that they spend only if the nation's security
5 situation changes. For example, if there's a change
6 from yellow to orange.

7 We believe that we should enhance our use
8 of risk as a driver of food defense activities by
9 inspection personnel, just as we intend to enhance the
10 risk-based orientation of how we do other aspects of
11 food inspection. Thus, we're looking to design our
12 food defense procedures based on the actual situation
13 in a plant, as well as on the national situation. For
14 example, a plant with a well-developed food defense
15 plan would likely require less scrutiny than a plant
16 that did not have a plan.

17 To the inspection group I ask what
18 suggestions do you have for how we can most
19 effectively enhance our use of risk in designing our
20 food defense activities? To the data group -- next
21 slide, I'm sorry. To the data group, do you have any
22 suggestions about how the agency can factor the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 results of its defense verification activities into
2 its approach to food defense? What factors, besides
3 an establishment's planning, are important?

4 Next slide. Finally, I turn to product
5 and commerce. Although product and commerce is not
6 the subject of inspection, it is subject to FSIS'
7 jurisdiction. Hence, products are subject to
8 examination and sampling and the conditions under
9 which they are held are subject to agency scrutiny.
10 Since the Pathogen Reduction HACCP Rule was published
11 in 1996, FSIS had made clear that it wants to
12 implement a strategy that would ensure that meat and
13 poultry are safe from farm to table. Thus, we intend
14 to factor risk in designing our out-of-plant
15 activities.

16 Traditionally, that has not typically been
17 the case. The agency has generally relied on random
18 visits to warehouses, distribution centers, and retail
19 stores to determine whether meat and poultry products
20 are being held under unsanitary conditions that would
21 render them adulterated.

22 As I've said, we intend to change this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approach. We foresee that our modified approach will
2 involve using the findings that our inspection
3 personnel make, the results of the sampling that we
4 do, and other information to determine whether a
5 particular facility or type of retail activity
6 presents a heightened risk of causing problems and,
7 thus, should be the focus of a heightened level of
8 scrutiny.

9 For example, if the evidence shows that
10 deli meat and poultry products sliced at retail
11 present a higher risk of causing listeriosis than
12 products sliced and packaged at federal plants, this
13 aspect of retail activity could well receive
14 heightened scrutiny from FSIS personnel.

15 To the inspection group, we ask that you
16 consider this approach. Does it make sense? Are
17 there other factors that we should consider?

18 Next slide. And to the data group, I
19 point out the fact that, to affect this approach, we
20 would need data on the types of conditions that create
21 risks for products in commerce and data on the
22 conditions in particular facilities that handle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 products. While the latter type of data should be
2 available from the work our inspectors do, we ask the
3 data group where we can get data on the risks
4 presented in commerce. What other types of data
5 should we collect or seek to obtain that would guide
6 this aspect of our out-of-plant activity?

7 Next slide. This, essentially, concludes
8 my presentation. Now is the general question that we
9 ask the inspection group. How would the success of
10 RBIS be measured? Are there other ideas or
11 recommendations that the committee might offer FSIS in
12 designing and implementing a risk-based system? What
13 inspection criteria would be appropriate in designing?
14 That's the general question. I've tried to put some
15 more specific questions to you as I've gone along.

16 Next slide. The same with the data group.
17 These are the questions that are at the end of the
18 briefing paper, the general questions that we would
19 ask you to consider, along with the more specific ones
20 that I've posed. So that's what I have to say. Thank
21 you for your time and attention.

22 CHAIRPERSON MASTERS: This is Barb, and I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think I should make it clear that, hopefully, that
2 will help you understand when we said this morning we
3 wanted to build our roof and get the general
4 infrastructure in place before we started going back
5 to the steps. And Phil will be available this
6 afternoon, as will several of our senior managers, as
7 we go back through the charts and to the subcommittees
8 as you work through these questions to say, "What were
9 those specific questions Phil was asking us?" But we
10 want as much detail from the subcommittees, and it's
11 not so much the specific questions Phil was asking you
12 but to really walk through those sections question-by-
13 question-by question as a subcommittee and to give us
14 as much thought and ideas as you have on each of those
15 subsections as a subcommittee, to give us your ideas,
16 are we headed in the right direction, do you have
17 other ideas, and to really get into the specifics on
18 those subsections, if you're on the inspection group
19 or the data group, on ideas you would have for
20 improvement, different direction, or other ideas as
21 you move through that as we build the infrastructure,
22 not on who's going to do this or did you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particular person in mind, but really on the
2 infrastructure is where we really are looking for
3 ideas. Let's take the questions.

4 MEMBER TYNAN: I think we can take a few
5 questions right now. I'm sure there are some. I'm
6 going to start with Mr. Elfering on the left, and
7 we'll go around.

8 MEMBER ELFERING: Kevin Elfering. I think
9 one of the biggest challenges that we're really going
10 to have to look at is something that the
11 Undersecretary talked about is, first of all, trying
12 to define what is a risk. For example, to me, BSE is
13 not a food safety risk, but to many people it is and
14 to the public it's going to be a big risk. And a lot
15 of these things are fed by the media. Another perfect
16 example is avian influenza right now.

17 So what are we going to be looking at for
18 risk? What are going to be true risks or what are
19 perceived risks, and how do we really define that?
20 That's the first question.

21 I have another question for you, and we
22 don't hear a lot about HIMP anymore. Is it still

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being used, and how many plants are actually operating
2 under HIMP?

3 MEMBER DERFLER: Okay. I just will
4 answer, the answer to your first question, I think we
5 asked for your advice about what's risk. In my
6 presentation, I did try to touch on the two
7 traditional definitions of risk. How likely is it to
8 happen and how significant is it if it does happen? So
9 I guess one would say for BSE, given the catastrophic
10 occurrence, that's the reason why some people would
11 consider it to be a risk.

12 As far as HIMP, yes, it goes on. What I
13 tried to do was step back and talk generally across
14 slaughter and processing. I tried to talk
15 conceptually in a way that we didn't talk about either
16 one specifically, although both generally. Obviously,
17 where we go from HIMP will be part of our
18 consideration that we decide how we go forward with a
19 risk-based system.

20 CHAIRPERSON MASTERS: And I would just add
21 to that we see that as one of the steps on how we
22 might move forward with one of the steps, and we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have a very transparent process as we move forward and
2 get back to the steps. But we wanted to talk more
3 specifically about building the infrastructure before
4 we got into that. But we would value your thoughts on
5 how we might define risk related to product, process,
6 and to plans.

7 MEMBER TYNAN: Dr. Hollingsworth?

8 MEMBER HOLLINGSWORTH: Jill Hollingsworth,
9 Food Marketing Institute. Actually, my question is
10 more for Robert on the mechanics. I know earlier in
11 your introduction you had said we were doing this a
12 little different than in the past. I'm not clear.
13 Are the two subcommittees going to work together as
14 one and be in one group and answer the questions side-
15 by-side? Or are we actually breaking out to do the
16 questions? It seems to me the way Phil has presented
17 them, we almost can't work as two separate
18 subcommittees. And in looking at the questions under
19 tab three, if, in fact, these are the whole body of
20 questions we're to be answering, I'm having a hard
21 time breaking them out. So I didn't know if the
22 difference that you had mentioned in the mechanics was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 us working as one committee and answering the
2 questions in total.

3 CHAIRPERSON MASTERS: The intent was to
4 have two subcommittees, one on the role of the
5 inspector and one on data, to answer questions about
6 those areas. And when you come back as a committee as
7 a whole tomorrow, that's where we had hoped to get
8 more information and to charge you and, if you have
9 time, to deliberate the question that Dr. Raymond
10 charged you with this morning, which is question
11 number five, your advice on how we might get a
12 sounding board, for lack of a better word, on how we
13 might have a group that would provide us additional
14 advice on moving forward and furthering our approach
15 on risk-based inspection that we were going to ask you
16 to provide us as a whole committee.

17 If you have time to talk about that as a
18 subcommittee, that's great; but we were going to bring
19 that back to the whole committee in the morning. But
20 we were looking at having you break into the two
21 subcommittees, one on inspection and one in the role
22 of data, to talk about the questions, basically one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and two. And, obviously, you're not going to avoid
2 the question of defining risk for product, process,
3 and plant in your subcommittees and any additional
4 comments you had as a subcommittee. And we would see
5 you answering question number five as a whole
6 committee tomorrow. Does that help?

7 MEMBER HOLLINGSWORTH: It certainly helps.
8 I would almost like to question the group and to see
9 if, in fact, we think that would be the most effective
10 way. I don't know. It just seems to me they're so
11 integrated, I'm having a hard time separating them.
12 But maybe the others have a clearer indication on how
13 we do them separate.

14 MEMBER TYNAN: Ms. Eskin?

15 MEMBER ESKIN: I want to just start by
16 following up on Jill's point. My reaction in reading
17 over the questions before today was exactly the same,
18 is that they're so interrelated and this is the first
19 time that this group is going to be addressing this
20 very important issue that it's a small enough group,
21 with good facilitation, we should be able to have a
22 good conversation. I'm concerned we're going to spend

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a lot of time duplicating.

2 But I did want to step back. I wanted to
3 start by commending the agency for bringing this issue
4 to us. I know we have complained in the past about
5 having to deal with very, very specific issues, not
6 broader conceptual issues. This is the other extreme,
7 I think. So I do want to commend you. However, I
8 feel like we're being brought in in the middle of a
9 conversation, and I guess here's some of the threshold
10 issues that I would like to discuss, to have your
11 reaction to, because I think it's important for all of
12 us to understand where this is coming from.

13 One of those issues to me, which is
14 threshold, is how does this fit in with the existing
15 statutes? The statutes and traditional interpretation
16 by the agency, the department, the courts, say a
17 certain thing about inspection. And I, for one, and I
18 think everyone also would like to know what's the
19 official interpretation? Because then that sort of
20 begs other questions.

21 If, in our discussions, we feel like this
22 is what needs to happen to make a risk-based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inspection work, we may come to the conclusion that a
2 change in the law is necessary. If you look at all of
3 the discussions about risk-based inspection by the
4 GAO, by the National Academy of Sciences, that's one
5 of the questions in only the infrastructure issue.
6 Thinking outside the box, and I know we won't be able
7 to think that outside the box, it raises the issues
8 about the whole food safety system beyond FSIS. But
9 even just looking at meat and poultry inspection,
10 that's a discussion I think that needs to be had in
11 order for us to understand it.

12 And second, I would like to sort of
13 understand how your office, Phil, how we got to these
14 questions. In other words, what did you all look at?
15 There's lots of stuff out there. Are there other
16 plans that have been presented to you by groups to
17 date? Because I think that helps us understand where
18 you're coming from in this particular document you've
19 given us. That's a long question. Sorry.

20 CHAIRPERSON MASTERS: This is Barb
21 Masters, and I'll take the first part of the question.

22 Obviously, the statutes, as you indicate, Sandra,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 require daily inspection and carcass-by-carcass
2 inspection.

3 MEMBER ESKIN: That's right.

4 CHAIRPERSON MASTERS: And at this point, I
5 think what Phil presenting you is presented under the
6 auspice of the existing act, and we would ask the
7 committee to provide us recommendations. And if your
8 recommendation is that we look at anything outside the
9 act, I think you should say, "Here's what you, as an
10 agency, should consider within the existing act," and
11 if you have recommendation for us to look beyond that,
12 I think it would be appropriate for the --

13 MEMBER ESKIN: When you say beyond, do you
14 mean amending the statute?

15 CHAIRPERSON MASTERS: Yes. I think it
16 would be appropriate for this committee to provide
17 that kind of advice to the agency. At this point, the
18 agency is looking at what we can do within our
19 existing authorities. I think it would be okay for
20 this committee to provide advice to say you should
21 consider things beyond your existing authorities.

22 MEMBER ESKIN: Okay. But, again, on that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue, you said it is the agency's position that
2 what's being presented to us is within your current
3 authority, and I'd like a little explanation about how
4 you all reconcile the statutory language, existing
5 interpretations, and what you're presenting.

6 MEMBER DERFLER: If I could, I would just
7 say the most useful thing that you could give us is
8 your response to how we would do it under the current
9 situation. And under the current situation, I don't
10 think we've presented anything, I presented anything
11 that would be inconsistent with the act as we're
12 currently interpreting it. None of the questions,
13 none of the description of how we use our resources. I
14 mean, we look at how we would use our resources under
15 our current authority, and we believe that everything,
16 I believe that everything I said is consistent with
17 the act as it is currently written.

18 MEMBER ESKIN: Excuse me. It's your view
19 that what you've presented is not inconsistent with
20 carcass-by-carcass --

21 MEMBER DERFLER: Right. Yes, yes.

22 MEMBER ESKIN: -- because you do.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER DERFLER: Yes, I believe that. As
2 far as your other question, I mean nobody has
3 particularly presented anything to us. I mean, we
4 obviously had discussions. You've had discussions as
5 a group on various issues about risk before this.
6 There is an industry risk-based coalition that has
7 come in and talked. But, basically, our group and the
8 thinking that we've presented, we felt it would be
9 inappropriate for us to just say how do we do it? I
10 mean, so we did try and do some thinking, but we're at
11 the early stages of our thinking, and so our questions
12 are as many as, you know, foreseeable answers. And so
13 that's the reason why we're here: because we want to
14 get your input so we can go forward at the beginning
15 of the process.

16 MEMBER ESKIN: You said an industry
17 coalition came in to discuss it. Did they present any
18 sort of a plan or any sort of a document that --

19 MEMBER DERFLER: They presented a
20 document, yes.

21 MEMBER ESKIN: Because that would be
22 useful to look at, obviously, in looking at this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER TYNAN: Mr. Govro?

2 MEMBER GOVRO: Mike Govro, Oregon

3 Department of Agriculture. Sandra asked my question.

4 I would like to reiterate I think that's a very

5 important question. There seems to be a huge

6 difference in the way resources are allocated between

7 FDA and USDA with regard to the number of products

8 looked at, the amount of money spent doing that, as

9 well as the inspection strategy of full-time

10 inspection versus much less than that. And so my

11 question really had to do with how much freedom do we

12 have to think outside the box and how willing the

13 agency is to pursue the recommendations that we come

14 up with. I think that's a critical point.

15 MEMBER DERFLER: It would just be most

16 helpful if you focused on how we do inspections.

17 That's really what we need help on.

18 MEMBER GOVRO: I understand. My comment

19 has to do with number eight: attention to product and

20 commerce. And it's been mentioned a couple of times

21 that USDA wants to look at product in retail, and you

22 used the word increased scrutiny, and I don't really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know what exactly that means in terms of inspections,
2 sampling, or enforcement. But I would encourage the
3 agency to first look to leveraging resources with
4 states that are already doing work in retail. We have
5 the food code. Most states and/or localities look at
6 retail and are trained to do that. We're in those
7 places. And coming from an agency that does that
8 work, I would suggest that it's difficult for
9 establishments to deal with more than one agency that
10 comes in and deals with the regulatory issue. It's
11 much easier to deliver a clean, consistent message
12 when only one agency is delivering that message.

13 And I would suggest maybe you look at the
14 arrangement that FDA has with states to perform
15 contract inspections. I've seen the forms that USDA
16 inspectors use when they go into custom facilities,
17 which we also do. They're looking at very much the
18 same types of things that we look at. We easily could
19 perform those for you, both in customer establishments
20 and in retail and warehouses.

21 MEMBER DERFLER: Okay. I would just make
22 three points in response. First of all, I wasn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 giving an answer. I was just pointing to a problem.
2 Second of all, we have recently entered into an MOU
3 with the conference in food protection. We're very
4 aware of it. We're very involved with it. And the
5 third one -- I'm sorry. If I think of it, I'll tell
6 you, but I lost the third point. Sorry.

7 MEMBER TYNAN: While he's thinking, we're
8 going to go onto Mr. Finnegan, if that's all right,
9 Mr. Govro.

10 MEMBER FINNEGAN: Mike Finnegan. I just
11 want to say that I like the idea of risk-based
12 inspection --

13 MEMBER DERFLER: I'm sorry. I remember my
14 third point.

15 MEMBER FINNEGAN: Go ahead.

16 MEMBER DERFLER: And that is, I mean, part
17 of our focus here is FDA's risk assessment where they
18 said that the problems that presented risk and
19 significantly more risk were deli meats at retail and
20 hot dogs. And so, you know, we have to be conscious
21 of risk. That's what this is all about.

22 MEMBER FINNEGAN: Like I said, I like the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thought process of the risk-based inspection. Number
2 one, that's what HACCP is all about. And two, the
3 CSIs would be less robotic where you have one certain
4 task to perform and that's it. And I think one of the
5 keys, and question six talks about training, are you
6 talking about different type of training or FSRE
7 training? Or would the 5000.1 directive cover it all?

8 MEMBER DERFLER: No. We think we'd have
9 to build on FSRE, but we've tried to design FSRE to be
10 a foundation training, and so we would build on it
11 from there.

12 MEMBER TYNAN: Mr. Finnegan, are you okay
13 with that? Okay. Then I'm going to go over to Mr.
14 Elfering.

15 MEMBER ELFERING: Kevin Elfering. I think
16 it's imperative because looking at the
17 Undersecretary's presentation, it looked like daily
18 inspection is something that would probably be
19 included, not having daily inspection. And if the
20 interpretation is all that is required is the agency
21 intending to change or at least request the act be
22 changed so that you don't have to do daily inspection?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Or is it something that can go either way? Is the
2 interpretation, can it go either way that you can
3 start not having daily inspection of processing
4 plants?

5 CHAIRPERSON MASTERS: This is Barb
6 Masters. And I think that where we're at as an agency
7 is we are looking at, what we put forward is working
8 within the realm of the Federal Meat Inspection Act.
9 I think what Dr. Raymond was saying to you this
10 committee is to provide feedback to us. And if it's
11 the advice of this committee, we want you to think
12 outside of the box and give us your suggestions and we
13 would ask you to consider options, including if you
14 believe it's appropriate to go beyond that. And that
15 would come from the political office, such as the
16 office of food safety. So we would welcome you and
17 ask you to not be constrained by Federal Meat
18 Inspection Act. What you're seeing here, from an
19 agency perspective, is inclusive of the Federal Meat
20 Inspection Act. And so if you believe it's
21 appropriate to go beyond that, I would ask you to
22 think out of the box and to make those kind of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendations and give that advice to us.

2 MEMBER TYNAN: Dr. Denton?

3 MEMBER DENTON: Thank you, Robert and Barb
4 and Phil. I guess my initial comment would be that I
5 really do appreciate the attempt here to move toward a
6 risk-based inspection system as defined by our
7 language that we use with regard to risk-based
8 inspection.

9 I have two points that I want to address,
10 and the first one goes back to what Jill and Sandra
11 were saying. And as I read this document before I got
12 here today, those first two questions that you have
13 posed for this committee are almost identically worded
14 questions. One is what are the inspection criteria
15 for designing and implementing? And number two is
16 what are the data needs that would be appropriate in
17 designing and implementing risk-based inspection?

18 Now, in my mind, I'm linking those two.
19 It's going to be very difficult for us to think about
20 this. I think we need to think in terms of what the
21 criteria are. It goes back to what Barb said earlier
22 about building the roof for the house. We need to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 decide up-front what criteria we're dealing with
2 before we spend very much effort in looking at what
3 our data needs are to satisfy those inspection
4 criteria. So in that context, I agree with what Jill
5 and Sandra are saying.

6 The second thing, and it struck me as I
7 was sitting here, despite the fact that we are now
8 using the term risk-based inspection system, I would
9 submit to you that even as we go back and look at the
10 original act, the mechanism that was put in place for
11 bird-by-bird inspection was designed to deal with risk
12 associated with animal health issues and sanitary
13 issues in the slaughter plant.

14 Now, what we have done in adopting HACCP
15 and moving to a more science-based system is we've
16 simply substituted the terms human health risks for
17 risks associated with the animal health that was, I
18 guess, governed to a certain extent by bird-by-bird or
19 animal-by-animal, carcass-by-carcass inspection. But
20 we're still dealing with human health issues and
21 sanitary issues within that environment.

22 Now, what I'm saying, I don't know if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 moving to a risk-based inspection system, like what's
2 been presented here, is outside of what the original
3 intent of the act was. I do know that that
4 phraseology of carcass-by-carcass inspection has
5 always presented a hurdle to us, but I submit to you
6 that we're simply moving our attention from animal
7 health risk as they impact humans to human health
8 organisms that impact the human health side of it, and
9 sanitation has been implicit in both of those systems.

10 MEMBER TYNAN: Thank you, Dr. Denton.

11 Mr. Kowalcyk?

12 MEMBER KOWALCYK: Michael Kowalcyk from
13 Safe Tables Our Priority. Again, to follow-up Dr.
14 Denton's point and the point several people have
15 brought up with the statutory requirements, one phrase
16 here in this deck that concerns me is the phrase "less
17 intense inspection." How are we to interpret that? Is
18 that to mean that the minimum is what's called for in
19 the current statute? How is less intense defined?

20 MEMBER DERFLER: I think that's part of
21 the art of what we're trying to get at. I mean, by
22 going to a risk-based system, we would design

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inspection to cover a range of situations. And there
2 would be, we would be looking at what are the minimum
3 things that we need to be sure of in order to ensure
4 that sanitary conditions are being maintained and
5 healthful and safe product is being produced. But I
6 don't know the answer now. I think that's the process
7 that we're embarked on.

8 MEMBER KOWALCYK: But is it safe to assume
9 that that minimum would be what's called for in the
10 statute?

11 CHAIRPERSON MASTERS: Michael, again, this
12 is Barb. I think when we wrote the document, we wrote
13 it under the premise of the current statute, meaning
14 daily, and we're suggesting to this advisory committee
15 if you're advice is that you would recommend
16 something, that we would consider something less than
17 that. We are open to you thinking very out of the box
18 and providing us feedback, but we'd ask you to give us
19 your thoughts as to why, what criteria to consider,
20 and those sorts of things, if you make those kind of
21 recommendations to us as an agency.

22 MEMBER KOWALCYK: Okay. And I have one

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more question. I think it goes into the inputs to
2 this presentation. Has any input been given by any of
3 the state inspection agencies? I know in talking with
4 Kevin Elfering down at the end that Minnesota does
5 some interesting things, as do other states. I was
6 just wondering if any input was given by various
7 states.

8 CHAIRPERSON MASTERS: Actually, this is
9 Barb Masters, and I spoke to the National Association
10 of State Meat Inspectors, and they asked that they not
11 be forgotten in this process and that they will be
12 very concerned as to how they're able to be brought
13 forward and be able to comply on an equal to and
14 brought forward. So Mr. Elfering and I agreed to
15 bring their issues forward at this meeting since it
16 was the first time that it's been brought forward in a
17 transparent process. So Mr. Elfering agreed to wear
18 that hat at this meeting.

19 MEMBER TYNAN: And a bit hat it is. Ms.
20 Eskin?

21 MEMBER ESKIN: Sandra Eskin. Just a
22 couple of follow-up points. One, again, back to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 law. Sorry. I'm a lawyer. That's what I was trained
2 to think about. But, again, you said you're operating
3 under the assumption that what you presented to us
4 would fit within the current authority. Has there
5 been any official memo or any sort of analysis done by
6 your office, Phil, or the general counsel's office
7 that looks at the parameters of a risk-based system in
8 the context of the existing statutory authority?

9 MEMBER DERFLER: I would say the answer is
10 no, we don't have a legal analysis from the Office of
11 General Counsel. But I would say, as we do our
12 thinking, we're exquisitely aware of the question that
13 you're raising. It's not like throwing darts at a
14 wall and taking stuff out, but we really do pay
15 attention to the issue. But we believe that we can
16 come within the requirements of the act and do this.

17 MEMBER ESKIN: The other follow-up
18 question I had, I mentioned these others you're well
19 aware of, reports done by GAO or NAS on the inspection
20 system. When I went to the FSIS web site and typed in
21 risk-based inspection for a search, I got a document.
22 It's a report to Congress from 2001. I know it was a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 different, it was probably a different -- no, it was
2 this administration. Sorry. And it was a report to
3 Congress on risk-based inspection, and in this
4 document it referenced a system which it called the
5 inspector optimization system and also mentioned that
6 FSIS, at this time, in March 2001 was exploring with
7 the Research Triangle Institute and Texas A&M
8 University the development of a system. Again, that
9 was the term that was used at the time. Did anything
10 happen with that? Was research ever undertaken? Is
11 there a report, for that matter? Again, is there any
12 thing else that's been done through outside
13 consultants that would help us as we move forward in
14 this?

15 CHAIRPERSON MASTERS: We will check into
16 that and get back to you after lunch.

17 MEMBER DERFLER: The only thing I would
18 say is, in the course of doing this, I went back to a
19 talk that Bill Smith gave and I gave in, I think 2000
20 called Next Steps, and what's really surprising and
21 encouraging about it is what we're saying is very
22 consistent with what we said then.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON MASTERS: Before we break for
2 lunch, I would ask, we've heard a lot of folks
3 indicate that it might be better to have the committee
4 work as a whole rather than to break into two. So I
5 would ask the committee to, by a raise of cards, if
6 you would prefer to work as a group of one rather than
7 two. So a group of one. Group of one? Okay, group
8 of two? Did you count?

9 MEMBER GOVRO: Excuse me. Mike Govro.
10 Could I offer one other suggestion?

11 CHAIRPERSON MASTERS: Sure.

12 MEMBER GOVRO: My only concern with having
13 one single group is that it is a pretty large group. I
14 do agree that questions are kind of intermixed. I'm
15 wondering if two groups might approach all of the
16 questions separately.

17 CHAIRPERSON MASTERS: All right. How
18 about two groups approaching all of the questions and
19 getting a lot of input that way?

20 MEMBER ESKIN: Can I amend that or just
21 consider it?

22 CHAIRPERSON MASTERS: Sure.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER ESKIN: I think that would be fine.
2 My concern is we're not going to be able to get to all
3 of the inspection questions and the data questions,
4 and not that the data questions aren't important but,
5 to me, I think we have to address the inspection piece
6 of it first, whether it's just in this meeting and we
7 have to hold off on data because I think that, as I
8 said, that's going to follow whatever the inspection
9 issues.

10 CHAIRPERSON MASTERS: Michael, you have a
11 thought.

12 MEMBER KOWALCYK: I would have to follow-
13 up with Michael's comment that this is a very large
14 group to process through these very weighty questions.

15 However, based on the presentations today and based
16 on how the briefing papers were positioned, I think
17 I'm still a little unclear as to what the agency
18 expects in terms of a deliverable from the
19 subcommittee. So I don't know. Maybe after lunch, if
20 you folks can what are the key things you want from
21 the subcommittees because there is a lot here.
22 They're all very important issues. I would just like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some clarification on that in my mind because, as
2 people have said, the risk-based inspection criteria
3 and the data, they become intertwined very easily.

4 CHAIRPERSON MASTERS: Okay. Jill?

5 MEMBER HOLLINGSWORTH: Jill Hollingsworth,
6 FMI. I think Michael's point is good. Maybe we can
7 wait until a little bit later in the day and reassess
8 the group because there's not a clear position going
9 one way or the other, and maybe later in the day we'll
10 feel differently about it. I also think the other
11 Michael's idea of having both of us look at it might
12 be interesting to see if two groups working on the
13 same issue come out with similar conclusions. The
14 downside, you're right, is we probably can't get to
15 all of it as much as we'd like to in-depth.

16 But my personal feeling is it's going to
17 be very hard to answer the data questions if we don't
18 know what the risk system is even going to look like.
19 The data information that one subcommittee brings
20 forward may not be relevant to proposals for how to
21 proceed with a risk inspection system. So I'm
22 certainly willing to wait and vote again and see if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 feel differently later.

2 CHAIRPERSON MASTERS: Okay. After lunch,
3 we will come back. We'll try to more narrowly define
4 what we're looking for from the group. But as you go
5 to lunch, I will let you know the most important thing
6 we're looking for is your advice, your ideas, your
7 suggestions as we move forward. And, again, I would
8 agree the roof, the infrastructure is more important.
9 We believe the data will drive what we do, but if
10 you're suggesting that need to know what our
11 inspectors are doing so we can get to that data, then
12 we'll certainly be open to those suggestions. So
13 we'll come back to this issue after lunch because we
14 want you all to give us the most information we can,
15 and you can tell us how to best to do that.

16 So I'll let Robert give us our rules for
17 where we're going next.

18 MEMBER TYNAN: Well, I think our next
19 rules is we're going to break for lunch. But after
20 lunch, at 1:00, that should be timely, at 1:00 we will
21 do the public comment period. We normally do that
22 before we break, but we're going to have lunch. We'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 come back and do the public comment, and then we'll
2 talk a little bit with the subcommittees, their
3 charge, and get them working.

4 So if we could, we can break for lunch and
5 be back here at 1:00.

6 (Whereupon, the foregoing matter went off
7 the record at 12:03 p.m. and went back on the record
8 at 1:09 p.m.)

9 MEMBER TYNAN: What I'd like to do now is
10 go to the public comment period. So one o'clock, I
11 think, on the agenda we had the public comment period,
12 and after we complete that, then we'll go back and
13 talk with the subcommittees a little bit more about
14 how they're going to address the questions this
15 afternoon and how they are going to move forward for
16 the remainder of today and tomorrow.

17 I omitted, and I apologize, to mention to
18 you that for those folks that had public comment if
19 they could sign up out at the table out there. So
20 some people got that word without my help. Some did
21 not.

22 So what we're going to do is I'm going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 proceed through this list. If you didn't sign up, no
2 problem. We'll catch you at the end, but I think the
3 first presenter is going to be Craig Henry from the
4 FPA, and the committee has some comments that Dr.
5 Henry has submitted to the docket office, and will be
6 the basis for his remarks now.

7 So Dr. Henry.

8 DR. HENRY: Thank you, Robert. We
9 appreciate it.

10 Food Products Association really
11 appreciates the efforts that the agency has taken to
12 hold this meeting and to put this very important
13 subject before the National Advisory Committee on Meat
14 and Poultry Inspection.

15 As Robert said, you have copies of this,
16 but for those in the audience who do not, I would like
17 to just take a moment in the essence of time and read
18 these comments to you verbatim, if you will.

19 The Food Products Association commends
20 FSIS and USDA officials for placing this important
21 issue on the agenda of the National Advisory Committee
22 on Meat and Poultry Inspection. FPA is a founding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 participant in the Risk Base Inspection Coalition,
2 which is a broad based industry coalition composed of
3 ten associations whose members represent the vast
4 majority of the meat and poultry products produced in
5 the United States.

6 The RBI Coalition supports risk based
7 inspection as a means to enhance food safety. FPA and
8 the coalition believe that it is proper for FSIS to
9 focus the allocation of inspection resources based
10 upon risk. We believe that raising this issue with
11 the Advisory Committee is a very positive step toward
12 an open and transparent process that will engage all
13 stakeholders to achieve the ultimate goal of enhanced
14 food safety by properly focusing the obviously limited
15 inspection resources, as well as industry resources,
16 on the most significant food safety issues.

17 As the committee deliberates on this most
18 important issue, we encourage consideration of some of
19 the following tenets that we believe to be critical to
20 the success of this effort. Public health protection
21 and enhancement is paramount. The process must be
22 open, transparent, and all inclusive. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 availability and sharing of appropriate good data will
2 be important.

3 A risk based inspection effort needs to
4 focus both on risk based allocation of sampling and
5 testing efforts, as well as risk based allocation of
6 inspection resources. The agency has made significant
7 strides in the former, that is, risk-based sampling of
8 ready to eat products. Progress on the latter though
9 has not been measurable to date.

10 Measures of success will include some of
11 the following: reduction in product recalls for food
12 safety reasons, better compliance with food safety
13 requirements along with fewer enforcement actions for
14 significant food safety issues, reduction in food
15 borne illness outbreaks and sporadic cases to the
16 extent we are able to measure them.

17 Risk factors relevant to risk based
18 allocation of inspection resources include the
19 following: compliance history of the establishment,
20 nature of the product, nature and reliability of the
21 food safety controls.

22 In addition, certain other risk factors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could be important for specific establishments. For
2 example, production volume can be of significance, but
3 it must be considered in conjunction with other key
4 risk factors, such as the reliability of food safety
5 controls which influence the likelihood of unsafe
6 product being produced and shipped.

7 In our opinion, large volume alone must
8 not preclude a firm from consideration for a lesser
9 level of inspectional oversight. Other considerations
10 such as seasonal or regional factors may also be
11 significant in certain situations.

12 In regard to the next steps moving forward
13 in this process, the Food Products Association
14 suggests the first step in the process is to define
15 the key elements of a risk based inspection program
16 for meat and poultry products. The industry urges
17 FSIS to conduct a public stakeholders meeting no later
18 than the first quarter of next year to openly discuss
19 the key components necessary for allocation of
20 inspection resources based upon risk. This should be
21 more inclusive than the Advisory Committee.

22 Following a thorough discussion of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 desired outcome of the effort, task force or working
2 groups representative of all stakeholders and with
3 requisite expertise should be assigned to further
4 elucidate the subcomponents of the primary risk
5 factors. For efficiency and effectiveness, the task
6 forces may need to communicate by conference call/E-
7 mail rather than awaiting opportunities to meet face
8 to face.

9 In conclusion, again, we commend USDA for
10 starting a process that once successfully implemented
11 will benefit FSIS, the industry, and most importantly,
12 the consumer as it focuses everyone's efforts on the
13 areas with the greatest potential for positive impact
14 on public health.

15 Thank you very much.

16 MEMBER TYNAN: Thank you, Dr. Henry.

17 I think the next person that signed up is
18 Skip Seward from the American Meat Institute.

19 Is it Dr. Seward?

20 DR. SEWARD: Yes, thank you.

21 MEMBER TYNAN: Okay.

22 DR. SEWARD: Good afternoon everyone. My

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 name is Skip Seward. I'm with the American Meat
2 Institute.

3 And I support everything that Craig said,
4 of course, as part of the coalition. We joined the
5 coalition in acknowledgement in this initial step into
6 a more collaborative process for developing risk based
7 inspection and appreciate this opportunity to
8 participate. Whatever criteria, whether it's a hazard
9 control coefficient or some other descriptor is used
10 to establish relative risk for an establishment, the
11 key is to use the appropriate measures to compute the
12 criteria.

13 AMI also has submitted specific comments
14 on such measures to the Food Safety and Inspection
15 Service, and we hope the committee will take the time
16 to review these inputs.

17 One point not to be lost is that whatever
18 criteria or measures are used to assess risk for risk
19 based inspection, they should be linked by scientific
20 data to their public health consequences, and these
21 linkages need to be strengthened as noted by Dr.
22 Masters this morning.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We would also like the committee to
2 consider several other issues relating to risk based
3 inspection. First, the confidentiality of
4 establishment specific risk rankings.

5 Two, what measures are going to be used to
6 define the efficacy of risk based inspection by FSIS,
7 industry and the consumers.

8 And how does one manage misunderstandings
9 and disagreements for continuous improvement of the
10 process, and equally important, how does FSIS risk
11 based inspection program integrate into a national
12 risk based system that involves food service, retail,
13 restaurant, FDA regulated foods, and even production
14 sectors as suggested by Phil Derfler and others this
15 morning.

16 To focus resources based on existing
17 regulatory structure may not optimize improvements in
18 public health.

19 So AMI welcomes the opportunity to
20 participate in committees and task forces as suggested
21 by Dr. Raymond in his remarks earlier today.

22 Thank you very much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER TYNAN: Thank you very much.

2 We also have Andrea Brown. Is Ms. Brown
3 available?

4 And, Ms. Brown, you're with the American
5 Association of Meat Processors?

6 MS. BROWN: Yes, yes.

7 MEMBER TYNAN: Okay. Thank you.

8 MS. BROWN: The American Association of
9 Meat Processors and its members share the common goals
10 with FSIS to improve food safety and reduce the risks
11 to public health. We believe that risk based
12 inspection when based on criteria that adequately and
13 accurately reflect risk is a logical step in
14 allocating resources to further improve food safety
15 and decrease public health hazards.

16 Key to successful risk based inspection
17 are getting the right criteria for assessing the risk,
18 sharing relevant data amongst the stakeholders, and
19 having clear links between food-borne illness and
20 specific products.

21 Cooperation and transparency are also very
22 important to accomplishing the successful development

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and implementation of risk-based inspection. One of
2 the greatest challenges related to this type of
3 inspection is defining the criteria used to assess and
4 measure risk associated with FSI's inspected
5 establishments. The criteria should be linked using
6 scientific data to the public health consequence.

7 It can be recognized that these types of
8 linkages are often difficult to substantiate because
9 of the limitations in food attribution data,
10 insufficient or nonexistent data sharing protocols,
11 inadequate knowledge regarding the extent to which
12 inspection issues relate to food safety, and the
13 tremendous variety amongst the federally inspected
14 plants in terms of size, production volume, types of
15 products, formulations, technologies used, and so
16 forth.

17 AAMP challenges the National Advisory
18 Committee on Meat and Poultry Inspection to analyze
19 the risk based inspection system and develop
20 functional, realistic, and scientifically based
21 criteria for establishing risk.

22 Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER TYNAN: I have on my list Doug
2 Scott. Is Mr. Scott or Dr. Scott in the audience?

3 MR. SCOTT: Yes, sir.

4 MEMBER TYNAN: Were you planning on making
5 a comment, sir? No? But if you have one, you could.

6 MR. SCOTT: (Speaking from an unmiked
7 location.)

8 MEMBER TYNAN: Okay. Thank you. So far,
9 but it isn't over yet.

10 Okay. We have Tony Corbo, Corbo. Sorry,
11 Tony.

12 MR. CORBO: I'm Tony Corbo, and let me get
13 this out of my way. Today is my last day at Public
14 Citizen. Tomorrow the staff that has been working on
15 food and water issues will be forming a new
16 organization called Food and Water Watch. So to avoid
17 confusion I'll just identify myself as being with Food
18 and Water Watch today.

19 I appreciated all of the comments and all
20 of the references to construction. I come from a long
21 line of family members in construction. I used to
22 help my dad and my uncles building houses, and I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happy to report that those houses that I worked on 35,
2 40 years ago are still standing.

3 I had problems with the steps that were
4 identified as being sort of the building blocks to
5 where we're going with this whole discussion on risk
6 based inspection. You've had legal problems with the
7 implementation of HSIP. HEMP had legal problems.
8 This particular committee in previous incarnations had
9 two meetings to discuss HEMP. They were very
10 contentious meetings. There hasn't been any further
11 discussion of the HEMP program. I'm glad to Mr.
12 Elfering raised it again today, but there really
13 hasn't been a thorough discussion of how effective
14 that program has been.

15 Public Citizen did file a FOIA request for
16 data on that system because there hasn't been a
17 thorough public discussion for at least a couple of
18 years on the system. So I think before we put moves
19 on things, we had better take a look at the foundation
20 because I think you have problems.

21 And so I think that you have made
22 reference that there's going to be transparency in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discussion of HEMP and other issues, and I implore you
2 to have those discussions before you get into an
3 elaborate discussion of the roof.

4 The other thing is I just couldn't let the
5 reference to got to have a recall go by because the
6 agency knew in February 2002 that there were problems
7 at that facility. They evidenced themselves in
8 Milestead (phonetic) and Montana, and the agency sat
9 on its hands until you had a major problem.

10 So in all deference to Phil Derfler, you
11 guys knew.

12 So thank you very much.

13 MEMBER TYNAN: Thank you, Mr. Corbo.

14 And the last person that has signed in to
15 do comments is Felicia Nestor, the Food Safety
16 Consultant.

17 Ms. Nestor.

18 MS. NESTOR: Hi. I'm a food safety
19 consultant working with Public Citizen now. I used to
20 be with Government Accountability Project, and I
21 wanted to address a couple of the issues.

22 First, I just wanted to talk about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transparency. You know, it's one thing to say that
2 you're going to be transparent, and it's another thing
3 to actually be honest and transparent, and I wanted to
4 follow up on what Tony was just saying about what I
5 would consider the misrepresentation of the Con Agra
6 incident.

7 Phil Derfler said today that the
8 inspectors in the plant had the data to take action on
9 but they just didn't do it, and I think Dr. Murano at
10 the time blamed the front line inspectors.

11 I spoke to front line personnel in that
12 plant, and the Con Agra, the OIG Con Agra report makes
13 clear that the inspectors in that plant repeatedly
14 told their supervisors that there were company E. coli
15 tests that were positive, and they wanted to follow
16 up, and repeatedly they were told not to do that.

17 So in the interest of good faith and
18 transparency, I would appreciate it and I think most
19 people would appreciate if you don't misrepresent the
20 history that people don't necessarily have access to.

21 The second thing I want to take issue with
22 is just raise a question. Phil Derfler was talking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about incentives and what role incentives can play in
2 getting companies to create improved food safety
3 systems, and he was talking about the incentive of
4 less inspection in a plant and gave the example of
5 listeria.

6 If you look at the example of E. coli
7 015787 testing though, I think it provides an example
8 of the other possibility which is that when you say
9 you're going to do less inspection, that things are
10 actually going to get worse.

11 In 1999, the agency instituted 10,010.1, I
12 think, Revision 1 or was it Revision 2, which gave
13 exemptions for companies that did their own E. coli H7
14 testing. Unlike disease statistics for listeria and I
15 think possibly salmonella, the CDC NMWR statistics for
16 food borne illness did not go down with E. coli
17 015787.

18 So we had at least three years where this
19 incentive could have created a decrease in food borne
20 illness, and it doesn't look like it did. Now, I
21 don't know how directly connected these things are,
22 but I think it is extremely coincidental that if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plot month by month the CDC MNWR statistics, you see
2 an immediate and steady drop as soon as the summer of
3 2002 came about when the agency announced that not
4 only was it going to then test all ground beef
5 producing plants for H7, but it was also going to be
6 keeping a supplier database.

7 So that the agency increased the
8 accountability for these plants and immediately the H7
9 numbers went down. Again, I'm not a scientist. I
10 don't know that it was directly connected. I think
11 there is a good reason to look and see if it is
12 connected, and I'm very concerned that when you say
13 you're considering lessening an inspection in a plant,
14 once the plant proves itself, what's to prevent that
15 plant from getting sloppy as soon as you pull those
16 inspectors out and as soon as they know that the
17 inspectors aren't going to be back for however many
18 weeks or months it will be before they're seen again?

19 And I know that, Barb, you said that the
20 agency is conceiving of risk based inspection, in
21 terms of the current statutory framework, which would
22 require daily inspection, but many of us in this room

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know that there is not daily inspection in many
2 plants, and that inspectors on patrol assignments in
3 many plants do at best a drive-by.

4 The 70 percent food safety inspection
5 tasks versus the 30 percent other consumer protection
6 tasks doesn't play out in a plant where an inspector
7 comes in for ten minutes.

8 The third thing I wanted to say is I don't
9 know why the inspectors are not at this table and why
10 they've never been at this table. You know, it seems
11 a little silly that you're asking this table full of
12 people that have expertise in so many other issues how
13 inspection is going to work and what inspection
14 methodologies are going to be the most productive when
15 most people at this table if you gave them a test in
16 HSIP inspection, how it's functioning today, would not
17 be able to pass it and through no fault of their own.

18 None of us out here are experts in HSIP
19 inspection, and I think if you're going to be test
20 driving some new methodologies or reordering
21 priorities in terms of how you're going to do
22 inspection, it would be beneficial to have at least a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 check-in with the people on the front lines that have
2 been doing this on a daily basis, some of them for
3 over 20 years.

4 And what I want to say to support that is
5 in 2000, when I was at Government Accountability
6 Project, I did a survey with the inspectors, published
7 it with GAP and Public Citizen published it. It was
8 the inspectors that had worked on HSIP for the first
9 year and a half of its implementation.

10 And at the time the inspectors were
11 sometimes calling HSIP. "Hardly anyone comprehends
12 current policy." There was a list of things, a list
13 of options. "What prevents you from carrying out your
14 food safety responsibilities the most?"

15 I got a surprising answer. I never
16 thought the inspectors would have said this, but what
17 they said was confusion between FSIS personnel was the
18 most -- it was the biggest impediment to them, not
19 even confusion between the industry and FSIS, but
20 between FSIS personnel themselves.

21 You know, we published the inspector
22 saying this in the year 2000 from surveys done in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 1999. The OIG and GAO reviews later went on to say
2 exactly that, that people within the same district
3 couldn't explain the regulations similarly.

4 And finally I want to go back to this is
5 my last comment on transparency again. I was in this
6 room in 1995 when we were discussing HSIP, and the
7 agency was announcing, you know, its new, latest
8 science based program, HSIP, and they talked about
9 doing Salmonella testing, and we talked about doing
10 daily Salmonella testing; that Salmonella testing
11 would be done in plants. Performance standards would
12 be used as a gauge to see whether the plants had
13 process control.

14 I did an analysis of the Salmonella
15 statistics in 2001 for the years 1998 through October
16 2001, and what I found really surprised a lot of
17 consumers. A test in a ground beef plant, a sample
18 set in a ground beef plant should have taken at most
19 two and a half months of daily testing, and in eight
20 of the large plants, the beginning of one sample set,
21 from the beginning of one sample set to the beginning
22 of the second sample set was two and a half years in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 many plants.

2 In a number of plants, they failed the
3 Salmonella test early on in the test, and the agency
4 took no action until later on. One of these plants
5 failed the salmonella test five times over.

6 So the consumers came out of this meeting
7 room fully supporting HSIP based on the
8 representations made by USDA, and all I can say is as
9 you go into the process of risk based inspection, I
10 just hope that your transparency, you know, is a
11 little more honest than the transparency that this
12 agency was using in 1995.

13 MEMBER TYNAN: Thank you, Ms. Nestor.

14 Are there any other comments from the
15 public that would like to make them at this time?

16 Yes, sir. If you could come up and
17 identify yourself and your organization.

18 MR. WALGROCK: I'm sorry. I didn't see
19 the sheet. Chris Walgrock (phonetic), Consumer
20 Federation of America.

21 I said a couple of quick little things. I
22 understand this is the beginning of a dialogue and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process on risk based inspection, but I would
2 encourage FSIS to expand this dialogue beyond this
3 Advisory Committee, beyond five minute public
4 comments, you know, in the middle of the thing after
5 lunch when we're all lethargic, and make a wider
6 public discussion about this.

7 This is a very important topic. We're
8 changing the inspection system for food and safety,
9 and it deserves a public debate on it. So I would
10 encourage you to go beyond what you've started right
11 now.

12 Second, just on the data that the Advisory
13 Committee has been charged to look at and collect and
14 suggest, in the end the data that FSIS ends up using,
15 I hope that they're very transparent about what that
16 data is, what it actually measures, and where that
17 data is coming from, as well as that the data is very,
18 very useful to our purposes here.

19 You know, if we're coming into this system
20 with bad data and bad information, we're going to end
21 up with a bad inspection system, and to that point
22 this risk based inspection system that we're looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at cannot be -- and this may be stating the obvious --
2 but it cannot be as good as what we currently have.
3 If we're going to go to all of this trouble, if we're
4 going to design this new system, it has to be better
5 than what we currently have.

6 So thank you.

7 MEMBER TYNAN: Thank you.

8 Are there any other comments from the
9 audience?

10 (No response.)

11 MEMBER TYNAN: Okay. Well, what we'll do
12 at this particular point is go back to the agenda and
13 talk a little bit more about the subcommittees and how
14 we're going to approach our work for the remainder of
15 the day.

16 I do appreciate all of the comments from
17 the public. We'll have another comment period
18 tomorrow. So if there are some other things that come
19 up and you want to mention them at that time, we'll
20 have some time allocated on the agenda for that.

21 So with that I'm going to return it to Dr.
22 Masters to talk a little bit about the subcommittee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process.

2 CHAIRPERSON MASTERS: Very quickly I'll
3 let you know what appeared in front of you over lunch.

4 We did give you FSIS Notice 73-05. That was issued
5 on November 10th. For those of you in the public that
6 are interested in that notice, it's our notice on
7 collecting baseline samples for raw ground beef
8 components, and so that's available on our Website
9 that we put out for our committee members that was
10 discussed. So that's in front of you.

11 Then Ms. Eskin asked about she had looked
12 on the Website and there was a report to Congress on
13 risk based inspection from 2001. So we put that out
14 for everybody so that everybody could catch up with
15 Sandra who did her homework, and it talked about
16 inspector optimization system, and apparently that was
17 an earlier look at moving forward and risk based
18 approach.

19 And some of my colleagues shared with me
20 that that was an earlier attempt in looking at our
21 hazard coefficient, where we actually did an expert
22 elicitation, and we looked at risk as associated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 products and process from an expert elicitation. That
2 was talked about in a public meeting that we did in
3 2001, and the only published document associated with
4 that is our fulfilling the vision document that Dr.
5 Murano did, the first one, where she talks about the
6 HC and the HCC and kind of did a map, a scattergram,
7 where she visioned where you might look at plants and
8 products based on their risk.

9 So there is a scattergram in this document
10 that we've put out for you. So you should have gotten
11 a copy.

12 PARTICIPANT: Excuse me. This is not Dr.
13 Murano's? this is a new one?

14 CHAIRPERSON MASTERS: This is Dr. Murano's
15 vision document. So that was something that Dr.
16 Murano had put out there.

17 Dr. Raymond has challenged our agency to
18 step back and so we see that as one of our steps in
19 moving forward, but it is one of those steps that
20 we're needing guidance from this committee on as to
21 whether or not before we can even fill in those steps
22 we don't believe we have the right data. So we're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 down to those steps.

2 So that was kind of the initiative at the
3 time, and we don't want to get down into those steps
4 until we get a lot more information. So it was that
5 initiative, and this was the public document that came
6 out of it.

7 And I think as you heard some of the
8 public comments, people have heard of that. People
9 are aware. I think some of the trade association
10 comments came, too. If you're going to rank plants or
11 talk about plants, make sure you have the right data,
12 and that's, I think, where they're coming from as
13 previous conversations that were out there in the
14 vision documents.

15 And so I think it is useful to have this
16 as background material, but that is not something that
17 the agency is currently glomed to or stuck to. We are
18 looking at, starting again, hearing from this
19 committee and rebuilding from information that's being
20 provided from this committee as far as what you would
21 guide us in looking at products, plants, processes,
22 and moving forward as our building block, again.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think the general concept is something
2 that we still would agree on, but again, this is the
3 only published document that came out of that process,
4 if that's helpful.

5 Phil, in his infinite wisdom, went and
6 typed the questions that he had asked of the
7 inspection when he was going through his chart. He
8 typed the inspection, and he went back to type the
9 ones for data. So he should be back shortly because
10 he said it would take about 20 minutes. So while we
11 were getting our public comment, which was very
12 helpful. Thanks to all of you that shared that with
13 us.

14 So he's bringing that back because he
15 thought it might be helpful to have those in writing,
16 as you all hopefully deliberated over lunch how you
17 want to best move forward, the specific questions that
18 he had kind of charged you with.

19 So that, again, they were a little bit
20 more detailed than the five questions we had put to
21 you, but I think the more specific thing that we were
22 hoping to get from the subcommittee's committee is all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the thoughts that you have relative to data and
2 inspection as we move forward.

3 So I think was just trying to give you
4 probing questions relative to the chart, but he
5 thought it might be helpful to do that. So he went to
6 get the other probing type questions that he had asked
7 you as he walked through the chart. So we have that
8 for you.

9 And for the public, if you don't have it
10 yet, those of you who are hanging with us that want to
11 listen to the deliberations, we did get copies of the
12 chart that we do break up that you can listen in and
13 have the chart in front of you. We want to make it as
14 participative as we can so that you can listen in and
15 see the chart as we talk through this. So hang with
16 us as we make our decisions here. We do want you to
17 be able to listen in and hear what's going on.

18 So thoughts from the subcommittee. I'll
19 turn it to you all and see where you got over lunch.

20 We had two and a half options on the table
21 before we left. Dr. Hollingsworth.

22 MEMBER HOLLINGSWORTH: One of the things

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that I was looking at in talking to some people with
2 over lunch, and that is I think that the reality is
3 the agency, the industry, everybody has been looking
4 at some variation of risk based inspection probably
5 since the late '80s, and I even went back. I found a
6 computer upstairs that someone let me use, and I went
7 back and found all of these NAS studies, the purple
8 book, the white book, those of us who were here then.

9 You know, the basis of a risk based
10 approach to poultry inspection; the basis of using
11 risk for a better food safety program. I mean,
12 there's attempts to do this, and many attempts have
13 taken place over the years, and I think that some of
14 these old studies and other attempts that have been
15 made are things that the agency and this group should
16 even consider.

17 But I guess my initial concern today is
18 I'm looking at these questions in the magnitude of
19 this issue in thinking is it realistic to think what
20 couldn't be done in 20 years we're going to accomplish
21 in four hours. We're good, but I don't think we're
22 quite that good.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 With that in mind, when I looked over the
2 five questions, I guess the one that seemed to me
3 maybe the most from my perspective important for us to
4 address is the one that Dr. Raymond laid out, and that
5 is Question No. 5. How does the industry go forward?

6 I think for us to provide anything really
7 in depth or meaningful to build that roof is beyond
8 expectations for a group of this size in such a short
9 amount of time, but I do think this group would be
10 very capable of looking at what would be our best
11 advice to the agency on how to go forward and where to
12 go from here.

13 So I would like to just throw that out to
14 the group as it seems to me even if we want to break
15 out into the subcommittee's slated to tackle Questions
16 1 and 2, I would like to see maybe us work together at
17 least on answering Question No. 5.

18 And the fact that Dr. Raymond brought that
19 one out to seems also the one that he was indicating
20 was most important to him.

21 CHAIRPERSON MASTERS: Ms. Eskin.

22 MEMBER ESKIN: I agree totally with what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Jill just said, and I was going to make the same
2 suggestion, that we look at Question 5 now and see how
3 much we can address those procedural issues and then
4 take it from there.

5 CHAIRPERSON MASTERS: Other thoughts?

6 (No response.)

7 CHAIRPERSON MASTERS: So I'm hearing some
8 thoughts about answering Question No. 5, which is
9 providing advice on how we can get more input
10 collectively on moving forward, Dr. Raymond, I wrote
11 down even some more specifics. The ideal working
12 group, would it be this committee, a subcommittee of
13 this committee, a separate committee; how often they
14 would meet, the number of people, who they would be;
15 state, FSIS, industry consumers, House elected, who
16 chairs, outside because he was speaking freely, as he
17 often does, even outside of the actual written
18 question, and providing us some advice on that
19 question as a whole committee, and then breaking into
20 your subcommittees to tackle as much as you could on
21 the other questions because from an agency
22 perspective, in addition to this question, any thought

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you're able to provide us on the actual chart and any
2 advice you might be willing to give us on the actual
3 chart in moving forward, anything that you gave us
4 would be very useful and helpful to us because I don't
5 disagree with Dr. Hollingsworth.

6 Obviously there's been much work done, but
7 any advice and thoughts that you had, even if you
8 picked one or two sections of the chart as a
9 subcommittee, if your chair said, you know, "These two
10 questions would be two we thought we could provide
11 some helpful guidance to the agency," would be very
12 helpful in moving forward, I think, just to get
13 started.

14 I don't think anybody walked in
15 anticipating that you would say, "Here's the eight
16 questions and here's our answers to the eight
17 questions. Whew, that was done. Move on."

18 I think we just wanted to get something
19 out there and get your ideas, as many as we could,
20 moving forward.

21 So if you guys want to take a vote, is
22 everybody working as a whole committee on Question No.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 5 to start and then breaking into your subcommittees
2 and tackling as much as you can as a subcommittee on
3 your inspection issue and your data issue and getting
4 as much work as you can accomplish in those areas and
5 tackling those however your chairs guide you through
6 the work?

7 David and Michael, are you up for that
8 challenge?

9 Sounds good. David and Michael, I'd ask
10 you to co-chair then for this section. Are you guys
11 willing to do that? Okay.

12 MEMBER TYNAN: Could I suggest that we do
13 a five-minute break so that we can get some people in
14 here who are better typists than I am and maybe some
15 flip charts in here to help facilitate the process?

16 So if we want to take I'm reluctant to say
17 ten minutes because then it's usually 15, so if we say
18 five, will that be ten?

19 (Laughter.)

20 MEMBER TYNAN: Okay. So I have quarter
21 of. So if we could get back together maybe five
22 minutes to the hour.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 (Whereupon, the foregoing matter went off the record
2 at 1:45 p.m. and went back on the record
3 at 2:03 p.m.)

4 MEMBER KOWALCYK: This is Michael
5 Kowalcyk.

6 I guess starting with Question 5 we'll
7 look at each aspect because we're charged with looking
8 at from an inspection perspective, and we're also
9 charged with looking at it from a data perspective.

10 So David and I discussed earlier that we
11 would kind of split responsibilities where we will
12 handle each question, what inspection issues need to
13 be addressed and then data issues if that's okay with
14 the full committee.

15 For the sake of time we may want to try
16 the tent card if we get off track. I'd like to keep
17 us on track as best as we can in the sake of time and
18 getting some good work done.

19 So with that, 5(a) or five, the question
20 is: if the agency were to form an ongoing working
21 group to look into risk, what recommendations would
22 the committee have on (a) who should compose of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 group?

2 And I guess I'll open it up with comment
3 and starting with Mr. Elfering was first with the tent
4 card on the end.

5 MEMBER ELFERING: I think one of the
6 things that we should probably identify is I think
7 everybody who has a stake in this: consumers,
8 industry, academia. I mean really everybody who has
9 some part in the meat and poultry industry.

10 So I think we need to limit the number of
11 people. I think that's important, but you need to
12 have someone there from inspection as well. I think
13 that from an inspection standpoint, from a state
14 inspection standpoint, from consumers, the industry
15 and academia.

16 MEMBER TYNAN: All groups represented,
17 including inspectors.

18 MEMBER DENTON: I guess that would include
19 industry, consumers, agency. Would we also want to
20 include state agencies as well?

21 MEMBER TYNAN: And industry. So those are
22 the major categories.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MEMBER DENTON: That could be.

2 MEMBER TYNAN: I'm sorry. My handwriting
3 is not good. Can you all read it or do I need to
4 write larger?

5 MEMBER KOWALCYK: Dr. Denton, do you have
6 anything you'd like to add or, Mr. Elfering, are you
7 finished?

8 MEMBER DENTON: Just to add a couple of
9 things, I agree with what Kevin said about the
10 regulatory component having representation from FSIS
11 as well as our state inspection agency. Industry, and
12 as we look at each one of these major categories, I
13 think there are several ways that you can look at
14 those.

15 In the industry component, I think we have
16 the more traditional, large, small and very small
17 representation as far as the size of the organization.
18 We also have a way of looking at it across
19 commodities, beef, pork and poultry.

20 The consumer groups obviously have a keen
21 interest in this. As I look at the, and I chose to
22 call it "scientific community," there's several groups

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that could fall into that, some of which are part of
2 academia. Part of them come from the agricultural
3 research service, and from various backgrounds in
4 animal health, food science, and public health.

5 I think that as we look at the scientific
6 part of this, there are three or four different groups
7 that would have a very important --

8 MEMBER TYNAN: So how would I capture
9 that, Jim?

10 MEMBER DENTON: I would call it scientific
11 community, and within that we have the academic
12 research. We have the ARS or government research, as
13 well as public health component in research.

14 MEMBER TYNAN: So we have academic
15 research, government research, and public health
16 research.

17 MEMBER DENTON: Yes.

18 MEMBER TYNAN: Now I know you can't read
19 that. Trust me.

20 MEMBER DENTON: But that's only one way of
21 looking at it. I mean, there's obviously lots of
22 other ways that we could cut across that, but just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thinking in general terms.

2 MEMBER KOWALCYK: Okay. Thank you.

3 sure.

4 MEMBER LOGUE: Hi. This is Catherine
5 Logue.

6 Could I just add to Dr. Denton's point?
7 Among the academics and the scientific what might
8 actually be worth including here is other agencies
9 that also do risk. I mean, FDA do this and other
10 sister agencies. So maybe that should be included,
11 and you don't just limit it to FSIS.

12 MEMBER KOWALCYK: CDC comes to mind as
13 well.

14 MEMBER LOGUE: CDC, yeah.

15 MEMBER KOWALCYK: Dr. Harris.

16 MEMBER HARRIS: This is Joe Harris.

17 I hate to be disagreeable with some of the
18 points that have already been made, but apparently
19 being disagreeable is frowned upon here. And I don't
20 disagree with the thought process. I'm thinking that
21 this particular group has just mushroomed into a
22 really large, unwieldy thing with that many if you're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to be inclusive of all of that, and I think
2 inclusive is imperative.

3 But I do think that the bulk of this
4 working group needs to be focused on the three legs of
5 the stool that Dr. Raymond talked about, and I think
6 that as a working group then could solicit specific
7 input from so many of these outside entities, such as
8 the CDC, to provide data to them or that type of input
9 where the core working group might be a little more of
10 a smaller group, if you will, and then solicit input
11 on specific questions of interest to that group from,
12 you know, the various other entities that are listed
13 there.

14 MEMBER KOWALCYK: Okay. I think that's a
15 valid point because you want to get something done
16 through the working group. I do think though that
17 having these groups out there listed, I mean, they
18 would become a valuable resource and maybe we would go
19 down the lines of these working groups would have to
20 be done in a public forum so that academics who are
21 interested in that type of research state inspection
22 agencies that are interested in what's going on and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe they can lend some support.

2 Certainly, I don't want to speak for other
3 people on the committee, but for myself to get
4 something done if you get beyond a certain amount of
5 people, it gets very intractable. So that's a good
6 point.

7 Mr. Govro.

8 MEMBER GOVRO: Mike Govro.

9 I agree about the size of the group,
10 although I do need to say it may go without saying,
11 but I think FSIS should be represented in some
12 fashion, at the very least as a resource as it
13 functions in our subcommittee type groups to be there
14 to answer questions and let us know when we're astray
15 somewhere.

16 MEMBER ESKIN: Sandra Eskin.

17 There's one approach that probably could
18 easily reflect everything that's been said, and that
19 would be to go to a group like the National Academy of
20 Sciences, which has a long track record in not only
21 doing reports on food safety but all aspects of public
22 health. They have in recent years done a report on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 regulation of dietary supplements, child obesity.
2 It's all different panels, but the organization would
3 be the umbrella there.

4 And my understanding, again, of how it
5 works is they do put together a committee, and then
6 part of the process is a series of public hearings in
7 which all of the interests like those reflected there
8 have input, but it's this group of experts, this
9 expert panel, that would come up with the
10 recommendations.

11 Again, they've been used -- the National
12 Academy of Sciences has been used time and again, and
13 there are certain advantages. Again, they're
14 independent. They're not representing any particular
15 interest. They're not representing the agency, and I
16 think that's something we should consider
17 recommending.

18 MEMBER KOWALCYK: Okay. Dr.
19 Hollingsworth.

20 MEMBER HOLLINGSWORTH: Jill Hollingsworth,
21 FMI.

22 I'm more, I think, along the same lines as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Sandra is mentioning, and that is to have an oversight
2 group, for lack of a better name right now, that's
3 charged with seeking out and getting input from all of
4 these different stakeholders because even I'm looking
5 at that list and you could probably even add to that
6 list. I mean, you need professional risk assessors.
7 If you're going to talk about risk based inspection,
8 you need people who really understand the concepts of
9 risk.

10 And maybe under FSIS that was the intent
11 that Michael had, but you need policy makers. The
12 inspectors who carry out the policy are a valuable
13 resource in this, but then you also need the policy
14 makers.

15 I also think you probably need lawyers or
16 regulatory experts or legal experts. So I think
17 there's a lot of different input that needs to somehow
18 be consolidated, and I would envision that as there
19 being a third party that has no stake in the outcome
20 that pulls together all of these groups and gets their
21 input, either, you know, in small breakout sessions or
22 on panels or however they organize it, and then they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consolidate all of that information.

2 And I think the idea of NAS is certainly a
3 strong one to consider. I agree with Sandra they have
4 a long history of doing this, and they also have the
5 ability because a lot of people just like being on NAS
6 committees, to probably get a lot of participation.

7 People show up when the NAS asks you to
8 come and be on a panel. They can certainly pull in
9 some of probably the country's best risk assessors and
10 public health experts to participate on those kinds of
11 panels, unlike if it was just a government group or
12 even an FSIS group. You might not be able to tap into
13 that kind of expertise.

14 MEMBER KOWALCYK: Okay. Thank you.

15 Anybody else on the committee who would
16 like to add comments? Mr. Govro.

17 MEMBER GOVRO: Yeah, I just want to echo
18 what's been said here. I think there would be some
19 advantages to having a third party, people who were
20 professionals at doing this, with no disrespect
21 intended to this committee. We're all pretty much
22 otherwise employed, and I'm not sure we would have the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time to give this as much attention as it would need.

2 MEMBER KOWALCYK: Okay. What we can do is
3 we can talk more about -- we've got a lot up here.
4 The issue was brought up about the size of the group.

5 There are a lot of different stakeholder groups that
6 would be involved. It seems like we're in general
7 agreement that inclusiveness is very important.

8 Do we want to talk about the more details
9 on how this should be composed or do we want to go on
10 and talk about how this should be composed for
11 handling the data issues that may come up under risk
12 based inspection?

13 Dr. Harris.

14 MEMBER HARRIS: I guess I want to touch,
15 before we completely leave that. It does sound to me
16 like there was some consensus around the table that a
17 disinterested third party to guide the process or to
18 facilitate the process is the route to go, but I
19 wanted to get a little more specific.

20 Do we recommend that the agency identify
21 that entity or how is that entity going to be
22 identified? I mean, I have no idea if the National

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Academy of Science has an interest in doing this. And
2 there may very well be other entities that might, you
3 know, be appropriate for that.

4 So is our recommendation then that the
5 agency find and identify that entity or are we going
6 to recommend which entity it is?

7 CHAIRPERSON MASTERS: And this is Bar
8 Masters.

9 I can at least share with you we've had
10 some preliminary conversations with the National
11 Academies, and that is something I'm in the process
12 that Ms. Eskin described as something that I believe
13 is very similar to a description they provided to the
14 agency as a process that they do put together panels.

15 We would contract with them.

16 That is, we have talked about using them
17 for a couple of other processes that we've looked at.

18 So we haven't specifically approached them on this
19 topic, but we have talked to them on some other
20 processes, and so it is through a contract process
21 that you can work with them to get a panel put
22 together, and so we've had some briefings by them, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so we have been in contact with them in the last
2 couple of months on some other areas that we're
3 looking to gain some advice from them.

4 So I think that is something if this
5 committee wanted to pursue that. Just to make you
6 aware, that is something that I think is a viable
7 option that this committee could recommend.

8 MEMBER TYNAN: Ms. Eskin.

9 MEMBER ESKIN: Obviously, I guess the
10 other question is does FSIS currently have the
11 resources. Again, saying that the NAS is to do the
12 study and then having the resources to have them do it
13 is two different things.

14 How does that work? Do we have to go
15 back, or if we recommend that you all get a third
16 party like NAS to do this, you need to ask for funds?

17 CHAIRPERSON MASTERS: I think at this
18 point, I think we're going to have to look at the
19 options that come out of this and then look at our
20 resources and make those determinations.

21 So I think that I don't think we're
22 prepared at this point to commit to it. We'd have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 see the kind of cost and that sort of thing to make
2 those determinations.

3 MEMBER ESKIN: Right.

4 CHAIRPERSON MASTERS: Again, we were
5 looking at a different situation at the time we were
6 talking to NAS, and it's not something that's not
7 expensive. It is a very expensive process.

8 MEMBER ESKIN: No, it is.

9 CHAIRPERSON MASTERS: But this is
10 obviously a very -- this is something we're very
11 committed to doing and so I think it's something that
12 we would take very seriously if this was the advice
13 that came from this committee.

14 MEMBER ESKIN: And often what has happened
15 in other contexts is in legislation at NAS is
16 sometimes specifically designated. You should do a
17 study, contract with the NAS, and here's the line on
18 it. Here's the money that you can do.

19 I just wanted to respond, Mike, to your
20 question about sort of the substance of it the
21 committee should look at. My sense would be if we
22 went down this road with a third party basically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 running it, they would look at all aspects of the
2 issue of risk based inspection.

3 You know, we've discussed these two pieces
4 of it, the data collection and then the inspection
5 kind of process, but it would be every issue that is
6 raised under this umbrella concept.

7 MEMBER KOWALCYK: I think also one thing
8 I'd like to add is whatever the composition of the
9 group is, there should be equal representation among
10 the stakeholder groups.

11 There are further questions down talking
12 about frequency and where they should meet.
13 Obviously, I feel that these meetings should be
14 public. I think the input that this committee gets
15 from the public is very important, and I'm sure for
16 something as significant that affects everybody
17 involved, public input is of the utmost importance.

18 MEMBER TYNAN: I guess the question
19 becomes is this the alternative that you're proposing.

20 So were there other options, assuming we didn't have
21 resources, to be able to proceed or is this the best
22 and the choice?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER KOWALCYK: I guess I'd ask the
2 committee does anybody have any additions or is
3 anybody uncomfortable with representation of
4 stakeholders for those issues?

5 MEMBER ESKIN: May I?

6 I guess I just wanted to clarify exactly,
7 you know, again what we're at least thinking of right
8 now, and clearly if it were a NAS type process -- Dr.
9 Masters, correct me if I'm wrong -- generally the
10 actual committee that they put together is anywhere
11 from 13 to 15 people, and those people represent some
12 range of expertise.

13 And through the deliberations, which I
14 don't know if there is a common length of those, if
15 it's a year, if that's common or longer, they have a
16 series of public hearings, and it's at those hearings
17 that all of the stakeholders would be heard from. But
18 they wouldn't necessarily be members. That's the NAS
19 model, which again, that's one option to put forward.

20 MEMBER KOWALCYK: I would agree that --
21 Dr. Harris, did you have some comments? Sorry.

22 MEMBER HARRIS: Actually along those same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lines that Sandra was just addressing, in going back
2 to your comment, equal representation from all
3 stakeholders. Well, we identified a pretty lengthy
4 list of all stakeholders over there, and if you're
5 going to have a committee of manageable size, you're
6 looking at probably no more than one individual from
7 each of those entities as far as the group goes. So I
8 wanted to comment and define what you meant when you
9 said equal representation from all stakeholders
10 because I would contend that one representative
11 couldn't probably represent all of the consumer views.

12 One representative probably couldn't represent
13 inspectors' views, and I know one representative
14 probably couldn't represent all of the industry views.

15 So, you know, I agree with your concept.
16 I just wanted to a little more clearly define that,
17 and along with what Sandra was saying, you're going to
18 have to have a core group then that facilitates
19 getting all of that input from everywhere else, and
20 maybe that is something we leave up to whatever third
21 party we arrive at. Maybe that third party assemble
22 the group and we give them some criteria on, as you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 say, equal representation and give them a little
2 flexibility on how they carry out their mission.

3 MEMBER KOWALCYK: Okay, and then the NAS
4 model would allow for public participation. So you
5 could get that opportunity for representation.

6 Dr. Hollingsworth.

7 MEMBER HOLLINGSWORTH: I was basically
8 going to follow up. Sorry. Jill Hollingsworth.

9 Following up on that, my general
10 understanding and even having worked with some past
11 NAS commissions and committees, you would not have
12 this group equally represented on the NAS committee.
13 In fact, the NAS committee would probably be much more
14 heavier leaning towards academicians, risk assessors,
15 people of that nature.

16 What they do then is they determine how do
17 they get sufficient and equal input from all of the
18 stakeholders. So their job is really to assemble the
19 groups, identify the groups. Certainly they would go
20 to others like, for example, to FSIS and say, "How can
21 we get representation from your work force?"

22 So they would go and ask for advice, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I've been on NAS panels that have met with NAS
2 committees, and the panels can be as many as 20
3 people, and you all get an opportunity to make a
4 presentation on the issue or share your input, and
5 then if they have questions, they can even come back
6 to you later or ask you to revisit them and answer
7 questions that they might have.

8 So I think it's really up to the NAS
9 committee to work through the mechanics of how do we
10 make sure all the stakeholders are represented. How
11 do we get their input?

12 The committee itself is usually not the
13 stakeholder group.

14 MEMBER KOWALCYK: So the alternative would
15 be a third party led community similar to an NAS
16 panel, and they would elicit input from the various
17 stakeholders that are interested in risk based
18 inspection; is that correct?

19 Mark.

20 MEMBER SCHAD: I just want to be sure I
21 understand these concepts. Dr. Hollingsworth, your
22 recommendation, your suggestion or your idea here is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 leaving it up to a third party to compose the make-up
2 of this group?

3 MEMBER HOLLINGSWORTH: The third party is
4 not a representative group of all the stakeholders.
5 The third party's job is to solicit all of the
6 stakeholders' input, consolidate it, and then develop
7 the series of recommendations from that input. So
8 they serve as more a team of facilitators to get all
9 of the input and then to assess all of that
10 information.

11 MEMBER SCHAD: Well, let me say this. I'm
12 all for a third party facilitator because I think that
13 would be good. I'm just concerned about I think the
14 make-up of the group ought to be consumers and
15 industry and inspection, and I don't want to get away
16 from that, leaving it up to a third party of the
17 composition.

18 MEMBER HOLLINGSWORTH: Yes, I see your
19 point. I guess where I'm concerned with that is how
20 do you put together a -- when I say "disinterested,"
21 not that they're disinterested, but an unbiased sort
22 of have nothing to gain or lose kind of third party

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 whose job it is not to pass judgment that one group's
2 argument is more compelling than another, but rather
3 to just take all of the information and assimilate it.

4 My other concern -- and that's not to say
5 -- I mean, the NAS usually within their own body, they
6 make the decision as to who is on the committee
7 itself. They may choose to say, you know, "We need an
8 industry person," or, "we need a consumer," but
9 they're not constrained by having one of every
10 representative on the committee. What they are told
11 is get equal and adequate input from everybody.

12 MEMBER KOWALCYK: Sandy, do you have a
13 comment?

14 MEMBER ESKIN: I was just going to agree
15 with what Jill just said, and I would just say, Mark,
16 that again, NAS is well respected. Again, we keep
17 pointing to this, and they have a certain process they
18 use, and again, the most important thing to focus on
19 is obviously who is the third party, who composes
20 their committee, but again, how broad those panels
21 are.

22 So I guess I'm confident that they would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hear from all the interested parties and take to heart
2 all the recommendations that are made. You know, if
3 you do look, I'm just looking at the two food
4 inspection related reports that they've done over the
5 last 20 years, and it is primarily, it looks like,
6 academicians who make up the committee, but again,
7 they're well versed in the substance, and obviously
8 many of them also have worked with industry.

9 So I would defer to that third party
10 because I think the process they have developed has
11 worked, and we'll all be in there, all of the
12 interested parties, making sure that our positions are
13 heard by them.

14 MEMBER SCHAD: Well, let me just say,
15 again, the concept of the third party, I think, is a
16 very good one. I just am concerned. I'm speaking for
17 industry. You know that, that whatever rules or
18 whatever system comes up with, it's up to industry to
19 make it happen.

20 So I just have to be sure that this is
21 going to be something that we can work with, with all
22 due respect for all parties interested.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MEMBER KOWALCYK: That is a good point
2 because ultimately the program is only as good as how
3 it's implemented when it's all said and done. I do
4 think though, reflecting on this morning's meeting and
5 the discussion, how this discussion has evolved and
6 going from including everybody to really getting down
7 to key experts in the area of not just risk
8 management, but also with respect to food safety,
9 because ultimately the goal of this is to make the
10 food supply even safer.

11 I think having that expertise on this type
12 of an impartial panel would be very important because
13 this morning we spoke about legal ramifications,
14 operational issues because this is changing the way
15 the agency conducts its business with its inspection
16 personnel, and there's many areas that are affected by
17 this.

18 So maybe having it run by an expert panel
19 with public input may be a very good way to go
20 initially to guide the agency along its way.

21 Dr. Harris.

22 MEMBER HARRIS: It would seem to me that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in following the model that we've kind of been
2 discussing we would wind up with a set of
3 recommendations from this third party that then would
4 go forward through a series of public input and
5 debate, if you will, or whatever, to determine, you
6 know, the ultimate how it's going to be implemented.

7 And my second comment is I'm beginning to
8 come to terms with why this has been lingering for 20-
9 plus years without being done. This thing has got
10 tentacles out every different direction, and it's
11 really going to be hard to get arms around it.

12 MEMBER KOWALCYK: Dr. Hollingsworth.

13 MEMBER HOLLINGSWORTH: Jill Hollingsworth.

14 I do think there's one part of this that,
15 you know, although I see a lot of the good, I mean,
16 everything has a down side, I guess, and what I see as
17 the one concern particularly from my perspective on
18 this is that the NAS reports do tend to be
19 recommendations. They tend to be a little more broad
20 brush. They don't include "so here's a great idea.
21 Now, how do you implement it?"

22 And I think that one of the things that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe we should task ourselves with or at least tell
2 the agency this is only half of the recommendation;
3 it's one thing to have an NAS committee look at the
4 issue and identify ways to maybe approach it from risk
5 based, the kind of factors and determinants that need
6 to be considered, but then there needs to almost be
7 Phase 2, and that is now how do you turn that into
8 reality. How do you turn that into a program that can
9 be implemented?

10 To go back to your point, Joe, I think
11 part of the reason these studies have been around for
12 ten, 20 years is they're a great set of
13 recommendations and they never go anywhere. There are
14 some things in that risk based inspection program that
15 probably the technology exists to do today and they
16 still haven't been done because it's always kind of
17 that next step.

18 And that's the hardest part. It's one
19 thing to come up with the idea. It's another thing to
20 use them and implement them, and I think that maybe as
21 a group what we need to think about, too, is if we
22 went down this road to come up with a plan, then what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happens Phase 2. How does it get implemented? How
2 does it get turned into an inspection program or an
3 FSIS program?

4 MEMBER KOWALCYK: So, Jill, are you
5 thinking somewhere along the lines for this committee
6 where it would be incumbent on them to provide
7 recommendations that are actionable, not just 10,000
8 foot view of how it should be, although that's
9 important to guide something this significant, but
10 really actionable steps that the agency would need to
11 take?

12 MEMBER HOLLINGSWORTH: Well, I think it
13 would be nice if they could do that. I'm not sure
14 that that's often within the scope of what the NAS
15 sees itself as doing. Maybe, you know, I don't know.

16 I haven't given this thought. Just off the top of my
17 head, but maybe we need to say that this report, this
18 set of recommendations then needs to come back to this
19 committee to determine now what happens to it. What
20 happens to it next?

21 I think the worst thing that will happen
22 is if we have another great NAS report that gets put

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the shelf and everyone says it's great, but nothing
2 changes.

3 And it would be nice if the NAS could do
4 that, but I personally don't know that they can.
5 They're academicians. They're going to come up with
6 the ideas, not the implementation component.

7 MEMBER KOWALCYK: Sandy.

8 MEMBER ESKIN: Sandra Eskin.

9 Another option would be -- one is
10 obviously as you just said, Jill, to bring the
11 recommendations back to this committee. Two would be
12 to have FSIS make a commitment -- I put that in quotes
13 -- to respond to the recommendations within a certain
14 period of time, whether that means with a proposal to
15 change rules or policies, but some sort of a
16 commitment from the agency itself to respond
17 officially to those recommendations, one option being
18 official action, and obviously other options as well.

19 MEMBER KOWALCYK: Dr. Denton.

20 MEMBER DENTON: You would think that after
21 this many years that I would learn not to do these
22 things because every time I open my mouth I usually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 get me in trouble, but in thinking about this, and I
2 can't disagree with anything anyone has said with
3 regard to how to go about this, but to me it seems
4 like if we want to have the focus that we expect to
5 have coming out of anything that the National Academy
6 does because it will work just exactly like Jill has
7 said, they will take the charge that they're given,
8 but they're within the limits of their scientific
9 expertise. They'll come back and make beautiful
10 recommendations, but unless there is some guidance
11 that comes from this agency that ties a pretty tight
12 bow on what we ask them for, we are apt to not get
13 back something that we can actually take and move
14 forward with.

15 So we're talking about possibly -- and it
16 comes to the question that Dr. Raymond asked this
17 morning. Should it be a subset of this committee or
18 should it be the entire committee that looks at this?

19 But there's got to be something with regard to
20 oversight that comes from the committee and the agency
21 working together so that we define what we expect the
22 outcome to be with regard to what we're asking the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 National Academy to do.

2 Now, whether that's a subset of this
3 committee or whether it falls back on the entire
4 committee, I have not got a clear picture of that, but
5 I think unless we do provide some fairly strict
6 boundaries with regard to where they're going and what
7 we hope to get out of this, that we will probably wind
8 up with another one of these marvelous studies that
9 fits on a shelf, and we will not have fulfilled what
10 the request was from our Under Secretary with regard
11 to moving forward with a risk based inspection system.

12 I think I see the goal, but I for sure do
13 not see the road that we need to go down yet. That
14 being said, I'll hush.

15 MEMBER KOWALCYK: Mr. Govro.

16 MEMBER GOVRO: Mike Govro.

17 I'd like to build a little bit on what Jim
18 just said there and add to it with something that's
19 been bothering me a little bit as we've addressed this
20 question, and that is, you know, what question are we
21 going to ask of this group. What question are we
22 being asked?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I'm a little bit uncomfortable with
2 the wording in the question, "form an ongoing group to
3 look into risk." And that's a little bit vague to me.

4 Getting back to some of the text here in
5 the discussion, I'd point out a couple of things.
6 Let's see. I may have to work backwards.

7 Well, let me see. I may have to work
8 backwards.

9 FSIS recognizes that under our traditional
10 approach to inspection we have not fully followed the
11 core functions of the public health model:
12 assessment, policy development, and assurance.

13 I guess my question for FSIS would be in
14 what areas do you think you've fallen short, and
15 perhaps by identifying those things we could go more
16 closely to the question of what it is you want to
17 know.

18 You've said FSIS must collect and assess
19 our data. That's the assessment part, and there's,
20 let's see, something back here. Recognizing that it
21 needs to collect different data, and again, I would
22 ask: what is it that you think you don't have?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And then respond, policy development, and
2 I would see that would be something that would come
3 out of this discussion, and then conduct assurance to
4 verify that -- well, I guess that's the third step
5 that follows later.

6 So I'm sort of with Jim. I think we need
7 to define the questions that we're asking perhaps a
8 little bit more concisely.

9 CHAIRPERSON MASTERS: This is Barb
10 Masters.

11 And I would say that we don't know all of
12 the questions we would ask this committee, and I would
13 have to agree with Dr. Denton. I think that we would
14 appreciate help in defining that, but I think we're
15 looking at perhaps a series of questions we would ask,
16 whatever this group became, using them as a sounding
17 board as we move forward and looking at further steps
18 into risk based inspection, which would have been a
19 better question here.

20 And saying that we have not fully followed
21 the public health model, I would say where the agency
22 sees ourself falling short is probably most on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assurance function. We have not most fully used our
2 peer staff, which is the staff that goes out and looks
3 at what we're doing as an agency to assure that our
4 policies are effective, that our inspection is
5 effective, and that was a group that we put in place
6 about three years ago now to follow up on ourselves;
7 that when we put a new policy out, was it the right
8 policy? Are folks implementing it effectively?

9 And so it's our assurance function that we
10 believe needs to be more robustly implemented, and
11 that's the piece that we're looking at to be more
12 robust with.

13 Collecting the right data, that's why we
14 have a lot of questions for you. We recognize that
15 there's a lot more data we could be looking at to be
16 more proactive. Right now we have a data system that
17 allows us to look at data that exist today. We
18 believe there's a lot more data that we could look at
19 if we want to be more proactive in looking at data so
20 that we can assess that data.

21 I think Phil asked a series of questions
22 in his data questions that instead of being an agency

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that reacts to data, as Dr. Raymond says, "I don't
2 want to recall product when people have become sick.
3 I want to know when data are starting to trend out. I
4 want to find problems before they happen."

5 We believe there might be ways to get at
6 that data. How can we work with the public health
7 community? How can we work with states? As you even
8 suggested, how can we work with local public health
9 communities to get our arms around some of that data,
10 to be more proactive?

11 But certainly when we say we haven't
12 followed the public health model to the most robust
13 means, we believe it's the assurance function that we
14 need to build up as an agency most closely to assure
15 anything that we put in place is effective.

16 MEMBER KOWALCYK: Okay. Any other
17 comments?

18 I think we've touched even on whether or
19 not it should be a subcommittee of this committee and
20 the size a little bit in people's comments. We've
21 spent quite some time on at least for the inspection
22 side. I don't know if we want to talk a little bit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the data side of who should compose of a group
2 that would make recommendations for how FSIS should
3 manage and collect data.

4 I don't know if anybody has any
5 objections, but it seems that the two go hand in hand.

6 So maybe we can address that as well and then come
7 back with a tighter recommendation. We have a lot of
8 good ideas here and there's a lot of issues that
9 people have raised. I think this is important
10 information.

11 So I'll pass it to David to lead that
12 discussion momentarily. If anybody has any objections
13 or want to add to this, that's fine.

14 (No response.)

15 MEMBER KOWALCYK: Okay.

16 MEMBER CARPENTER: Well, I think what Dr.
17 Masters just said is a good segue to what we're going
18 to talk about in terms of data. You could interpret
19 that your policy development is realistically going to
20 have to be in a state of flux because the data, your
21 assessment portion, is always being updated, and
22 you're saying, "We're not sure we have all the right

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data."

2 And until you get whatever is determined
3 to be needed as a minimum, that assurance function is
4 not going to come together in a really significant way
5 until those first two functions in public health, as
6 Michael brought up, are definitely in place.

7 If you look at data, and as we consider
8 that question, and the question that's imposed to us
9 about where does the agency obtain data or is it
10 appropriate, I've got to believe that when you pursue
11 what went wrong in recalls, you've got to get a pile
12 of data.

13 If we had intervened here and applied a
14 proper intervention here, that probably would not have
15 happened. That's going to be a good source of data.

16 If you forget about the inspection
17 function that Michael just discussed and just look at
18 the data that we ought to get our arms around, I've
19 got to believe the suggestion from the public a while
20 ago about the inspectors saying we ought to be on the
21 data committee, and I think Phil Derfler said, you
22 know, what we're looking for in data are the emerging

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues, emerging trends.

2 And inspectors have to be in the position
3 to say, well, we see this or that or second generation
4 HSIP is or is not working. You know, the data from
5 associations or members of associations like food
6 marketing or Southwest Meat Institute or the meat
7 processors, it's got to be brought to the fore.

8 And are any of those data available to the
9 agency? I mean, where does the agency get data now?
10 Can you share that with us? Do you have to bribe the
11 manufacturers to share that with you?

12 CHAIRPERSON MASTERS: And when your data
13 group gets together, we do have somebody prepared to
14 give a more general data briefing, but, no, at the in-
15 plant level, all of our inspection personnel have
16 their daily inspection findings that they have
17 electronically. They have all of the regulatory, the
18 FSIS laboratory data. They have that particular
19 plant's laboratory data that's available to them, that
20 that plant is conducting their own laboratory testing.

21 All of that laboratory data is available to them so
22 they have access to that laboratory data for that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 particular plant.

2 They have access to information from
3 agency, salmonella data for that particular plant. So
4 basically they have all of the information for the
5 plant for which they are doing inspection at the in-
6 plant level.

7 A supervisor would have information for
8 that plant as well as plants on all of the plants that
9 they supervise. And then the district would keep more
10 information than that.

11 The supervisor would also have access to
12 consumer complaints for a particular plant that might
13 have come in against a particular plant so that they
14 would have access to that kind of information if a
15 consumer had had a problem.

16 So they have access to all of the
17 findings, FSIS findings as well as plant findings for
18 that particular plant that are available to them.

19 MEMBER CARPENTER: So when you consider
20 the data, you know, in the recall investigation the
21 agency had got to be -- I mean, correct me if I'm
22 wrong -- has to be in the position to say, "We know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this was one of the most significant factors that led
2 to the adulteration that then resulted in the recall,
3 and if we had paid more attention to it or the plant
4 or the supervisor, you could have rectified that."

5 You may not have those data. I mean, if
6 you don't, you know, correct me. If you do, then that
7 ought to represent a focus on what should be
8 incorporated in an inspection protocol. Yes, no?

9 CHAIRPERSON MASTERS: Where Dr. Carpenter
10 is coming from, he's saying on a particular recall, we
11 would have information. Let's say a product was
12 recalled because it was not -- it's a ready to eat
13 product and when we went to do the recall, we found
14 that that product was not fully cooked, for example,
15 and that might be why we're recalling it.

16 So we know for that product it was not
17 fully cooked. What we don't currently have and what
18 we have found with our databases is that they are
19 stovepiped, and so that is why we're saying we are
20 having to build a public health data infrastructure.

21 So the agency has found we're having a
22 difficult time getting our -- we have laboratory in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one stovepipe data. We have inspection findings in
2 another stovepipe. We have information on enforcement
3 actions in another stovepipe, and so we agree with
4 you. We have a lot of good information as an agency,
5 but where we are having a difficult time is trying to
6 get all of that data to talk to one another.

7 So we are trying to build that
8 infrastructure to try to get all of that information
9 pulled out of some of those databases because we do
10 believe that public health data is what will build the
11 foundation.

12 But we also believe if we know undercooked
13 product is a cause of a recall, that's not the cause
14 of all recalls, but that may help other plants learn
15 from that one plant's mistake. So we are trying to
16 get some lessons learned from some of those instances
17 because we believe that is good information to share,
18 particularly in our outreach that we do for small and
19 very small plants, for example.

20 So we have begun to do some of that
21 lessons learned in our validation materials, for
22 example, where we've done food safety assessments, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we've manually had to go back and take information
2 from our food safety assessments to do some compliance
3 guidelines, for example, for ready to eat plants.

4 So we are as an agency, when I say we're
5 building that public health data infrastructure, and
6 we believe that is the key to a lot of our public
7 health decisions; that's some of the work that we're
8 having to do as an agency, is to actually -- when I
9 said we needed new data systems or, at best, updated
10 data systems, we as an agency recognize that's going
11 to be the key to building any risk based inspection
12 system that we move towards.

13 MEMBER CARPENTER: Okay. Michael.

14 MEMBER KOWALCYK: This is Michael
15 Kowalcyk.

16 I think the follow-up with that and back
17 to the focus to Question 5 as far as the working
18 group, it seems that addressing data issues and over
19 the past couple of years there have been issue with
20 interpretation of data, whatnot, that comes out of the
21 agency that has raised questions in the public's mind;
22 having the committee, maybe a sister committee of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk based inspection committee, maybe their prime
2 focus should be on how all of the sources of data,
3 FSIS can use to do its job, how they can be integrated
4 together to meet that goal.

5 Because one of the concerns I have for
6 risk based inspection model is you're basing it on
7 data. A lot of people agree with that approach.
8 However, it's only as good as the data will allow, and
9 the integrity of that data and how the data is
10 collected, if that's going to drive agency's policy
11 for inspection, maybe this committee or maybe
12 committees is one way to describe it, but a working
13 group that would have experts in the area of managing
14 data systems and to actually do a thorough review of
15 all the data FSIS has accumulated over the years and
16 how the agency has used it to guide its actions over
17 the years; to do an overview and say, "Okay. What
18 have we learned over the time since HSIP has been
19 implemented?" let's say, and how that can be improved
20 upon that would facilitate a risk based inspection
21 process.

22 So maybe the focus of that working group

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could be more experts in those areas. Again, I'm
2 risking going down the road of the NAS discussion
3 where it comes through with a good recommendation and
4 then it can't be applied. So that's just, you know,
5 something I think we ought to think about. I don't
6 know.

7 MEMBER KOWALCYK: Any other inputs? Dr.
8 Hollingsworth, yes.

9 MEMBER HOLLINGSWORTH: Just some random
10 thoughts on the whole concept of data, I guess. One
11 is that I think that -- and perhaps this would come
12 more out of the study if the NAS, in fact, looked at
13 the whole concept of risk based approaches, is that I
14 think there's a lot of technology out there that has
15 not been utilized that would give the agency new and
16 different types of data to make different decisions,
17 and we've heard about technologies. I mean, ways to
18 quickly screen carcasses and products, ways to use
19 videocameras and infrareds and all kinds of
20 technologies that are out there that I think everyone
21 tends to think, oh, that's too pie in the sky and it
22 will never be applicable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But I think a lot of those technologies,
2 in fact -- I was recently down at Georgia Tech, and
3 they were showing some of the technologies that
4 they've developed, and it's incredible, and they're
5 ready to use. I mean, these aren't pie in the sky
6 research projects.

7 So I think that one of the things the
8 agency needs to look at is other ways of getting data,
9 not just doing micro swabs and testing. I think
10 there's a lot of other kinds of technologies to give
11 the agency good data.

12 That's one point. Another point, I think,
13 is the idea of, Dr. Masters, you mentioned stovepipe.

14 I also think that in some ways the agency may want to
15 look at their analytical capability and how can they
16 do more with analyzing the data that they have.

17 I would be fascinated even now to see
18 analysis of let's just say all the 015787 information
19 you have on recalls: size of facility, type of
20 product, time of year, what was involved in the
21 process. There's so much, I think, that can be
22 learned from the data and the information that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there, but I think the analysis just doesn't take
2 place. So I think there's a lot more that can be done
3 with analytical applications to the data that exists.

4 And I guess my third point, which might be
5 a little controversial, but I believe that there's
6 this thinking that the industry has some miracle set
7 of data, and if everyone just got their hands on it,
8 everything would go away and be better.

9 I don't think that data exists, and
10 sometimes when even listening to poor Sean I felt bad
11 because he was trying to talk about how they're going
12 to get this data, but I'm not sure that magic data
13 bullet exists, and I think that we need to be
14 realistic about are there, in fact, sources of data
15 that would really, really make a difference, and if we
16 just could have our hands on it, I don't know.

17 It would seem to me if the industry, for
18 example, knew a way to treat a carcass or treat ground
19 beef and all the problems would go away, then they
20 would tell you. I don't think they have that
21 information.

22 So I guess I tend to back off this kick of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how do we get this information that the industry has
2 that they're not sharing with us because they know so
3 much and they have all of this information? I think
4 if they did, they'd be more than willing to use it,
5 put it into effect. They certainly have the
6 capability of getting the analysis, getting research
7 done, and if they had the answers, I think they'd
8 share them. That's just my point of view.

9 MEMBER CARPENTER: Dr. Harris.

10 MEMBER HARRIS: Well, in response to
11 Jill's last point there, I would agree wholeheartedly
12 with it. And, in fact, as Dr. Masters pointed out a
13 few minutes ago, as of this moment, today, if a
14 company has micro data upon which they're basing food
15 safety decisions, the agency has access to that data
16 today.

17 MEMBER CARPENTER: Would you expand upon
18 that? Do you mean they would modify a process or put
19 into a protocol?

20 MEMBER HARRIS: I'm saying that if they
21 are basing any of their food safety decision,
22 companies have to support all of their decision making

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 process within the regulatory framework of their food
2 safety systems. If they are relying on their micro
3 data for any of those decisions, that data is
4 available to the agency today to look at.

5 Okay. Dr. Masters I think will correct me
6 if I get too far off base on that one, but I think I
7 stated it correctly.

8 MEMBER CARPENTER: Okay. Thank you.

9 Kevin, yes.

10 MEMBER ELFERING: Just one question for
11 Dr. Masters. The salmonellas and E. colis that you're
12 getting positive, they're all being molecular
13 subtyped?

14 CHAIRPERSON MASTERS: Don't want to step
15 too far out either, but I'm being told, yes, that they
16 are.

17 MEMBER ELFERING: An how about the data
18 that you get from industry if they find a listeria
19 positive? Are they doing anything with molecular
20 subtyping? Do they, first of all?

21 CHAIRPERSON MASTERS: That varies. Some
22 companies are doing more with their laboratory

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 findings than others.

2 MEMBER ELFERING: And then everything gets
3 posted on pulsenet for the FSIS, is all posted on
4 pulsenet?

5 CHAIRPERSON MASTERS: Everything that FSIS
6 does is posted on pulsenet. That is correct, yes.

7 MEMBER ELFERING: Now, we do molecular
8 subtyping as well. Would that be of any value at all
9 if there would be any other programs that would do the
10 same thing?

11 CHAIRPERSON MASTERS: Absolutely, yes.

12 MEMBER TYNAN: Everyone is looking over at
13 me. I'm not sure why.

14 MEMBER KOWALCYK: Joe, do you have another
15 question, comment? Okay.

16 This is Michael Kowalcyk again.

17 I think with respect to data and the
18 question we've been charged with as far as forming an
19 ongoing working group, it seems to me that we're in
20 general agreement that a working group would be
21 valuable to tackle risk based inspection going forward
22 and possibly creating two groups, one that would deal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the actual mechanics of how it would work, in
2 other words, operationally how it would be carried
3 out, legally what the ramifications are, and then the
4 data side because it would be data driven.

5 Another group that I would argue should be
6 experts in the field of data analysis, statisticians,
7 operations research experts, to understand how these
8 disparate sources of data would come together to make
9 this system work.

10 As a consumer that's a concern I would
11 have. Is the agency with all good intentions to
12 enforce some regulations based on data that is in some
13 way flawed is always a concern. So maybe there would
14 be two working groups that would come out of this, and
15 then they would provide their recommendations, too. I
16 don't know. Maybe this committee, may be NACMCF, on
17 how to go forward with this, and obviously with public
18 input as well for that committee.

19 MEMBER TYNAN: Could I stop for just a
20 second? Maybe I have misunderstood what you were
21 saying, but when we first started our conversation, my
22 recollection of the consensus of the group was that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there was a third party, somebody like the National
2 Academy of Sciences, that would become a steering
3 committee. So please correct me if I misunderstood.

4 CHAIRPERSON MASTERS: No, that's correct.

5 MEMBER TYNAN: And then they would be sort
6 of a steering committee, and that steering committee
7 from the National Academy of Sciences would then go
8 out and serve to do some of the things that I think
9 you had a concern, that there was adequate
10 representation. So somehow through their scientific
11 process, that they would assure the consumers, the
12 health organizations, federal agencies, academicians,
13 state government, would all be involved.

14 So I thought I heard you saying that the
15 third party was a larger, independent, objective
16 group. Are we still tracking together?

17 MEMBER KOWALCYK: Yes. I guess I'm just
18 expanding on it and saying maybe there should be a
19 separate group that would focus primarily on the data
20 issues.

21 No, it would be one group?

22 MEMBER TYNAN: Yeah, well, maybe Dr. Logue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or, Sandra, did you want to?

2 MEMBER LOGUE: No, my understanding was
3 that we were looking at the third party taking care of
4 the committee, and then whatever input they had into
5 it. I think creating another committee is another
6 layer. It's going to turn into an onion, and we're
7 going to be peeling layers forever. We don't want to
8 do that. We want to make this almost as simple and
9 straightforward as we can.

10 So let's go back to the point of focus,
11 which was a third party and a committee, and whatever
12 they sought for that committee.

13 MEMBER TYNAN: And when I heard the
14 second part, the other committee -- please correct me
15 if I'm wrong -- that second committee, if there was
16 one, was where Jill was talking about having that
17 implementation fixed; after the National Academy of
18 Science presents its recommendations, that somehow
19 those are going to be broad brush, and so they aren't
20 going to have the implementation issues that we need
21 to have as an agency in order to move forward.

22 So I think the thinking was -- correct me

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again if I'm wrong -- but that we would have this
2 second phase that would bring those recommendations
3 back to this committee or to the agency and somehow we
4 would go forward and try and respond in some manner
5 with a plan on how we would implement the
6 recommendations.

7 Is that a fair statement?

8 CHAIRPERSON MASTERS: The only thing I
9 would add to that is I heard Dr. Denton, at the risk
10 of speaking out, say that he believed that a subset of
11 this committee might want to provide oversight with
12 the agency, defining what we might expect the outcome
13 to be, to make sure that we charged the NAS very
14 carefully, and that's where I think Mr. Kowalcyk's
15 concerns could be brought in and that the agency, as
16 well as I heard Mr. Kowalcyk say we have particular
17 concerns when it comes to data, where we're obtaining
18 that data, the quality of data, and those sorts of
19 things, and that's where I believe you might be able
20 to say to this committee that we have particular
21 interest around the data, and you could very carefully
22 make sure that you asked those questions to make sure

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that if you were charging the NAS that you made sure
2 that you asked those questions in a way to make sure
3 that your concerns were addressed, and I think that
4 that might get to some of your issues, Michael.

5 If I'm hearing you correctly, you have
6 issues around data. Certainly agency is putting the
7 data question on the table because we have issues
8 around the data question, and so I think I heard James
9 say that this committee could certainly help frame the
10 questions asked of the NAS, and I think that may get
11 at some of the questions you were raising.

12 MEMBER KOWALCYK: Yes. Yes, I think that
13 does.

14 CHAIRPERSON MASTERS: Mine as well.

15 MEMBER LINK: May I muddy the waters a
16 little bit more? Is that okay?

17 MEMBER TYNAN: Please do.

18 MEMBER LINK: Listening to the general
19 discussion, it's my understanding there's business
20 consulting groups out there besides NAS that might be
21 able to get into this and maybe get to the heart of
22 what we're trying to get to and actually look at our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 current system, look at the proposed system, look at
2 the data we've got, look at the data we should have,
3 kind of assess, make the recommendations and even to
4 the point of how do you implement so that we don't
5 have to necessarily come back here and try to figure
6 out now how do we take that big, thick book there and
7 figure out how to implement all of these
8 recommendations.

9 A couple of names have come out. Exponent
10 is one. Booz Allen Hamilton, I guess, was another
11 group that's probably worked with the agency, worked
12 with the industry to do this very type of thing.

13 So we want to be careful when we're NAS.
14 That may be the right way to go; that may not be the
15 right way to go. There may be some other instead of
16 because they may be able to take it to the next step
17 of implementation and actually be people that are more
18 familiar with our business, with agency policy, and
19 things of that sort than NAS would be.

20 MEMBER KOWALCYK: Dr. Harris.

21 MEMBER HARRIS: Maybe it's a question from
22 me to some of the rest of you guys. NAS has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really tossed around a bunch, and maybe I'm going to
2 kind of go along with Charles for a second. Do we
3 have any real life examples of NAS reports that have
4 culminated in sound policy?

5 Of specific interest might be within the
6 realm of meat and poultry inspections since that's
7 what we're talking about.

8 I guess I'm a little skeptical because my
9 experience with NAS reports is that they are very good
10 bookshelf material, not so good in practical
11 implementation kinds of reports, and I know that has
12 sort of been touched on, but I do think we need to be
13 open minded about there may be other entities that are
14 better equipped for what we're talking about asking
15 for.

16 MEMBER TYNAN: Dr. Hollingsworth.

17 MEMBER HOLLINGSWORTH: I would agree, and
18 I for one, although started out with NAS, I'm not
19 necessarily wedded to just them, and I guess I was the
20 one who pointed out that if you use NAS, you probably
21 do have to have a second phase because of the nature
22 of the way they look.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And like I said, there's good and bad with
2 them. On the one hand, they have a tremendous amount
3 of credibility. They will certainly be seen as not
4 influenced or biased by anyone. So on that side
5 they're good.

6 On the other side, you're right. I don't
7 think they get down into the real business part of it.
8 How do you use this information?

9 I think we should look at all different
10 models. In fact, I was sitting here trying to think
11 of some other groups, and, Charles, you've named some
12 good ones. I appreciate it because I wasn't thinking
13 of them.

14 I even thought about, well, what about a
15 university or a university consortium. I mean, I
16 think there are other ways of looking at who could do
17 that body of work. I think NAS is one good
18 recommendation, but certainly they have ups and downs,
19 and I think maybe we should consider what would be the
20 better option for a group. Should it be a purely
21 scientific body like NAS or should it be someone who
22 can focus on business and other aspects of it and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 deliver us maybe a more practical approach as opposed
2 to a pure scientific set of recommendations?

3 So I think it's a good idea to throw those
4 others out.

5 MEMBER LINK: I think part of it may be
6 time line. I mean, we've got years and then, you
7 know, we go one way and if we don't, we go a
8 different. So I guess it depends on how quickly we
9 want to turn the system.

10 MEMBER HOLLINGSWORTH: Probably by the
11 time we get a NAS report none of us will be on this
12 committee anyway.

13 (Laughter.)

14 MEMBER HOLLINGSWORTH: We'll leave it to
15 someone else to have to deal with.

16 CHAIRPERSON MASTERS: This is Barb
17 Masters.

18 I should just add when we talked to NAS,
19 that was one of the issues I raised with them. What
20 is the time line? And through the contracting process
21 you can provide specifications of when you want your
22 work back, although most of the work is accomplished

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over the course of nine to 12 months in working with
2 them because they do expect because of risk
3 assessments and those sorts of things nine to 12 month
4 time frames.

5 MEMBER ESKIN: Dr. Masters -- this is
6 Sandra Eskin -- if we were to come back to you and say
7 FSIS contract with a third party, is there a process
8 you have to go through? Do you put out a proposal and
9 then all of these proposals come back in and then you
10 all determine which contract?

11 I mean, I guess the other question would
12 be can we say to you if we wanted to designate a
13 particular entity?

14 CHAIRPERSON MASTERS: This is Barb
15 Masters.

16 If you said to us and your advice was to
17 go with the National Academies and that's what the
18 advice that we chose to act on, I believe we could
19 work directly with the National Academies because of
20 who they are.

21 If you said to work with a private entity,
22 then I believe we'd have to look at working through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the contracting process, and we might have to go out
2 for bids, depending on how we proceeded through the
3 work process because of private entity, yes.

4 MEMBER TYNAN: Not to take back over
5 again, but we have a pause. Is there more discussion
6 that we need to do on this phase of Question No. 5 or
7 have we sort of beaten the horse to death and then
8 kicked it?

9 Dr. Hollingsworth, did you want to answer
10 that question?

11 MEMBER HOLLINGSWORTH: No, but I did have
12 one other thing. I didn't know if we'd get around to
13 it, and maybe it's not directly under Item No. 5, but
14 I guess even looking at the chart one of the things
15 that struck me is I'm not sure that anywhere I have
16 seen what is the objective. What are we trying to do
17 and why?

18 I keep hearing we're going to risk based
19 inspection, and my question is: and that is because
20 why? Is there a current system not working?

21 Actually I'm not sure I would totally
22 agree with some of the comments about this has nothing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do with money or resources. I think it does. I
2 think realistically if what we're saying is as time
3 goes on under the current system the agency will be
4 less and less able to achieve their goal of assuring
5 safe food, then the resources is an issue and I think
6 it should be addressed.

7 So I guess I would say that or I would
8 like to have an answer to the question of it sounds
9 great to say you want a risk based inspection system,
10 and my question would be why. What is your goal?
11 What are you trying to get? Is your intention that
12 food is not as safe as it could be and, therefore, a
13 new system is needed to make it safer?

14 If that's the issue, then I'm not sure
15 that just saying with blinders on we must have risk
16 based inspection. What we need is a way then to make
17 the food safer, if that's the intent.

18 Let me logically tell you it is, but I've
19 never seen that stated anywhere, and I think we need
20 to be careful that we don't go into we need risk based
21 inspection for the sake of risk based inspection, and
22 somebody else had mentioned this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If, in fact, when we're all said and done
2 we've got the exact same degree of public health, the
3 exact same degree of protection, other than maybe
4 saving resources, what was the point?

5 So I think that goal and those objectives
6 need to be clear.

7 MEMBER TYNAN: Dr. Hollingsworth, your
8 question was very timely because it just so happens
9 that Dr. Raymond just sat down. Maybe he would like
10 to speak to that question.

11 MEMBER RAYMOND: Actually I've been in the
12 back. Sometimes you hear more when you just stand
13 back and listen, and my style is to jump in too often
14 so until this time. I felt I really had to jump in on
15 this one.

16 The CDC will tell you that 13 people will
17 die today from food borne illness in the United States
18 of America, 13 people. Now, if that's good enough to
19 maintain status quo, then I'll just go back to
20 Nebraska.

21 To me 13 people, one of those might be me
22 tomorrow. That's too much of a risk that I don't want

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to take. We spend millions and gazillions of dollars
2 on mad cow and nobody has ever contacted mad cow in
3 this country from eating beef. We spend another
4 gazillion dollars on avian influenza, and no one in
5 this country has ever contacted avian influenza.

6 You know, we aren't going to get any of
7 that money to get those 14 deaths down to ten deaths.

8 We just aren't going to get more money for food
9 safety inspection service. This country has a huge
10 deficit.

11 We have to take what we have, and we have
12 to put it where it will do the most good because I'm
13 not satisfied with status quo.

14 As I said when I gave my opening remarks,
15 I didn't come here to caretake a very good system.
16 I'm not saying this is a bad system. You saw my
17 numbers. You saw what has happened in the last five,
18 six years. We've made tremendous progress, but people
19 still die from food borne illness.

20 Now, try to use a couple of examples that
21 might help. If we had 100 state patrolmen and we put
22 50 of them on every mile on Highway 395 over in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Virginia and we put another one every mile on Highway
2 50 and we put another one on every mile on Highway 50
3 that went to outside the Beltway, a lot of people are
4 going to die on 395 because we don't have enough
5 patrolmen because they're out there in the western
6 part of Virginia where there aren't near as many
7 people driving.

8 That is not a good use of the resources.
9 We should put a patrolman every ten miles out there
10 and put one every tenth of a mile on the Beltway, and
11 that's what I'm talking about, is realigning the
12 chairs on the Titanic so that we find out where the
13 risk is.

14 Now, I know 395 is the risk and I know a
15 rural highway is not. I'm smart enough to figure that
16 out. I don't know what the risk of eating ground
17 poultry is compared to the risk of eating ground
18 turkey compared to what the risk of eating something
19 from Mr. Schad's plant is compared to, you know,
20 someone else's plant. I don't know that to a science.

21 I have an idea on some of the products, but that
22 isn't how we assess our inspectors necessarily.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Sometimes it's how many chickens are going
2 down the line, and sometimes it's how many pounds of
3 produce, but it isn't based necessarily on risk,
4 although we're getting better.

5 So it's kind of like realigning where your
6 law enforcement are. It's where is the risk the
7 greatest. We look at where the most accidents are,
8 and you look at where the most deaths are, and you try
9 to realign your resources, and that's part of it.

10 Now, another issue is, and maybe I use
11 motor vehicles too much, but if I'm going home tonight
12 and I'm going five miles over the speed limit, I'll
13 get a ticket and rightfully so, and they'll give me a
14 little fine and tomorrow I'll still drive.

15 But if I'm driving home tonight on 395 30
16 miles over the speed limit intoxicated, that's a high
17 risk driver putting the public's health at risk, and I
18 should be taken off of that road.

19 And that's what I want to find out, is
20 where the high risk products are, where the high risk
21 plants are, what we can do to better educate the
22 public to help protect themselves, all of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues. Where can we put workers best?

2 And I'm not -- I've heard comments today
3 about you want to move them from Texas to Maine. I'm
4 talking about maybe moving some off the line and onto
5 the floor. I'm talking about maybe moving some off
6 the floor and into the paper work. I'm talking about,
7 you know, lots of different issues that I don't know
8 where we'll move them. We're not talking about
9 turning the system upside down and moving everybody
10 away and taking them out of plants.

11 But does this plant need 30 inspectors and
12 this plant need one inspector one hour a day? I don't
13 know. That's where I'm coming from.

14 It is not about saving money in my mind's
15 eye. It is not about decreasing FTEs. It is about
16 making the best use of those that we have. I mean
17 most states don't get more state patrolmen if their
18 motor vehicle deaths go up. The state patrol
19 commander is assigned to rearrange where the state
20 patrolmen are at, and they do that very easily.

21 It's more difficult for us to do that, and
22 we want to do it very openly, very transparent. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appreciated listening to the comments about National
2 Academies of Science and so forth, and I'll just not
3 even comment on those things. We'll wait and see what
4 the committee report is, but that's certainly one
5 avenue that I had not thought of. So I really do
6 appreciate that discussion. It's another way to
7 perhaps get this done.

8 So I've learned a lot sitting back there
9 today. Thank you for letting me respond.

10 MEMBER TYNAN: How are we with Question
11 No. 5? Do we have -- have we discussed it enough or
12 are there some other major points that we may have
13 overlooked?

14 (No response.)

15 MEMBER TYNAN: Well, I took some notes,
16 and I'll put them up on the wall for everybody to
17 kind of look at and consider some more. I think
18 LaVonne is typing up a quick report. So hopefully
19 we'll have that for you later on this evening before
20 you go so that we can come to some consensus on at
21 least Question No. 5.

22 Could I suggest, given that we've been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sitting here for a while, it is a bit warm? So why
2 don't we take maybe a ten-minute break? Could we get
3 back at 3:30? Is that a 15-minute break?

4 All right. I can't count either.

5 (Whereupon, the foregoing matter went off the record
6 at 3:17 p.m. and went back on the record
7 at 3:41 p.m.)

8 MEMBER TYNAN: Dr. Masters is all right.
9 Besides being a great administrator, she can figure
10 out the microphones.

11 We're going to have two subgroups, one to
12 look at inspection, one to look at data. And so the
13 way we're going to do this is Group No. 1 is going to
14 Room 0161, and the reason we're going to do that is
15 because we have a time limit in the cafeteria of five
16 o'clock.

17 We had no idea that we would be getting to
18 this point this late. So we have a time limit in the
19 cafeteria. So we're going to another conference room,
20 0161. So the inspection issues, and I believe that
21 was going to be Mr. Kowalczyk was going to handle the
22 inspection issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Mary Cutshall is going to be the
2 facilitator of that group. Dan Engeljohn is going to
3 be the technical person, and Dr. Masters as well,
4 until perhaps around 5:15 or 5:30. She has another
5 commitment.

6 And then Bea from our staff will be the
7 transcriber, and so that's where that group will go,
8 and I think there's a series of questions. The group
9 has those? Okay.

10 And then subgroup number two is going to
11 deal with the data issues. I'm going to facilitate
12 that. I think Mr. Derfler and Mr. Paul Lisano
13 (phonetic) are going to join for the technical aspects
14 of it.

15 LaVonne will be our transcriber and take
16 copious notes on all of the good things we say, and
17 then we're going to do that in Room 327E, which is
18 over in the administration building and the Witten
19 Building, which is across on the other side.

20 So if we could reconvene at those
21 locations, that would be great. You can work to
22 whatever time you need to work. We'll check in in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 morning. If you need some additional time to fashion
2 a report, we'll work with you then, and hopefully
3 we'll have a report on Question No. 5 for you to
4 consider as well.

5 Here in the morning, please.

6 CHAIRPERSON MASTERS: Just to remind the
7 groups, what I have shared with the chairman is that
8 if you will look at the chart that we've given you and
9 the questions, we recognize as Dr. Hollingsworth so
10 eloquently said that there's been work on this topic
11 for many years, and we know we're fortunate to get
12 your good insights and information.

13 So if you look at these questions and look
14 at the chart, any advice and information you provide
15 to us is valuable and appreciated. So even if you
16 don't get through all eight sections of the chart, any
17 sections of the chart that you get through and answer
18 these probing type questions for us would be valued
19 and appreciated advice and guidance to the agency.

20 So the more that you can get through
21 obviously we appreciate, but even if you get really
22 thoughtful advice and insight into two or three of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those subsections, that would be very valued and
2 appreciated insight to the agency.

3 (Whereupon, at 3:44 p.m., the Advisory
4 Committee meeting was adjourned, to reconvene
5 Wednesday, November 16, 2005.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701