

UNITED STATES DEPARTMENT OF AGRICULTURE
FOOD SAFETY AND INSPECTION SERVICE

+ + + + +

NATIONAL ADVISORY COMMITTEE ON
MEAT AND POULTRY INSPECTION

+ + + + +

October 12, 2006
8:30 a.m.

USDA South Building Cafeteria
1400 Independence Avenue, S.W.
Washington, D.C.

CHAIR: DR. BARBARA MASTERS
Administrator
Food Safety and Inspection Service

FACILITATOR: MR. ROBERT TYNAN
Deputy Assistant Administrator
Office of Public Affairs
Education and Outreach

COMMITTEE MEMBERS:

DR. GLADYS S. BAYSE
DR. DAVID F. CARPENTER
DR. JAMES H. DENTON
MR. KEVIN M. ELFERING
MS. SANDRA B. ESKIN
MR. MIKE FINNEGAN
MR. MICHAEL W. GOVRO
DR. ANDREA L. GRONDAHL
DR. JOSEPH J. HARRIS
MR. MICHAEL E. KOWALCYK
DR. IRENE E. LEECH
MR. CHARLES M. LINK
MR. MARK P. SCHAD

ALSO PARTICIPATING:

DR. CHRISTOPHER BRATCHER
MR. PHILIP DERFLER
MR. JANELL R. KLAUSE
DR. KARLEASE KELLY
MR. ROBERT McKEE
MR. MARCELO OLASCOAGA
MR. STANLEY PAINTER
MR. BOBBY PALESANO
MR. VINCE PAYNE
MS. LISA PICARD
MS. GERRI RANSOM
DR. RICHARD RAYMOND
MS. JANET STEVENS

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Opening - Mr. Robert Tynan	5
Welcome - Dr. Richard Raymond	5
Opening Remarks - Dr. Barbara Masters	9
Introductions	17
Rules of Order - Mr. Robert Tynan	21
Review of Agenda	27
Updates on Issues from Previous Meetings and Briefing Papers	
Working Together To Protect Public Health: The Public Health Communication Infrastructure	32
Strategic Implementation Plan for Enhancing Outreach to Small and Very Small Plants	42
Update on State Reviews	49
Update on the Harvard Risk Assessment of Bovine Spongiform Encephalopathy (BSE) Technical Meeting, July 22, 2006	51
Update on Avian Influenza	55
Update on National Advisory Committee on Microbiological Criteria for Foods	64
Legislative Update for Fiscal Year 2007	64
Analysis of NRs [Noncompliance Record] by Mr. Don Anderson	67

I-N-D-E-X

<u>AGENDA ITEM</u>	<u>PAGE</u>
Presentation on Using Risk to Direct In-Plant Processing and Off-Line Slaughter Inspection Activities	
Mr. Bobby Palesano, Deputy Executive Associate, Office of Policy, Program and Employee Development	90
Presentation on Using Risk in Slaughter Operations	
Mr. Phil Derfler, Assistant Administrator, Office of Policy, Program and Employee Development	120
Public Comment	150
Committee Comments	158
Additional Comments	173
Adjourn	

1 P-R-O-C-E-E-D-I-N-G-S

2 (9:38 a.m.)

3 MR. TYNAN: Good morning. It's about that
4 time to begin our fall 2006 session of the National
5 Advisory Committee for Meat and Poultry Inspection
6 [NACMPI].

7 My name is Robert Tynan, and I think you all
8 have had the opportunity to talk with me or meet me at
9 one point or another over the last couple of years.

10 We're going to start our agenda pretty
11 quickly. We have a pretty fully agenda I think for
12 you today, and a couple of changes in the agenda that
13 I will come back and talk with you about in a few
14 moments.

15 But first and foremost, I'd like to
16 introduce our Under Secretary, Dr. Richard Raymond,
17 for some welcoming remarking.

18 DR. RAYMOND: Thank you, Bob, and welcome
19 everybody to Washington, D.C. for those of you who had
20 to travel to attend this meeting.

21 It was about a year ago, not quite a year
22 ago, it was November of '05, which is the first time I

1 had met with NACMPI and the first time I had met many
2 of you. I think we've grown to become friends and
3 associates and colleagues since that time. But in
4 November of '05, I shared with you with some fear and
5 trepidation that I had been advised that the National
6 Advisory Committee for Meat and Poultry Inspection had
7 evolved over the years to more of a rubber stamp
8 committee to approve things that the Agency was going
9 to do, that were basically non-controversial, had no
10 risk and had no hitches, and was a fairly easily
11 Committee to sit on, deliberate and then say, yep,
12 that's a good idea, and then we went about our
13 business.

14 In November of last year, I told you we were
15 going to task this Committee with a little bit more
16 work perhaps than they had been used to doing, and we
17 asked you to roll up your sleeves and give us your
18 wise counsel and sage advice on issues that are a bit
19 more controversial than some of the ones you had had
20 before, especially risk-based inspection. And we
21 asked you to help us with devising the risk-based
22 inspection system that would make better use of our

1 resources, that was not to do about employee numbers,
2 had nothing to do about budget savings, but had to do
3 with putting the resources where they would do the
4 most good, to further improve the safety of the food
5 supply for America and for the countries that we
6 export to. And I thank you for the work that you have
7 done.

8 Many of you who are here on the Committee
9 spent the last two days with us at George Mason
10 University, in a very public meeting [Risk Based
11 Inspection System Public Meeting], where we had
12 employee representation, scientists, industry,
13 consumer reps and, of course, FSIS there, to discuss
14 the plan that's on the table so far. And so for those
15 of you who are on the Committee who came in early and
16 spent two days participating in that discussion and
17 then are bringing the knowledge you gained from that
18 discussion into this meeting, I commend you, and I
19 thank you, and I think the majority of the Committee
20 was able to be there for those two meetings.

21 And then there are some with us in the
22 audience today representing the public part of this

1 meeting who were also at the two day meeting, and I
2 thank them for their continuing interest in this risk-
3 based inspection system for devoting almost four days
4 of this week. Actually a short week with the holiday
5 on Monday, you devoted the whole week many of you to
6 working with us to help us devise a system that will
7 get the most bang for the buck.

8 That said, today we're going to continue to
9 ask you to help us with using risk for risk-based
10 inspection in processing plants. We're also going to
11 ask you for advise on using risk analysis for
12 slaughter operations. We'll continue to make you work
13 and earn your high pay that you get from being at this
14 table.

15 And lastly, before I sit down and let
16 Barbara give her remarks, I was going to thank the 13
17 of you who have done your third 2-year term, and
18 acknowledge you. It would be easier to acknowledge
19 the four that are still eligible to come back for
20 another two years. So rather than reading off the 13
21 names, it's unfortunate we have such a big turnover
22 coming up in this Committee. But that's the way the

1 ball bounced I guess.

2 For those of you who are eligible for
3 another two years, I would ask you, encourage you, beg
4 you to make sure that we are aware of your interest.
5 I ask you to stay interested in this Committee. We've
6 got a lot of work to do, and we will need your
7 expertise in years to come, particularly with the new
8 members that will be coming on next spring.

9 So I'll publicly thank the 13 of you,
10 without reading off the list of names, because that
11 would take too long. We've got things to do but thank
12 you again for your time.

13 With that, Dr. Masters has some comments to
14 make, and I thank you all for your contributions.

15 DR. MASTERS: Thank you, Dr. Raymond. On
16 behalf of FSIS, I, too, want to welcome everyone and
17 to thank the Committee for your service that you do
18 provide.

19 I want to say that you provide service not
20 only this week, and as Dr. Raymond mentioned, many of
21 you were here for the full week, but I also want to
22 acknowledge the work that you did throughout the

1 course of the year, particularly the Subcommittee
2 that's been working with us, particularly through
3 conference calls to ensure that we kept the ball
4 rolling so to speak, in trying to work with us to
5 review documents, to help get an agenda together for
6 the workshop that we had this week. And, many of you
7 were willing to step forward to do that. And we
8 really do appreciate the work that you did in making
9 that happen. So I want to publicly acknowledge you
10 and thank you for the work that you did in making that
11 happen.

12 We appreciate that time, and as we often
13 say, we know you have a real job outside of these
14 efforts, and so thank you very, very much for working
15 with us to make that happen.

16 It was your recommendation to have the third
17 party facilitator, and so based on that
18 recommendation, we are pleased to be working with
19 RESOLVE to help gain solid and solicit input. And I
20 believe RESOLVE is with us today as well on the second
21 half of this session, to listen to the input that goes
22 on at this meeting. So it's been kind of an iterative

1 process throughout. So I think they're looking
2 forward to hearing what comes out of this meeting as
3 well, and so we appreciate their work. And I think
4 they're probably sitting in the less comfortable
5 chairs perhaps. They got accused for getting the
6 comfortable ones at the last meeting, and I'm sure
7 they're going to enjoy the dialogue that happens in
8 this couple of days. So I want to thank you very,
9 very much.

10 At the meeting that we had, the public
11 workshop, we were able to discuss risk-based
12 inspection in processing plants and off-line slaughter
13 operations. We primarily focused on the two measures
14 of risk, the product inherent risk and the
15 establishment risk control. These two measures we
16 believe to be used to determine how to allocate in-
17 plant resources.

18 We also went on and had a third presentation
19 on our initial thoughts on how we might implement
20 inspection based on these two measures of risk. That
21 was a presentation titled using risk to direct in-
22 plant processing and off-line slaughter activities.

1 That presentation, given by Mr. Bobby Palesano, is
2 going to be represented here at this NACMPI meeting
3 but he's redone that presentation based on feedback
4 that he received at the RESOLVE workshop. He is then
5 going to ask one of our Subcommittees at this meeting
6 some additional questions that he came up with, with
7 our management team, based on feedback that we heard
8 at the RESOLVE workshop.

9 So when we say an iterative process, we
10 really are going to take what we're hearing and take
11 it to the next level. So that material was presented.

12 We heard some very good comments, and we're trying to
13 take those comments right back to you as a
14 Subcommittee to get some additional input from you as
15 we move forward.

16 We also heard some other good comments for
17 those of you that were not with us on the two papers
18 that were presented. For example, I just want to
19 mention some highlights and Dr. Raymond and I both
20 committed that the RESOLVE final reports that is due
21 to the Agency in December, will be available on our
22 website. We also encouraged everyone to continue to

1 give the Agency comments through our risk-based
2 inspection websites.

3 But, some of the common themes that just
4 came very clear, just in listening, were that our
5 expert elicitation generated a lot of comments, and we
6 are interested at looking at those and reexamining the
7 process and the rationale behind that. So we're going
8 to be looking at those comments very carefully.

9 There were certainly a lot of interest in
10 volume and where volume appropriately fits. The
11 Agency tied it to the inherent risk factor and several
12 of the small groups when they broke out suggested
13 maybe different ways to factor in volume, either as a
14 standalone, making it rather than a two dimensional
15 figure, a three dimensional figure. So we're going to
16 be looking at those comments very carefully since they
17 seem to be very common across all of the small groups
18 that broke out.

19 When we asked about components of risk
20 control, it was interesting that all of the groups
21 that considered that, both in Washington, D.C. as well
22 as the remote Netcast sites, seemed to come to the

1 same place, that food defense was not as important as
2 things like food safety design or food safety
3 implementation and pathogen control. So that's
4 something the Agency will be looking at very
5 carefully.

6 And we also heard from pretty much all of
7 the group that we should be including industry data
8 when possible.

9 We heard a lot of good data over the course
10 of two days and in particular we've heard about the
11 importance of attribution data, and how we should be
12 incorporating attribution data when we look at risk
13 control. And Dr. David Goldman, from our Office of
14 Public Health Science, shared that the Agency will be
15 co-leading a meeting in December focusing on
16 attribution data. So we need to look at how we can
17 incorporate that in our risk control model. So there
18 was a lot of information on that.

19 The second topic that we're going to be
20 discussing here today was not covered at our RESOLVE
21 workshop. We felt it was time to also move a little
22 bit beyond those topics and begin to consider other

1 topics as well, so we can continue working on one
2 realm and move forward in another realm.

3 That topic will be presented by Mr. Phil
4 Derfler, and that is using risk in slaughter
5 operations. As an Agency, we believe that we can
6 apply many facets of our food safety program and look
7 at how we can apply our risk based approach to those
8 many areas of our food safety program. So we're very
9 excited about hearing your ideas and recommendations,
10 as we look at moving forward to enhance our risk-based
11 inspection system ideas to another facet of our
12 program. So we'll have a Subcommittee looking at that
13 as well.

14 We believe you all are an excellent group to
15 work with us and provide recommendations to our
16 efforts to continue to improve our mission because we
17 believe it is appropriate that we continue to move
18 forward to protect consumers and to provide public
19 health in every way that we can.

20 We think that it's important that we openly
21 communicate and do that often with our food safety
22 stakeholders to get your input and ideas.

1 Additionally throughout the day, we'll be
2 reviewing previous reports that we've talked about at
3 the last meeting, as well as some updates from the
4 last meeting including some of the requested reports
5 that you asked for on NRs, that you asked for some
6 data on analysis that you asked us to do. So you'll
7 see that on the agenda. So that's something we'll be
8 talking about today.

9 And finally, before I close, I want to
10 acknowledge that at the last meeting, we introduced
11 you to some of our employee organization
12 representatives. We felt that they were a very part
13 of our meeting, and that they were able to give you
14 some real life examples. They were particularly
15 helpful were helpful in sitting in in the
16 Subcommittees I think, where they were able to answer
17 some questions from on the ground and give you some
18 insights into those subcommittee meetings. So again,
19 we've invited the association to select a
20 representative to represent the organizations. So at
21 this meeting again, we'd like to welcome them. We
22 have Stanley Painter from the National Joint Council.

1 We have Bob McKee from the Association of Technical
2 and Supervisory Professionals, and we have Chris
3 Bratcher from the National Association of Federal
4 Veterinarians.

5 And we welcome all of you and appreciate the
6 time that you've given to attend those RESOLVE
7 workshops as well as joining us at the NACMPI. We
8 think that this is a constructive way to provide input
9 from an employee association perspective. So thank
10 you very much for joining us today.

11 So again, we'd like to thank all of the
12 Committee members for working and your commitment to
13 our mutual goal of protecting the food supply. Again,
14 we know you've given a lot of time, not only this
15 week, but throughout the year on working on these
16 issues, and we have benefited greatly from your
17 insight and dedication. So thank you very much. I
18 again look forward to a product meeting.

19 MR. TYNAN: Thank you, Dr. Masters. Before
20 we get into our rules of order and talking about the
21 agenda, for the benefit of our folks, our employees
22 organization people that are sitting with us, perhaps

1 it would be a good idea for us to go around the table
2 and introduce ourselves so that there's a name to go
3 with the faces. Maybe you could start with us, Kevin,
4 and just sort of identify yourself and your
5 organization.

6 MR. ELFERING: Kevin Elfering. I'm the
7 Director of the Dairy and Food Inspection Program for
8 the Minnesota Department of Agriculture.

9 MR. SCHAD: I'm Mark Schad. I own and
10 operate Schad Meats in Cincinnati, Ohio.

11 MR. LINK: Charles Link. I'm Corporate
12 Manager for Technical Services for Cargill
13 Incorporated in Wichita, Kansas.

14 MR. KOWALCYK: Michael Kowalcyk. I'm a
15 member of Safe Tables Our Priority. In my
16 professional life, I work for a consultancy firm that
17 works in database marketing and marketing research.

18 DR. LEECH: I'm Irene Leech. I represent
19 the Virginia Citizens Consumer Council, and in my work
20 life, I teach consumer affairs at Virginia Tech.

21 DR. HARRIS: I'm Joe Harris. I'm the
22 Executive Director of Southwest Meat Association.

1 DR. GRONDAHL: I'm Andrea Grondahl, Director
2 of the North Dakota Meat Inspection Program for the
3 North Dakota Department of Agriculture.

4 MR. GOVRO: I'm Mike Govro with the Food
5 Safety Division of the Oregon Department of
6 Agriculture.

7 MR. FINNEGAN: Mike Finnegan, Training
8 Officer for the Montana State Meat Inspection and also
9 a USDA grader.

10 MS. ESKIN: I'm Sandra Eskin. I'm a
11 consultant -- a number of non-profits in the food
12 safety area, and I have a really bad case of
13 laryngitis. Sorry.

14 DR. DENTON: That's going to be difficult.
15 I'm James Denton with the University of Arkansas,
16 formerly the Director of the Poultry Center there, and
17 now work half-time at the University.

18 DR. CARPENTER: David Carpenter. I'm with
19 Southern Illinois University School of Medicine in the
20 Department of Medical Microbiology, Immunology and --
21 Biology.

22 DR. BAYSE: Gladys Bayse, Professor of

1 Chemistry, Spelman College in Atlanta.

2 MR. PAINTER: I'm Stan Painter. I'm the
3 Chairman for the National Joint Council of Food
4 Inspection Locals.

5 MR. BRATCHER: Chris Bratcher, National
6 Association of Federal Veterinarians.

7 MR. McKEE: Good morning. I'm Bob McKee,
8 with ATSP and in my real life, I'm a front line
9 supervisor in San Diego, California.

10 MR. PALESANO: I'm Bobby Palesano with the
11 Office of Policy.

12 MR. TYNAN: I think we know the two at the
13 end of the table. And again, I'm Robert Tynan, and
14 I'm with the Office of Public Affairs, Education and
15 Outreach at FSIS.

16 I wanted to mention also in follow up to
17 some of Dr. Masters' remarks, that today is the
18 closing date for applications for the next committee.
19 This is last meeting of this Committee. We will be
20 rechartered probably the first of next year, and we'll
21 have a new committee figured at that time, but if
22 those of you who -- all of the members of the

1 Committee as you know, have to reapply to for the next
2 session. Some of you have worked out through your
3 three terms, that you're allowed to have without
4 taking a break, and so we thank you for the efforts
5 that you've put in. The remainder that can come back,
6 should reapply if you're interested in participating
7 again for the next session.

8 And again, I want to add my thanks to
9 Dr. Masters and Dr. Raymond for the hard work that
10 you've all put in. I know it's very difficult for
11 some of you, for all of you, in fact, to take time out
12 of your busy schedules to come here and help us with
13 some of these issues. So again, for my part, I
14 appreciate all the help that you've provided, the good
15 insight and advice.

16 I wanted to stop for a moment and go to our
17 Rules of Order which in your notebook are in Tab 3.
18 And we might just take a minute to go through the
19 rules of order and how we're going to conduct the
20 meeting and some of the issues that we have.

21 As you know in the Rules of Order, we've
22 gone through these before. So I don't think there's

1 anything new or exciting that you haven't seen or
2 heard probably a couple of times before.

3 In the rules of order, the Chair is the FSIS
4 Administrator. She conducts the meeting. The Chair
5 opens the meeting, recognizes those that want to
6 speak, imposes limits on the time and the number of
7 speakers and adjourns the meeting. And essentially I
8 think Dr. Masters delegates that to me to actually run
9 the meeting so she can concentrate a little bit more
10 on the conversation that's going on among the members.

11 All questions and requests to speak will be
12 addressed to the Chair. People much be recognized by
13 the Chair before speaking. And I think as we found
14 out, you have to hit the button on your microphone in
15 order to engage it. So when you see the red light
16 there, that means it's on. But if I could also impose
17 on your to -- if you have a question, a comment,
18 something that you want to bring up as part of the
19 discussion, if you would take your tent card, sort of
20 stand it on its end, that's the practice I think we've
21 used in the past and we'll try and find some orderly
22 way to acknowledge the people that have questions and

1 comments.

2 Presentations of Issue and Briefing Papers
3 are going to be followed by short question and answer
4 periods. As everybody knows, we've changed the format
5 just a little bit. Instead of doing presentations on
6 the papers, we simply provide those to you, and if you
7 have questions about that, we'll have people in the
8 room that can respond to those for you.

9 The questions and the comments should be
10 limited in length, and to those who are speaking for
11 clarification, perhaps on the paper, and the Chair
12 will exercise discretion on the time that is to be
13 allotted.

14 Speeches or statements of opinion by the
15 audience or even by the Committee for that matter,
16 should be made during subcommittee discussions or
17 during the time that's set aside on the agenda for
18 that purpose. We have a lot to go through. So if
19 everybody took 10 minutes to do a speech, we'd be here
20 probably 4 or 5 days. So if you could, if you could
21 confine your, you know, be clear and concise on the
22 questions and comments you want to make, if there's

1 longer statement you want to make, if you could hold
2 them for the public portion.

3 Committee members and members of the public
4 will be recognized by the Chair during the public
5 comment period. Request to speak may be presented to
6 the Chair in advance, and in that regard, if anyone at
7 this particular point knows that they have a comment
8 that they want to provide during the public portion of
9 the session, I think we have a sign up book at the
10 registration table. I would ask that you put your
11 name in there so that we can sort of get a sense of
12 timing and how much time we can allot for the
13 different speakers. So if you have a presentation
14 that you want to make, if you could, or a comment or a
15 long comment that you need to make, if you could sign
16 up outside for us, that would be great.

17 The Chair approves, in advance, material to
18 be distributed by the Agency, by Committee members,
19 and by the public at large. So if anybody has any
20 materials that they want to pass out or leave on the
21 table for distribution, you need to check in with us
22 before you put that out there for the audience in

1 general.

2 The Committee members are expected to attend
3 the plenary sessions. So when we have this session
4 here, you need to be here for this, and for the
5 subcommittee meetings to which you're assigned. So we
6 have subcommittee things, and we'll talk about that in
7 just a moment, but you have to participate in those
8 subcommittee sessions. Committee members who don't
9 attend the presentation of the issue for their
10 particular subcommittee meeting, are restricted
11 actually in participating the following morning in the
12 final plenary session considering that issue. So
13 essentially what we're saying there is, if you don't
14 participate in the Committee session, you decide you
15 want to go to the other, in the morning, you can't be
16 investing too much effort in the original session you
17 were supposed to be in. If you wanted to do that, you
18 should have been there. So enough said on that.

19 The Subcommittee Chair is designated by the
20 Chair and controls the subcommittee sessions. So we
21 have two individuals, I think Dr. Carpenter is going
22 to take care of one, and Dr. Denton is going to take

1 care of the other. They will have discretion in the
2 subcommittee sessions to determine how those sessions
3 run. Members of the public may attend these sessions
4 and at the discretion of the Subcommittee Chair, they
5 may ask questions or provide comments as part of the
6 overall presentation.

7 And the Rules of Order are subject to review
8 at each Advisory Committee meeting at the discretion
9 of the Chair. So if anybody has any issues with that,
10 we need to add, modify, delete, please let myself or
11 Dr. Masters know sometime at the break.

12 Any questions on the Rules of Order?

13 (No response.)

14 MR. TYNAN: Okay. Now let me just take a
15 minute and -- oh, I'm sorry. Yes, Mr. Painter.

16 MR. PAINTER: Robert, I don't have a
17 notebook. Can I get a notebook that has the Rules of
18 Order listed?

19 MR. TYNAN: Absolutely. We'll do that for
20 you right now.

21 MR. PAINTER: Thank you.

22 MR. TYNAN: I'm sorry. I thought you had

1 one. And the other thing I might ask in terms of the
2 session -- the other thing I might ask you do to when
3 you start to make a comment, in addition to putting
4 your tent card up, if you could identify yourself for
5 purposes of our transcript, so we know who's saying
6 what.

7 If I could impose on you to go to the Agenda
8 which should be in the front pocket of your notebook,
9 and we'll walk briefly through the Agenda so that we
10 all are on the same page and understand where we're
11 going for the next day and a half.

12 We've already -- obviously, we've done our
13 Welcome and Opening Remarks and the Charge of the
14 Committee is now finished.

15 So in a few minutes, we're going to begin
16 looking at some of the issues from previous meetings
17 and briefing papers from either previous meetings or
18 new issues that have come up that we want to provide
19 information to the Committee. There's a number of
20 those, and we've added an additional discussion that
21 we will try and get in before the break, if time
22 permits, and if not, perhaps right after the break,

1 which has to do with Analysis of NRs, and that was
2 something I think the Committee asked for at a
3 previous meeting. So we're going to have a little bit
4 of discussion about that on or before the break.

5 We're also going to -- we'll have a break at
6 9:45 and I know that that's something that everybody
7 looks forward to. I always do.

8 At 10:15, we're going to -- on your Agenda,
9 it should Using Risk to Direct In-Plant Processing and
10 Off-Line Slaughter Inspection Activities. We're going
11 to take maybe 15 minutes out of that, in response to a
12 couple of comments I received from members yesterday,
13 to have sort of a brief discussion and overview of
14 what happened on Tuesday and Wednesday, sort of digest
15 some of the information and comments that came out of
16 our public meeting the last two days. So I thought
17 that would be beneficial before we began the actual
18 subcommittee or the presentations that will lead to
19 the subcommittees. So that will be maybe about 15
20 minutes, if that's agreeable to everybody. So we'll
21 fit that in at about 10:15 after the break.

22 We'll then have Mr. Palesano do his

1 discussion again, review what he talked about
2 yesterday at the public meeting, and talk a little bit
3 about some of the revisions he's made in his
4 presentation and the questions he has for you. So it
5 will be Using Risk to Direct In-Plant Slaughter -- I
6 beg your pardon -- In-Plant Processing and Off-Line
7 Slaughter Inspection Activities.

8 We'll have lunch hopefully as close to 11:30
9 as we can, and after lunch, on page 2 of your Agenda,
10 we'll be reconvening, and we'll begin the issue of
11 Using Risk in Slaughter Operations, and Mr. Phil
12 Derfler will be here to discuss that.

13 At 2:00, we'll have public comments, and
14 again, if I can remind everybody, anybody that wants
15 to make a comment or a presentation, at that point if
16 they could register outside. We'll have that from
17 2:00 to perhaps as late as 2:45, depending on the
18 questions or comments that need to be made.

19 And then at 2:45, we'll start our
20 subcommittee deliberations, and we have, as I
21 mentioned, two subcommittee. And the rooms there are
22 not accurate. We had a problem with a couple of our

1 conference rooms. So we'll be using the back of the
2 cafeteria for one and we have another conference room
3 that's a little bit further away. So we'll get you
4 to, group 2 to that conference room successfully after
5 lunch. So we'll do subcommittee deliberations in the
6 afternoon, and that will take us through whatever time
7 you need. The cafeteria here closes at 6:00, but I'm
8 sure you will all be done probably by that time.

9 On Friday morning, we'll reconvene.
10 Dr. Masters will do a bit of a recap on the
11 discussions for today, and we'll have our reports out
12 for Subcommittee 1 and Subcommittee 2. We'll get the
13 plenary session to concur in both of those
14 Subcommittee reports, and then we'll have another
15 commentary, another public commentary as part of the
16 wrap up, and then we will adjourn probably, it says
17 11:15. Hopefully, we'll be able to be that early. I
18 would think probably closer to noontime.

19 But at any rate, that's sort of the Agenda
20 as we have it. So there are a couple of little
21 adjustments we made. Are there any questions or
22 comments?

1 (No response.)

2 MR. TYNAN: Okay. With that, let me ask you
3 turn to table 2, and we'll do the last logistical
4 thing before we get into the substance of the meeting.

5 I think the other day in your notebook, we
6 had Subcommittees set out. We assigned the members
7 that we knew were going to be here to the various
8 Subcommittees, and we made a few changes to that. And
9 so I wanted to let you know now so that you can get
10 thinking about it, and if there's any additional
11 changes we need to make, we can do that sometime
12 during the course of the morning.

13 The first issue, Using Risk to Direct In-
14 Plant Inspection Activities, as I mentioned earlier,
15 will be chaired by Dr. Carpenter, and the members of
16 that group will be Dr. Bayse, Mr. Govro, Mr. Kowalcyk
17 and Mr. Schad.

18 And then under Using Risk in Slaughter
19 Operations, as I mentioned earlier, Dr. Denton will be
20 the Chair of that, and it will be Ms. Eskin,
21 Mr. Finnegan, we'll have Mr. Elfering, Dr. Harris,
22 Dr. Leech and Mr. Link. So we made a few minor

1 changes to the Subcommittee structure. I hope that's
2 agreeable to everybody. As I say, if not, please
3 check in with me during the morning, and we'll make
4 appropriate adjustments.

5 And with that, I think we've taken all the
6 logistical issues. Are there any comments or
7 questions at this point?

8 (No response.)

9 MR. TYNAN: Okay. Then why don't we -- I'll
10 begin the substance in talking about updates and
11 issues, and what I propose to do is I'll take the
12 issues almost in the order you see them on the page.
13 I'm going to change one because somebody has a
14 conflict. So they need to be leaving.

15 So what I would like to start with is the
16 update that's under Tab 5 which is the Working
17 Together to Protect Health: The Public Health
18 Communications Infrastructure. Were there any
19 questions on that? I have Ms. Janet Stevens and is
20 Marcelo here?

21 UNIDENTIFIED SPEAKER: He's outside.

22 MR. TYNAN: He's outside is he. Okay. He's

1 on his way in. Were there any questions on that
2 particular paper? I'm sorry. Michael or
3 Mr. Kowalcyk, I apologize. Marcelo, why don't you
4 come on up and you can sit at my empty seat there.

5 Mr. Kowalcyk, you had a question. Go for
6 it.

7 MR. KOWALCYK: This is Michael Kowalcyk.
8 The past couple of days there's been quite a bit of
9 discussion about data using appropriate data into
10 whatever risk-based ranking the Agency would use as a
11 way to allocate resources and, you know, I would
12 commend the Agency on their work to sync up their
13 systems. You manage a lot of information from a
14 variety of sources, and it's actually a very
15 challenging task I would imagine working in the data
16 management field myself.

17 Could you provide any specific information
18 on where you see the infrastructure today and where
19 the Agency feels it needs to be to manage to manage
20 some type of risk-based system and what type of
21 timelines, resource needs that the Agency's spec'ed
22 out that you feel based on your expert analysis of

1 your current systems and where you think the systems
2 need to be?

3 Is there any specific information you can
4 share with the committee about timelines, key
5 milestones, project management tasks that are
6 currently underway?

7 I know that's a broad question. There's a
8 lot to that but it's just we're asking very specific
9 questions about how we would use certain data elements
10 in coming up with a really robust system, and at least
11 for me personally, if I had a better grounding into
12 what you can do today and where you feel you need to
13 be to do that, that would help me in helping make my
14 recommendations. Thank you.

15 MR. OLASCOAGA: Well, FSIS is just like any
16 other Government agency -- agencies and we have a lot
17 of stovepipe systems. And that's something that we've
18 been working to consolidate in the past year.

19 So, for example, key milestones. I think
20 sometime in the beginning of February, like February
21 15th, we will be able to consolidate five separate or
22 five different reporting systems which will have a

1 single log in to get to all these different types of
2 screens, and I do call them screens because we'll have
3 everything on a web platform. So at a minimum, we'll
4 be able to consolidate the five reporting systems,
5 which now you would have to log in separately to each
6 one of them to try to get reports.

7 This will also allow us to utilize the data
8 warehouse that we have already built and obviously
9 that's going to evolve on a daily basis as we
10 consolidate the systems. So all of the data will be
11 in one place with basically 12 or 24-hour turnaround
12 each time we refresh the data on a nightly basis or
13 whether we do it more than that, it just depends on
14 the requirements. But either way, we'll have the data
15 in one database that we can do all of our reporting
16 from. So at that point, we can start using the data
17 in many different ways. So whether it's risk based or
18 whatever we need to report on, we can do that.

19 So that would be definitely a key milestone
20 and an activity that we're undertaking right now of
21 great importance. And by doing so, after studying and
22 conducting an analysis of the separate databases that

1 we have or system if you will, we'll be able to
2 standardize the data so that we can move forward with
3 risk-based inspection and collect the data in a format
4 that's necessary to conduct any algorithm against it.

5 MR. KOWALCYK: I guess a follow up to that,
6 as mentioned, across agencies. When you say that, is
7 it across areas of USDA, like ERS, FSIS or is it
8 outside of USDA to maybe FDA, CDC? Is the work going
9 on to sync up those systems in any way?

10 MR. OLASCOAGA: Well, I mentioned FSIS
11 being, you know, an agency like any other Government
12 agency having different stovepipe systems. It just --
13 we're just like any other agency. We have numerous
14 stovepipe systems, and what we are doing and we're
15 having success at is consolidating it. So we're no
16 different than other Government agencies but, yes,
17 within a short timeframe, we're able to consolidate
18 the systems. That's a very key milestone for us. And
19 that's all I meant.

20 MR. KOWALCYK: Yeah, and that make sense
21 within FSIS but my question was is the Agency looking
22 towards ways to integrate information from FDA for

1 example, CDC? I mean is that part of the scope of
2 your project now?

3 MR. OLASCOAGA: Yes. Well, we do have
4 various initiatives, one of them called --
5 Surveillance and we also have another one for import
6 and exportation information that we need to take in as
7 well. With that, we can use an XML technology which
8 you're probably aware of and we'll be able to exchange
9 information between all of the agencies, and we can
10 just wrap the data within a set and just send it out.

11 MR. KOWALCYK: Okay. And is that along the
12 same timeline as that? Is that all part of the same
13 project or is that after you get your internal systems
14 where you feel they should be, and then that's the
15 next step?

16 MR. OLASCOAGA: Well, I would say it's part
17 of the big picture, the whole initiative itself but,
18 yeah, we are taking it in steps. So in February,
19 we'll consolidate the reporting systems.

20 MR. KOWALCYK: Okay. Thank you.

21 MR. OLASCOAGA: You're welcome.

22 MR. TYNAN: Thank you, Michael. Are there

1 other questions on the infrastructure?

2 I might take just a minute, Janet, if you
3 had a moment, did you want to come up? I think some
4 of you, when we did our presentation for you at our
5 May meeting, I think some of you submitted questions
6 and I think Janet was kind enough to put together sort
7 of a few bullets regarding those comments and how
8 we've used them. So I want you to be aware that we
9 have been following up. So, Janet, if you could
10 identify yourself maybe and then --

11 MS. STEVENS: Thanks, Robert. My name is
12 Janet Stevens. I'm the Director of the Management
13 Controls Technologies staff, the new staff here, and I
14 just wanted to, first of all, thank everyone for the
15 comments we've received so far. I wanted to first
16 update you on one point from the last meeting, about
17 we had mentioned one tool assurance net that we had
18 scheduled to launch in June. It did launch in June.
19 It currently monitors over 50 inspection based
20 performance measures, and we're additional measures
21 with an additional measure to launch in March of 2007.

22 The major comments we received were --

1 ranged from minor to I think pretty specific. One was
2 to really clarify the terminology that we're using.
3 There's a lot of terminology that was in there. They
4 thought that public health data and communications
5 should be clarified, that they should be
6 differentiated from such terms as industry or plant
7 data, consumer data, education data and other
8 Government agency data.

9 We also received comments that the
10 components of industry plant data needs to be analyzed
11 and validated to determine, if possible if there are
12 any correlations or statistical relationships that
13 exist, and that contributor was actually kind enough
14 to give us a table listing some potential data and --
15 some potential data input and some questions to be
16 answered regarding validity and usefulness related to
17 public health.

18 We had positive feedback on the use of
19 business intelligence technologies, to show that FSIS
20 and industry are meeting their public health
21 commitments. We had comments that were generally
22 around consumer complaints, to insure that that data

1 is counted but also that it is not counted twice,
2 possibly skewing your data.

3 Other comments were that the data needs --
4 should include *Salmonella*, LM, *E. coli*,
5 chemical/pesticide residue analysis, and also Food Net
6 data. There was a preference made for using the e-
7 mail alerts to push data and analysis information out
8 to the public and to stakeholders.

9 We had some comments stressing the
10 importance of assuring the security of data that is
11 stored and transmitted.

12 There was also some needs on the understand
13 AI [Avian Influenza] implications especially for the
14 elderly and parents, and we had an ideal outcome
15 submitted, that they wanted to insure that FSIS can be
16 in a position whenever possible to notify the public
17 of a problem before it is actually over, so there can
18 be the greatest possible window to protect public
19 health.

20 So we wanted to assure folks that we did
21 receive your comments. We'll be including this
22 information as we planned. Especially as Marcelo, the

1 future systems, the stages that we have and gather
2 requirements for them, and I did want to say that we
3 welcome your feedback in this area.

4 MR. TYNAN: Any follow up questions?
5 Mr. Kowalcyk?

6 MR. KOWALCYK: I'm very interested in
7 learning more about your data and processes. I know
8 you could probably bury us in paper if you wanted to.
9 Is there any HTML site that is available to share with
10 the public or Committee members as to, you know, how
11 far back does your data go in your legacy systems?
12 What data are you, you know, what's your look-back
13 window, things like that, just so that we can get some
14 more information back because I think a lot of
15 questions we have from the data side might be answered
16 just by being able to look at what you've done or what
17 you're planning on doing.

18 MS. STEVENS: So in addition to what we are
19 planning, you'd like to know historically what do we
20 have --

21 MR. KOWALCYK: Yes.

22 MS. STEVENS: -- right now to work with.

1 MR. KOWALCYK: Yeah.

2 MS. STEVENS: We can certainly talk about
3 that, and let the Committee know if that information
4 is available and how to get there.

5 MR. KOWALCYK: Okay. Great.

6 MR. TYNAN: Other questions on the
7 infrastructure?

8 (No response.)

9 MR. TYNAN: Janet, thank you very much.
10 Marcelo, thank you very much.

11 MS. STEVENS: Thank you.

12 MR. TYNAN: Okay. Let's go back to the
13 beginning, so that we get everything covered. I think
14 the first update was going to be on the Strategic
15 Implementation Plan for Enhancing Outreach to Small
16 and Very Small Plants. Were there any questions or
17 issues in relationship to that? Mr. Govro. We have
18 Dr. Kelly coming up and Mr. Palesano, both very
19 knowledge in this particular area. Please ask your
20 question, Michael.

21 MR. GOVRO: Mike Govro, Oregon Department of
22 Agriculture. In the first bullet here, you mention

1 that you established a call in, toll free call in line
2 and I'm just curious about when that was put in effect
3 and how much it's been used.

4 MR. PALESANO: I will start with that,
5 Michael. This is Bobby Palesano. We did put in a new
6 phone system at the TSC. We do have a group of OPPEd
7 staff officers out there that have been assigned the
8 responsibility for responding to the needs of the
9 small and very small plants as well as the inspectors
10 that are assigned in those establishments.

11 Right after the number was established,
12 there was a rather significant increase in the number
13 of calls that were coming in. So after that, we've
14 made some changes as to the type of calls that were
15 coming into the Tech Center, and we took some of the
16 labeling calls from the Tech Center and had them come
17 to the labeling staff here in D.C. So right now it's
18 a little bit difficult to get a good read on the data
19 coming in, for those particular reasons.

20 MR. TYNAN: Mr. Schad, you had a question?

21 MR. SCHAD: Yeah. First of all, I just
22 wanted to commend the Agency for the work they've done

1 on this, in this area. It was needed, and you've been
2 doing a good job so far. I've been seeing the effects
3 of it, positive effects.

4 I just need a clarification on the last
5 bullet on the second page. Now by trade publications,
6 I assume you mean like meat trade association
7 magazines. Is that correct?

8 DR. KELLY: Yes, that's correct.

9 MR. SCHAD: Okay. Is there a timeline on
10 that? Maybe it's just me. I haven't seen anything
11 like that. Has that started or --

12 DR. KELLY: This is Karlease Kelly, and that
13 was a recommendation of the committee back in
14 December. We have begun the process, and we have at
15 least one article in clearance, and I understand that
16 our strategic partnerships initiative outreach staff
17 is putting together a calendar of different articles
18 that we're planning to issue in the upcoming year. So
19 it's taking just a little bit of time to get started,
20 but I think you're going to see a steady stream coming
21 out soon.

22 MR. TYNAN: Dr. Harris?

1 DR. HARRIS: Just one quick question
2 concerning your collection of feedback from users of
3 the Technical Service Center. As Mark mentioned, as
4 someone who uses that number fairly regularly, I have
5 definitely noticed a change for the better there. But
6 a question, do you have any other means of soliciting
7 user feedback, maybe a little less formal than a
8 Federal Register notice because honestly I didn't even
9 see that Federal Register notice, but is there any
10 other means?

11 DR. KELLY: This is Karlease, and I'll
12 answer some of that and Bobby may want to add onto
13 that. At the regulatory education sessions that we're
14 conducting, we distribute questionnaire, essentially
15 evaluation forms asking all the participants to give
16 us feedback, not just on the regulatory education
17 session, but any other kinds of outreach activities
18 that we're providing, and we're paying attention to
19 the input. We also are -- our Office of Food Defense
20 did an informal users group type of feedback on some
21 materials, food defense materials, that works very
22 well this summer and we're looking at doing more of

1 that, getting people together, providing them copies
2 of information that we distribute and asking them for
3 what works well and what can be improved. I know
4 Bobby and I are always open to hearing from anybody,
5 you know, what you think is working well and what can
6 be improved.

7 Bobby, do you want to add anything to that?
8 No. Okay.

9 I would invite you, if you have other
10 mechanisms that you think would be effective, we're
11 certainly open to that. The feedback and input is
12 very important to us.

13 MR. TYNAN: Mr. Finnegan.

14 MR. FINNEGAN: Yeah, Mike Finnegan. In
15 regards to the third bullet here, discussing the
16 outreach sessions, I'd like to commend the Agency on
17 these sessions. I did attend the one in Billings,
18 Montana, the outreach session, with Bobby Palesano and
19 Karlease Kelly, and it was very well needed.

20 I was just wondering if there's any plans to
21 go a little bit deeper into advanced HACCP [Hazard
22 Analysis and Critical Control Points], sort of a HACCP

1 training, even preparing for EAIO [Enforcement
2 Analysis and Investigations Officer] review or FSA
3 review [Food Safety Assessment].

4 MR. PALESANO: I'll start with that. Mike,
5 we've -- this is Bobby Palesano. We are looking at
6 some different types of training options. We have not
7 worked through those completely. So we're not ready
8 to actually start those trainings yet, but they would
9 be more in line with what you are saying, where we
10 would have some type of joint training session to try
11 and attempt to get industry and FSIS personnel on the
12 same page.

13 In addition to that, you know, we are doing
14 and exploring any ideas that become available to us,
15 so that we can insure that the needs are met by the
16 small and very small plants.

17 DR. KELLY: And I --

18 MR. FINNEGAN: Mike Finnegan again. One of
19 the things that we particularly liked was you invited
20 industry and regulation to sit down at the same table.
21 I thought that was just -- it was excellent. It
22 really was.

1 DR. KELLY: Thank you. I'll just add to
2 what Bobby said, and that is for these regulatory
3 education sessions, one of the things that we do have
4 planned and you're going to see in the future, we not
5 just going to do the same sessions over and over
6 because in some cases, we are going back to the same
7 areas. We're going to be adding some additional
8 topics.

9 Our initial sessions covered HACCP, SSOP
10 [Sanitation Standard Operating Procedures] and Rules
11 of Practice. In the near future, we're going to add
12 sessions on sanitation performance standards and food
13 defense and I think over time, we'll just continue to
14 get more targeted. That doesn't address the question
15 that you raised about more advanced topics, but it is
16 additional regulatory review, basic regulatory review.

17 MR. TYNAN: Do we have other questions on
18 the small and very small plants?

19 DR. KELLY: I just want to add a thank you
20 on behalf of Bobby and myself to the Committee for the
21 review and the feedback that you gave to our Strategic
22 Implementation Plan, to the support that many of you

1 have provided. We have seen some of you attending
2 some of these sessions, not just the Committee but
3 also some people in the audience. We know that you
4 have made members and other people aware that people
5 have been attending some of the sessions.

6 People have been utilizing the services that
7 we're providing, and we also the value the feedback
8 that you sent to us, especially feedback on things
9 that you feel like could be improved. It's always
10 nice to know about things that are working well. We
11 appreciate that encouragement but the things that
12 still need to be improved, we value that feedback as
13 well.

14 And you may notice at the very end of the
15 paper, we did make substantive progress on the action
16 items that we had planned. Such substantive progress
17 that we have gone back to look at what our plans are
18 for '07, and so if you have ideas and input for us on
19 that, we'll be here and we welcome that input.

20 MR. TYNAN: Thank you, Dr. Kelly. Thank
21 you, Bobby.

22 I would refer you to Tab 6, that has to do

1 with an update on State Reviews. We have Mr. Vince
2 Payne from our OPEER Office that can answer any
3 questions.

4 Mr. Elfering, you had a question?

5 MR. ELFERING: Yes, Kevin Elfering. There
6 was recently an OIG Report I believe that just came
7 out, and I see that USDA is going to be preparing a
8 report, a summary report in January of 2007. What, if
9 any, effect is this OIG Report going to have on your
10 final report?

11 MR. PAYNE: My name is Vincent Payne. I'm
12 the Director of the Internal Control Staff.

13 The OIG Report, we're currently preparing
14 comments to address those recommendations. Those
15 recommendations will be addressed as we go to our next
16 round of reviews in the coming fiscal year. The
17 current report that will be issued in January of this
18 year, excuse me, in November of this year, will
19 basically pull together all the data we've gathered so
20 far.

21 MR. ELFERING: This is Kevin again. A
22 follow up, when are you going to be starting the next

1 fiscal year reviews?

2 MR. PAYNE: The -- well, the next fiscal
3 year's review will start in January.

4 MR. TYNAN: Are there other questions on the
5 State Reviews?

6 (No response.)

7 MR. TYNAN: Thank you, Mr. Payne. That was
8 easy.

9 I refer you to Tab Number 7, the Update on
10 the Harvard Risk Assessment of BSE. Are there any
11 questions in relation to that? Mr. Elfering. Is
12 Janell here? Mr. Elfering.

13 MR. ELFERING: Kevin Elfering. I don't know
14 if you're going to answer this or Dr. Masters.

15 In 2004 I believe, there was the Interim
16 Final Rule on specified risk materials, and as part of
17 that, there was a concern and a requirement that non-
18 ambulatory livestock would not be able to be
19 slaughtered, and there was some questions on whether
20 or not animals that are slaughtered under the custom
21 exemption would meet that definition and that they
22 would also not be able to be slaughtered even though

1 that animal would not be entered into commerce but
2 would only go back for the consumption of the owner of
3 the animal, their immediate family, non-paying guests
4 and employees. There has been a lot of concern that
5 there are a lot of non-ambulatory cattle that are
6 being slaughtered clandestinely and many times in very
7 unsanitary conditions but those carcasses could still
8 be brought into a custom exempt processing plant after
9 they have been slaughtered.

10 From the standpoint of public health and
11 risk, since we're looking at risks associated with the
12 consumption of meat and poultry products, I would be
13 more concerned of these animals being slaughtered in
14 these types of conditions rather than the risk of
15 Bovine Spongiform Encephalopathy. I think that the
16 Harvard Risk Assessment clearly shows that if
17 specified risk materials are removed from the carcass,
18 that the risk of these consumption of meat products is
19 next to nothing.

20 Is there any possibility that there would be
21 some method of allowing foreign non-ambulatory
22 livestock to be slaughtered in custom exempt plants

1 under conditions that they would be received in the
2 plant perhaps under a veterinarian certificate, that
3 there's a broken appendage. We have cattle, people
4 have called me up, that have had a 14 month old steer
5 that broke a leg that they have to destroy and they're
6 not real happy about it, and they are usually the ones
7 that are going to be gripping to me. And I'm just
8 wondering if there's a way that some of these things
9 could be changed to really reflect what the Harvard
10 Risk Assessment says and the true risks to the public
11 health.

12 DR. MASTERS: This is Barb Masters, and I
13 would comment three ways. The Interim Final Rules are
14 still in place. The Final Rules are still under
15 consideration in the Department. The Final Rules took
16 into consideration three things. One was the Harvard
17 Risk Assessment. In addition to that, the Final Rules
18 take into consideration the surveillance program by
19 APHIS as well as by the over 20,000 comments that the
20 Agency received. So the Final Rules are still under
21 consideration at the Department taking into account
22 all three pieces of data.

1 As to your comments about animals being
2 slaughtered clandestinely at custom exempt operations,
3 I would remind you that those animals, it would be
4 illegal to slaughter those animals at a custom exempt
5 slaughter plant, recognizing that the burden would be
6 to prove that that had happened, and I recognize
7 that's much more difficult, but I would remind
8 everyone that it's illegal to slaughter those animals
9 currently in a custom exempt slaughter facility.

10 MR. ELFERING: Just as a follow up. This is
11 Kevin Elfering again. Now these animals are not
12 being slaughtered in custom example plants. They're
13 being slaughtered on farms. They're being slaughtered
14 on farms and they're probably being hoisted up using a
15 front-end loader that was just previously used to
16 clean out the barn. We actually had a food-borne
17 illness outbreak, a pretty significant one, with
18 the -- it was actually through a church, dinner,
19 custom exempt farm slaughtered animals, and to me the
20 risk from that is much greater, and I really think
21 that -- I don't know if the Agency really has the
22 authority to tell people what they can and cannot eat,

1 and I think custom exemption was put in place back in
2 1967 for the purpose of the owner of the animal should
3 be able to know and determine the health of the
4 animal, and I just -- I don't really agree that the
5 Agency has the authority to say that those animals
6 cannot be slaughtered.

7 MR. TYNAN: Thank you, Kevin. Other
8 questions on the Harvard Risk Assessment?

9 (No response.)

10 MR. TYNAN: Okay. With that, Janell, thank
11 you very much. You got off real easy on that one.

12 I think the next topic has to do with Avian
13 Influenza under Tab 8. We have an update on that one.
14 Are there any questions in relation to that?
15 Dr. Carpenter, let me see if somebody's here.
16 Perfecto or Dr. Evans. I'm sorry. I apologize.

17 DR. CARPENTER: Okay. Thank you. On the
18 second page, the third paragraph, you talk about
19 tabletop exercise where you exercise -- focus on the
20 role of the state, federal and local government
21 agencies and consumer groups, was any representatives
22 from the state and consumer groups at that tabletop

1 exercise? And if not, were their expected roles
2 conveyed to them after?

3 DR. RAYMOND: In the absence of Perfecto
4 being here, Bob, I'll take that one. This is
5 Dr. Raymond.

6 MR. TYNAN: Thank you, Dr. Raymond.

7 DR. RAYMOND: Yes. We had representations
8 there. Caroline Smith-Dewaal from the Center for
9 Science and Public Interest was there representing
10 consumers as was Barbara Kowalcyk from the Safe Tables
11 Our Priority. We had also invited the Consumer
12 Federation of America, but they were unable to attend.
13 We had four states represented. Kevin was there for
14 the tabletop, Virginia, Minnesota, Ohio and North
15 Carolina.

16 We had people from state ag, people from
17 state health, people from city and county health
18 departments, chief veterinary medical officers for
19 some of the states. You know, multiple states were
20 invited, and they send who they could.

21 MR. TYNAN: Mr. Elfering, you had a
22 question?

1 MR. ELFERING: Kevin Elfering. As we all
2 know, avian influenza, especially high path avian
3 influenza is a rapidly progressing disease, and for
4 the poultry industry, in Minnesota, we've been doing
5 surveillance for avian influenza for the last 30
6 years. And turkeys, all turkeys that have gone to
7 slaughter, are tested in the plant, serologically
8 tested, and whether or not they have been exposed to
9 any avian influenza.

10 The industry, the poultry industry now is
11 going to a system of pre-harvest where they will be
12 doing PCR pre-harvest testing to see if there is any
13 active virus, and I'm just curious why FSIS would
14 start looking at doing testing in a plant where I
15 would think that the testing is going to be much more
16 appropriate pre-harvest and I don't know if you even
17 want to bring in high-path avian influenza into a
18 processing plant, when the industry is already testing
19 them pre-harvest.

20 DR. RAYMOND: Dr. Raymond again. The
21 industry is not testing 100 percent pre-harvest within
22 that 24-hour window. The chicken industry tests those

1 birds a week or two or three weeks before harvest.
2 They're doing it as far as surveillance which is
3 important but if you test a bird at 4 weeks and
4 slaughter the bird at 6 weeks, with the mortality,
5 morbidity of high-path avian influenza, you know,
6 within 24 hours those birds are sick, within 48 hours
7 they are dead. So testing two weeks before slaughter,
8 other than surveillance for avian influenza of all
9 types really doesn't help me tell the American public
10 that your meat is free from the virus.

11 Now I don't disagree with you, Kevin, that
12 pre-slaughter testing, if done within that 24 hour
13 window, would be better than holding and testing after
14 slaughter. The best thing I have right now available
15 to me is if we would have a grow out facility show up
16 with 5 or 10 or 20,000 birds dead this morning and
17 another 20, 30, 40,000 sick, and APHIS would say this
18 looks so much like high-path avian influenza, we are
19 immediately quarantining that 10 kilometer zone. What
20 I have in plan now, with the cooperation of industry,
21 to the great part, is to hold any product that's been
22 slaughtered within 24 hours within that 10 kilometer

1 zone and test it for avian influenza. We have been
2 able to take our tests that were available and modify
3 them so we can test for virus in muscle in four hours.
4 We could not have done that a year ago. We can now do
5 that. So the industry has been willing to hold and
6 test. That's if it happens today.

7 If something happens in the next month and
8 industry is able to institute immediately pre-
9 slaughter testing for antigens, that obviously would
10 be much more desirable, but we're not just there yet.

11 You heard at the tabletop, the consumers
12 that make is mandatory. I don't think we have that
13 authority to make it mandatory. And so I don't think
14 we have the -- I don't think we can say 100 percent
15 the birds will be tested within 24 hours prior to
16 slaughter. We did meet with cargo reps already -- I
17 mean this morning. We continue to meet with industry.
18 We met this morning to find out where their, you know,
19 minds are at on this issue. Most of industry will
20 tell us there has to be a trigger first before they'll
21 start doing this testing 24 hours pre-slaughter.
22 There has to be a trigger. There's a difference of

1 what the trigger is and how extensive the pre-testing
2 will be but those are things we continue to work on.

3 MR. ELFERING: One other question is on the
4 egg pasteurization. It says that the virus is
5 eliminated by most egg product pasteurization. Has
6 there been any sort of -- I mean one of the things is
7 there is a process called hot room pasteurization for
8 spray dried eggs, and really I don't even think that
9 there has ever been a true risk assessment whether or
10 not that particular process is effective against
11 *Salmonella*. And is that one that probably has not
12 been looked at as maybe having the efficacy in
13 eliminating the virus?

14 DR. RAYMOND: Barb says she thinks you're
15 correct. I don't know for sure. But I think we'll
16 all admit that high-path avian influenza and how it
17 affects humans from consuming poultry and/or egg
18 products is something that has not been extensively
19 researched. Our research shows us what it takes to
20 kill the virus in poultry meat. But I don't know that
21 that's ever been tested, you know, in the field. If
22 we had 10,000 birds with virus in the meat, how safe

1 is it to eat? I don't think we know that because it's
2 not -- the capacity has just not been there, the
3 incidence has not been there to do that type of
4 testing. I think eggs is even less tested.

5 That's why I agree with you, pre-slaughter
6 testing is the best thing to do to keep the virus out
7 of the plants.

8 MR. ELFERING: And one final question is,
9 it's been obvious all the years, including during the
10 exercise, when it comes to eggs, it's very confusing
11 about who has ultimate jurisdiction over eggs
12 including egg products. For example, a tanker load of
13 eggs that have been pasteurized, that are driving down
14 the highway is under the jurisdiction of USDA FSIS. A
15 tanker load of eggs that are non-pasteurized driving
16 down the highway are under the jurisdiction of the
17 Food and Drug Administration. Have you been working
18 with the FDA and perhaps it would even be better to
19 put together kind of a cooperative agreement with
20 state programs who really have a clear jurisdiction
21 over eggs and egg products in movement, to really make
22 sure that who had responsibility at what part of the

1 process?

2 DR. RAYMOND: Dr. Raymond again, and at some
3 point in that process, USDA AMS actually has some
4 authority and jurisdiction also. Yes, we work with
5 FDA [Food and Drug Administration] to make sure that
6 this is seamless. We both have to follow the statutes
7 as they are written. I met with Bob Brackett from
8 CFSAN [Center for Food Safety and Nutrition] and
9 talked about eggs and egg products and shell eggs. We
10 continue to make sure that that process is as smooth
11 and efficient and effective as it can be.

12 MR. TYNAN: Dr. Harris, did you have a
13 question? Are you withdrawing it?

14 DR. HARRIS: He answered my question.

15 MR. TYNAN: Okay. Cool. We're way ahead of
16 you. Dr. Bratcher, you had a question?

17 DR. BRATCHER: Just a comment. For a lot of
18 people here, they probably don't realize that just
19 within the last week, FSIS has disseminated a training
20 program for avian influenza to all the veterinarians
21 in the field, and I would encourage that the people
22 that are in the state programs, because of the way

1 that we're going to try to organize these people, that
2 the states should be contacting some of these
3 veterinarians and there should be some plan in place
4 for the state working with the veterinarians that are
5 in the field, because oftentimes in these remote
6 locations where slaughter plants are located, the
7 veterinarian there may be the only medical trained
8 professional in the entire city or town, and I think
9 that we need to coordinate those -- I guess the
10 activities that could result if we did have an
11 outbreak of avian influenza in some of those small
12 communities.

13 DR. RAYMOND: Also we would need --
14 Dr. Raymond. Not just activities but also the
15 communication because the media will go to the person
16 that has the most science background education, and
17 you're exactly right, Chris. It very well could be
18 the veterinarian that will have that information for
19 the media, and we all need to have the same message
20 when this hits.

21 MR. TYNAN: Other questions related to avian
22 influenza?

1 (No response.)

2 MR. TYNAN: Okay. Let's take a look at Tab
3 9 for the update on the National Advisory Committee on
4 Microbiological Criteria for Foods. That's our sister
5 committee. I don't know why it's not a brother
6 committee. Do you know what that is, Gerri?

7 MS. RANSOM: No.

8 MR. TYNAN: No. It's a sister committee.
9 Okay. Are there any questions on the update on the
10 NACMCF Committee?

11 (No response.)

12 MR. TYNAN: There appear to be none. I'd
13 like to move on then to the Legislative Update. You
14 received that this morning. We always hold that one
15 until the end. I know you would argue that we hold a
16 lot of them until the end, but we held that one to the
17 end simply because it's always something occurring in
18 that field. So it's always changing at the last
19 minute.

20 We have Ms. Lisa Picard here, and she's
21 Director of our Congressional and Public Affairs staff
22 and can answer any questions you might have on the

1 Legislative Update.

2 MS. PICARD: Let me just say that I'm aware
3 you just received this recently. So if you haven't
4 had a chance to look at it, I will be around, if you
5 want to review and go through. I'll be happy to
6 answer questions if you don't have anything right now.

7

8 (No response.)

9 MR. TYNAN: Could I suggest this. We're
10 getting close to our 9:45 time. Why don't we take a
11 quick break and that will give some of you a chance to
12 perhaps look at that or sometime during the morning,
13 if you have some issues and questions, Lisa will be
14 around, we can have her come back and respond to some
15 questions at that point. So if everybody's agreeable
16 to that, that's the way we'll proceed.

17 (No response.)

18 MR. TYNAN: Thank you, Lisa. This is the
19 time you've all waited for. This is break time. We
20 have 15 minutes on the Agenda, until 10:00, and since
21 we've added a couple of things to the Agenda, I would
22 appreciate it if you could come on back promptly at

1 10:00.

2 (Off the record.)

3 (On the record.)

4 MR. TYNAN: We have Mr. Don Anderson from
5 our OPEER Office who has an analysis of NRs that he
6 wants to share with you. And he's allowed me to be
7 the guide to move his PowerPoint. So he's living
8 dangerously today.

9 DR. LEECH: Robert, do we get to ask
10 questions about the Legislative Update?

11 DR. RAYMOND: Thank you. Dr. Leech, you had
12 a question about the Legislative Update.

13 DR. LEECH: Yes, I do please.

14 MR. TYNAN: Well, let me see if Lisa is
15 back? Lisa, are you here? No. Could, could I impose
16 on you? Could we hold the Legislative Update
17 questions until we have Lisa back? Thank you. I
18 apologize. I didn't realize that we were going to
19 just jump right into that, but are there a number of
20 questions on that?

21 Okay. All right. We will come back to
22 that. In the meantime, we're going to let

1 Mr. Anderson talk a little bit about NRs.

2 (Pause.)

3 MR. ANDERSON: You warned us, Robert.

4 MR. TYNAN: Yes.

5 MR. ANDERSON: Okay. That should work.

6 Thank you.

7 UNIDENTIFIED SPEAKER: You're welcome.

8 MR. ANDERSON: I am Don Anderson with the
9 Program Evaluation, Enforcement and Review Program.
10 Robert, let's move to the next slide.

11 For some context -- we should just be able
12 to hit the down arrow and it should work. Just click
13 the -- there we go. Very good.

14 Okay. At the May National Advisory
15 Committee Meeting, remember, we talked to the group
16 about our initial thoughts on how we might measure
17 establishment risk control for risk-based inspections,
18 and one of the things we talked about was NRs. And
19 one of the questions we asked the Committee was
20 whether some NRs are more important than others and
21 how they should be used in the measure of
22 establishment risk control.

1 And the Committee reported back to us,
2 really the Subcommittee reported back to us, and
3 recommended that the Agency undertake a review of the
4 NR system and that are a result of the review,
5 recommended that we consider only those NRs that
6 relate to food safety and public health when we
7 develop our measure of establishment risk control.
8 Next slide please.

9 So what this group set out to do or what a
10 team of us has set out to do is assess the support of
11 the hypothesis that some types of NRs are more
12 predictive of adverse events or loss of process
13 control in establishments than other types of NRs.
14 Next please.

15 So since May, we've been working with the
16 OCIO, which is our Chief Information Office,
17 information office, with members from the technical --
18 the TSC staff in Omaha, to collect data and begin
19 analyses to test for associations between different
20 types of NRs and the presence or absence of what we
21 call adverse events in establishments.

22 What we're trying to determine here really

1 is to find out if certain types of adverse events are
2 preceded by certain types of NRs, and we're trying to
3 do that through analyses.

4 So let's talk for a minute about what it is
5 we're trying to predict. Well, what we're really
6 trying to find is we're trying to identify
7 establishments in advance, or predict the
8 establishments that are losing or are in danger of
9 losing effective controls of their food safety
10 processes. As a proxy for that, or an indicator for
11 that, we talk about establishments that have adverse
12 events. And by adverse events here, what we've been
13 working with so far is we've identified establishments
14 with certain types of laboratory failures in their
15 testing programs or establishments that have
16 experienced recalls or establishments that have had
17 NOIEs, Notifications of Intended Enforcement.

18 And when we go through data for a six month
19 window, and I'll explain why we use this particular
20 window in a moment, but when we look at six months of
21 data beginning in December of '05, and ending in June,
22 we find these numbers. We find 77 adverse lab events,

1 17 recalls, 105 NOIEs, all in 178 federally inspected
2 establishments. The 178 you see is lower than some of
3 the others because some establishments experience more
4 than one adverse event. We'll go to the next slide
5 for a minute.

6 This is just a -- just to give you some
7 idea, these are random numbers that I've attached to
8 establishments, but these are actual -- this is just
9 to show the actual data that we can identify for each
10 establishment that we inspect whether there has been a
11 lab failure, whether there has been a recall, or
12 whether there has been a NOIE [Notice of Intended
13 Enforcement]. This is just a sample of 12
14 establishments out of a population of roughly 5600
15 that we're talking about here. Next slide please.

16 So what we've talked about so far is, okay,
17 what is it that we're trying to predict, and that is
18 adverse outcomes as an indicator or proxy for process
19 control loss.

20 Now how are we trying to predict that? What
21 are we trying to do?

22 Well, we're trying to identify certain types

1 of NRs, and we've got two basic approaches that we
2 think we can use to do that. One is to look at
3 specific regulatory citations when NRs are written,
4 and the other is to look for certain types of key
5 words. So let's discuss that a little more. Next
6 please.

7 To do this, we've created a NR search
8 engine, which is still in a developmental phase. It's
9 actually in a testing phase, but it's working quite
10 well. This is just a screen shot of a search engine.
11 Without going into a lot of details, it let's us
12 specify a period of time that we're interested in, the
13 reg cites, the specific regulatory citations that may
14 have been indicated when NRs were written or we can
15 look for certain key terms in the narrative of NRs.
16 So it's basically a search engine tool. Next page
17 please.

18 The reg cite search feature is particularly
19 important. This is just seven or eight very specific
20 regulatory citations that inspection personnel can
21 select when they write NRs. So since December of
22 2005, when inspection personnel write NRs, they check

1 one or more specific regulatory citations to indicate
2 what is the nature of the non-compliance. This makes
3 it possible since December of 2005, makes it possible
4 for us to electronically search NRs for regulatory
5 citations. Next please.

6 This again is just illustrative data.
7 Actually it's real data in the sense that the data on
8 the right side is real, the random number on the left
9 is just that. It's a random number to indicate an
10 actual establishment, but what we can do here now you
11 see, the key point is that for each establishment, we
12 can see the establishment size and type which is
13 demographic type information but most importantly,
14 under the adverse event column, a 1 under the adverse
15 event column indicates that that establishment has had
16 some sort of a process control loss or what we call an
17 adverse event, like a positive sample test, you know,
18 a NOIE or a recall.

19 The three columns on the right are what we
20 call -- most of us are going to be familiar with NR
21 rates, which is the percentage of procedures that are
22 performed that result in non-compliances. The columns

1 on the right are very, are very special or very
2 specific type of NR rate. It is the NR rate for
3 specific regulatory citations. So, for example, and I
4 know it's hard to read, but we've put up -- in this
5 analysis, we've got three different regulatory
6 citations that we're looking at.

7 The first one, which I've coded net weight
8 rate, is a violation of 317.19 in the regs which says
9 that the establishment is not complying with net
10 weight requirements in their product.

11 The second which I've labeled sanitation CA
12 rate is 416.15(a). That's the reg cite which means
13 that the NR was written because the establishment is
14 not putting in place an appropriate corrective action
15 following a sanitation problem. It's a sanitation
16 corrective action citation.

17 And the third one that you see there which I
18 call CCPCA rate is the analogous reg cite 417.3(a)(2)
19 which says that the critical control point corrective
20 action or the really the critical control point has
21 not been corrected or the problem has not been
22 corrected once identified in a HACCP procedure.

1 So the first citation on net weight is what
2 we generally think of as a non-food safety or other
3 consumer protection type of a violation whereas the
4 other two are food safety. If we could go to the next
5 slide please.

6 What this table shows is this table -- what
7 this table shows is the NR rate or the specific NR
8 rate for the three types of regulatory citations, net
9 weight and the two corrective act. It shows the NR
10 rate in establishments with and without adverse
11 events.

12 So you notice for example that, and again I
13 apologize I know it's a little hard to read, but it
14 shows for example that the, that the next weight NR
15 weight in establishments with adverse events or
16 process control problems is a little higher than that
17 in establishments without adverse events but not much.

18 But notice, for example, the sanitation corrective NR
19 rate and the 178 establishments with adverse events,
20 the specific NR rate, these are in percents, so that's
21 actually .085 percent is the NR rate. Whereas in
22 establishments without adverse events, the NR rate for

1 that same citation is only about half as high.

2 So what we see here is that especially for
3 the corrective action citations, the NR rates for
4 corrective action problems in establishments with
5 adverse events are significant or appear to be higher
6 than in establishments without adverse events. Next
7 please.

8 Without going into a lot of detail, this
9 is -- these are the results of what is called an
10 independent means test, and basically what this shows
11 is that the difference between establishments with and
12 without adverse events, that for the net weight NRs,
13 they're not different. They're not statistically
14 different from one another. For the sanitation
15 corrective action rate and the CCP correct action
16 rate, those NR rates are significantly different from
17 one another. So this is evidence, this is some
18 evidence from a preliminary analysis that there's
19 reason to believe, following the hypothesis of what
20 we're trying to find here, are predictors, NRs that
21 are predictors of adverse events. This shows that for
22 at least several types of regulatory citations that

1 we've looked at, corrective action NRs are
2 significantly higher or occur at a significantly
3 higher rate in establishments that do experience
4 adverse events than in establishments without. Next
5 please and the last.

6 I just want to sum up by identifying some
7 other activities that we're going to be engaging in.
8 One, of course, is we need to go through a systematic
9 analysis like this for a lot of specific types of
10 regulatory citations. There are hundreds of
11 regulatory requirements that should be analyzed in
12 this way so we can identify those that are most
13 predictive of establishments that suffer process
14 control problems.

15 Secondly, we want to consider and possibly
16 revise our definition of adverse events. Again, we've
17 looked at *Salmonella* set failures, RTE failures,
18 O157:H7 positive tests, recalls and NOIEs. There may
19 be other types of adverse events that we should look
20 at as kind of indicative variables of process loss or
21 process control loss.

22 Third, there have been suggestions made to

1 us by others in the Agency, that we should be -- that
2 we should consider doing this type of analysis not
3 just all plants lumped together, but doing it by plant
4 type. Maybe certain types of NRs are predictive in
5 some kinds of plants and not in other kinds of plants
6 or for some types of events and not other ones. In
7 other words, certain types of events NRs may predict
8 RTE failures whereas other types of NR events might
9 predict O157:H7 or *Salmonella* set problems.

10 We want to analyze further the look-back
11 period. Now the analysis that I just put up here, we
12 looked at six months of data from December 5th through
13 June, and we selected that window because December 5,
14 2005, was when the new version of PBIS [Performance
15 Based Inspection System] was put in place that allowed
16 us to machine count, if you will, regulatory citation.
17 So that's a new feature. So we want to analyze the
18 look-back period. There's been a lot of discussion
19 over the last couple of days, should we look at one
20 year of data, should we look at six years of data,
21 more data, less data. Hopefully we can use the data
22 itself to help us make that determination to figure

1 out which period of time is most predictive.

2 And finally, we may want to consider
3 combinations of regulatory citations. It may be that
4 this type of regulatory non-compliance in combination
5 with another type of regulatory compliance may be of a
6 more powerful indicator of a loss of process control
7 than any one type of regulatory non-compliance, you
8 know, by itself.

9 So that's just to give you some idea of the
10 types of analysis that we're doing, the direction
11 we're going to be moving in and if there are -- I'll
12 leave it to the Chair here, if there are any
13 questions, I'll be glad to answer them.

14 MR. TYNAN: Do you have questions?
15 Mr. Kowalcyk.

16 MR. KOWALCYK: Michael Kowalcyk. With
17 regards to the system issues at the beginning, that's
18 not an Agency issue. That's a Microsoft issue. So --

19 I'm curious as to the types of citations
20 that were used in this analysis. In that time period,
21 what percentage of overall NRs during that time period
22 do they represent? Is that a super majority of the

1 NRs that were written during that timeframe or --

2 MR. ANDERSON: Yes, let me, let me give you
3 a little idea on that. If you look, of course, NR
4 rates vary a lot by type of plant and how well the
5 plant controls their processes, et cetera, et cetera.

6 I think if we look at a long period of time, and you
7 look at all NRs without regard to being cited, I think
8 that NR rates in the one to two percent range are
9 pretty typical of what I might call a gross NR rate.
10 What we're doing with this type of analysis by
11 definition, you're going to get slower NR rates
12 because we're looking at the rate of a specific type
13 of NR. So we're going to be looking at NR rates here
14 that are generally going to be under, you know, one
15 percent. They're going to be fractions of a percent,
16 but as I say, there are hundreds of different
17 regulatory citations. Does that help?

18 MR. KOWALCYK: Yeah, that does help, and I
19 know in a lot of conversations we've had the past
20 couple of days, that I've had with others about NRs
21 and how they should be looked at. In your work on
22 this, has the Agency identified aspects of the NRs?

1 As they currently are structured, has the Agency
2 identified any areas on the actual physical form in
3 the data that you collect electronically now that you
4 would want to look at as a way of standardizing it? I
5 know key word searches, I commend the Agency for
6 tackling a complex issue like that, but I mean you're
7 going to get spelling errors all the time. So it's
8 difficult to muck through that data. Is there any
9 discussion about revisiting the NR form and how that
10 would be -- how you would be able to capture more of
11 that information so you can classify it for a system
12 like this?

13 MR. ANDERSON: I know I mentioned towards
14 the beginning that the search engine that we're
15 developing will allow us to do not only regulatory
16 citation counts which is a relatively straightforward
17 process but also key word searches which are text
18 string searches. It's got that capability as well.
19 That's a feature that we are continuing to work on
20 because as you said, it's an inherently complicated
21 process, but the idea would be that if we found --
22 presumably if we found that certain types of key words

1 in NRs were especially predictive of process control
2 loss, then I would like to think that the Agency would
3 consider further changes to the PBIS system to allow
4 us to do that more efficiently.

5 MR. KOWALCYK: Okay. Thank you.

6 MR. ANDERSON: Sure.

7 MR. TYNAN: Dr. Harris.

8 DR. HARRIS: Joe Harris. First of all, I
9 want to say that I think that that is very much a step
10 in the right direction in terms of what a lot of us
11 had in mind in being able to analyze these NRs. In
12 going forward, and I understand that you say there's
13 still a lot of work that can be done to really start
14 to sharpen the point on this and narrow it down even
15 further, my question is could you envision a point in
16 the future where based on some of these predictive
17 indicators that you've identified there, maybe begin
18 to -- from the perspective of NRs, categorize, you
19 know, low, medium or high likelihood of an adverse
20 event as you've termed it? Is that -- because what
21 I'm trying to do is link this back to our two days
22 worth of discussion on risk-based inspection and how

1 that might could fit into that.

2 MR. ANDERSON: Yes, I think that as you
3 point out, clearly what we are trying to do is we are
4 trying to make a first cut at categorizing NRs meaning
5 we're in some sense categorizing some NRs as being of
6 greater public health significance than other NRs of
7 less public health significance. Whether or not
8 further categorizations of that would be appropriate
9 or feasible, it's premature for me to even speculate
10 on that.

11 MR. TYNAN: Mr. Finnegan.

12 MR. FINNEGAN: Mike Finnegan. I was just
13 curious, you were using the words key words. Are you
14 talking in the narrative part of a NR, like key words
15 you would pick up there and what would be a couple
16 examples --

17 MR. ANDERSON: Sure.

18 MR. FINNEGAN: -- of a key word that would
19 red flag a certain NR.

20 MR. ANDERSON: Sure, I'll be glad to. The
21 answer to the first part of your question is exactly
22 yes. As many of you know, when a NR is written, some

1 of the information that is put in the PBIS is kind of
2 put in, in a certain kind of restricted field format,
3 like the date, but other, other parts and a
4 significant part of the NR is a NR narrative. Don't
5 misunderstand that the inspectors are not completing
6 paper forms, but they are going into a PBIS system and
7 entering information in a narrative form. So, yes, we
8 are talking about searching through that narrative
9 format.

10 The types of key words that we've already
11 started to look at and most of these will make, you
12 know, a certain amount of sense to you are product
13 contact surface or non-product contact surface,
14 critical limit, deviation, adulteration. Those are a
15 number of key words and, and as Mr. Kowalcyk has
16 already pointed out, doing text string analysis and
17 anyone who has ever done it, knows that it's
18 intrinsically difficult to do. It's one of those
19 things that the human eye can do better than the
20 computer, except the human eye has thousands and
21 thousands and thousands and thousands of NRs to read
22 and none of us want to raise our hand to read all

1 those NRs. So we need to program as much as we can
2 into kind of a machine-readable format. But those are
3 some of the key words that we've been exploring.

4 MR. TYNAN: We're going to take the
5 questions that are up and then we're going to try and
6 move onto the issues. This was intended to be sort of
7 a quick update, and the conversation is getting pretty
8 long. I know there's a lot of interest in it, but if
9 there's time later, we can come back to it.

10 Mr. Painter, you had a question or a
11 comment?

12 MR. PAINTER: Yes, Stan Painter with NJAC.
13 My question is regarding what I guess I would consider
14 the true number of NRs, and when we were under the PDR
15 system, an inspector, for instance, under operational
16 sanitation would write a deficiency for each, for each
17 deficiency found and it was assigned a number. And
18 under the NR system, we started doing that, and is, is
19 there any look at going back to writing a NR,
20 assigning a number for every deficiency rather than
21 having multiple deficiencies under one number?

22 MR. ANDERSON: I'm not sure I fully

1 understand. I would like to explain that, and we are
2 using all of these fields. When an inspector writes a
3 NR, and they select the regulatory citation that is
4 non-compliant, they actually can select one, two,
5 three, four, or more fields. So they can, they can
6 select multiple regulatory citations in a NR that they
7 think are non-compliant. But maybe -- I'm not sure
8 I'm --

9 MR. PAINTER: That's not what I'm referring
10 to, selecting multiple fields. I'm saying that if I
11 find an operational sanitation deficiency under Code
12 01 C02 for instance, I find product on the floor and
13 the plant's not taking care of it. Thirty minutes
14 later, I come back and I find the same thing, you
15 know. I'm going to instead of having two NRs I would
16 have one NR with an attachment, and I'm asking is the
17 Agency looking at going back to a system that would
18 reflect the true number of deficiencies found rather
19 than having multiple deficiencies categorized under
20 one number?

21 MR. ANDERSON: I'm going to have to defer to
22 somebody else to answer that question. I'm not

1 familiar with the issue.

2 MR. TYNAN: Dr. Raymond, and I think
3 Dr. Masters wants to respond to that, too, Stanley.

4 Some of the conversation we have had would
5 be like you take an O1 CO2 and there's variations of
6 severity in a O1 CO2 and we just do the number search,
7 a plant got an O1 CO2. Was the public's health
8 seriously threatened or was it kind of a, you know, a
9 little piece of something on the floor or was it stuff
10 all over the floor? And we've been talking about
11 consideration of an O1 CO2-A or -B, like is it a
12 really bad one or it was there but it wasn't a really
13 bad one, or an A. Maybe it didn't get cleaned up for
14 three hours. We're trying to figure out ways. We
15 would like help on that. We're trying to figure
16 out -- I don't want to have to have people spend a lot
17 of time reading the NRs to figure out how bad it
18 really was because that takes a lot of time. I'd
19 rather have some way electronically we find out the As
20 and the Bs and the Cs within the number.

21 MR. TYNAN: Dr. Leech, you had a question?

22 DR. LEECH: I guess it's more of a comment.

1 As you're looking at the look back, I would encourage
2 you not to at what you want to put in the algorithm
3 but I would think that it would be useful to invest
4 various look backs, and it would be useful in other
5 parts of making decisions because I would assume that
6 that algorithm helps us for one purpose, you still may
7 have use to look at it longer. So I wouldn't lock
8 into just one look back.

9 MR. ANDERSON: That's a good comment. Thank
10 you.

11 MR. TYNAN: Thank you. Ms. Eskin.

12 MS. ESKIN: I have a comment and a question.
13 The comment is I think it would be really important to
14 have a lot of input from inspectors as you go through
15 this since they're the ones who fill these forms out.
16 That will give you an important piece of this, and the
17 question is obviously this is the first take on this
18 issue. Do you have any sense how long it will take
19 until this data, the NR related data is useable to the
20 point where you can plug it into whatever ultimate
21 formula is developed to determine frequency of
22 inspection under a risk-based system? Do you have any

1 ballpark sense of how long this will take?

2 MR. ANDERSON: Well, on the -- I'll address
3 the second point -- but your first comment on the
4 involving inspection personnel and that, I think
5 that's a good comment. But in terms of the -- how
6 long it might take in some sense to do this, of
7 course, you know, we're going to have to meet as an
8 Agency to process a lot of the good comments, of
9 course, that we've received over the last couple of
10 days. One thing I would say though is that the
11 regulatory site data, this drop down data that I've
12 been talking about, has been in the PBIS since
13 December of 2005. So come December of 2006, which is
14 just a few days, a few months I should say down the
15 road here, we will have 12 months of regulatory site
16 data that we would be able to use, analyze, use,
17 however way we decided to use it. How long this is
18 going to take, I really can't answer. It will depend
19 partly on what we find in the early stages.

20 MR. TYNAN: Okay. Dr. Bratcher.

21 DR. BRATCHER: Within my circuit, I have a
22 demonstration team that's a team of veterinarians that

1 are looking at this very thing right now. In my
2 entire circuit, they're looking at NRs. They're
3 looking at data, and they're trying to make some sense
4 of that, and I would encourage you to share this
5 information with one or more of these teams because
6 they're in the field, in the plants, know exactly the
7 problems that are involved with writing the NRs, and
8 then going back and looking at that and trying to mine
9 the information from those. So could you share this
10 system with them and let them take a look at it and
11 give you some recommendations?

12 MR. ANDERSON: I see nodding at the table.
13 That makes sense to me. It's certainly consistent
14 with what Ms. Eskin just said a few moments ago, to
15 get some real in field experience to bear on this as
16 well to make this analysis as good as we can. I
17 certainly agree with that.

18 MR. TYNAN: I'm going to let Dr. Bratcher
19 have the last word and perhaps we should close up the
20 discussion on this. Don, are you going to be around?

21 MR. ANDERSON: Yes.

22 MR. TYNAN: Okay.

1 MR. ANDERSON: I'll be around today and
2 tomorrow.

3 MR. TYNAN: Maybe after Mr. Palesano's
4 presentation, if you have additional questions, Don
5 will be here and he can answer those sort of
6 individually.

7 With that, we're going to close out that
8 discussion, and I'm going to introduce again Mr. Bobby
9 Palesano, and he's going to do his presentation again
10 regarding Using Risk to Direct In-Plant Processing and
11 Off-Line Slaughter Inspection activities, and he just
12 whispered to me a moment ago, that he preferred to
13 have another person work the slides. I don't know why
14 that could be but perhaps my performance in the
15 earlier presentation may have caused that.

16 (Laughter.)

17 MR. PALESANO: Thanks, Robert. It had
18 nothing to do with your performance or lack thereof.

19 For those of you that had to suffer through
20 my presentation yesterday, that was the revised
21 version. The version we're going to present today is
22 the new and revised version. It's on the desktop I

1 think, Lee. We have added some slides, taken some
2 slides away based on the comments that we received
3 yesterday, and again I would like to commend all of
4 you that were at the group for the comments that you
5 gave us. And we certainly took a lot of those into
6 consideration. Obviously we will be using those
7 comments as we move forward with this initiative but
8 we have taken some of the comments and incorporated
9 them into the questions that we will be using for our
10 Subcommittee members later today.

11 That's not it. Good job, Lee.

12 As we spoke yesterday, this presentation
13 deals with using risk to direct in-plant and off-line
14 slaughter inspection activities, keeping in mind that
15 this does not affect the on-line slaughter inspection
16 activities. Next slide.

17 It was suggested that we review this
18 particular slide briefly from yesterday. I'm not
19 going to attempt to do as good as Dr. Masters did on
20 this slide. In case there are people here that did
21 not attend yesterday's session and have exposure to
22 this, this have been fondly referred to I believe as

1 the data wheel. Just to give you an idea that the
2 bullets around the wheel are actually the factors or
3 components that Don referred to in an establishment's
4 ability to control the risk in their establishment,
5 and so as you can see, we have system implementation
6 as one of those factors or components. Don just
7 talked to you about the NRs. That information we do
8 have in our data warehouse presently.

9 As you can see, there are other components,
10 system design. We have the *Listeria monocytogenes*
11 alternatives. We do have FSA reports that are
12 electronic. However, they are not in the warehouse at
13 this time.

14 Pathogen control is the next bullet or
15 component. As you can see, we have a lot of pathogen
16 testing data in our data warehouse. The one item
17 that's listed up there that we do not have in our
18 warehouse is AMS testing results. We do have those
19 test results.

20 Moving on around the circle, is in commerce.
21 Hit the other arrow.

22 MR. TYNAN: Lee, do you need some help?

1 (Laughter.)

2 MR. PALESANO: In commerce, we have consumer
3 complaints and recalls. We have that information
4 presently in our data warehouse. We do not have
5 product control actions that are taken in commerce in
6 the warehouse at this time. Enforcement actions, as
7 you can see, we have NOIEs, injunction actions and
8 consent decrees, and we have none of that information
9 in our data warehouse at this time.

10 Obviously under the food defense bullet or
11 component, all of the information that we have listed
12 there, we do have in the data warehouse.

13 Again, I want to be sure that everyone
14 understands that the statutes require all processing
15 establishments to have daily inspection. That will
16 continue under risk-based inspection. The risk-based
17 inspection that we're talking about today again
18 focuses on processing and off-slaughter inspection
19 activities and does not cover carcass by carcass
20 inspection.

21 As we talked about yesterday, this will be a
22 multiphased process. We had a lot of discussion about

1 that and we felt like it's important because it will
2 allow time for inspection personnel to familiarize
3 themselves with the new system. We also heard a lot
4 of concerns yesterday about when and when we would
5 start and what we would start with, et cetera, and as
6 we mentioned yesterday, and I would like to reiterate
7 that today, it will depend a lot on what we heard
8 yesterday in the recommendation of the committee that
9 we hard farther into this meeting as to what those
10 phases will consist of and how we will be implementing
11 them.

12 This multiphase process will also allow
13 training to be provided to our inspection program
14 personnel as we move forward. And it allows time for
15 programming of our computerized risk-based inspection
16 system and for the development and delivery of
17 training.

18 As you know, we talked about yesterday under
19 risk-based inspection, the inspection level for each
20 establishment will be based on a combination of the
21 plant's ability to control the risk and the inherent
22 risk of the product, and as you know from the previous

1 discussion, we talked about non-compliance will still
2 be documented for regulatory non-compliance, but not
3 all NRs would be treated equally when determining the
4 plant's ability to control the risks.

5 One thing that we did talk about was during
6 the period of implementation or maybe the first phase
7 that we might implement is turning the PBIS scheduler
8 off. This would allow the inspection program
9 personnel to familiarize themselves with how they
10 could write or recognize predictive indicators as a
11 basis for concern, and we gave some examples of what
12 predictive indicators might look like. Those
13 predictive indicators and the term predictive
14 indicators came from a previous NACMPI meeting.

15 Inherent risk and risk control are combined
16 to calculate the inspection level for each
17 establishment. We talked a lot about that during the
18 past few days.

19 And we have the chart to kind of depict how
20 this might look. We have -- on this particular
21 charge, we have five levels, using risk control on the
22 X axis and inherent product risk on the Y axis.

1 We have some questions for the group that we
2 would like some assistance with based on -- most of
3 these questions came from comments that we received
4 during our public meeting.

5 The first question is, what information
6 should we use to support the optimum level of
7 inspection?

8 The second question is, what are the
9 essential inspection activities for level 1
10 inspection?

11 And the third question is, what other
12 inspection activities do you consider appropriate to
13 perform an RBI above level one.

14 And with that, I have two comments. One is
15 that we will have for you after lunch and certainly
16 before you break for the Subcommittee sessions, the
17 questions and the updated PowerPoints. We're trying
18 to do this as a result of the meeting yesterday. So
19 we couldn't get everything quite Xeroxed in time. So
20 I apologize for that, but we will have it before you
21 do your breakout sessions this afternoon.

22 And the second part would be to entertain

1 questions. So are there any questions from the
2 committee? And let me start perhaps with Mr. Painter,
3 and then we'll go around from there.

4 MR. PAINTER: My question refers to a bullet
5 that was or a point that was referred to close to the
6 beginning of the slide session, and it referred to
7 carcass by carcass, and I think the way the law reads,
8 it refers to bird by bird and carcass by carcass. Are
9 we saying that carcass by carcass will not be affected
10 and bird by bird will?

11 MR. PALESANO: No, this is Bobby. No, we
12 are not saying that at all. In this particular one,
13 carcass by carcass and bird by bird would be
14 synonymous.

15 MR. PAINTER: Thank you.

16 MR. TYNAN: Ms. Eskin?

17 MS. ESKIN: Two points or questions. The
18 first is again those predictive indicators, maybe we
19 talked about them in a different context. I don't
20 recollect us talking about them, and I've actually
21 went back and checked the transcript. So if it's
22 relevant to this discussion, could you just refresh my

1 recollection. As far as predictive indicators in this
2 context, again what would that predictive indicator
3 trigger? It triggers -- again you've said what we've
4 all talked about yesterday, a more enhanced look. I
5 mean what, what happens if a predictive indicator is
6 in play, and just one other technical question.

7 Yesterday there were two different charts,
8 that nine box graph, one had numbers 1 through 5, and
9 now yesterday's notes had I think it's 1 through 3.
10 Are we not -- was that a different example or
11 different way of the numbers? So there's a question
12 on the predictive indicators and then a minor one
13 about the charts.

14 MR. PALESANO: Okay. First of all, I will
15 attempt to make sure that I understand your question.

16 I think one of your questions was did the predictive
17 indicators have anything to do with the presentation
18 you had in your folder that had two different charts
19 in it.

20 MS. ESKIN: Actually, they're two separate
21 questions.

22 MR. PALESANO: Okay.

1 MS. ESKIN: Let me just ask the chart first.

2 MR. PALESANO: Okay.

3 MS. ESKIN: We removed one of the charts
4 because it wasn't --

5 MR. PALESANO: Okay. There were two charts
6 in there, and the purpose of the two charts that were
7 in the original presentation was just to give you an
8 example or two examples of how inherent risk and risk
9 control could come up with numbers, or levels of
10 inspection, Sandra.

11 MS. ESKIN: Uh-huh.

12 MR. PALESANO: Okay. And then we decided
13 that that might be confusing, and so we eliminated one
14 of those, I believe the morning of my presentation.

15 MS. ESKIN: Okay. So the 1 to 3 is probably
16 not -- it wouldn't necessarily be three levels of
17 inspection. I notice that one of the questions you're
18 asking but again looking at it, at least a model of
19 it, says five levels at this point, just as a starting
20 point.

21 MR. PALESANO: The question that we're
22 trying to get is regardless of what the levels of

1 inspection came out to be, whether it was 3 or 23,
2 what kind of information would we use to support
3 those.

4 MS. ESKIN: Okay. And again, that's the
5 predictive indicators. Again, assuming one is
6 triggered, what that I know would be also an issue,
7 what does that mean for practical purposes?

8 MR. PALESANO: I believe her next question
9 deals with predictive indicators, and when the
10 indicator is observed or recorded, what will that
11 trigger?

12 MS. ESKIN: Right.

13 MR. PALESANO: Obviously it might trigger
14 inspection personnel to view a particular activity or
15 an event or a location within an establishment to see
16 if that indicator is actually having a concern or
17 bringing about something that will result in
18 increasing or decreasing the risk.

19 DR. RAYMOND: This is Dr. Raymond. I'm
20 going to jump in here for just a second, Sandra, to
21 take Bobby off the hook on the matrix with the five
22 levels of inspection. He's being diplomatic, but the

1 reason he didn't have two on the PowerPoint yesterday
2 was because I asked OPAEO to take the other one off,
3 just before he presented it.

4 I did not think, to be honest with you, that
5 I could defend the first one that had three levels.
6 If you look at the X axis on there, from the plant's
7 ability to control the risk, a plant that was two
8 thirds of the way over to the right would get the same
9 level of inspection as the very best plant clear on
10 the left. I can't defend that.

11 Now is 5 the right number? I don't know.
12 Maybe it should be 9. Maybe we should have 4 squares
13 across and 16 levels. We're open to that. So Bobby
14 didn't want to say, well, Raymond made me do it and,
15 you know, (laughter) but I could not defend three
16 levels. I think I can defend five, and if someone
17 wants to narrow that down to 16 or whatever, we're
18 open to that. It was for examples, but the first one
19 I could not defend.

20 MS. ESKIN: Okay. Thank you.

21 DR. MASTERS: This is Barb Masters. On
22 predictive indicators, I wanted to clarify, the

1 discussion on predictive indicators by this group.
2 Actually it came out, Dan Englejohn presented a paper
3 to you all and it's been like three meetings ago, I
4 believe where he talked about ready-to-eat products
5 and he had a Subcommittee that helped bring forth
6 predictive indicators that the Agency could look at,
7 and controlling risks and coming up with a risk-based
8 program when we were trying to look at risk-based
9 pathogen controls and that's where you provided to us
10 predictive indicators, and it was more in context of a
11 risk-based pathogen control.

12 And so we felt because we were looking at
13 risk-based pathogen control, they were still relevant
14 and looking at risk-based control within an
15 environment or they could be still relevant and
16 looking at risk-based control within an environment,
17 and that's why we felt it was so worthy of looking at
18 did they still apply directly at the plant's ability
19 to control risk at the in-plant environment and how
20 would they or could they still be relevant. So we
21 asked the group looking at risk-based control to take
22 the list that was provided from that working group,

1 the Subcommittee of the NACMPI, to take those and look
2 at them and see if there was an applicability at the
3 in-plant level controlling risk. So that's where they
4 came from, Sandra.

5 MR. TYNAN: I'm not sure who was next but
6 I'm going to work my way around from Sandra and ask
7 Mr. Finnegan if he would pose a question or make a
8 comment.

9 MR. FINNEGAN: Yes. Mike Finnegan, and I
10 would like to make a comment. On your chart with all
11 the different spokes of the wheel there, one of the
12 general consensus I believe, voices a low priority of
13 food defense, and especially for the very small
14 plants, to penalize the very small plant because they
15 do not have food defense, where you're looking at 10
16 to 20 people, as compared to a bigger plant that has
17 2,000, 3,000 employees, it would be very unfair to
18 penalize a small plant because they did not have a
19 food defense program in place which bring me to -- my
20 question is I notice on the Legislative Update here,
21 they allocated \$15.8 million for food defense. Is
22 the Agency heading towards a mandatory food defense

1 program?

2 DR. RAYMOND: Not at this time we're not,
3 Mike. We believe in voluntary. We do believe in our,
4 you know, ability to review the food defense plans of
5 the plants. It's not mandatory they share them with
6 us, but most of them do. We've made over 2500
7 recommendations to plants on how to improve their food
8 defense plans. We take it very seriously.

9 We had it in there as one of our six spokes
10 feeling that if the plant is vulnerable to
11 contamination, it's vulnerable to, you know, putting a
12 bad product out there, and we also hoped it would
13 encourage -- we certainly heard yesterday that most of
14 the people at the meeting did not even think that
15 should be factored in and if it was factored in, it
16 would be at a very teeny, tiny level. So, you know,
17 we certainly heard that, and I think Dr. Masters
18 addressed that in her closing remarks yesterday, too,
19 that we -- all four committees pretty much said that
20 to us.

21 MR. FINNEGAN: And so far, the Agency is
22 satisfied with the voluntary program for food defense?

1 DR. MASTERS: The Agency has conducted
2 surveys and we're not finding the number of plants
3 that need to have food defense plans have them. We
4 will say that up front. But we are at this point
5 willing to engage through regulatory education
6 programs, such as what was done on food safety, to
7 provide that information, trying to insure that we are
8 providing tools that are tailored to the industry and
9 the sectors as appropriate. So we're learning through
10 the surveys for example, in the large plants, what are
11 their vulnerable points so we can provide that
12 information to that segment of the industry. And the
13 smaller size plants, what is the vulnerable notes that
14 they have, so they can have specific and pertinent
15 information to their plant. And in the small and very
16 small plants, what is the type of information that you
17 provide them because we do recognize that it's not a
18 one size fits all, and we want to make sure that we
19 give very tailored information to the audience. And
20 so we're going to work with the trade associations to
21 see if they can assist us in getting some of this
22 information out to the regulated industry of all

1 sizes. And we want to see if we can move that mark
2 forward, to make sure that we work with the
3 associations to try and further that mark.

4 We do find food defense to be very serious
5 and it's something we do think plants need to take
6 seriously. Our inspection program personnel do have
7 inspection procedures and they are following up on
8 those procedures and we think they do a good job of
9 that. And we think they will continue to do that. So
10 we don't want to walk away with anybody thinking it's
11 not a very important component that we do as an
12 Agency.

13 As Dr. Raymond indicated, at this point
14 we're not looking at doing it in a mandatory fashion,
15 but we believe there's a lot more work we can do in
16 the area of education and outreach to provide
17 tailored, specific information if we know a vulnerable
18 node in a particular size operation, how can we give
19 you the information you need to insure that that node
20 is, in fact, covered. So that's the kind of efforts
21 that we're putting in place.

22 DR. RAYMOND: Dr. Leach, you had a question

1 or a comment?

2 DR. LEECH: Yes, Irene Leech. As we've
3 talked about this, it sounded to me as though we're
4 looking at trying to come up with a number that comes
5 out of an algorithm to specify where a plant is, and
6 it sounded like it's something that's going to be set
7 for a period of time, maybe as long as a year or
8 whatever. I would encourage that we think about
9 having this underlying database that then the dynamic
10 and I would envision that down the road, if you're
11 really looking at putting resources even on a daily or
12 weekly basis, that what's going on and what's changed
13 could affect things, and so it would seem to me that
14 the most useful thing would be if it could be dynamic,
15 and so that it wasn't something that lasted for a huge
16 period of time and I think people would approach it a
17 little differently than -- if they know that when the
18 next change comes, their score immediately goes up or,
19 you know, versus something that they've got to live
20 with for a long, long time.

21 And the other point that I might make is
22 that it may come to be that we need more than one

1 algorithm for different kinds of purposes, and I think
2 that's something that maybe ought to be considered as
3 well. So it's not just a thing but again as we're
4 looking at different kinds of things, the weighting
5 and things that are coming out, and maybe in some
6 cases, what you're trying to select, maybe that food
7 defense, for example, wouldn't be in some of the
8 things but it might be, and I use that as an example,
9 but it seems to me that ultimately you may be moving
10 towards not just one thing but multiple, and if it can
11 be dynamic, and with technology going to the computer
12 as you're describing things, that seems very realistic
13 to me. And then just be sure, you know, that the
14 data's good that goes into it.

15 MR. TYNAN: Thank you. Mr. Kowalczyk.

16 MR. KOWALCYK: One question and a couple of
17 comments. The first question I have is the data
18 elements you have here in the various nodes around the
19 warehouse in the model, how far back does this data go
20 historically? Are we looking at the NR analysis that
21 goes back to December of last year? Is that
22 consistent across all these data sources or is there

1 some data that only goes back as far as three months
2 ago or how consistent is the data from a time
3 perspective?

4 MR. PALESANO: I can't answer that. Is
5 there someone here that knows how far back the data
6 goes back? Don.

7 MR. ANDERSON: Okay. Don Anderson. The
8 time period over which data is archived and is machine
9 readable is actually fairly extensive. It depends on
10 what type of data and sometimes even what variable of
11 the data. For example, laboratory data, we can go
12 back -- I'm just going to be generic here. We can go
13 back a year and retrieve laboratory data in machine
14 form, whether it's O157, *Salmonella*, RTE, what have
15 you.

16 For NR data which is, of course, a important
17 component, what I meant to say, and I think I said it
18 but it may not have been clear, is that the regulatory
19 citation data is machine readable since December of
20 2005, but we have years and years of NR data, parts of
21 which are machine readable that go back, you know,
22 much, much farther. Food safety assessments, of

1 course, have been -- food safety assessment data has
2 been archived since the beginning, and they go back
3 several years now. Enforcement actions. I would say
4 that most of the data that you see on those which
5 we're calling the nodes now, the spokes of that wheel,
6 we can go back not a month, but years and retrieve
7 that data, and again it's sort of depends on what
8 aspect or what particular data elements you're talking
9 about.

10 MR. KOWALCYK: So is it safe to assume that
11 the Agency is trying to determine what the most
12 appropriate window is, how far back you would want to
13 go back because obviously processes seven years ago
14 aren't as relevant.

15 MR. ANDERSON: Absolutely.

16 MR. KOWALCYK: Okay.

17 MR. ANDERSON: Absolutely.

18 MR. KOWALCYK: And I guess my comment is
19 about the implementation, I've had some discussions
20 with some folks yesterday about changing -- using this
21 as a management tool and changing the way inspectors
22 do their daily work. Has the Agency entertained the

1 idea of a type of pilot program where they would
2 randomly select plants within the district let's say
3 and simulate what the order of work would be under a
4 risk-based system in an early implementation phase and
5 compare that against what the current system is to
6 look for, and then to see where there the gains in
7 efficiency are occurring, where things might not have
8 been caught under the current system would be cause
9 with the risk-based system. Has the Agency discussed
10 any strategy as far as piloting this process? Now
11 this is away head of this discussion, but I just
12 wanted to get that issue out there.

13 MR. PALESANO: Yes, we heard that comment
14 yesterday, Mike, and I thought it was a very good
15 comment, and certainly I made a note of it as I'm sure
16 others did. So we do think that that is an excellent
17 idea to take into consideration.

18 DR. RAYMOND: Mr. Govro?

19 MR. GOVRO: Mike Govro. At yesterday's
20 meeting, there was some discussion and I believe some
21 confusion around what exactly is a predictive
22 indicator, and I'm wondering if you could give us

1 again a brief description of that, and perhaps a
2 couple of examples.

3 MR. PALESANO: Yeah, I will do my best.
4 Typically when we refer to a predictive indicator, we
5 are talking about something that may cause a concern
6 about the process control without rising to the level
7 of regulatory non-compliance. One example that we
8 gave on the slide was a major construction activity
9 that was occurring in a RTE plant. The construction
10 itself is not regulatory non-compliance but it should
11 or could rise to the level of non-compliance if the
12 establishment is not maintaining the controls that
13 they should be to insure that their products are not
14 being adulterated with LM. Does that help?

15 MR. GOVRO: Yes.

16 MR. TYNAN: Mr. Elfering.

17 MR. ELFERING: Yes, Kevin Elfering. There
18 was one -- actually there are a couple of comments. I
19 don't know if I ever did hear an answer. There are
20 people yesterday who thinking turning the scheduler
21 off was meaning the elimination of PBIS. Is that
22 what's going to happen? Is PBIS going to be

1 eliminated?

2 MR. PALESANO: No. The intent is to turn
3 PBIS scheduling off, just the scheduler itself, Kevin,
4 not that PBIS would be eliminated.

5 MR. ELFERING: Then as kind of a follow up
6 to that, when this system is put in place, are state
7 inspection programs expected to follow the same
8 system, in order to maintain equal to status?

9 MR. PALESANO: I don't know that we would
10 have to say they would have to follow the same system.
11 I do believe that as our present requirement is, we
12 would expect the state programs to have something that
13 is considered equal to, at least equal to.

14 DR. RAYMOND: Other questions or comments?
15 I'm sorry. If you were closer I could have seen you.

16 (Laughter.)

17 DR. RAYMOND: Mr. McKee.

18 MR. MCKEE: Bob McKee. I realize I'm a late
19 comer in this process, and maybe I don't completely
20 appreciate the value of the time that we've spent on
21 these predictive indicators. In reality, our people
22 deal with predictive indicators every day. They go

1 into plants. They recognize that construction has
2 begun in the departments, that there's a problem with
3 condensation and many of these things that I think
4 we're talking about as predictive indicators are short
5 term in nature or seasonal. And I think as we get
6 further into RBI and have the ability to deploy our
7 resources more effectively, we're going to have the
8 ability to address those situations a lot easier and
9 more effectively.

10 So I'm starting to wonder really about the
11 value of trying to file predictive indicators into the
12 algorithm. I just am not sure, and I hope this wasn't
13 Dr. Raymond's idea to do this.

14 (Laughter.)

15 MR. McKEE: I think we need to think about
16 it.

17 MR. PALESANO: I did want to follow up a
18 little bit on that, just to be sure everyone
19 understands that at least the initial thought was not
20 to include the predictive indicator into Don's
21 calculation for the measure of risk control.

22 MR. TYNAN: Dr. Bratcher.

1 DR. BRATCHER: I just want to follow up on
2 what Bob said. Many of the things that you see as
3 spokes on that wheel that are in place today are being
4 used on an every day basis by management at all
5 levels, from the district office all the way down to
6 the front line supervisors. So we're using those
7 tools to predict trends, to look for problems, to look
8 for things that are -- that we feel need to be
9 examined or looked at or evaluated in each one of
10 those plants, in each one of the day shifts, night
11 shifts, whatever the case may be, because there's so
12 many variables that you have to take into
13 consideration, just with the personnel and the
14 situations that vary from plant to plant, from shift
15 to shift, and we use those tools constantly, and we're
16 bringing more and more of those on and those are a
17 tremendous asset for us to management our people and
18 to manage the facilities, to look and see what needs
19 to be done, and as well as whether the plant is doing
20 what they need to be doing.

21 So I encourage you guys to take a look at
22 some of these things at some point if you get a

1 chance. It's just a better method of doing what we've
2 been doing for years, and doing it in more of a
3 scientific approach really. So I think it's a great
4 asset to what we're doing now.

5 MR. TYNAN: Dr. Leech.

6 DR. LEECH: One more thing. From the
7 discussion that I was involved with the other
8 afternoon, there was some talk that we maybe need to
9 go to a classification system for things and put back
10 in that middle grounds, so that it's not non-
11 compliance or compliance but maybe there's an in
12 between kind of a thing that you think about as well.
13 And as you're trying to get the data appropriately
14 collected, that may be something that needs to be
15 considered.

16 MR. TYNAN: Dr. Bratcher?

17 DR. BRATCHER: We had the decision tree at
18 one time, and I think Bobby and some of the other
19 people can attest to the fact that that was -- it was
20 a tool but it was labor intense in trying to get
21 everybody to use that tool in the right mechanism, in
22 the right way.

1 I really think that there's probably a place
2 to do something like that again. I think we need to
3 take a better look at it though and comments from the
4 field and from industry both I think would be very
5 appropriate. I think we do need a method, and I've
6 heard some people recommend having a place on the NR
7 for critical or non-critical or major, minor critical.
8 You know, we've had all those comments before but if
9 we're going to do that, we need to have it very clear
10 and concise, so that everybody knows exactly what
11 those mean, and then we have a method to sort those
12 out. And I would suggest a drop down box or something
13 like that so we can do some data analysis on that, and
14 that we're consistent on how we do it.

15 MR. TYNAN: Thank you. Do we have any other
16 questions or comments on this particular portion of
17 the Agenda? We're getting pretty close to the 11:30
18 timeframe. And maybe rather than introduce another
19 topic at this point, we might take our break for lunch
20 and come back for the second issue of the date. Is
21 everybody in agreement with doing that?

22 (No response.)

1 tomorrow, instead of starting at 8:30 as we had
2 originally planned, with the report out, sort of a
3 recap and then the report outs, if we could start
4 perhaps 15 minutes earlier, maybe starting at 8:15, so
5 we could have that discussion at that particular
6 point, and that won't push us off on the other end
7 where I know you all may have airplane arrangements
8 and travel arrangements that you have to deal with.

9 So if you don't object, we'll start tomorrow
10 at 8:15. The topic of the day will sort of be -- or
11 the topic at that particular point in time will be
12 sort of a recap and discussion of the Tuesday and
13 Wednesday meeting, and then we'll go into the
14 Subcommittee report out. Is that okay with everybody?

15 (No response.)

16 MR. TYNAN: Okay. Cool. All right. And
17 with that, I'm going to introduce to you, Mr. Phil
18 Derfler, who is our Assistant Administrator in our
19 Office of Policy, and he is going to be speaking on
20 Using Risk in Slaughter Operations. And he is risking
21 it again. I'm going to be the PowerPoint guy.

22 (Laughter.)

1 MR. DERFLER: Okay. Go to the next slide.
2 Thanks.

3 (Laughter.)

4 MR. DERFLER: I'm having Robert do this so
5 that he'll pay attention to me.

6 (Laughter.)

7 MR. DERFLER: Beginning about a year and a
8 half ago, with the meeting of this Committee that
9 Dr. Masters referred to this morning, and then in a
10 couple of meetings after that, this Committee and the
11 Agency quite frankly, has been talking a lot about
12 risk based inspection particularly at processing.

13 Now what we'd like to do is start a
14 discussion of using risk in slaughter inspection,
15 specifically in poultry slaughter inspection. I want
16 to emphasize that we're at the beginning of a
17 discussion.

18 It's clear from the discussions that we've
19 had so far about risk-based inspection though, that
20 FSIS believes that using risk has already improved how
21 we do our jobs and that enhancing risks -- our use of
22 risks, will make our inspections more effective and

1 more efficient, but what does it mean to use risk in a
2 slaughter context, where our inspection personnel have
3 traditionally been deployed online, making judgments
4 carcass by carcass. That is what we want to begin to
5 explore with you at this meeting.

6 Why are we starting our exploration with
7 poultry? The reason we're looking at poultry as the
8 first type of product in which to use an enhanced
9 risk-based approach to slaughter, is because the rate
10 of disease in the vast majority of poultry that comes
11 to slaughter, that is young chickens and young
12 turkeys, is extremely low. Next slide please.

13 This chart shows you that of the 8.8 billion
14 young chickens that were slaughtered between October
15 3, 2005 and October 3, 2006, only .16 percent, .16,
16 0.16 percent were condemned because of toxemia or
17 septicemia. And only .32 percent of young turkeys
18 were slaughtered for septicemia or toxemia. That's a
19 very low percentage. Next slide please.

20 And even if you carry it out to some of the
21 other diseases that could cause condemnation, like
22 airsacculitis, inflammatory process, contamination,

1 cadaver, even for young chickens, if you add up all
2 those percentages, it's still leaves it at
3 approximately 0.3 percent which is very low. Next
4 slide.

5 And if you look at young turkeys, and you
6 add it, it would still be less than .5 percent of the
7 birds that are offered for slaughter.

8 Now this low level of disease among these
9 birds is the reason that we're willing to consider the
10 use of risk at slaughter. Given this low level of
11 disease, sorting of carcasses, potentially becomes a
12 low risk activity. It was this perception of risk
13 that led FSIS to experiment as it did with the HACCP
14 based inspection model project or HIMP. Next slide
15 please.

16 HIMP was designed to provide us with a means
17 to assist whether plants could successfully perform
18 sorting of carcasses for food safety and other
19 defects. It was also designed to help FSIS to assess
20 whether the agency personnel could be as effective at
21 slaughter operations as they are at processing
22 operations in verifying that the success of the

1 establishment's operation. And HIMP, we believe, has
2 provided evidence that the answer to both of these
3 questions is yes. Next slide.

4 Now some evidence of this is provided by
5 this data which is the total number of birds
6 slaughtered in traditional plants, that's young
7 chicken and young turkey plants, minus the HIMP plants
8 as opposed to the HIMP plants, and then the percent
9 positive. These are accumulated across all the plants
10 and not on a plant-by-plant basis. If you look at
11 that data and there is a slight correction from in
12 your slides that you have in your book, for 2005, the
13 traditional -- it actually got transposed. It should
14 be 16.3 under traditional and 15.9 for total, but as
15 you can see, HIMP plants have consistently had a lower
16 percent positive rate in our testing for *Salmonella*.

17 Moreover, while HIMP plants followed a
18 general upward trend in *Salmonella* positives with
19 traditional plants, up until about 2003, in 2004, the
20 rate of positive in HIMP plants actually stabilized
21 while in traditional plants it continued upward. And
22 in 2005, the percent positive rate in traditional

1 plants continue upward to 16.5 percent or 16.3 percent
2 as I said, but actually the rate in HIMP plants showed
3 a small decline. And through June of 2006, HIMP
4 plants continued that decline down to about 9.5
5 percent. While traditional plants have shown a
6 dramatic decline up until June of 2006, they are still
7 over the 10 percent target that we've established.

8 So based on our experience with HIMP, we
9 think there is a basis to explore how to use risk and
10 how we design our inspection at slaughter in that it
11 is time that we begin to consider how we do so.

12 But I want to make very clear, that we do
13 not think that risk based inspection at slaughter
14 means broad adoption of the methodology that we use in
15 HIMP. HIMP can provide the basis for some significant
16 advances in slaughter inspection and we think that
17 there is much that we can learn from our experience
18 with HIMP, but we think that we need to make
19 improvements on it.

20 Thus, as I stated in the briefing paper, I
21 want to review at a high level the basic aspects of
22 the inspection of the slaughter of poultry to provide

1 a basis for us to begin to talk about new ways in
2 which we can factor in risks to help us do a better
3 job of inspecting poultry at slaughter.

4 Again, this is initial thinking and we are
5 just starting the process.

6 And one additional point, I'd like to make
7 preliminarily, and that is I'm going to focus on risk
8 and food safety. I will not be talking about food
9 quality. Now I do not mean to imply in any way that
10 food quality is not important. What I am saying
11 though is that in a risk-based system, food safety is
12 the driving factor in that while we need to address
13 quality issues, we would do so in a system primarily
14 designed to insure safety. Next slide please.

15 Okay. So what I want to sort of do is, I
16 don't know if you remember my talk from November of
17 2005, but I sort of laid out some big items, purpose,
18 you'll see, purpose, deployment, what we do. What
19 I've tried to do is pare that list down and talk about
20 four things that are particularly relevant I think on
21 how we do poultry slaughter inspection.

22 Now first I want to talk about the purpose

1 of inspection at slaughter. By statute, at slaughter,
2 no matter who sorts the carcass, and in traditional
3 plants and FSIS inspection personnel who sort the
4 carcass, and in HIMP plants, it's been plant personnel
5 who sort the carcass, no matter who sorts the carcass,
6 FSIS is required to have its inspection personnel
7 perform a critical appraisal of each carcass that is
8 to receive the mark of inspection. The Agency does so
9 by having inspection personnel check each carcass for
10 any visible defects that would render them injurious
11 to consumers or that would cause consumers to reject
12 them. Next slide.

13 But online inspection can only provide a
14 visual appraisal, and while a visual appraisal of each
15 carcass is important, there is more than needs to go
16 into inspection at slaughter if the risks are to be
17 dealt with effectively.

18 First of all, pathogens are not visible but
19 they're obviously important. If not controlled, they
20 can turn the carcass into a vector for the spread of
21 disease. Through sampling, inspection personnel can
22 verify that that a plant prevents or minimizes the

1 occurrence of pathogens on carcasses, and that the
2 plant acts to reduce their presence to the extent
3 possible.

4 Pathogen control will surely be
5 significant -- a significant focus of any risk-based
6 poultry slaughter inspection program that the Agency
7 puts in place. For example, in the initiative that
8 FSIS instituted in February of this year, to address
9 the rising *Salmonella* levels on chicken carcasses, and
10 to assess poultry carcasses, they include -- we
11 included a number of risk-based elements. We would
12 expect that this type of approach would be part of any
13 risk-based slaughter inspection program that the
14 Agency institutes. Along with *Salmonella*, the program
15 would also likely address *Campylobacter* and other
16 pathogens of concern.

17 Inspection also needs to involve Agency
18 personnel in verifying that an establishment's process
19 is under control. The slaughter process is not
20 static. Conditions change during the course of a day.
21 Birds vary from flock to flock and within a flock.
22 Equipment may malfunction or need adjustment. Debris

1 can pile up and other unsanitary conditions can be
2 created. Things can go wrong.

3 Thus, if an establishment is to produce safe
4 and wholesome products, it must insure that its
5 process is under control, and FSIS needs to verify
6 that this control is maintained. Next slide.

7 This leads me to the first question that we
8 want to put to you or the first set of questions, and
9 they are, are the things -- are there things other
10 than examining carcasses and verifying pathogen and
11 process control, that the Agency should be
12 accomplishing in a risk-based approach to inspection
13 at slaughter? How can risk be factored into the
14 accomplishments of these other necessary purposes of
15 inspection?

16 Now in considering these questions and the
17 other questions that I will be presenting, it is
18 important that you keep in mind that we're not asking
19 you to be experts about the poultry slaughter process.
20 We asking you, give your perspective as a consumer,
21 state official, academician, or industry
22 representative, what are your expectations for the

1 slaughter process and what should FSIS be
2 accomplishing? Next slide please.

3 The second topic I want to address is Agency
4 deployment of its resources. That means inspection
5 personnel at slaughter. Given the purposes of
6 inspection at slaughter, as identified, and any
7 additional ones that you may identify, what's the best
8 way for the Agency to deploy its personnel to
9 accomplish these purposes? How does taking a risk-
10 based approach bear on how the Agency assigns its
11 resources?

12 Now in the current -- next slide. I'm
13 sorry.

14 In the current system, FSIS has on-line
15 personnel who examine each carcass. We also have off-
16 line personnel who verify that carcasses meet the zero
17 tolerance for fecal material and that the critical
18 control points that the plants have designated, in
19 their HACCP plans, are under control. These critical
20 points have tended to focus on meeting the chilling
21 time and temperature requirements and the use of anti-
22 microbials. Next slide please.

1 As I've said, we will need to continue to
2 have on-line inspection personnel making critical
3 appraisals of the carcasses. Given the range of
4 potential hazards at slaughter, however, FSIS has
5 become concerned that it may be necessary to deploy at
6 least some of its inspection personnel in a way that
7 leaves them free to verify some frequency that the
8 establishment's process is, in fact, under control,
9 rather than focusing so heavily on the conditions of
10 each carcass.

11 Now in this respect, let me acknowledge that
12 there's some overlap between what I'm presenting and
13 what Mr. Palesano presented this morning. After all,
14 he talked about off-line personnel, but just to make
15 clear, I am focusing particularly on the slaughter
16 process where Mr. Palesano's presentation was a much
17 broader presentation, and there are questions that
18 we'll need to see how much of what Mr. Palesano
19 presented actually will be applicable in the slaughter
20 context, but let me continue.

21 As with processing inspection, FSIS will
22 likely need to find a way at slaughter to factor into

1 the design of its inspection the hazards posed by the
2 type of species slaughtered, in the process used in
3 slaughtering that species, the significance of the
4 hazards that are presented if they're realized, and
5 how well the plant actually controls the hazards in
6 its process.

7 In the slaughter of young chickens, for
8 example, these factors would suggest that we would
9 identify the potential hazards associated with each
10 step in the slaughter process like picking,
11 eviscerating and chilling, assess the significance of
12 those hazards -- that those hazards could have if the
13 risk of their occurrence is not controlled and
14 determine whether and how well the establishment is
15 actually controlling the hazards.

16 In order to do this, we would consider bring
17 our off-line inspection personnel, that is our
18 inspectors not assigned to making carcass-by-carcass
19 appraisals, so that they would be able to move up and
20 down the line from step to step to verify that control
21 is being maintained and that the expected hazards and
22 the unexpected hazards are not emerging. They would

1 still focus on CCPs [Critical Control Points] but
2 perform additional verification activities
3 periodically. Next slide.

4 So the question I would like to pose to you
5 in this regard is, what comments do you have on the
6 use of this type of approach to guide how FSIS deploys
7 its resources at slaughter? For example, do you have
8 any suggestions as to information that we could
9 consult in developing our ideas on how to deploy our
10 inspection personnel? Do you have any other
11 suggestions? Next slide.

12 Next I want to talk about the tasks
13 performed by inspection personnel. Having asked you
14 to consider how risk bears on what we're trying to
15 accomplish in our inspections and slaughter, and how
16 we deploy inspection personnel, we ask you to consider
17 what effect risk should have on what we ask our
18 inspection personnel to actually do. Next slide.

19 FSIS' traditional model in young chicken
20 operations and young turkey operations, as I've said,
21 has been to have its inspection personnel perform
22 tasks related to the condition of the carcasses. They

1 look for a range of defects, some food related, some
2 not. Next slide.

3 The Agency has come more and more to
4 believe, however, that if slaughter inspection is to
5 be risk based, Agency personnel need to spend at least
6 as much time verifying that the plant's process is
7 under control, as they do looking at carcasses. Under
8 this view, inspection personnel would need to
9 understand what should be occurring at each step of
10 the plant's process. They would verify that what is
11 occurring is what should be occurring, and moreover,
12 they would review the plant's records to verify that
13 the plant is maintaining control and they would also
14 sample product at various points in the process to
15 assess whether the plant is maintaining control.

16 By considering all of the resulting dating
17 and information, Agency personnel should be able to
18 determine when and if the establishment is in danger
19 of losing control of its process or if any part of its
20 process is out of control and to take steps to address
21 the situation. Next slide please.

22 So the question we ask is, what comments do

1 you have on the Agency having its inspection personnel
2 performing these types of tasks in poultry slaughter
3 operations? Again, if you have any suggestions, ideas
4 or other comments, about the concepts that I've
5 presented, and how to improve on them. Next slide.

6 Finally I want to talk a little about FSIS
7 personnel should respond to findings suggesting a loss
8 of control. As I said, under FSIS' current poultry
9 slaughter inspection system, inspection personnel
10 primarily see problems on a carcass-by-carcass basis
11 and they respond on a carcass-by-carcass basis. They
12 have carcasses trimmed or condemn the carcass and if
13 the situation is bad enough, where problems with the
14 process are manifested on the carcasses, they will
15 stop the line.

16 If FSIS were to move to a system that put
17 greater emphasis on process control, inspection
18 personnel would presumably be able to identify
19 emerging problems with the process itself. FSIS
20 personnel should be able to respond in more flexible
21 ways if they're not focused only on carcasses. For
22 example, in the compliance guide for *Salmonella*, that

1 FSIS recently issued, the Agency discussed
2 interventions that could be employed at each step of
3 the slaughter process to insure that levels of this
4 pathogen, levels of *Salmonella*, are controlled in a
5 way that avoids recontamination during the process and
6 actually progressively reduces the level of
7 *Salmonella*, any *Salmonella* that may be on the carcass
8 as they move through the process.

9 At picking for example, the Agency pointed
10 to the need to avoid feather build up on the
11 equipment. If FSIS personnel observed that a feather
12 build up was occurring, creating the potential for an
13 unsanitary condition, they could take a range of
14 actions based on the evidence of loss of control, from
15 talking to plant management to tagging the equipment,
16 to stopping the line.

17 Now I'm not suggesting that FSIS inspection
18 personnel should provide quality control for the
19 plant. I am suggesting that it is in the interest of
20 public health for the Agency to verify process
21 controls and to have its inspection personnel act if
22 they can anticipate that a problem is developing or if

1 they find that one has developed. It would be up to
2 the establishment, however, to decide how to correct
3 the situation. We would want any system that we
4 establish to provide as much flexibility as possible
5 to our inspection personnel. Next slide.

6 So the last question, what comments do you
7 have on including process control as a focus and
8 emphasis of identifying and addressing emerging risks
9 in indications of loss of control, as something that
10 FSIS personnel should react to? And we would be
11 interested in your ideas on how we can maximize the
12 effectiveness of the response by our inspection
13 personnel.

14 That's the end of my talk, and if you have
15 any questions. I expect that other people will answer
16 these questions just like they did for Bobby.

17 MR. TYNAN: I'm going to start to the left.
18 I'm going to ask Charles Link to start us off.

19 MR. LINK: Charles Link. Phil, kind of what
20 you just describe sounds a lot to me like what we've
21 been doing for years. We've had NELS [New Line Speed
22 Inspection System] systems in chicken plants and NTIS

1 [New Turkey Inspection System] programs in turkey
2 plants that are all built on process control,
3 identifying from live receiving through the chilling
4 process, all the different steps, all the parameters
5 around those steps. The off-line inspector has been
6 involved in monitoring those process steps.

7 So I'm not sure what you're proposing that's
8 really different, unless we're talking about freeing
9 up the on-line inspectors to get off the line to do
10 some of that work in addition. And so I'm not sure if
11 I understand that. That's kind of -- I think that was
12 kind of the direction of the HIMP program was to get
13 the guys off the lines so they could do more work, but
14 then you commented that that wasn't what you were
15 going after right now. So maybe you can elaborate a
16 little bit about what's different?

17 MR. DERFLER: The question about what's
18 different has come up a number of times. I think
19 what's the most important thing that we're trying to
20 do is insure that we factor in by design, risk, so
21 that we can produce the best system that we can, the
22 most efficient and effective system. There are, you

1 know, I'm not sure exactly how the ultimate design is.
2 It does seem to me though that there are, you know,
3 sort of fundamental things that need to be included in
4 a system. I don't know that we revisit the same thing
5 is a terrible idea, but if we can improve on it,
6 that's really what we're interested in, in getting
7 comments on, how we can improve on it and make our
8 system better. That's what we're really striving to
9 achieve.

10 DR. MASTERS: This is Barb Masters. The one
11 thing I would add to that and I think Phil alluded to
12 it on one of his earlier slides, is that we laid out
13 in our February *Salmonella* initiative, targets that we
14 have as an Agency for all of the plants to achieve in
15 controlling *Salmonella*. So certainly we would see
16 that as something that would be part and parcel of any
17 type program such as this.

18 In addition, Phil mentioned that we would be
19 looking at other pathogens such as *Campylobacter* and
20 other pathogens. So I think we would certainly have
21 expectations for performance measures around
22 pathogens, moving forward in this sort of a program.

1 And I think *Salmonella* in particular laid that out,
2 that we had a target of being at less than the half
3 the standard, the performance standard of *Salmonella*.

4 So I think that's one way I would look at it.

5 MR. TYNAN: Mr. Kowalcyk.

6 MR. KOWALCYK: Michael Kowalcyk. I have a
7 couple of questions. One was related to the sampling
8 table that I noticed in the presentation about
9 sampling, and I was just curious as to the number of
10 samples that are indicated through June of this year.
11 If you can discuss a little bit about the sampling
12 methodology for the 2006 calendar year. I'm assuming
13 these are calendar year comparisons. Are they sampled
14 equally throughout the year because then if they are,
15 the Agency's on pace to take under 4800 traditional
16 samples and compare the previous years. It's in my
17 mind a significant reduction. Is that an accurate
18 assessment or is it something with the sampling
19 program that would lead us to see increased sampling
20 in the back half of the year? You might not be able
21 to answer that now but I'm just curious as to --

22 MR. DERFLER: The only thing I can tell you

1 is that we started changing how we did sampling, to
2 try and make it more risk based, and so there might
3 have been some reduction as a result of that. There
4 also was some reduction in the number of samples that
5 we took because of budgetary concerns.

6 MR. KOWALCYK: Okay. Because I guess if the
7 Agency can provide some clarification to this
8 Committee in the future because especially if the
9 sampling methodology has changed, it's not necessarily
10 comparable to prior years. I was just questioning
11 that. That's all.

12 DR. RAYMOND: And I don't want to debate
13 Phil in public, but you deserve an answer, and we'll
14 get you one, but I have gone on record to Congress
15 that we did not decrease sampling because of budgetary
16 concerns. So one of us is wrong. So I want my
17 statement or Phil's statement to show up in the media.

18 MR. DERFLER: I'm wrong.

19 DR. RAYMOND: And I don't mean that at all.
20 I mean the Committee deserves an answer, and we'll get
21 it. Loren, you know the answer. Get up there.

22 MR. LANGE: Loren Lange, OPHS FSIS. We

1 changed the sampling criteria in June. The reason we
2 had the traditional way of scheduling sets, January
3 through June, that we had a large number of the
4 broiler plants that were sampled towards the end of
5 last year, and under our old system, they just didn't
6 come up for sampling in the first half of the year. I
7 think when you see the third quarter results published
8 later, it will be almost like 5,000 broiler samples in
9 the third quarter this year, and probably over 130 of
10 the largest 150 plants had some samples in that third
11 quarter. So it's just a quirk of how we changed the
12 system.

13 MR. DERFLER: So I'm right. I'm wrong.

14 (Laughter.)

15 MR. DERFLER: For the record, I'm wrong.
16 I'll go on record as saying Dr. Raymond was correct.
17 I don't want to say you were wrong.

18 DR. RAYMOND: Michael Kowalcyk was right to
19 raise that question because when I looked at that
20 number I thought, my gosh, what did happen. So thank
21 you, Loren.

22 MR. KOWALCYK: Thank you. And my second

1 comment was about process control and the discussion
2 in your presentation of moving off-line inspectors to
3 different task, maybe away from what carcass
4 inspection they do do currently. I'm not on the
5 Subcommittee but I'm just wondering if members on the
6 Subcommittee would be helped by some certain aspects
7 of process control that the inspectors could impact.
8 I don't know if things such as line speeds are
9 certainly a variable that can be moved which would
10 allow current inspection levels to increase their
11 focus in area that they need to be, because it seems
12 to me like you're asking inspectors to focus on what
13 is deemed to be maybe a riskier part of the process,
14 while still meeting their primary responsibilities and
15 it seems to me that you would almost have to add
16 inspectors at certain plants. So I'm just kind of
17 struggling around how you would move folks around
18 within that eight-hour shift. So if there are any
19 examples that the Agency can provide for those on the
20 Committee that they can discuss, that would be
21 helpful.

22 MR. TYNAN: Thank you, Michael. Ms. Eskin.

1 MS. ESKIN: I have two questions really. My
2 first is along the line of Charles' in that I'm having
3 difficulty understanding conceptually even how this is
4 different than what's done now under the HACCP system,
5 and again there's one slide here that says we'll be
6 moving I guess some inspector who are on-line off-
7 line. I mean draw me a picture. How many inspectors
8 are in the plants and let me understand what you're
9 suggesting even at a conceptual level. That's my
10 first question.

11 MR. DERFLER: Okay. I mean what I'm really
12 suggesting is, I mean most of our verification
13 activities are now off-line, are focused on HACCP and
14 verifying HACCP, and I'm not -- and I'm saying that
15 one of the ideas that we need to think about is how we
16 look at the whole process. There may be steps in the
17 process that are not considered to be critical control
18 points, but maybe we need to look at those as well.

19 MS. ESKIN: Okay. And the second question I
20 have is obviously there's a concern among many people
21 listening to this, is that how this proposal would be
22 reconciled with the legal requirements, carcass by

1 carcass, and as you're well aware, the HIMP program
2 was challenged in Court and certainly in those
3 decisions the Judge has said that it's got to be
4 carcass by carcass. So again, can you give me some
5 assurance that that's exactly what we're talking about
6 here, that that will be preserved?

7 MR. DERFLER: Well, I think in my slides I
8 talked about over and over, that the Agency's
9 obligation, as we understand the AFGE is to provide a
10 critical appraisal of each carcass. That doesn't
11 necessarily mean that they would sort the carcasses,
12 but it does mean that we've got to provide a critical
13 appraisal.

14 MS. ESKIN: So again, in terms of the
15 inspector doing the carcass inspection, that's not
16 going to change under this program what you're talking
17 about, if different things that the off-line
18 inspectors are going to look at?

19 MR. DERFLER: Yeah, that's what I'm talking
20 about so far. I mean that doesn't mean that --

21 MS. ESKIN: Conceptually.

22 MR. DERFLER: -- as the ideas for poultry

1 slaughter advance, there wouldn't be additional ideas
2 but what I'm leaning on here is that.

3 MR. TYNAN: Mr. Painter.

4 MR. PAINTER: Stan Painter, with the NJC.
5 My question was, I noticed we had some stats regarding
6 *Salmonella*. Where are your stats regarding *E. coli*?

7 MR. DERFLER: I'm sorry.

8 MR. PAINTER: Where are our stats regarding
9 *E. coli* in poultry?

10 MR. DERFLER: You mean generic *E. coli*? I
11 mean I don't have them --

12 MR. PAINTER: Any type of *E. coli* that would
13 cause someone to get sick or die regarding poultry?

14 MR. DERFLER: I mean the Agency I believe
15 doesn't take generic *E. coli* samples in poultry
16 plants. They're taken by the plants.

17 MR. TYNAN: Stan, could you rephrase your
18 question? I guess I'm not tracking with it. I know
19 I'm probably the least knowledgeable person here on
20 this, but --

21 MR. PAINTER: Well, my point being is the
22 fact that there's little to no testing for *E. coli* in

1 poultry and all we can talk about is what we test for
2 is *Salmonella*. And I'm wondering why the Agency is
3 not testing for *E. coli* in poultry?

4 MR. DERFLER: The only thing I can say, and
5 I think I did say, that as part of any program, we
6 want to make sure that the pathogens of concern are
7 under control, and we would, we would -- to make sure
8 of that, Loren, to my rescue again.

9 MR. LANGE: OPHS, you know, has no record of
10 finding *E. coli* O157:H7 or other pathogenic *E. coli*,
11 you know, in poultry. There was -- there's been a
12 couple of reports of a foreign country claimed an
13 isolated O157 from a frozen chicken wing at one time,
14 but we have some questions about the methods. So I
15 mean we monitor the literature on which animals O157
16 has been found in the research community and at this
17 point in time, no one has found it in poultry that
18 we're aware of.

19 MR. TYNAN: Dr. Bratcher?

20 DR. BRATCHER: Chris Bratcher, NAFV. As a
21 result of the changes in *Salmonella*, most of the large
22 poultry processing plants are now doing extensive bio-

1 mapping and what they're looking for is enteric
2 organisms, total flight counts, *E. coli*. So they have
3 a pretty good handle of what's there and what their
4 loads are coming into the plant as well as
5 interventions that they may have starting from the
6 picking room all the way through the process.

7 As a result of the changes that we made
8 trying to bring *Salmonella* under control, they've had
9 a direct impact I think on *Campylobacter* which is also
10 an area of concern, and we've had a direct correlation
11 with the number in total flight counts and enteric
12 bacteria as well. So I think maybe what Stanley is
13 trying to get at is there are other bacteria
14 concerned, but we're addressing that really to the
15 *Salmonella* regs right now. The plants are doing a
16 really good job I think in most situations in
17 controlling and reducing the bacteria loads in the
18 plant as they go through the process.

19 MR. DERFLER: The other thing I would add in
20 response to what you said is, I mean we intend to do a
21 new chicken baseline. We're moving closer towards
22 that, and as part of that, we are going to look at a

1 range of organisms. So --

2 MR. TYNAN: Mr. Link.

3 MR. LINK: Charles Link. Just a question
4 about HIMP. Sandra mentioned that they got challenged
5 in Court around the carcass-by-carcass, bird-by-bird
6 inspection. I assume that the results of that
7 challenge were satisfactory because it's still alive
8 and well. So we are meeting the requirements,
9 statutory requirement under HIMP today.

10 MR. DERFLER: The answer is a qualified yes.
11 I mean for purposes of full disclosure, I think the
12 Court essentially upheld what we were doing in HIMP,
13 but the Court also said that they thought it would
14 likely -- that they would be given an opportunity to
15 review it again if we decided to make some of the
16 things that we were doing there permanent. So I would
17 imagine there would be an opportunity to review it
18 again, but the Court did uphold what we were doing,
19 yes.

20 MR. LINK: You mentioned that this effort
21 here, a risk-based inspection slaughter was not an
22 effort to go to HIMP for lack of a better term? So

1 that mode of inspection, even though your data bears
2 out it works and we think we're meeting the statutory
3 requirements, certainly it frees up resources to do
4 all these things --

5 MR. DERFLER: What I said was we want to
6 have the best poultry inspection system that we could.
7 HIMP got bogged down in a lot of OCP stuff, and what
8 we're really interested in and insuring is that the
9 product -- is food safety, and we want to have a
10 system that insures that -- is primarily directed and
11 judged on how well it's making products safe. If the
12 other stuff comes along with it, then obviously it's
13 going to have to and we need to figure out how, but
14 assuming we go forward with this, the most important
15 thing is or the key to the design that we're looking
16 for is food safety and risk.

17 MR. TYNAN: Ms. Eskin.

18 MS. ESKIN: To follow up on the point
19 Charles just made, and this may go more broadly to
20 risk-based inspection and not just whatever happens in
21 slaughter, again in that Court case, a model's project
22 was at issue and the Court clearly said as you just

1 summarized, we may have a very different view on this
2 type of approach, if, in fact, it becomes permanent
3 through rulemaking. Is it FSIS' intention to, when it
4 moves towards or creates a risk-based inspection
5 system, whether for processing or for slaughter, that
6 it will be done like HACCP was through rulemaking and
7 not just directives?

8 MR. DERFLER: I think it's going to depend
9 on the particular facts of what it is that we're
10 looking at and what we think we need to do based, you
11 know, what we're doing at the particular time. We'll
12 do that in consultation with our lawyers. Probably
13 some things we'll be able to do without rulemaking and
14 some things will require rulemaking.

15 MR. TYNAN: Other questions on the
16 presentation?

17 (No response.)

18 MR. TYNAN: Thank you, Phil. Okay. We've
19 gained a little time in the Agenda, and according to
20 the Agenda, we have a 2:00 public comment period.
21 When I checked at lunch time, there was no one signed
22 up for the public comment period, but I would invite

1 anybody that wants to come up and make a statement at
2 this point to do so at this time. And again, I would
3 ask that when you come up to the microphone, if you
4 could please identify yourself and the organization
5 that you represent.

6 MR. CORBO: Tony Corbo, Food and Water
7 Watch. It knows I'm coming.

8 (Laughter.)

9 MR. CORBO: First of all, I want to commend
10 the Agency for permitting the employee representatives
11 to finally sit at the table after numerous requests to
12 do that. Hopefully this will be a permanent fixture
13 on the Committee. If it is, then it will remove one
14 of the tasks that I'm going to ask the 110th Congress
15 to take up. So I can cross one of those things off my
16 list.

17 I wanted to ask a couple of questions. One
18 on the use of risk in slaughter operations. In the FY
19 2008 budget request that the Agency submitted to
20 Congress, it had a section dealing with the section
21 dealing with the expansion of HIMP across all poultry,
22 and it was intended to hold a series of public

1 meetings, plural, on the issue prior to rulemaking.
2 Is it the intent of the Agency after this Committee
3 issues its recommendations, answers your questions, to
4 do that?

5 DR. MASTERS: Tony, I think I would say at
6 this point, I think you've seen that with some of the
7 other budget requests for '07, we've put forward our
8 best projections when we write the budget two years in
9 advance. I think it's very clear that Dr. Raymond and
10 I are trying to be as transparent as we can moving
11 forward with our more robust risk-based inspection. I
12 can tell you that what we have done on moving forward
13 with the slaughter component, as we talked yesterday
14 and today, that we're going to be looking at different
15 components and moving forward with our more robust
16 risk-based inspection system, that we have already
17 started talking to RESOLVE about working with us. Now
18 that we've brought it to the National Advisory
19 Committee. RESOLVE is aware that the next opportunity
20 that they will have is to work with us on the
21 slaughter component, and I would envision pieces of
22 that would include public meetings, yes.

1 MR. CORBO: Okay. Another issue is the fact
2 that our organization has a pending FOIA request.
3 It's over a year old on the HIMP data, and we urge the
4 Agency to respond to that request as quickly as
5 possible.

6 The last question is a repeat of the
7 question that I asked yesterday about the Office of
8 Inspector General indicating that they were doing an
9 audit of the Pathogen Reduction Enforcement Program
10 sampling features, and I would like to know whether,
11 in fact, the Agency has been contacted by the OIG
12 regarding that audit report?

13 DR. MASTERS: We're not aware of being
14 contacted by the OIG on that report, no.

15 MR. CORBO: Thank you.

16 MR. TYNAN: Are there other comments from
17 the audience?

18 (No response.)

19 MR. TYNAN: Okay. If not, we're at the
20 point in your Agenda, where we're going to break for
21 our Subcommittee sessions -- yes, Dr. Leech.

22 DR. LEECH: When we started this morning, I

1 think you said that if any of us had anything that we
2 wanted to say that didn't fit with the presentations
3 that you wanted for us to do that now.

4 MR. TYNAN: You certainly can.

5 DR. LEECH: And I'd like to do that. This
6 is my last meeting of my six years of service. I'm
7 not going to be able to be here tomorrow although I
8 have been here through the week to this point. And I
9 feel that before I leave that it's really important
10 for me to share that as I look back on the six years,
11 I have to admit that I'm really frustrated. And I
12 honestly discouraged one of my colleagues from
13 applying for the Committee. I don't feel like what
14 I've done made a difference at all in six years.

15 I do see that there are some positive things
16 that are happening at this point. There certainly is
17 a much better environment between the Committee and
18 the Agency than there was when I first started six
19 years ago. It's certainly a much more pleasant thing
20 to do than it was when I started. But I continue to
21 feel that the expertise is not adequately used
22 particularly in the fact that the Agendas don't come

1 out early. We don't know what our comments are going
2 to be. We really need it a month or -- we're all
3 doing lots of things. We really need it a month or
4 more before we get here, not after 7:00 on Friday
5 night before we leave on a holiday weekend for people
6 to be coming to town on a Tuesday. There's no way to
7 do any homework, talk to the people at home. I would
8 have gladly talked to folks, gone to visit people,
9 tried to do homework so that I was more prepared.
10 I've generally felt that I've come in and just talked
11 off of common sense, and I think we really need more
12 than that, and that's why I discouraged my colleague
13 from applying. I told him I thought his expertise
14 could be used in other ways that would make a
15 difference in the world, and I haven't felt that what
16 I've done has.

17 As I said, I see that there are some
18 improvements in some things. You've got a break
19 opportunity here with a lot of turnover in the
20 Committee for things to possibly completely be
21 changed. I mean we've got a better environment than
22 we had six years ago, but I really encourage you, as

1 the new folks come in, to consider letting people know
2 what the Agenda is going to be at least a month in
3 advance, that when there are going to be public
4 meetings, to advertise it well in advance. I was
5 amazed how many people were here for the meeting given
6 that the Federal Register notice came out what, 10
7 days before the meeting, and for people to get plane
8 tickets and to afford to come and use money
9 responsibly, usually you don't get the best plane
10 ticket 10 days in advance, and I don't know about
11 other people's schedules, but mine's so nuts that, you
12 know, I had the date because I was involved in this,
13 but convincing other people who weren't to come was
14 difficult and when the information didn't come in
15 until late, there were a number of folks that would
16 have liked to have been involved who couldn't.

17 So just as we, you know, wrap this all up,
18 we've said some of these things as a group several
19 times in the process but I felt like I needed to say
20 it one more time, that I don't think this Committee is
21 used in the best way. I see some positive things and
22 I encourage you to keep doing positive things but I

1 think either this Committee needs to be really
2 effectively used and people need to be able to do what
3 needs to be done or we really shouldn't do it.

4 MR. TYNAN: Ms. Eskin.

5 MS. ESKIN: I know you said that we would
6 wait until tomorrow about some of our reactions to the
7 public workshop, but assuming -- if Dr. Raymond is
8 here for at least the next 20 minutes, I was going to
9 pose that we do it now so we have enough time to
10 perhaps talk about our concerns, that tomorrow we
11 might not be able to. But, Dr. Raymond, if you're not
12 going to be here for much longer, then I won't.

13 DR. RAYMOND: I'll be here until about 5:00.

14 MS. ESKIN: I would ask that we do it now,
15 and if everybody else feels that way, to be able to
16 talk about the public workshops and anything related,
17 even along the lines of what Irene just said.

18 MR. TYNAN: We're amenable to doing that?
19 We were going to do it tomorrow but if today works and
20 you're all agreeable to doing that, then let's get
21 started, and we'll use the time tomorrow.

22 MS. ESKIN: You --

1 MR. TYNAN: Well, you have to help me a
2 little bit. What am I asking?

3 MS. ESKIN: Okay. I'm sorry. I don't --

4 MR. TYNAN: You just want to have a
5 discussion --

6 MS. ESKIN: Well, I'll be happy to start. I
7 mean a couple of thoughts. Many of us were there both
8 days yesterday and I guess I wanted to make some
9 specific comments and some more general ones.

10 The first one is that as far as the two
11 papers go, and I think this was reflected in
12 Dr. Masters' comments earlier, I think on the inherent
13 risk piece, it is quite clear that there are lots of
14 issues with the expert elicitation that need to be
15 addressed.

16 On the establishment control, there are lots
17 of gaps in data, and some concerns about the ability
18 to use the data. What that translates into, in a very
19 simple message, is that the Agency needs to slow down,
20 it needs to take its time.

21 I do want to respond to Dr. Raymond's
22 analogy as far as a doctor, and I'm not a doctor. I'm

1 a lawyer. So I'll make that clear. When using the
2 analogy of treating patients, someone comes in, he
3 diagnoses a problem, makes an initial decision as far
4 as treatment goes, but awaits test results, to perhaps
5 change that -- change the treatment, I would say that
6 current system is like that initial diagnosis. We
7 have been operating a certain way to address a certain
8 problem. It is by no means perfect. But unless and
9 until we get the functional equivalent of that test,
10 to see if you have strep or some other infection,
11 until we have the data that is reliable, I don't think
12 there's a high level of confidence that what we're
13 going to replace in lieu of the current system is
14 going to be better. And I understand and applaud his
15 desire to really focus on public health, and I think
16 it's very important.

17 Let me use a different analogy to reflect my
18 reaction to this process, as far as risk-based
19 inspection goes, and I'll speak as the daughter of a
20 builder. I'll use a house analogy.

21 Let's assume we're all going to be building
22 a house together, and we've made a plan to meet for an

1 initial meeting to discuss designing, general ideas.
2 You know, is it going to be a ranch? Is it going to
3 be a two story? And we all as a committee show up and
4 not only have the plans -- architectural plans been
5 completed, okay, but the foundation has been poured
6 and the frame has been going up and the materials used
7 we're concerned may be substandard, may be defective.

8 Now again with the discussion, let's put
9 that in the context that we have today about
10 slaughter. Phil said a number of times, and I know I
11 appreciate it, this is very preliminary. When I look
12 back over the six years of Agendas that I also have
13 been to the meetings, I thought yes, we might have
14 talked about risk based in pathogen control but in
15 terms of risk-based inspection systems, I saw one
16 subcommittee in November 2005 that talked about using
17 data in terms of risk control, and I raised the
18 question about it yesterday if we had made any
19 progress towards those specific things. But until
20 that November 2005 meeting, we had never been
21 approached with this more conceptual framework.

22 So again, I would agree with Irene, I think

1 that our committee could have been helpful in an
2 earlier stage.

3 And I'll close by finishing with the expert
4 elicitation. There's a perfect example. We were
5 discussing at the last meeting some issues surrounding
6 establishment control. We have never discussed
7 inherent risk control. If you would come to us before
8 you went out with the expert elicitation and said
9 here's our thinking, we would have hopefully been able
10 to and I think have contributed in the same way the
11 group did before. Yesterday I meant to say, not only
12 say if you're doing an expert elicitation, this is
13 what you should do, and you need more than that.

14 So again, I think having an Advisory
15 Committee is very important and I have learned a lot
16 from my experience and hope I have contributed, but I
17 think moving forward, I think you need to look at the
18 experience with this risk-based process and hopefully
19 improve the point at which the Advisory Committee
20 comes into the loop.

21 MR. TYNAN: Any comments from the rest of
22 the Committee at this point? Mr. Schad.

1 MR. SCHAD: Yeah, Mark Schad. I'm going to
2 repeat maybe some comments from Tuesday and Wednesday
3 by some of my industry colleagues, but somebody that
4 works in this every day, deals with inspectors every
5 day. I think we're maybe farther along -- because we
6 do some of this risk-based stuff already.

7 And I'll just repeat some of the examples
8 that were brought up. I don't want to take a lot time
9 repeating examples but like *Listeria* and Dr. Bratcher
10 brought up some things about indicators. I know
11 exactly what you're talking about, dealing with the
12 inspectors, inspectors deal with that already,
13 indicators like construction, they have some
14 questions. I see something different going on here. I
15 see you've got a different supplier. So you're asking
16 questions and looking into that.

17 So I don't see this as a -- we're jumping
18 off a cliff or anything, starting something new,
19 because it's like some of my industry colleagues said.
20 We're doing some of this already.

21 And I wanted to speak also to that expert
22 elicitation. I personally studied that very hard and

1 looked at all the raw data. I know it didn't
2 identify -- it identified the list of people but I
3 didn't know, you know, each person and their raw data.
4 And I saw that the scores were all over the place, and
5 when I first looked at it, I kind of questioned it
6 myself when I see these large ranges of scores. But
7 then I looked at some of the comments next to these
8 scores and it was brought up like the 300 million and
9 400 million, and first I thought, you know, does this
10 make any sense or not, but I looked at the guy's
11 comments, and he was being very objective. He had
12 very objective reasons for putting these scores down.
13 And when it got down to the bottom line and on the
14 ranking, I thought, well, I don't agree -- I agree
15 with the top and the bottom. In the middle there, I
16 didn't agree with every one of them. If I was one of
17 those individuals, my ranking would not be exactly the
18 same, but all in all, it would be tough to question
19 that ranking, in my personal opinion. It's tough for
20 me to question the final ranking that it ended up
21 with. I don't see a problem with the finalization of
22 that expert elicitation.

1 Is it statistically sound? I can't tell you
2 that. I'm not in a position to tell you that but I
3 believe the ranking is a good one.

4 MR. TYNAN: Mr. Govro.

5 MR. GOVRO: Mike Govro from Oregon. I
6 appreciated Dr. Raymond's patient speech yesterday,
7 about moving forward with this, and I appreciate your
8 sense of urgency and your passion about this, and I
9 think it's important that you have that in order for
10 this to go forward quickly.

11 However, I operate a program, a very small
12 program, but we undertook something similar to this a
13 few years ago when we adopted the food code, and it
14 was -- while we continue to do inspections and do them
15 pretty much the same way, it did become of a risk-
16 based system.

17 DR. MASTERS: -- medical emergency. I'm
18 sorry.

19 MR. GOVRO: Well, I wanted him to hear this
20 but I'll go ahead.

21 His passion for this is what gives me a
22 little bit of nervousness because there's really two

1 ways that this could go. One is if it was designed
2 poorly and one is if it was implemented poorly, a
3 couple of terms I think have been bandied about here a
4 lot lately, and I'm just -- I would urge the Agency
5 rather than to go forward and what I heard
6 Mr. Palesano talk about yesterday and moving forward
7 with the first step, maybe turn off the scheduler, I
8 assume that means for the entire Agency, perhaps to go
9 forward with -- I don't want to use the word pilot
10 because I'm afraid that when you get into a pilot
11 phase, you might get bogged down and never get out of
12 it, but I would say a dry run, trial run, where you
13 take a dozen people, put them on the system, and run
14 it side by side with the existing system and see what
15 you learn, see how things get done differently,
16 compare results, see if the system that you have gives
17 you better results, and I think you also will learn a
18 lot along the way about anything you haven't thought
19 of. I mean there's a lot to implementing something,
20 training and communication to your staff. There's
21 training and communication to the industry. There's
22 the computer considerations and on and on, and I would

1 be willing to bet that somewhere down the line you're
2 going to find yourself going, gee, we didn't think of
3 that. Because anybody that's gone through what we've
4 done with the food code or made a major change in
5 their program, generally finds that they go forward
6 too quickly. So I would just say give it a trial run
7 and have your own in-house lessons learned on a very
8 small scale.

9 DR. MASTERS: I will pass this along.

10 MR. GOVRO: Okay. Thank you.

11 MR. TYNAN: Thank you, Michael. Other
12 comments from the Committee? Mr. Elfering.

13 MR. ELFERING: This is Kevin Elfering. I
14 wish Dr. Raymond would be here because I think
15 hopefully you can relay all this.

16 Yeah, we've been doing risk-based inspection
17 for years and, you know, the Agency that I manage, we
18 probably inspect about 16,000 establishments, anywhere
19 from small meat and poultry plants to dairy farms,
20 dairy processing plants, retail stores, manufacturing
21 plants. We assign a risk to each one of those types
22 of operations.

1 It's a rather simplistic system though. It
2 isn't real complicated and we rely so much on our
3 field staff to be able to either increase inspection
4 frequency based on the risk of the product that
5 they're producing and also the establishment history,
6 and I think you're doing all of that but I don't know
7 if you realize what a monster you're going to have,
8 that you've got out there with all the things that you
9 want to try to put in place.

10 You know, I think that there's some good
11 examples of a seasoned inspector is going to be able
12 to identify a lot of these indicators but I think that
13 you're almost trying to make it too complicated and I
14 think you just need to have a more simplistic system.

15 Risk-based inspection is the most wonderful
16 system that you could ever put in place, and it makes
17 so much sense but I just think that you're trying to
18 build so many things in here that I don't think that
19 you're going to be able to accomplish all of those,
20 and I think you just may need to make it a much more
21 simplistic system.

22 MR. TYNAN: I apologize. Let me do the

1 Committee first, and then I'll come around to
2 Mr. Painter and our employee organization
3 representatives. Mr. Finnegan.

4 MR. FINNEGAN: Mike Finnegan. I agree that
5 risk-based inspection, it's a good system, and we do
6 it, and we have been doing it, and I think it's the
7 best bang for our buck, I really do. But one thing
8 that I believe we learn from HACCP was training. We
9 have to instill a good training course and make sure
10 all of our troops are on the same page, our inspectors
11 really know what they're doing before we install our
12 risk-based inspection. I think training is going to
13 be a major piece here.

14 MR. TYNAN: Thank you, Michael.
15 Mr. Kowalcyk.

16 MR. KOWALCYK: Thank you. I think my
17 experience from the past couple of days reconfirm the
18 fact that change is always difficult. Looking at what
19 data issues the Agency faces and the value of that
20 data if managed properly, could give the inspection
21 force, as well as industry, a valuable tool to do
22 their jobs more efficiently and to ultimately create a

1 safer product for the consumers. I think it's very
2 clear that all stakeholders ultimately want that, that
3 14 people dying a day as a result of food-borne
4 illness is unacceptable, and what can be done to
5 improve that?

6 With that said, looking at a system that's
7 data driven, there's always going to be challenges.
8 To echo Mr. Govro's comments about a testing phase as
9 part of implementation, I think would be very valuable
10 and would provide the Agency with tremendous insight.

11 Another thing that is apparent from the two
12 day meetings and my discussions with people from
13 industry as well as other stakeholders, is let's not
14 throw away the knowledge that's already there.
15 There's a lot of people in the inspection force that
16 are experienced. They know their plant and Mark is
17 right. They do take a risk-based approach through
18 their experience. And I would hope that rather than a
19 replacement to risk-based inspection should be
20 incremental to the current tools that are at their
21 disposal, a way to guide their work and their
22 intensity of work within statutory requirements and,

1 of course, that's a whole other issue but, you know, I
2 would just hope that the Agency's approach is that
3 this is in addition to what works well, and always
4 looking to make things better. And I see the Agency
5 is going in that direction, and I'd like to compliment
6 the Agency on that.

7 MR. TYNAN: Thank you, Michael. Other
8 comments from the Committee? Dr. Denton. You've been
9 reasonably quiet today. This would be a good time.

10 DR. DENTON: Thank you. I'm trying to save
11 my energy for this Subcommittee meeting.

12 Seriously, in thinking about everything that
13 everyone has said, I can understand the issues that
14 come about with change. Change is always hard. One
15 of the things that those of us in the academic world
16 have learned to deal with is change because nothing
17 ever stays static in research or education.

18 As we look at this whole issue of risk-based
19 inspection, and I try to look at this from every
20 aspect, the consumer, the industry, the Agency, our
21 inspectors, our state level people, and from my own
22 personal perspective having spent more than a couple

1 of years doing this. It was a dramatic change when we
2 went to the HACCP based system, much more intensely
3 data driven than anything that we had experienced
4 before. There was lots of pain associated with that
5 early on, but as we begin to collect the information
6 and started to see some of the outcome of moving to
7 that type of a system, it began to make sense to a lot
8 of us. I think if we look at the data that the Agency
9 collects, if we look at the data that the industry
10 collects, it's pretty difficult for anybody to look at
11 that set of information if you have the opportunity to
12 look at that information, and not see some of the
13 opportunities that are sitting out there, just on the
14 edge of where we are, that can really make a
15 significant difference with regard to protecting the
16 public health.

17 Now I know that there's always this concern
18 that we're about to trade this for that. I don't
19 think that we ever trade this for that. We slowly,
20 methodically move, from where we are to the next
21 generation or the next best system that we see, that
22 we can utilize to really get at some of these things.

1 One simple little example is sitting here in
2 our notebook with regard to this *Salmonella* incident
3 table associated with traditional inspection in HIMP
4 type plants, and I can understand that the folks that
5 are sitting on this side of the table, looking at that
6 information and saying, holy smoke, why aren't we
7 capturing this and moving to something that's going to
8 help us improve the food safety system. It doesn't
9 mean we have to adopt HIMP. It doesn't mean that we
10 do away with inspection. What it means is that we
11 take this information that we have and we look where
12 we need to go next, and really get focused on what
13 caused this distinction between these two sets of
14 plants. Because what we'd really like to do is take
15 all those plants that are on the left-hand side of the
16 page and slowly move them over to the right-hand side
17 of the page with regard to the incidents of
18 *Salmonella*, the incidents of *Campylobacter*, *Listeria*,
19 it doesn't matter. You will in whatever the pathogen
20 is that you're trying to focus on.

21 But the only way that we're going to make
22 progress is when we see opportunity, we have to seize

1 the opportunity and try to work toward that.

2 I don't have that many years left to do
3 this. Okay. I rotate off of this Committee tomorrow
4 at noon or slightly before noon but I really do feel
5 like that as difficult as the process is that we
6 probably made some significant strides during the past
7 six years. It hasn't always been easy but that's my
8 two cents, and I'm sticking to it.

9 MR. TYNAN: Thank you, Jim, very much.
10 Other members of the Committee?

11 (No response.)

12 MR. TYNAN: Okay. Then I'm going to ask
13 Mr. Painter, you had a comment that you wanted to
14 make?

15 MR. PAINTER: You know, I hardly know where
16 to begin listening to the process over the last few
17 days, and the Agency dealing with the Union and the
18 fact of not sharing information that it apparently had
19 and talking about going in a direction in which we
20 didn't know where we were going, it seems as though
21 today we have a clear vision. And the sharing of that
22 information, you know, would surely have been helpful

1 and to give an understanding and there may be some
2 cases that we disagree and that's okay. But in what I
3 determine to be the deliberate hiding or withholding
4 of information is what I determine to be unacceptable
5 and not dealing in good faith, and I want to say that
6 my confidence in some of the people in upper level
7 Agency has really been tested lately, and I hope to be
8 able to get back to a level that I don't feel as
9 though it's quite so tested.

10 I'm really confused regarding the numbers
11 with the *Salmonella* regarding traditional versus HIMP.
12 I would have like to have saw the stats regarding
13 traditional versus HIMP, in the use of anti-microbial
14 agents, if that came to play in the numbers as well.
15 Was it that HIMP plants use more anti-microbial
16 agents? It could be. It could not be. We don't
17 know.

18 I would like to conclude by saying this. If
19 I'm a parent, I would expect to give more parental
20 supervision to a child to make it turn out the way I
21 wanted it to be versus less supervision.

22 MR. TYNAN: Dr. Bratcher.

1 DR. BRATCHER: I think it's extremely
2 important from the standpoint of upper management that
3 they consider not only the Union's perspective on all
4 these things, but also ATSP and NAFE, and as many of
5 you know, we've not had consultations with our
6 organizations for several years now, and I think that
7 it was extremely critical that we had some
8 representation from management and supervisors which
9 our organizations are particularly made up of field
10 people, because I think we could have lent a lot of
11 expertise to some of the process and the direction
12 that the Agency is headed. I think it would be
13 critical that we start some of these consultations
14 back particularly as we move forward with some of
15 these new initiatives, and I would hope that you would
16 consider that.

17 MR. TYNAN: Thank you, Chris. I'm going to
18 let -- Mr. McKee, did you have a comment?

19 MR. McKEE: I do. This is my first go
20 around in an event like this, and it's been very
21 enlightening to me. One of the things that really
22 occurred to me as I sat and listened the last few days

1 is that there may be a disconnect between the consumer
2 groups and possibly part of the Agency with regard to
3 what we actually do in the plants, and I would just
4 like to assure everyone here that we have a very well
5 qualified, well trained inspection team to carry out
6 the proposals that are before us now. It's extremely
7 important to inquire about what's going on and come to
8 a full understanding and proceed armed with full
9 knowledge. I think that has been reiterated time and
10 again. You've got a great group of people here, that
11 work well together. There are some conflicting
12 agendas by nature. It's to the Agency's credit that
13 they have brought all players on board now. We have
14 our labor force represented, our employee groups, and
15 I think all stakeholders are now present at the table,
16 and hopefully working together, we'll get this
17 packaged up and be able to take it on the road.

18 MR. TYNAN: Thank you, Robert. Anyone else
19 have a comment?

20 DR. RAYMOND: Yeah, I do. I will and then
21 I'll run, and then we can re-engage at 8:15 if we
22 would like, if there's a response to my comment, but I

1 do have to run, but while these things are fresh in my
2 mind, I do want to make a couple of comments. Mike,
3 I'm sorry I had to run out, and if you've got
4 something that you want me to remember, you'll have to
5 come and tell me. Barb maybe took good notes.

6 Some things a lot of people may not know but
7 I think they're important to put out on the table as
8 part of this discussion, it was a year ago, a year ago
9 and 2 months ago, 14 months ago, when the Agency
10 actually showed me their plan for more robust risk-
11 based inspection. And at that point in time I said,
12 you know, parts of this I just cannot sell, I cannot
13 defend, I do not believe in, and I asked them. Go
14 back. Take your committees and this is what I would
15 like to see more of, and a lot of it was around the NR
16 issues and other things, but I asked them to please go
17 back and let's get something that's more solid, less
18 human. I won't call it error. Differences between
19 inspectors, the human element. Let's see how we can
20 get some of the human out, you can't get human element
21 gone but get it so that it isn't such a factor. You
22 know, and they went back and they worked diligently,

1 and so a year ago, we didn't have the product because
2 the product we had, I was not going to push. And so
3 that's one reason we didn't have things out there real
4 early.

5 Also I had asked, and I said who in the
6 consumer groups had you discussed this with, who in
7 industry have you discussed this with, how many of our
8 employees have you discussed this with, and I did not
9 see -- I was not convinced I should say, to at least
10 the style that I want to display, I did not see that
11 it had been vented enough in the public's eye. I
12 wanted it more open. I wanted it more transparent.

13 Now we've been criticized for not being open
14 and transparent, but we certainly made an effort in
15 the last year to get more input. We've modified
16 things as we've gone along. Barbara and I actually
17 flew down to Alabama, probably about a year ago this
18 month, to visit Mr. Painter personally, just to have a
19 three person conversation to begin to discuss at that
20 time, my goals for risk-based inspection. I wanted to
21 hear Mr. Painter's concerns about what our goals at
22 the time were, so we could start making adjustments

1 early on to take into effect those of our members that
2 are out there in the workforce and are in the field
3 and should have input. We've begun having town hall
4 meetings with our employees. We've had four focus
5 groups just on risk-based with all levels of employees
6 just in the last few months. We tried to, you know,
7 involve them in that way. We've met monthly with the
8 consumers and probably 90 percent of the hour I spend
9 with consumers every month is between budget and risk-
10 based. I mean we have talked about it, and it was
11 just two meetings ago, that it became apparent to me
12 that the inherent risk of the product and the
13 methodology that had been used to define that, which I
14 did not have anything to do with, that doesn't make it
15 good or bad, but the other one I said go back and
16 change, inherent risk, I thought if we have 23
17 scientists that know a lot more than I do about food
18 microbiology and food safety, I just did not think it
19 would be controversial. I apologized to the consumer
20 group when I met with the last time. I apologize to
21 this group. That's my ignorance. I thought who would
22 argue with the scientists and I did not realize how

1 volatile that part of ranking 23 food products in
2 order and as someone said earlier, I think most of us
3 agree on the safest and the riskiest, and those ones
4 in between maybe we could have discussions about, but
5 that doesn't really change the Y axis a lot. But
6 hearing at the two meetings that I had with consumers
7 and then hearing two days of the same concerns voiced
8 yesterday, we do need to take a look at the data. I
9 admit that. And I apologize for that. If that hadn't
10 have been so contentious, maybe we'd be rolling
11 something out before Christmas, but our database won't
12 be ready, but we're going to have to go back and
13 reframe some of those things. So I accept that.
14 That's my fault. I did not look, I did not pay
15 attention to it.

16 Comments have been made many times that we
17 already do some risk-based inspection by our
18 inspectors and I certainly agree with that, and that's
19 good that they do that, and it's good that they have
20 the knowledge to do that but, you know, once in a
21 while, people like Mark Schad called me up and said,
22 that inspector of yours is in my plant four hours and

1 the guy down the street is making the same stuff I
2 make, and they're only giving him two hours. They
3 don't like me because I had an appeal last year and I
4 won it or whatever. There's the human element there
5 that comes in, and then we have to answer that then.
6 If we can say, you know, it's based on your track
7 record, Mark, it's based on the product you make,
8 therefore you fall into category 5 and you've got to
9 move to the left to get out category 5. So I'm not
10 saying inspectors do that out of spite, but sometimes
11 the human element enters in and then we have to get
12 into that fight. So we're just trying to help the
13 inspectors out by giving them science base to support
14 it.

15 And then lastly, and then I'm going to run,
16 but, Mr. Painter, you said if you were a parent, you
17 would give more parental attention to a child to make
18 it turn out the way you want, I think that's kind of
19 paraphrased what you said, and that's exactly what I
20 want to do with the plant out there in zone 5. That
21 plant needs some parental supervision because that
22 plant owner is not showing that he or she can do it on

1 their own. They're still an adolescent. They need a
2 little help, and we're going to try to give them that
3 help. The child down in zone 1, you know, that's the
4 Eagle Scout. That's the one that you let go out at
5 night and say be home by midnight and you never
6 question. So that's not a builder analogy, but it's
7 the best I can do on the slide there, Sandra.

8 Okay. See you all tomorrow morning.

9 MR. TYNAN: If there's no other comments
10 from the Committee or from our employee organization
11 representatives, then I would suggest we take maybe a
12 15 minute break. Subcommittee 1, and again just for
13 purposes so that everybody knows because we made the
14 changes, but it's Dr. Carpenter is going to be the
15 Chair, will be in this room. Dr. Bayse will be a
16 participant, Mr. Govro will be here, Mr. Kowalcyk and
17 Mr. Schad will participate in that one. Then we have
18 another room called FM-7, don't ask me where, but we
19 have somebody who will guide you Dr. Denton, so you
20 will not be lost, but Dr. Denton is going to be the
21 Chair of that, and he's going to be addressing the
22 issue of Risk in Slaughter Operations, and in his

1 group will be Ms. Eskin, Mr. Finnegan. It'll be
2 Mr. Elfering, Dr. Harris, Dr. Leech and Mr. Link.

3 Now I think at the end of the day, did we
4 get everybody assigned properly? No. Okay.

5 DR. MASTERS: And just to be certain -- can
6 I have your attention for one more minute.

7 MR. TYNAN: Just a minute.

8 DR. MASTERS: Our employee representatives
9 should choose the Subcommittee that's most interesting
10 to them because we do want them to participate in the
11 Subcommittees and their input is very valuable.

12 MR. TYNAN: I was going to say that. Okay.
13 With that, we're going to take a break, and then we'll
14 convene back here --

15 (Whereupon, at 2:00 p.m., the meeting was
16 concluded.)

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C E R T I F I C A T E

This is to certify that the attached proceedings
in the matter of:

NATIONAL ADVISORY COMMITTEE ON

MEAT AND POULTRY INSPECTION

Washington, D.C.

October 12, 2006

were held as herein appears, and that this is the
original transcription thereof for the files of the
United States Department of Agriculture, Food Safety
and Inspection Service.

TIMOTHY BOND, Reporter

FREE STATE REPORTING, INC.