

1 fully work up all those suspected of liver disease.

2 DR. ENGLUND: Dr. DeGruttola.

3 DR. DeGRUTTOLA: Yes, I want to agree with
4 the need for longer-term clinical efficacy studies.
5 I think this is a setting in which it's
6 particularly hard to interpret the surrogate
7 endpoints--both the virologic endpoints and some of
8 the liver enzyme information.

9 I also think that it's important to make
10 the best use of mutations at the start of treatment
11 to try and identify patients who will have a
12 non-durable response. Patients who got a 1-log
13 drop but did not go below detection were considered
14 as successes in this study, but I think finding out
15 whether they have a durable effect, and also
16 finding out whether it's possible to predict who
17 will get a short-term but not durable effect, who
18 will get a durable effect, and who won't get any
19 effect--making the best use of the information at

1 baseline is also important.

2 And, similarly, in terms of predicting
3 toxicities, I think it's important to try and
4 classify both patients who will be at most risk,
5 and also try to identify both the group of patients
6 for whom one can predict that toxicities will be
7 relatively modest or acceptable; and also patients
8 in whom we just don't know, including--as has been
9 mentioned--for women--so there are patients for
10 whom we can say they will be at high risk, and
11 patients for whom we might be able to say they're
12 at low risk, and also patients for which the risk
13 isn't well enough established.

14 DR. ENGLUND: Dr. Wood?

15 DR. WOOD: In addition to the comments that
16 have already been echoed by my colleagues regarding
17 the need to assess the durability of effect, as
18 well as clinical outcomes, I think it's going to be
19 very important that with the approval of this drug
20 it's made clear to practicing clinicians that there
21 really is--based on the data that was presented--no
22 indication for tipranavir if an individual has

1 evidence of susceptibility to other licensed
2 protease inhibitors, simply because development of
3 tipranavir resistance mutations is then associated
4 with cross-resistance to other PIs.

5 I also thing that, based on the data that
6 we have regarding drug-drug interactions with other
7 PIs, it appears, preliminarily, that tipranavir
8 would really be the only protease inhibitor allowed
9 in a regimen because of the presumed decrease in
10 efficacy, based on diminished AUCs and C

mins of

11 amprenavir, saquinavir and lopinavir. Because
12 right now, in terms of salvage approaches, many
13 clinicians are using one or two PIs in addition to
14 other nucleoside analogs.

15 The other thing that I think needs to be
16 reinforced that, in terms of assessing the benefit,
17 it really needs to be reinforced and made clear
18 that tipranavir--in a heavily treatment-experienced
19 population--really does requite another active
20 drug; a drug with a high probability of activity.

21 I think the data was very strong and very
22 consistent for T-20. I think the reason it is is

1 because that's generally the one drug that most
2 heavily treatment-experienced people have not seen
3 because of the formidable challenges associated
4 with its administration.

5 I think that we also need to, in addition
6 to focusing on the drug-drug interactions and
7 looking at the anti-lipidemic agents because of the
8 tipranavir-induced changes in cholesterol and
9 triglycerides, I think another priority focus needs
10 to be with anti-diabetic agents. Many patients who
11 are heavily treatment-experienced also experience
12 lipodystrophy. They have already evidence of
13 insulin resistance, or frank and overt diabetes
14 required in their co-management.

15 So identifying those drug interactions
16 with tipranavir would also be something that I
17 would consider a priority--in addition to looking
18 at additional oral contraceptives in women. That
19 has got to be just at the front gate. Because the
20 is that one thing that's reinforced among all
21 practitioners, particularly for their female
22 patients, is the need for contraception. And it

1 will be very important to know about those drug
2 interactions.

3 DR. ENGLUND: For the non-voting people, I
4 would be happy to have you say something, but it's
5 going to have to be pretty short. And I first get
6 to add something.

7 And I'd say, in addition to oral
8 contraceptives, certainly in the adolescent clinic
9 we aren't trusting oral contraceptives at
10 all--zero. And we need information on some of the
11 other contraceptives--the patches and the
12 implantable contraceptives. That's my opinion.

13 And next, Ms. Dee.

14 MS. DEE: Thanks.

15 You know, I think I don't have anything to
16 add to what people said about what needs to be
17 done. And I'm watching the indication shrink
18 as--that 11/4 get qualified as we go around the
19 room.

20 But I would like to know from the agency
21 what authority do they have to say: "Okay, this is
22 what you need to do to educate patients and

1 physicians--"--just about the drug interactions.
2 And to the applicant: what do they plan to do to
3 let people know that if you do certain things--I
4 mean, this drug is going to be decreased, and that
5 one's going to be increased. I mean, there are
6 some pretty severe problems here with what we have,
7 and what we know now.

8 DR. ENGLUND: I'd like Dr. Birnkrant to
9 answer that, but first could I just have if there's
10 any comments from the rest of you.

11 Dr. Fish?

12 DR. FISH: In terms of the clinical events
13 that were discussed in terms of 24 weeks, I don't
14 think--and the FDA can comment on this--that we
15 would expect to see a difference within the 24
16 weeks.

17 So it is a casualty of an accelerated
18 approval type of drug because of the situation of
19 the highly treatment-experienced patients that are
20 needing this drug.

21 In terms of the follow-up monitoring, the
22 only other thing I would add is monitoring for

1 coronary artery disease risk, and cerebral vascular
2 disease risk, given the lipid abnormalities that
3 have been seen.

4 DR. ENGLUND: Dr. Kumar.

5 DR. KUMAR: I have a little bit different
6 take on this whole thing than my colleagues around
7 the table--especially the hepatologists. And I
8 just want to come back and be able to publicly
9 state that.

10 And I hear all the concerns that
11 especially hepatologists raise and the clinicians
12 around it.

13 But to me, as a clinician--and I went back
14 and looked at these clinical trials and who were
15 the people studied in these clinical trials?

16 85 percent of the patients that were in
17 RESIST 1 and RESIST 2 had an AIDS-defining event;
18 about half of them had at least received five
19 different protease inhibitors. So this was an
20 extremely treatment-experienced group of patients.

21 And in those patients--of course we worry
22 about safety, whether it's liver, whether it's

1 lipids, whether it's rash. But I want to come back
2 to say that the [XXX sounds like BAH] in which we
3 look at these very heavily treatment-experienced
4 patients is a lot different from a naive patient
5 population. And all the concerns that we raised I
6 think should not deter from the fact that at the
7 present time this is one of the few agents that we
8 have that are shown to be effective in such a
9 highly treatment-experienced patients.

10 I would like to be able to say that, as a
11 clinician: yes, we're concerned about toxicity.
12 And to me, what I would like to--as I read through
13 all the data is to look at which is the patient
14 population that's heavily treatment-experienced,
15 that needs the drug, that we can safely give it to.
16 And there a number of them, because even the
17 patients that developed hepatitis, in many of them,
18 it resolved despite continuing the drug.

19 So it's really to determine what Dr.
20 DeGruttola, you said, is to look at the people
21 that will best benefit from it, and can be safely
22 monitored on that drug.

23 Regarding durability, that was raised by
24 many of my colleagues around the table: no single
25 agent, no matter how potent it is, is going to be

1 durable if there are no additional drugs associated
2 with that. And so that burden cannot be put on
3 tipranavir to say that it's not durable. It's to
4 say what can we do to have other durable agents,
5 and to have clinical trials; not that the issue is
6 that they don't allow investigational agents to be
7 added on. And that's what they end up doing
8 sequential therapy.

9 It's, you know, what can we do to have
10 other more potent drugs down the line to be added
11 earlier on in clinical trials.

12 DR. ENGLUND: Thank you.

13 And Dr. Haubrich.

14 DR. HAUBRICH: Well, I certainly agree with
15 all of the suggestions about trying to define the
16 population and monitor for safety. I think my
17 comments are most closely aligned with Dr. Kumar.

18 The one thing that struck me here is that
19 when studies like this were designed, we were all

1 applauding them because they didn't require
2 maintaining patients on a failing regimen in the
3 control arm. That type of design is completely
4 contradictory to being able to show a clinical
5 benefit, because all of your control-arm people
6 drop out of the study.

7 There is no way this study was designed to
8 show a clinical benefit. And I'm actually a little
9 bit concerned that if there's calls to do such a
10 study it will detract from doing the many other
11 things that people have reported here that are much
12 more important.

13 I personally believe--and the agency
14 changed the way that drugs are approved in 1995 not
15 to require clinical endpoint in studies. So even
16 though we certainly would like to see that drugs
17 benefit patients and keep them alive longer, I
18 think that the cost and--you know, just trying to
19 get people to do a study like that would just be
20 infeasible, because you'd have to keep people on a
21 control arm, which no one wants to do.

22 So, although I echo all the things that

1 need to be done to determine safety and drug
2 interactions, I think that it isn't feasible in
3 this day and age to design a study to look at
4 clinical endpoints--and hope that people would stay
5 away from that recommendation.

6 DR. ENGLUND: Dr. Birnkrant?

7 DR. BIRNKRANT: In follow-up to the
8 question related to educational materials: we
9 believe it's in everyone's interest--the agency,
10 the company, the treating physicians and the
11 patient's--that there be adequate educational
12 materials. And I'm sure Boehringer Ingelheim could
13 prepare a very thorough and detailed program.

14 But what we never seem to get is whether
15 or not these educational programs actually work.
16 So we have companies preparing various slides and
17 other types of materials for practitioners, and
18 patients as well. But we never get that next step,
19 which is a testing--an actual formal testing--of
20 the materials in a large group of those actually
21 using the drug, with the condition, etcetera, to
22 see if it really pays off.

23 My hope is that educational materials will
24 be developed that will be adequate, and that
25 physicians who, in general, do not treat this type

1 of patient population will refer to those with a
2 lot more experience.

3 But again, as you mentioned--or someone
4 mentioned--that's not something we regulate--for
5 the most part.

6 DR. ENGLUND: I would like to briefly try
7 to summarize, before we move on to the next
8 question. And I think I'd like to say that we, as
9 a Committee, feel that the need for this drug in
10 our patient population is high. The potential
11 benefits are high. The risks are certainly
12 present, and that we as a group have concerns.
13 Some of these concerns can be addressed in the
14 company with long-term studies, and these
15 studies--as pointed out by Dr. Haubrich--should
16 involved long-term follow-up.

17 We want to know durability of these drugs.
18 We want to know long-term interactions. We want to
19 know side effects that are clinical. I don't

1 believe we need clinical endpoints, but we need
2 clinical incidence rates; what is coronary artery
3 disease, what is going on with diabetes, what is
4 going on--and how are the doctors in your trial,
5 which is widespread throughout the world--how are
6 you helping them manage their patients. We could
7 translate some of that into our clinics--although
8 you certainly have more pull, during the clinical
9 phase of testing.

10 We have questions, as a group, concerning
11 management of toxicity, management by specialists,
12 management with drug-drug interactions--which are
13 clearly not all answered, and that will be a
14 problem from day one of use of this drug in the
15 clinic.

16 We have a concern of when to use
17 additional drugs. We have a concern of which
18 resistant data should we be using, and it needs to
19 be defined clearly for even physicians in
20 experienced clinics.

21 I think most important of all--this has
22 bene repeatedly brought out by many of the

1 non-physician and physician members on this
2 panel--and that is the lack of women in the
3 studies. And to hear that the ongoing studies are
4 not even preferentially enrolling women in studies,
5 or setting up clinic studies where women are
6 targeted, to me is still not appropriate. I think
7 we could be doing studies out of OB/GYN clinics to
8 try and get increased women, particularly when you
9 have this background noise signal which has pointed
10 out, related to oral contraceptives. And I think
11 this could be brought, and it needs to be
12 importantly raised up.

13 We have studies that have been
14 requested--and these some in my notes. In terms of
15 regarding liver, we need much more information on
16 liver and hepatic function, and long-term hepatic
17 function; output on cholesterol and
18 hypertriglyceridemia; on predictions of toxicity
19 from baseline; of rash incidence; and, again, of
20 women.

21 With that--Dr. Birnkrant?

22 DR. BIRNKRANT: Two more things: one is Dr.

1 Murray would like to make a comment. And the
2 second thing is I'd like maybe five minutes--10
3 minutes, max--discussion on the indication.

4 First we'll hear from Dr. Murray.

5 DR. MURRAY: Just as somebody who's been
6 with this division for 13 years, and has sat
7 through every Advisory meeting since ddC approval--

8 [Laughter.]

9 --[laughs]--I felt like I wanted to say
10 something about clinical endpoint studies, because
11 in addition to the drug-specific advisory committee
12 meetings, we've had several topic-specific
13 meetings, including the validation of HIV RNA,
14 which I helped to participate in to a large part in
15 1997, and then there was a salvage committee
16 meeting in 2000. There have been many other
17 salvage meeting nationally--one hosted by the
18 Forum. Veronica Miller was instrumental in that.
19 But there's been several others.

20 And so I just have a comment on clinical
21 endpoint studies for registration.

22 I think that, over the years, both

1 investigators and participants have decided
2 that--or have told us, many times, at many
3 meetings--that those trials are not the type of
4 trials that they think that can be enrolled and
5 that participants now want to participate in for
6 the salvage population.

7 If you will remember, one of the last
8 clinical endpoint trials, for ritonavir, in an
9 advanced population, with a median CD4 cell count
10 of 20, participants were made to stay on for 16, 24
11 weeks--whatever time period--until they actually
12 had an opportunistic infection. They could not
13 switch over. If they were on the control, they
14 could not switch over to the active drug. And in a
15 salvage population, many instances, you're going to
16 have a suboptimal control because you won't
17 necessarily have a lot of new drugs to combine it
18 with.

19 Participants and investigators, at the
20 time, thought it was not palatable for
21 participants; it was unethical; and they no longer
22 wanted to wait until somebody has CMV,

1 pneumocystis, MAC, on a comparator arm before they
2 were allowed to switch.

3 So, in a salvage population, if you want
4 clinical endpoint studies, you have to be willing
5 to wait for a patient to physically fail and have
6 an opportunistic infection.

7 With the onset of viral load monitoring
8 back in 1997, I thought that we had--and then the
9 salvage meetings back in 2000, and all the other
10 numerous meetings--I thought really that this issue
11 of clinical endpoints in the salvage population had
12 been--for registrational purposes, not strategy
13 trials. That might be different--had been laid to
14 rest.

15 So, I mean, if it's still an open
16 question, I would like everybody to really think
17 hard about what it means for a salvage patient to
18 participate in an HIV clinical endpoint study in
19 2005.

20 DR. ENGLUND: DR. DeGruttola.

21 DR. DeGRUTTOLA: Yes, I don't think there's
22 any doubt about the difficulty any kind of a study

1 in a salvage population. And as Dr. Murray noted,
2 you certainly can't do a salvage study which is
3 requiring patients to stay on a therapy that they
4 and their physicians have a high degree belief is
5 ineffective and there's a better therapy available.

6 But I think there are some other factors
7 that we need to take into consideration.

8 One is that when we're using surrogate
9 endpoints, we're doing so because we have a belief
10 that those surrogates will tell us, ultimately,
11 whether the treatment effect on the surrogate is
12 going to predict a clinical benefit.

13 And it's not at all--I think this is a
14 case study of when it is particularly difficult to
15 make that kind of an inference. Because we have a
16 situation where there's a clear effect on the
17 surrogate--the short-term viral load--in favor of
18 efficacy; there are clear effects on surrogates and
19 some clinical effects that are adverse. And what
20 we're asked to do is to somehow come up with a
21 model--whether an actual model or just an idea in
22 our head--that allows us to combine all of this

1 information and compute some kind of risk-benefit
2 that will tell us: yes, making this drug available
3 is going to be to the benefit of the patient
4 population; or no, we think it won't.

5 And I think just the amount of diversity
6 of opinion on this panel here indicates that we
7 can't really do that prediction at this point.

8 Now, on the other hand, no one is saying
9 that we should be attempting to do studies that
10 either can't enroll, or request patients to do
11 something that they and their physicians really
12 believe is suboptimal, but we certainly could
13 collect clinical endpoints in a rigorous way, in
14 the kinds of studies that we're talking about. It
15 appears that these are very advanced patients, in
16 which there is going to be not only risk of
17 important clinical endpoints--both those reflecting
18 toxicity and efficacy--but in some cases mortality.
19 And I believe that that information could be useful
20 for two purposes: one is it would allow us to
21 compare both the people that got the new
22 drug--tipranavir, in this case--right from the

1 start, and those who were delayed, and some, of
2 course, who are getting it in a delayed fashion may
3 not get it at all, so it would allow us that
4 information. It would also allow us better to
5 relate some of the markers that we have to the
6 ultimate clinical endpoints that we're concerned
7 about, so that we could go back and put together
8 all the salvage studies that we have--I know this
9 is a big undertaking. I wish there were a simple
10 way to do it.

11 But I think if there were--if we could put
12 together all the information that we can from
13 salvage studies together, we could better
14 understand what the meaning is of some of the
15 short-term markers on longer-term benefit; and also
16 identify the important predictors--as we've talked
17 about today, both predictors for efficacy and for
18 toxicity--to really pion them down as well as
19 possible to see how well we can classify patients
20 from the start.

21 Obviously, it's a lot easier not to do
22 those things. But the question is: if we don't do

1 them, are we ever really going to get the truth
2 about the impact of these drugs on patients' health
3 and well being.

4 DR. ENGLUND: Thank you. With that I'd
5 like to ask members of the Committee questions
6 about the indication that they would recommend this
7 drug to be used for. And I'm supposed go and
8 retrospectively say that Dr. Jeff Murray, who
9 spoke, was the Deputy Director of the Division of
10 Antiviral Drugs. Just--I'm sorry, that's late.

11 Questions on indication. We voted--as a
12 committee we voted yes. Who should we be
13 recommending this to be used for.

14 That's you question, Dr.
15 Birnkran--correct?

16 DR. BIRNKRANT: That's correct. We're
17 interested specifically in the patient population
18 for the indication.

19 DR. ENGLUND: I think it's been clearly
20 stated, at least on this side of the table--and the
21 non-voting side of the table--that it needs to be
22 used for those who have advanced disease and

1 failure of other available--and actually some of us
2 voting people--those patients who have failed
3 available first-line and even second-line protease
4 inhibitor therapy.

5 Could we have some other issues?

6 Dr. Miller?

7 DR. MILLER: Yes, I don't think that
8 necessarily they need to have advanced disease. I
9 think you can have failed several protease
10 inhibitors for whatever reason, and not necessarily
11 have advanced disease. So I would make that
12 distinction there.

13 DR. ENGLUND: Would you say solely "failed
14 other--"

15 DR. MILLER: Yes. In my opinion, the
16 disease state should not come into play here.

17 DR. ENGLUND: Okay.

18 DR. ENGLUND: Dr. Grant.

19 DR. GRANT: I think the data mainly bears
20 on patients with protease inhibitor resistant
21 virus, rather than protease inhibitor experience,
22 per se. And I guess I wouldn't expect this drug to

1 be used in someone who had wild-type failure of
2 protease inhibitors in the past.

3 And so--you know, I guess I would
4 recommend the indication be written in terms of PI
5 resistance, rather than PI experience.

6 DR. MILLER: Can I just make another
7 comment on that? I think that's actually very
8 good, because the patient could have also been
9 infected with a protease-resistant virus, so not
10 necessarily be experienced. And that would still
11 be the same.

12 DR. ENGLUND: Dr. Munk?

13 DR. MUNK: Yes, that was really the point I
14 was going to make, is that I don't protease
15 inhibitor treatment-experienced is appropriate or
16 adequate, but it should really talk about
17 protease-resistant virus, or multiple
18 protease-resistant virus.

19 DR. ENGLUND: Do you want to specify tests
20 here? Anyone?

21 Dr. Gerber.

22 DR. GERBER: Yes, I mean, I think what we

1 really are saying, that before you start somebody
2 with tipranavir, you need to have genotypic and
3 phenotypic testing, number one to make sure that
4 the patient is still susceptible to tipranavir.
5 Because if it's a 30, 40-fold reduction to
6 tipranavir susceptibility, this would not be the
7 drug you'd want to use; and also to be sure that
8 that is the only option available--for example, if
9 you have a two-fold reduction to amprenavir, then
10 you might choose another direction.

11 DR. ENGLUND: Dr. Maldarelli.

12 DR. MALDARELLI: Yes, I think getting both
13 of the tests at the beginning may be--it may be a
14 little bit of overkill. I think if you got the
15 genotype first and noticed that it had any of those
16 key mutations--82, 84, and perhaps 90; I don't
17 think there will be a lot of 33s at baseline--then
18 that might be something you wouldn't anticipate
19 using it in those patients.

20 So, in other words, the entry criteria for
21 this trial is really all the data we have that's
22 useful. There are no clinical--as nearly as I

1 could tell, there were no break points defined by
2 this trial or any of their others.

3 DR. ENGLUND: Dr. Grant.

4 DR. GRANT: Yes, I agree that either a
5 genotype or a phenotype would be adequate. And I
6 wouldn't specify the test or the exact
7 interpretation of what "resistance" is, because
8 that actually evolves fairly quickly, and you
9 wouldn't want to tie this indication to the current
10 interpretation of those tests.

11 DR. ENGLUND: Dr. Miller.

12 DR. MILLER: I just had a question: to what
13 extent, if a resistance test requirement is
14 included in the label that mandates how the drug is
15 used across the different programs--like the ADA
16 programs and, you know different situations. You
17 know, I just don't know to what extent resistance
18 testing is, in fact, being reimbursed, and whether
19 that would limit the access of patients to this
20 drug?

21 DR. ENGLUND: Well, I can tell you as a
22 practitioner that if it's not required I'm going to

1 have trouble potentially getting funding for it
2 through my state. So--I'm sure it varies.

3 Any other experience? I know it's hard.

4 DR. MUNK: Just the fact that it is
5 required doesn't guarantee it will be reimbursed.

6 DR. ENGLUND: Absolutely. And I guess I
7 would consider that requiring both genotyping and
8 phenotyping might be a problem.

9 VOICE: [Off mike.] [Inaudible.]

10 DR. ENGLUND: Sure, Doug--Dr. Mayers?

11 DR. MAYERS: We struggled with that issue
12 ourselves, with labeling. And I can tell you we've
13 surveyed 30 of the 50 states already, and 13 of the
14 states do not support resistance testing of either
15 genotype or phenotype.

16 DR. BIRNKRANT: We appreciate the input we
17 heard about the indications. So we're comfortable
18 moving on.

19 DR. ENGLUND: Good.

20 [Laughter.]

21 Question 2--we have really discussed this
22 at length, and we have--we are so fortunate to have

1 our hepatologist panel over there to give us some
2 ideas. And I'd specifically like to hear from
3 them.

4 For Question 2 it says:"Given the data on
5 transaminase elevations, please provide your
6 recommendations for TPV/ritonavir use in patients
7 with underlying liver disease, monitoring and
8 management of hepatotoxicity, and future studies."

9 Now, since some of you were "no" voters, I
10 would really like specific indications on future
11 studies that you really think that we need--as well
12 as the other bullet points.

13 Dr. Sherman.

14 DR. SHERMAN: So, the problem with
15 transaminase elevations and how they've been used
16 in the past is that they are, at best, a surrogate
17 marker for current activity. And what they don't
18 reflect is actually the disease that's been; the
19 fibrosis in the liver.

20 And at the end of the day, in most
21 patients, except those who develop fulminant
22 hepatic failure acutely from a virus, or a drug, or

1 some combination, the issue is really not the acute
2 injury. It's what happens over time, and in what
3 milieu that occurs, because patients over time
4 develop fibrosis, develop portal hypertension. And
5 it's the physiologic changes associated with
6 altered blood flow that, at the end of the day,
7 make the difference in defining what we call
8 end-stage liver disease.

9 This is a really tough question. We could
10 take a page from what's been done with other
11 hepatotoxic drugs that are in common use--for
12 example, methotrexate, by rheumatologists and by
13 dermatologists for treatment of psoriasis. And
14 what they basically say is: if liver enzymes are
15 normal at the onset, then you monitor those
16 patients. And at some point down the road--and
17 there are recommendations that many, if not all,
18 patients need a staging liver biopsy to see what's
19 going on.

20 And if patients have abnormalities at
21 baseline, then those should be evaluated at that
22 time, before a drug is initiated, to become part of

1 the decision process.

2 And in this setting, many of the patients
3 that have underlying or baseline liver
4 abnormalities are going to already have them
5 because of presence of hep B, or hep C; perhaps
6 they're already on some hepatotoxic agents.
7 Recommendations already exist that patients with
8 chronic and hepatitis and hep C should be biopsied
9 for evaluation. And recommendations exist that
10 patients with abnormal enzymes, and replicative
11 hepatitis B should be biopsied and evaluated.

12 So, if you take those out and say,
13 "Following the rules, those patients should be
14 biopsied anyway, before you make a beginning
15 decision--"--and, by definition, "chronic
16 hepatitis" is defined as abnormal liver enzymes for
17 six months or longer--something that's been long
18 ignored in the ID community--I think that if you
19 can document that liver enzyme abnormalities have
20 been present--again, a baseline liver biopsy is
21 mandated.

22 And with that information, looking at the

1 degree of disease activity at baseline, looking at
2 fibrosis that's present on an individual patient
3 basis, a risk-benefit ratio can be assigned.

4 Unfortunately, at this point we don't even
5 have the data that tells us that the patients who
6 have advanced liver disease are the ones at
7 greatest risk for long-term injury. And that's a
8 study that should be done--perhaps following liver
9 enzymes in patients where biopsy criteria at
10 different stages are known; a cross-sectional
11 analysis that follows forward prospectively.

12 But, you know, we do now have guidelines
13 and recommendations, for example, that say that in
14 a patient who is being treated with an agent like
15 ddI, which is relatively contraindicated with an
16 interferon ribavirin--particular the ribavirin--is
17 added, that the patients that are at greatest risk
18 are those who have more advanced liver disease.
19 Those are the ones that tip over and decompensate
20 and go on to end-stage liver disease.

21 So, in terms of guidelines, I would say:
22 biopsy patients who have abnormal liver enzymes--as

1 is appropriate for the work-up of patients with
2 abnormal liver enzymes. And, on an individual
3 patient basis, based on degree of fibrosis and the
4 severity of their HIV disease, in the setting of
5 drug resistance, make a decision about initiation
6 of tipranavir.

7 DR. ENGLUND: You're next--yes, Dr.
8 Rodriguez-Torres.

9 DR. RODRIGUEZ-TORRES: Yes, I agree a
10 hundred percent with Dr. Sherman.

11 But in terms of specific studies, a study
12 in hep C co-infected patients, with pre--and
13 biopsies along the way, prospective, will be fine.

14 An idea--I don't know if the sponsor can
15 consider a large, more loose follow-up of ALT of
16 patients that are entered into treatment, like
17 requiring baseline at least a minimal work-over;
18 hep C, hepatitis B--and then follow, as the
19 physicians that treat the patients to report ALT
20 elevations and probably consider biopsy later on on
21 the treatment. That's another idea that could give
22 much more information.

23 DR. ENGLUND: The representative from Johns
24 Hopkins?

25 DR. SULKOWSKI: I wanted to make a comment

1 on some of these issues, because I'm unique, in
2 that--

3 DR. ENGLUND: Could you identify your name,
4 please?

5 DR. SULKOWSKI: Dr. Sulkowski, from Johns
6 Hopkins.

7 I wanted to make a comment on this issue
8 of the treatment of patients with underlying liver
9 disease, because I'm a person trained in infectious
10 disease, who's spent the last 10 years working on
11 liver disease. I think the perspective is this:
12 that in a patient with few, if any, drug options
13 for HIV treatment, in that context, we really are
14 in the pre-HOT era, where liver disease is not the
15 driving mortality. And that's true of many cohort
16 studies. It's still true of our patient
17 population.

18 The second thing I wanted to say about
19 antiretroviral toxicity is it is not by any means

1 unique to this particular agent. In our clinical
2 cohort, among naive patients starting their first
3 PI, 12 percent develop Grade 3, 4 liver enzyme
4 elevations. If they're hepatitis C or B infected,
5 that number goes up to 15 to 18 percent, develop
6 Grade 3, 4 liver enzyme elevations.

7 It's certainly not my intention to
8 minimize this signal that's been seen in the RESIST
9 1 and 2 trials, but I would comment that this is a
10 situation that clinicians--at least in east
11 Baltimore--have seen before with other drugs and,
12 with appropriate guidance, can deal with very well.

13 I'm concerned about the discussion of
14 liver biopsy--although, clearly, I think it has a
15 role in the work-up of ALT--it's simply not
16 accessible for many patients. And, clearly, it's
17 part of a risk-benefit assessment.

18 So I wanted to get back to this question
19 about risk-benefit, because that's why I think we
20 need to talk about this.

21 Thanks.

22 DR. ENGLUND: Dr. Munk?

23 DR. MUNK: Yes--to kind of pick up on what
24 Dr. Sulkowski was saying, I think it's unreasonable
25 to put the whole question of liver impairment and

1 prognosis under HIV treatment on this one drug, and
2 this one sponsor. And it's something that I really
3 hope the FDA will carefully consider how to examine
4 the broader issue: how do we deal with co-infected
5 patients?

6 What kinds of studies are needed? And
7 there are probably going to be multi-manufacturer,
8 multi-product studies to really get some
9 answers--about liver impairment; how many times
10 should we biopsy? Which patients are at highest
11 risk for decompensation--and so on.

12 DR. ENGLUND: Thank you.

13 Briefly, to summarize, I think that
14 there's some concern that the hepatitis and
15 increase in liver function enzymes that were seen
16 in these patients can be a problem in a subset or
17 minority of patients. How specific it is to
18 tipranavir in this highly treated patient
19 population perhaps is not so clear.

20 The concern of transaminases as a
21 reflection of the true liver function perhaps is
22 worthy of further follow-up. And I think everyone
23 is concerned that at least the patients enrolled in
24 this study--and others--need long-term follow-up to
25 help the clinicians know how to deal with these

1 type of patients.

2 And that's probably not a very great
3 summary.

4 Do you have an additional summary?

5 DR. GERBER: No. I have an additional
6 comment.

7 Although we keep talking about, you know,
8 liver problems associated with using antiretroviral
9 therapy, but this study had a comparator arm. And
10 the hepatic abnormality with tipranavir was twice
11 as bad as the comparator arm. So we have something
12 to compare them to.

13 Now, if the reason the comparator arm
14 didn't have hepatotoxicity is because nobody was
15 taking the medications, that's one thing. But if
16 it's truly--if I'm to believe that there was very

1 significant adherence to this therapy, this would
2 indicate that this drug is more hepatotoxic than
3 the comparator PIs that are available on the
4 market. That's the way I interpret this.

5 I could be wrong in my interpretation, but
6 that's way I would interpret that.

7 DR. JAMES: Dr. England, can I say
8 something quickly? I'm sorry--do you want to go
9 first?

10 I just want to echo that statement and
11 remind everybody that it wasn't just tipranavir
12 against the comparator arm. Remember, we had 19
13 percent liver toxicity seen in healthy normal. So
14 this is not just a signal in HIV-infected patients
15 who get a protease inhibitor. These are healthy
16 people, baseline normal LFTs, and they have
17 abnormalities after taking tipranavir--some of them
18 six days' worth of dosing.

19 So I think that's significant.

20 The other thing I just wanted to have you
21 all comment on is specifically your thoughts about
22 using tipranavir in patients who are co-infected at

1 baseline--so what your thoughts are on hepatitis B
2 and C patients' getting this drug.

3 DR. BIRNKRANT: So, more directly: is there
4 a patient population with liver disease in whom you
5 would not use this product--for the record?

6 DR. SHERMAN: May Dr. Sherman try?

7 DR. ENGLUND: Dr. Sherman?

8 DR. SHERMAN: Okay. As Dr. Sulkowski
9 indicated, his studies and others showed that with
10 initiation of most antiretrovirals, those patients
11 that already have underlying liver disease,
12 including hep B and hep C, are at greater risk of
13 developing enzyme abnormalities that we associate
14 with ongoing hepatotoxicity. And we don't exclude
15 those patients from being treated.

16 In terms of the issue of the hep C
17 specifically, there are no data. The sponsor
18 indicated that they plan on moving forward and
19 looking at interactions with interferon and
20 ribavirin which today is the standard therapy. The
21 agency approved PEG interferon alpha-2A and
22 ribavirin for treatment of co-infected patients

1 just a short time ago. And so it is critical to
2 know what interactions may be present before making
3 the decision to embark on such therapy.

4 Patients who are sitting, though, with
5 very, very low CD4 counts are probably not going to
6 be patients they're going to candidates for that
7 therapy initially. But if they respond, we're
8 going to need to know that answer, because we're
9 not going to take them off, then, their regimen
10 containing tipranavir to treat them with interferon
11 and ribavirin.

12 So, I think that at this stage, the jury
13 is out. And that's one of the earliest study that
14 the sponsor is going to have to deal with.

15 All of these are risk-benefit assessments.
16 And I think that they come down to risk-benefit
17 assessments in individuals. And to agree with and
18 still disagree with Dr. Sulkowski's comments
19 before, you look at each patient. A patient who
20 has multi-drug resistant disease, whose CD4s are
21 low but not critically low, who doesn't yet have
22 severe opportunistic infections--you'd really like

1 to know where you're at before starting a
2 potentially hepatotoxic agent. And that
3 patient--if they had cirrhosis, you might choose to
4 delay.

5 And I think it still comes down to
6 clinicians and patients making individual
7 decisions. And somehow that's going to have to be
8 emphasized in the labeling.

9 DR. MORSE: I just wanted to add one thing
10 that hasn't been mentioned, and that is: in a few
11 of those slides there was a suggestion that there's
12 a concentration relationship with hepatotoxicity.
13 And if you believe that data is strong enough, and
14 it's possible that certain elevated concentrations
15 may make hepatotoxicity occur more frequently or
16 occur more severely, that certainly follow-up
17 studies would need to clarify that relationship,
18 because it seems like it was already present in the
19 preliminary studies.

20 DR. ENGLUND: Dr. Kumar?

21 DR. KUMAR: I want to make--from the
22 practical standpoint--Dr. Sherman, I hear very well

1 what you said about the liver biopsies. But from
2 the practical standpoint, it will be impossible for
3 us as clinicians to get liver biopsies on people
4 with mild abnormal liver enzymes.

5 I recognize that clearly there are
6 concerns about hepatotoxicity, but when we
7 translate it into the clinical world, if we are
8 required to get a liver biopsy before we can
9 initiate this drug, we just will not be able to use
10 this drug. That's just from the practical
11 standpoint.

12 And we have had many other drugs that are
13 hepatotoxic. And what we have done as clinicians
14 is, once we have recognized that they're
15 hepatotoxic agents what we do is we monitor
16 patients, both clinically and with lab
17 measurements, using a combination of both. And I
18 can't see why we could not do the same thing for
19 this agent.

20 There are other drugs--including
21 nevirapine, which clearly is hepatotoxic--and the
22 way we manage that is we have recognize who--for

1 which group of patients it's hepatotoxic, with
2 clear parameters on how to monitor them. And I
3 would think, from the clinical standpoint, if the
4 agency approves this drug, that is the way we, as
5 clinicians, would like to monitor it.

6 DR. ENGLUND: Ms. Dee?

7 MS. DEE: Thank you.

8 I'm just wondering--I've often been
9 accused of having perseverance. Given all of the
10 reasons why we can't make all of these
11 qualifications in the indication and get any use
12 out of this drug practically, again I would like to
13 know for the record what authority the agency has
14 to say to any sponsor: "Look--"--other than a black
15 box label or something in the label.

16 Is there something that the agency could
17 make them do to educate physicians about the high
18 risk for liver disease, or the drug interactions.

19 I mean, what is it that we can do to get
20 this news out widely ahead of time instead of
21 waiting to hear it on the news.

22 DR. ENGLUND: Dr. Birnkrant first.

23 DR. BIRNKRANT: One thing we could do is we
24 could negotiate with the company and reach an
25 agreement, and then put that agreement into the

1 letter that they would receive when we make our
2 regulatory decision. And in that way, that would
3 be binding.

4 DR. ENGLUND: Dr. Kuritzkes?

5 DR. KURITZKES: Daniel Kuritzkes, Harvard
6 Medical School. A quick comment on the issue of
7 the comparison in hepatotoxic events between the
8 tipranavir arm and the comparator PI arm.

9 There's no doubt that tipranavir causes
10 hepatic toxicity. However, I don't think the
11 RESIST studies can be interpreted as randomized
12 comparisons of the risk of hepatotoxicity between
13 tipranavir and the comparator PI arms.

14 Recall that investigators were asked to
15 select a drug that the patients would take, and the
16 patients had to be on a failing protease inhibitor,
17 and they had to have essentially normal LFTs--less
18 than Grade 2--to get in. And you'll recall, as
19 well, how many patients remained on the same

1 failing drug.

2 So, in essence, you selected against
3 pre-existing toxicity, or known toxicity of the
4 available protease inhibitors. And so there is a
5 kind of an inverse bias against finding toxicity in
6 the comparator PIs.

7 That doesn't minimize the fact that
8 hepatotoxicity occurs with tipranavir. It's just
9 that this is not a randomize comparison of risk of
10 hepatotoxicity between existing PIs and tipranavir.

11 DR. ENGLUND: Last comment on this
12 question.

13 Dr. Rodriguez-Torres.

14 DR. RODRIGUEZ-TORRES: To answer
15 specifically the question that Andrea, I think,
16 asked, I won't say that hepatitis C or B
17 co-infected patients should be omitted from
18 enrollment in treatment. But certainly those with
19 moderate to severe fibrosis shouldn't receive
20 therapy--and as Dr. Sherman says, if I have in
21 front of me a VIRCO test of a patient that
22 qualifies for this drug, but she happens to have

1 400 CD4, and is actively employed, and no
2 complaints, no symptoms.

3 It's a different story with this patient
4 as with somebody that has 5 CD4, that is at the end
5 of the road. So you have to consider that.

6 For this patient hepatotoxicity is much
7 more important risk than for somebody that doesn't
8 have anything else.

9 DR. ENGLUND: Okay--Dr. DeGruttola.

10 DR. DeGRUTTOLA: Yes, a quick response to
11 Dr. Kuritzkes' comments.

12 I agree that, as he said, because you're
13 specifically selecting patients who are doing well
14 on their current protease, that the comparisons of
15 the amount of liver abnormalities on the tipranavir
16 compared to the control arm are biased in the
17 direction that he mentioned.

18 However, I would say that since this is
19 how tipranavir might be used to make a decision
20 whether to switch a patient onto the new agent,
21 tipranavir, or keep them on the proteases that
22 they're currently taking--or the regimen that

1 they're currently taking--or making some other
2 change that doesn't involve tipranavir, that
3 comparison may actually be what's relevant, in
4 terms of making the decision--even though it isn't
5 the unbiased estimate of the effect of tipranavir
6 compared to another drug.

7 DR. ENGLUND: Okay. Thank you very much.

8 I'd like to move on to Question 3. And,
9 Dr. Birnkrant--

10 DR. BIRNKRANT: Actually, I'm sorry to
11 delay that. We still need input with regard to
12 monitoring and management.

13 So, in other words, do we monitor at
14 baseline? Week 4? Week 8? Week 12--and
15 periodically thereafter? Could someone propose a
16 monitoring scheme for us?

17 DR. ENGLUND: Dr. Fish.

18 DR. FISH: I can tell you how would
19 envision seeing myself doing this in the practice.

20 I would look at the entry criteria that
21 you used for the study. So I would not start
22 someone who had more than Grade 2 elevations in

1 their liver enzymes. And I probably would monitor
2 them every other week, personally, in the
3 beginning, if they've got underlying liver disease.
4 To me that seems not particularly
5 burdensome--perhaps even weekly if I were extra
6 concerned, but certainly every other week would
7 seem reasonable.

8 I would also avoid it in patients whom I
9 suspect have clinical cirrhosis. It is true that
10 we are not able to biopsy all of our patients with
11 LFT abnormalities. We are fortunate in New York
12 that we are funded such that we can often get
13 biopsies. But in many states your patients on
14 ADAP, your patients on Medicaid will not have a
15 liver biopsy available to them.

16 So, I may have a normal AST or a normal
17 ALT, but their albumin is 2.5, and their platelets
18 are 75,000, so I'm going to suspect that they
19 probably have a significant amount of liver
20 disease, and the company--the sponsor--talked about
21 doing studies in patients with chronic liver
22 disease, including compensated cirrhosis. So we

1 would await that data.

2 DR. ENGLUND: Anyone else?

3 DR. WOOD: I would just maintain that since
4 we saw the Kaplan-Meier showed that showed that
5 there was continued increase in the incidence of
6 hepatotoxicity, that it be reinforced that, in
7 addition to very vigilant monitoring--initially,
8 following the start, every two weeks, then every
9 month--that vigilant monitoring would have to
10 continue as long as the patients remained on
11 tipranavir. At a very minimum, you know, maybe
12 after Week 24, at least every two months. Because
13 the issue is that there was still a continuing
14 incidence of increasing liver transaminases.

15 DR. BIRNKRANT: Okay, that's fine. We can
16 move on.

17 DR. ENGLUND: Do you want us to address
18 Question 3? Have we discussed that already? I
19 think we could go on at great length about Question
20 No. 3, but we have specifically addressed this.

21 DR. BIRNKRANT: Well, if the Committee is
22 comfortable with what was discussed already, we can

1 move on to the next question.

2 DR. ENGLUND: The question is: increased
3 incidence of rash in females--please provide your
4 recommendations.

5 It's been recommended by multiple people
6 that we need more information--urgently.

7 Dr. Miller?

8 DR. MILLER: I was just going to make one
9 additional suggestion, and that is in HIV-positive
10 women, now that the epidemic is aging, there's the
11 opportunity to also look at this in older women who
12 may not be on birth control, and so that that may
13 be a good population to actually look at also.

14 DR. ENGLUND: Thank you.

15 Dr. Wood?

16 DR. WOOD: Just one more comment. Thank
17 you.

18 I do think that there would need to be a
19 recommendation in the labeling, to clinicians,
20 regarding how to manage individuals who developed
21 rash. Whether there's a recommendation once the
22 rash develops--since--I think the encouraging news

1 is that there was no evidence of Stevens-Johnson,
2 EM or TEN associated with the rashes that were
3 described in the studies presented.

4 But--I think there are going to need to be
5 recommendations regarding do you continue to just
6 press on and treat through, or is the official
7 recommendation that tipranavir be discontinued upon
8 the development of rash?

9 DR. JOHANN-LIANG: What happened with the
10 issue of women taking tipranavir at this
11 time--before these studies are done? Somebody had
12 brought that out. We just want to be clear--part
13 of the inclusion--the indication.

14 DR. WOOD: That women can take it?

15 DR. JOHANN-LIANG: Yes. I think the need
16 is, is that we would--even though there clearly is
17 disappointment, in that there is not more data
18 regarding toxicity, and viral efficacy in women, I
19 think it would be a real disservice--particularly
20 given the heterosexual nature of the epidemic in
21 this country--to deny access to tipranavir to women
22 at this time. So they definitely should have

1 access to the drug.

2 DR. ENGLUND: But I would just like to say,
3 after being on this committee for four or five
4 years, I'm getting to the point where I'm ready to
5 not allow this drug to be licensed because of the
6 lack of availability in women.

7 I mean, this is a recurrent, recurrent,
8 recurrent theme. And I think that it's--we don't
9 want to discriminate against the women. On the
10 other hand, I think that manufacturers need to be
11 actively recruiting women in studies like this.
12 You can't just go to a clinic and enroll whoever
13 shows up.

14 So I would like to recommend that
15 strongly.

16 MS. DEE: Just very quickly--and how many
17 women do we think are going to get on this study
18 now? This rash study, now?

19 I think--you know, maybe they missed their
20 chance on this.

21 DR. ENGLUND: Well, they are doing studies
22 in naive people--which should include women.

23 MS. DEE: But I'm just saying the
24 minute--if I was a woman, I may not want to get on
25 this--number one--and number--I am a woman, right?

1 [Laughter.]

2 [Laughs.] And, number two, you know, if I
3 started to get this rash I might think--you might
4 see the back of me pretty quickly. You know, you
5 might not be able to get a chance to--you know,
6 "Can this be treated through?" or whatever.

7 I'm just saying they may have missed their
8 chance to characterize this better.

9 DR. JAMES: Just to let you know, the naive
10 study is fully enrolled, and it's about 20 percent.

11 DR. ENGLUND: Dr. Birnkrant? Anything
12 else?

13 DR. BIRNKRANT: We can move on.

14 DR. ENGLUND: To move on--Question Number
15 4, which basically is an opportunity for those
16 around the table to discuss post-marketing drug
17 interaction studies. I think this is a very
18 important point.

19 "Current information indicates the next

1 effect of tipranavir/ritonavir and substrates of
2 CYP 1A2, CYP 2C9, 2C19, and 2D6 is not known. The
3 competing effects of tipranavir/ritonavir on CYP 3A
4 and P-gp.

5 "Please comment on additional
6 post-marketing drug interaction studies."

7 I think this is really an important part,
8 and I'd want to make sure, especially, that the far
9 right-hand side of the table--our pharmacology end
10 of the room--can speak up here. Everyone gets to
11 speak up, but we value your opinion.

12 DR. BIRNKRANT: So, for the record, we need
13 to hear whether or not additional post-marketing
14 testing, with regard to drug interactions, needs to
15 be conducted.

16 If so, then which drugs?

17 [Pause.]

18 DR. ENGLUND: I'll call on someone.

19 [Laughter.]

20 Dr. Hall--has volunteered.

21 DR. HALL: Oh, I think an excellent first
22 step is the one proposed by the sponsor, which is

1 to conduct a cocktail study which would essentially
2 mean administering a whole bunch of probes for the
3 individual cytochrome P450s, and obtaining a lot of
4 information in one single well-conducted study that
5 would tell them if the 1A2, 2D6, 2C9, 2C19 enzymes
6 were going to be significantly affected.

7 So I think that's an excellent approach
8 that they have suggested. Of course, the devil is
9 in the details--which we didn't hear much about.
10 And perhaps they could comment a little on that.

11 One of the components that they proposed
12 was to include a P-gp probe as part of the mixture,
13 which is also an excellent idea in the context of
14 their hypothesis that the interactions with the
15 other protease inhibitors were mediated by a
16 P-glycoprotein effect--which we all have to
17 understand is just a hypothesis, and it's not based
18 really on anything substantial.

19 So, to actually take a well-characterized
20 drug such as digoxin, for example, as a probe of
21 that would be an excellent approach, I think.

22 And the value of these studies is to

1 provide some kind of mechanistic understanding so
2 that we would be in a position to attempt to
3 extrapolate to the hundreds of possible drug
4 interactions that are out there, and perhaps take
5 the burden off conducting every single interaction
6 that somebody could think of.

7 I think one of the components that would
8 be important for them to look at in their studies
9 would be whether the liver and the intestine
10 activities of the enzymes and the transporters are
11 being affected. This is also going to add a lot of
12 mechanistic insight, and should improve their
13 ability to predict drugs that are not specifically
14 tested, once they've completed this study.

15 So, if they have some details on that, I
16 think it would be very instructive to hear of it.
17 I think it was mentioned that it was getting ready
18 to start, and I'd be curious to hear how they're
19 going to design such a study.

20 DR. ENGLUND: Dr. Capparelli?

21 DR. CAPPARELLI: I'd just like to echo the
22 idea of working forward from a mechanistic

1 standpoint, in addition to the design of having the
2 commonly used agents looked at.

3 As soon as you get beyond even a two-drug
4 combination, it gets complicated. But I think
5 understanding where these interactions are coming
6 from is extremely important.

7 So, in looking at the probe, digoxin may
8 not be a common drug but, as was mentioned, that
9 would be one that could be given. And looking at
10 it both orally and IV would give insight into
11 exactly where things are going on.

12 And I think that we really need to
13 differentiate between those so that we can
14 understand where these interactions are coming
15 from.

16 I think that some of the data that was
17 presented earlier in regards to the proton-pump
18 inhibitors was helpful. And sort of maybe a
19 screening approach--whether or not it's a full
20 population, or just to get an idea of where some
21 issues might be--is a good thing to do.

22 I also think, from a mechanistic

1 standpoint, some of these things can be looked at
2 in preclinical settings, like with a knockout
3 model, so that some of the P-gp interactions--there
4 are knockout mouse models that one can look at.
5 And they should be interested in those aspects, as
6 well as recognizing that when we're thinking about
7 transporters, there's more than just P-gp. And we
8 may have--you know, it may be a transporter that
9 hasn't been mentioned here at all, in terms of the
10 MRPs.

11 So I think we need to make certain that we
12 aren't missing those components.

13 And, linked to that, one thing that wasn't
14 mentioned at all is if anyone's looked at any of
15 the genotype information. There are some
16 polymorphisms. What their functionality is is
17 still being understood.

18 But I think that incorporating some of
19 that in some of these drug interaction
20 studies--especially the more well-controlled
21 studies--is going to be important.

22 And then lastly, for the protease

1 inhibitors that have been tried thus far, I'd be
2 interested to hear if there's been any protein
3 binding studies. You've got drug that's extremely
4 highly bound, in high concentrations relative to
5 the other drugs. And what we may be seeing may be
6 due to transporter, but it may be due to
7 displacement if the free drug concentrations aren't
8 changing as much as, maybe, the total, it may
9 impact how we want to use these drugs in
10 combination. Although it does complicate the
11 assessments.

12 DR. ENGLUND: Any other suggestions from
13 the Committee?

14 Dr. Morse?

15 DR. MORSE: I agree with the things that
16 were presented by the company. And I certainly
17 agree with the idea of looking at SNPS. I think
18 this is one of the first drugs I've seen where drug
19 interactions are actually explained by the
20 induction of P-gp activity in the gut. So that was
21 very interesting.

22 I just wanted to follow up a little bit

1 more on the idea of dual protease inhibitors,
2 because I think in this patient population I would
3 not really give up.

4 To me, the negative interactions that were
5 observed can probably be overcome--maybe with a
6 little different study design, where patients are
7 maybe in a GCRC, and some dose escalation is done
8 with the second PI to find out what dose of that
9 second PI could then actually be given with
10 tipranavir.

11 So, although there were negative
12 interactions, I wouldn't give up on that.

13 DR. ENGLUND: Comment from Dr. Capparelli?

14 DR. CAPPARELLI: Yes, there's one
15 interaction that wasn't mentioned, and it may come
16 out--they may have information on it already. But
17 that has to do with tenofovir. Again, it has
18 activity on the transporters. It was used a lot in
19 this trial, and you may already have this
20 information.

21 But I think that's something that should
22 be looked at, given its interaction with other

1 protease inhibitors.

2 DR. ENGLUND: Dr. Sherman?

3 DR. SHERMAN: I'd just like to put in a
4 plug for beginning to investigate the interactions
5 with calcineurin inhibitors, and with IMDPH
6 inhibitors like MMF, that are used in the setting
7 of transplant. Because if we get the virus under
8 control with this and combination of other agents,
9 and if we have increased liver toxicity, we'll be
10 thinking about transplant.

11 DR. ENGLUND: Dr. Gerber.

12 DR. GERBER: Yes, I just want to re-stress
13 the importance of statin interactions. And it's
14 not predictable. You can give a cocktail all you
15 want, and identify the cytochrome P450--and let me
16 just give you an example. What was studied in the
17 ACTG was the interaction between efavirenz and
18 statins. And pravastatin, which is not a substrate
19 for cytochrome P450 3A4 was reduced by almost 50
20 percent by efavirenz--totally unexpected.

21 So I think drugs that are going to be
22 commonly used with tipranavir should be studied.

1 And we don't know all the information, for example,
2 how a drug is eliminated, or what transporters are
3 being used. There's a whole bunch of transporters
4 that we're not looking at.

5 And so drugs that are very commonly going
6 to be used in combination I think should be studied
7 individual. For example, phenytoin would be also a
8 good example. That's commonly seen in the clinic.
9 Valproic acid--etcetera.

10 DR. ENGLUND: Dr. Fish.

11 DR. FISH: It may be too late to do
12 anything about this particular drug, but a concern
13 that's clinically relevant for our patients--be it
14 tipranavir or any other protease inhibitor--is
15 midazolam. As our patients go for endoscopy and,
16 increasingly, as they become age 50 and older,
17 we're doing screening colonoscopies--many of the
18 endoscopy units only have midazolam available.

19 So our patients are getting exposed to
20 this drug, and yet it's on the contraindicated
21 list. It's probably the most frequently used
22 short-acting sedative that is given in endoscopy

1 centers and probably in hospitals for conscious
2 sedation.

3 DR. ENGLUND: Dr. Hall.

4 DR. HALL: I think you could be in luck
5 there, because I think the cocktail that could be
6 used would include midazolam. And so it could, you
7 know, solve two things with one study, then have a
8 specific answer to your question, as well as
9 provide a general answer as to what the CYP 3A
10 enzymes are doing.

11 DR. ENGLUND: Dr. Birnkrant, we've give you
12 a nice long list. Do you want more?

13 [Laughter.]

14 DR. BIRNKRANT: I think we're saturated on
15 that one.

16 DR. ENGLUND: Okay. We can move on.

17 Okay, I'll just summarize that we had
18 input from the committee, basically emphasizing
19 their agreement with the cocktail study, involving
20 the mechanistic approach and also specific
21 drugs--with a broad range of issues.

22 Now, for Question No. 5--Dr. Birnkrant, do

1 you want a vote on this? Or just a discussion?

2 DR. BIRNKRANT: I think we were hoping for
3 a brief discussion based on the information on the
4 biomarker that we presented: the Cmin over IC50.
5 And Boehringer Ingelheim presented information on C
6 trough levels.

7 I guess a general question would be: is it
8 worth exploring further uses of biomarkers to
9 determine whether or not therapeutic drug
10 monitoring would be helpful--with this drug and in
11 general--in a salvage population?

12 And we'd like a discussion, because not
13 only do we have Boehringer Ingelheim here today,
14 but we have many pharmaceutical companies in the
15 audience, and it may be pertinent for them to hear
16 the discussion, as well.

17 DR. ENGLUND: Dr. Grant--the question of
18 therapeutic drug monitoring, with this specific
19 example here.

20 DR. GRANT: Well, I think the concept is
21 promising, but it would be very premature to
22 recommend using these strategies for monitoring

1 therapy at this time. We have to keep in mind that
2 in order to do this well, we need three things,
3 really.

4 We need, first of all, a validated
5 protocol for collecting the specimens, which
6 includes the definition of when they should be
7 collected. And during these studies there was a
8 broad range of times used.

9 In addition, you need assays for measuring
10 the drug level and interpreting the drug level with
11 respect to protein binding that are validated and
12 have well characterized performance
13 characteristics.

14 In addition, the phenotypic assays, I
15 think, are all valuable but, to my knowledge, none
16 of the phenotypic assays have been fully evaluated
17 with respect to their performance
18 characteristics--at least not in the public domain.

19 And so I think it would be premature to
20 propose that this is ready for management of
21 individual patients at this time.

22 Having said that, I think the concept is

1 promising, and it bears not just on tipranavir, but
2 all of the PIs, I believe.

3 But it would require, you know, really
4 extensive validation of all three of those
5 components of this calculation: the timing of the
6 specimen; the drug resistance phenotypic assays and
7 the drug level assays, as well as adjustments for
8 protein binding.

9 DR. ENGLUND: Dr. Morse.

10 DR. MORSE: I think I'd agree with one out
11 of those three. I think the--I'm not sure if the
12 issue of protein binding in the context of
13 therapeutic drug monitoring would be an essential
14 component in order to interpret a concentration.

15 The assays and the ability to do this, I
16 think, is in place--in a clinical trial sense. I
17 agree it's not in place for all patients
18 everywhere.

19 ACTG is currently conducting a study
20 that's got 30 centers with therapeutic drug
21 monitoring.

22 So I think do-ability is maybe not the

1 only concern. Since you did mention there's other
2 companies in the audience, I'll say there's a
3 general lack of enthusiasm for having therapeutic
4 drug monitoring. It makes things more difficult,
5 and is not viewed that positively.

6 Having said that, based on the data I saw,
7 if I was a patient who probably had a cutoff
8 greater than 3, and if I had some other reasons for
9 being concerned about taking tipranavir, I'd want
10 to know what my concentration is in relationship to
11 susceptibility.

12 Having said that, the other item I agree
13 with is that a lot of places don't have phenotype
14 results. So you wind up with mutations--and there
15 is actually some data that have developed something
16 called a "genotypic inhibitory quotient." There's
17 also the VIRCO Virtual Phenotype. So there are ways
18 to get numbers for that denominator.

19 So I think what I'm saying is that I
20 totally agree: it should not be a requirement to
21 use this drug. But I do agree there are certain
22 patients that might take this drug, where studies

1 of therapeutic drug monitoring might be able to
2 identify if an outcome can be enhanced, or a
3 toxicity avoided.

4 DR. ENGLUND: Ms. Dee?

5 MS. DEE: I'm not sure what needs to be
6 done for therapeutic monitoring for everybody to
7 feel happy with it. I don't think the companies
8 are going to be excited about it, and I don't think
9 they're going to do it unless they get some message
10 from on high that it might be a good idea.

11 I think, from a patient perspective,
12 really about time that we started to explore when
13 it's useful, and if it's useful. I know there are
14 plenty of people in the community--I mean, they do
15 it in Europe pretty much--it's accepted there as
16 part of the standard of care.

17 And I think that there are many people in
18 the community that would really like to know
19 whether it would be helpful to them to monitor
20 their levels. And if there are drugs that will
21 work for them--if it's just a question of adjusting
22 doses--that they'd like for this area to be

1 explored.

2 DR. ENGLUND: From the sponsor. Identify
3 yourself, please.

4 DR. SHAPIRO: I'm Jonathan Shapiro. I work
5 at the National Hemophilia Center in Tel Aviv, and
6 Stanford University. And I'd like to comment on
7 TDM, since I have been on the other side of the
8 Atlantic doing it for a number of years. And I
9 think it's a very interesting concept.

10 I would caution--and I think we've learned
11 this from other new diagnostic modalities--I think
12 that we've seen very well correlations--as we did
13 today. Wonderful work by the agency and by the
14 sponsor--that the correlations between drug levels,
15 exposure, toxicity; the interaction between
16 resistance and pharmacology, where we get
17 IQs--these correlations have been shown very nicely
18 for many protease inhibitors. And I think it's
19 very comforting that we're seeing this again here.

20 The challenge has been, over the last five
21 or six years, turning this into a useful clinical
22 tool, and also making sure we don't damage our

1 patients. Just maybe commenting on the protocol
2 suggested by the agency, which I think would be
3 maybe interesting for a study--how you actually do
4 that, I can tell you from my practice--how, at two
5 weeks you determine if the drug is tolerated, based
6 on--you know, we know here that you can at two
7 weeks--how would I determine if now this patient is
8 tolerating this drug and I can safely increase it?
9 And if I increase it, how do I increase it? And
10 when do I measure it again?

11 These practical things have led--at least
12 on the other side of the Atlantic--also to negative
13 outcomes. If you're not sure what you're doing,
14 and this was not included in the study, at two
15 weeks to start increasing the dose before you're
16 sure what toxicity there is and how to monitor it
17 would be dangerous.

18 In addition, reducing dose of drugs--for
19 example, something that we've discussed before--for
20 NRTIs, when we see toxicity in high levels, to
21 actually reduce the dose of, let's say, efavirenz
22 to half, as a clinician is something which has not

1 been studied.

2 And there's dangers of putting out
3 technologies that have not been studied.

4 So, although I'm a proponent of TDM, I
5 think the right way to do it is through studies. I
6 think the ACTG has taken on this challenge.

7 I agree with you that the companies should
8 absolutely be forced to play ball--whether it's the
9 ACTG or other large investigators. But I would be
10 very cautious about doing TDM without having first
11 proven how to do it right, and that it has utility.

12 Thank you.

13 DR. BIRNKRANT: We recognize that, as well.
14 And we also realize that the assays that are
15 available today should not impact the approval of
16 this product.

17 But what we'd like to get feedback on is:
18 what type of trials should we be asking Boehringer
19 Ingelheim and others to do with regard to
20 therapeutic drug monitoring.

21 So we're asking a critical trials
22 question: in the next clinical trial, what should

1 we be asking the companies to do? Should we do
2 these concentration controlled studies? How should
3 we do them--etcetera.

4 DR. ENGLUND: Dr. Gerber?

5 DR. GERBER: Yes. These are very difficult
6 questions that you're asking. And I was
7 surprised--

8 DR. BIRNKRANT: Thank you.

9 [Laughter.]

10 DR. GERBER: That's your job, right? I was
11 surprised to hear Dr. Shapiro, who I've had
12 numerous arguments with about TDM in the past--

13 [Laughter.]

14 --but I think what I see a potential of
15 doing a trial is maybe reducing toxicity. Because
16 essentially almost all the trials that have looked
17 at--or a TDM where it's been most useful--has been
18 in reducing toxicity.

19 And I've reviewed TDM for probably 20
20 years for different drugs--obviously not HIV drugs.
21 And, really, the most useful aspect is to reduce
22 toxicity.

23 What I saw in the data that was presented,
24 that there was clearly a concentration-toxicity
25 relationship--especially hepatotoxicity, where at

1 certain concentrations, although it was a
2 continuum, which always makes things very, very
3 difficult.

4 So if there was a way to design that if
5 your concentration is about a certain--but then you
6 always have a question: what happens if your
7 concentration is changing? How many times do you
8 have to do TDM? And when are you going to get to a
9 level where it may not be efficacious any more. So
10 you get into problems with resistance. So those
11 are all extremely complex issues.

12 I've been generally very much against TDM
13 because of that--not to mention the fact that
14 laboratories that offer TDM frequently don't have
15 the quality control, and they've not undergone
16 proficiency testing necessary that I would feel
17 comfortable that what they're telling us the level
18 is is correct.

19 But I think reducing toxicity, if it could

1 be designed in a reasonable way, might be something
2 to look at.

3 Efficacy-wise, I think it might be a
4 little bit more difficult to do, because it would
5 clearly require either phenotypic or Virtual
6 Phenotype to know at what level of susceptibility
7 you have. And then, as we heard, that's not
8 offered universally.

9 But for toxicity, if you can identify a
10 concentration above which there are going to be
11 clearly high percentage of patients who develop
12 hepatotoxicity, that would be something to look at.

13 DR. ENGLUND: Dr. Maldarelli?

14 DR. MALDARELLI: I think I'd have to have
15 some concerns about making any recommendations
16 based on what I've heard and what I've seen in my
17 experience in doing TDM at NIH. I think it might
18 be a little bit difficult, even in the sense of
19 looking at toxicity.

20 The data that was presented today, I
21 agree, had a linear relationship between C
22 percent of patients with ALT toxicities. But that

min and

1 line has a fairly shallow slope. And the five
2 points that determined it probably have a much
3 larger standard deviation.

4 So making a firm recommendation based on
5 this I think is somewhat--I think is difficult.
6 And the data involving inter-patient variability
7 makes it even more problematic.

8 So I think making a firm conclusion today,
9 based on what I've heard, I'd have a hard problem.

10 DR. ENGLUND: Dr. Capparelli.

11 DR. CAPPARELLI: Yes, I would echo one of
12 the other requirements that we touched on earlier,
13 that wasn't brought up: is that there is a
14 significant amount of intra-patient variability.
15 And so anything that's going to be assessing
16 exposure really needs to be able to measure it
17 well.

18 I echo, also, a lot of the comments about
19 finding efficacy or effect from TDM in a controlled
20 trial is very difficult. It would take a large
21 number of individuals. And I don't think we're
22 going to be able to implement a trial that's going

1 to show it conclusively. And so it gets into more
2 of a religious argument.

3 [Laughter.]

4 But I think that even in the context of
5 that shortcoming, there are going to be patients
6 that will benefit from some monitoring of drug
7 concentrations in the context of their clinical
8 care, along with other information.

9 DR. ENGLUND: I would just like to add that
10 in my experience, relatively limited, if there were
11 a patient population it would be in the more highly
12 treated patient with multiple problems starting
13 out. I mean, if one were to focus the effort,
14 that's where one would be focusing on it, because
15 that would, in fact, help you with safety.

16 Any other comments?

17 DR. GRANT: I wanted to ask Dr. Gerber: if
18 the point of this is really to minimize toxicity,
19 shouldn't it be C
maxs that they're looking at,
20 rather than C mins?
Typically, with antibacterials,
21 we look at C max as the
best index of toxicity.

22 DR. GERBER: Well, I think it varies from

1 drug to drug. It's unclear--for certain drugs it's
2 clearly the C max that
causes toxicity. But for some
3 drugs it's the overall exposure, AUC. So it's
4 difficult to say.
5 C max is
impossible
to measure. That's going
6 to change from patient to patient, especially if
7 it's taken with food, because food alters the
8 gastric emptying, and that could be variable,
9 depending on how much food you're taking.
10 So if you're looking at C max, it might be
11 difficult to design a study around that.
12 But C min
or, you know, C₁₂--something that
13 can be looked at. But again, the accuracy of your
14 timing of when you collect--as you mentioned--is so
15 critical. And it's so wrong, frequently, that it
16 makes it very difficult to do a study.
17 DR. ENGLUND: With that--Dr. Birnkrant?
18 DR. BIRNKRANT: We have a clarification
19 here.
20 DR. GAGABUDU [ph]: This is Joe Gagabudu,
21 Pharmacometrics, FDA.
22 I just have a quick--I would like to

1 clarify two points.

2 The first point that was made about the
3 five points on the ALT elevation was the same in
4 graph, all the 52--all the subjects from study 52
5 were used to get the line. The symbols only
6 indicate the group averages at each interval.

7 So the curve is not derived just from five
8 points. It is derived from all the subjects
9 enrolled in the study.

10 The second point is: the relevance of C
max

11 versus C
argument
about--they're

min is only an

12 correlated. The only samples we had were C

min.

But

13 if you had C
would have a perfect

max, they

14 correlation between C
min and Cmax. So it's just the

15 coefficient that is being different. But it does
16 not matter, really, that we don't have C

max.

17 Thank you.

18 DR. ENGLUND: Okay.

19 With that, we're going to move on to
20 Question No. 7, due to the time period.

21 DR. BIRNKRANT: I think the fact that we
22 were moving so quickly recently, I think that we

1 can quickly just briefly discuss how you would like
2 the resistance data displayed in labeling--be it
3 for this drug or other drugs.

4 DR. ENGLUND: And we are fortunate to have
5 some--

6 DR. BIRNKRANT: Some examples here that we
7 can look at.

8 DR. BIRNKRANT: So our team looked at, or
9 conducted a number of analyses based on baseline
10 number of mutations, type, baseline phenotype,
11 etcetera. The looked at various endpoints, with
12 and without T-20 use.

13 [Slide.]

14 So in the next slide, one example of
15 displaying the data includes the other active drug
16 that was used in this trial--namely, T-20. And
17 then you can see that we have the number of
18 baseline mutations present in this table, and the
19 data is presented at 24 weeks.

20 [Slide.]

21 In the next example, you can see a graphic
22 display of data. And one of the key issues here is

1 that earlier time points are displayed.

2 So we'd like the Committee's feedback on
3 the most informative way to display the resistance
4 data from the trials.

5 DR. ENGLUND: Dr. Miller?

6 DR. MILLER: I think the graph actually
7 shows more information that would be easier to
8 understand for the people out there. The only
9 problem is it doesn't include the n's, which the
10 table does.

11 So if you could somehow include the n's
12 without making it too complicated.

13 DR. ENGLUND: Dr. Munk?

14 DR. MUNK: Yes, my concern about both of
15 these displays is that I think we've seen several
16 times in recent years how a gross number of
17 mutations gets overturned as we learn more about
18 which mutations specifically affect the performance
19 of the drug.

20 So I'd be leery of either one of these
21 displays.

22 DR. BIRNKRANT: Are there any other

1 suggestions, then?

2 DR. ENGLUND: Dr. Miller? Dr. Grant, did
3 you have an opinion?

4 DR. GRANT: Well, I think the graph is
5 easier to look at. The other table is more
6 difficult.

7 I guess I wanted to add, though, that it's
8 not clear to me how the FDA list of mutations was
9 derived. And it looked to me like it was less
10 predictive than the tipranavir score that was
11 developed by the manufacturer.

12 So I would not recommend using the FDA
13 list of mutations unless it could be demonstrated
14 to perform better than the tipranavir score.

15 DR. ENGLUND: Yes?

16 DR. DeGRUTTOLA: I think that this is one
17 situation in which whether the table is used, or
18 whether the graph is used--to get some sense,
19 again, of how well you're able to classify
20 individual patients; what proportion of patients
21 that fall in one category--the more sensitive
22 category--actually did get a good response, with

1 some measure of uncertainty; and what proportion
2 that fell into the class not expected to do so well
3 got an appropriate response.

4 And I think, just as was mentioned, to
5 look at different ways of coming up with those
6 classifications, including the FDA-identified
7 mutations, and also the tipranavir score to see
8 which one does the best at classification would be
9 useful to do.

10 But I think the classification is
11 important just to give a sense of what proportion
12 of patients, even if they fall into
13 the--quote--"good class" will still have problems
14 is useful in a setting where there are toxicities
15 of concern.

16 DR. ENGLUND: Dr. Miller?

17 DR. MILLER: I think I want to comment on
18 the comment that Dr. Munk made, which I think is a
19 good one. And this is going to be happening all
20 the time with all the drugs, especially as new
21 drugs get included. So if you have a PI that gets
22 approved that causes a mutation that we haven't yet

1 seen, that's going to reconfigure this whole mix.

2 So I think what the FDA, what the agency
3 really needs to do is to consider an ongoing review
4 of baseline resistance and outcome as time
5 progresses. But the information you have currently
6 is what people need to know.

7 But this may not be current next year. So
8 there needs to be a mechanism where the pertinent
9 resistance information gets reviewed and the labels
10 get updated.

11 DR. ENGLUND: Dr. Maldarelli?

12 DR. MALDARELLI: I think if you use the
13 graph or the table it should be clear that the data
14 were generated with a group of patients that were
15 PI-experienced, but not the deepest salvage.

16 So I think people might see this and say,
17 "Well, I have the most experienced patient, I might
18 expect the same kind of response"--when, in fact,
19 these data are restricted to patients who were
20 enrolled with a specific set of resistance
21 mutations.

22 DR. ENGLUND: Dr. Wood?

23 DR. WOOD: The one thing I like in terms of
24 the display of the data: the table, I think, gives
25 an immediate assessment of the proportion of

1 virologic responders, which I think is one of the
2 primary focus of clinicians. I think the other
3 thing about the table that's beneficial is that
4 there's the clear demonstration in terms of the
5 superior efficacy with the addition of T-20--which
6 is not specific to T-20, but the fact that it
7 represents an active drug.

8 And I think that you want to encourage
9 clinicians strongly to really try and prescribe
10 this drug with another active agent. Otherwise,
11 you're not going to achieve the kind of virologic
12 outcomes and, ultimately, durability and,
13 hopefully, clinical benefit that you want.

14 So my preference would be for the table.

15 DR. BIRNKRANT: One additional comment.

16 DR. NAEGER: I just want to clarify that
17 the FDA number--the 13 that we chose--is we're
18 trying to remain consistent for all sponsors
19 because we wanted labels to be more consistent.

20 If we had a different set of PI mutations
21 for every drug--because every drug is going to have
22 a different one--it becomes more complicated for
23 physicians when they're looking at the label. So
24 that's why we were trying to remain consistent.

25 DR. GRANT: But we--can I--

1 DR. ENGLUND: Yes.

2 DR. GRANT: I think you need to provide
3 some guidance, of whatever information's available
4 about what mutations actually predict response.
5 And using a common set of mutations really obscures
6 that fact that this now is a new PI, with a
7 different pattern of resistance.

8 And so I think that using the tipranavir
9 score, or at least including that somewhere in the
10 label is really important to allow those groups
11 that interpret genotypes to formulate rules based
12 on the best possible algorithm.

13 And this is a PI with a different
14 resistance pattern. So it's not clear that
15 there's--in fact, there's not supposed to be a
16 common set of mutations which applies to both this

1 drug and others.

2 DR. NAEGER: But the response that we're
3 looking at of these patients are patients who have
4 seen other PIs. So they're going to have this set
5 of mutations. And then we're looking at whether
6 these patients would best respond to
7 tipranavir--not if they've seen tipranavir before.

8 So these are common mutations that are,
9 you know, commonly in PI-experienced patients.

10 And using this number doesn't exclude us
11 saying types of mutations that also affect
12 response.

13 DR. ENGLUND: Dr. Munk, did you have
14 another comment?

15 DR. MUNK: No, it's really the same one.

16 These may be common PI mutations, but
17 they're not universal mutations. You can't say
18 that somebody who's been on therapy with these
19 three PIs, or any three PIs for five years in the
20 aggregate is going to have the same list as others.

21 I agree, the situation is a lot more
22 complicated than if you could have a standard list

1 of mutations, but I think that's reality. And I
2 would expect it would be much more predictive of
3 outcome to have a specific list of mutations.

4 DR. ENGLUND: Dr, DeGruttola?

5 DR. DeGRUTTOLA: I also remember that there
6 were some mutations that tended to
7 hypersensitive--if I'm not incorrect, 30 and
8 88--so, once again, calling into question the idea
9 of using a common list when, in fact, some of the
10 mutations might be beneficial.

11 DR. BIRNKRANT: Thank you.

12 DR. ENGLUND: With that--last question.

13 We are now are going to move on for
14 discussion and recommendations of future study
15 designs and data acquisitions for the heavily
16 pre-treated population.

17 I think this is a ver important question,
18 and one that I hope that we as a Committee can
19 provide some input for, because we hope to be
20 seeing more of these in the--some of us hope to see
21 more of them in the relatively near future. And
22 the distant future.

23 So, with that, let's be pretty specific in
24 our suggestions and comments here.

25 Dr. Fish.

1 DR. FISH: One suggestion, in terms of
2 data: the thing that I think would have very
3 helpful for clinicians to have up front in this
4 trial was, in real time, phenotypic data so that
5 you can use the fold change when you've got a
6 highly treatment-experienced population, and try
7 and use that to your advantage when you're
8 designing a cocktail.

9 So I think the phenotype does take a
10 little bit longer to get, and it's going to delay
11 your start of treatment. And that's a complicating
12 factor. It would be helpful.

13 DR. ENGLUND: Would you say "in addition to
14 genotype?"

15 DR. FISH: Yes. Yes.

16 I mean, certainly I understand for the
17 trial design they needed the genotype to determine
18 inclusion-exclusion criteria. But I think for
19 these really tough folks, if you can get both, that

1 is probably the ideal.

2 DR. ENGLUND: Ms. Dee?

3 MS. DEE: Yes. I think--and people have
4 said it--but I really do think that BI is to be
5 commended for studying this patient
6 population--probably the sickest patients we've
7 seen in a lot of trials; and also to be commended
8 for that 8-week escape clause, because there are
9 plenty of physicians and patients who believe that,
10 you know, these trials should be as ethical as
11 possible.

12 Now, having said that, we're now stuck
13 with this 24-week trial that is required under the
14 accelerated approval regulations. And we have the
15 agency using 8-week data to decide whether this is
16 an efficacious drug or not.

17 So--where does that leave us, I wonder?

18 Let me see what I have written here, since
19 I'm running out of gas.

20 So I saw a tortured analysis of what
21 really is happening here. And I'm wondering what is
22 reliable, given protocol violations and adherence

1 concerns.

2 And I'm also thinking about that salvage
3 meeting that the community essentially tortured the
4 agency until they had it, about trial design for
5 salvage patients. And the reason that we did that
6 was to get the word out that the agency was not
7 opposed to the study of two investigational drugs
8 in this population.

9 And unless we want to continue to sit here
10 and wonder what really is going on in these
11 trials--and I'm also thinking about--so, now the
12 only plans that I saw in our packet here was to
13 continue this same trial for 48 weeks. So what's
14 that going to tell us if we don't know what's
15 happening at 24 weeks?

16 And I'm also thinking: gee, I'm wonder
17 if--you know, companies, "Well, it's so hard to get
18 two companies together." And I bet Roche wished
19 they had gotten together with you earlier, as far
20 as getting a T-20 arm here. And I know we've
21 convinced one other sponsor to look at two
22 investigational drugs.

23 And unless other sponsors in the audience
24 want to sit on these hotseats, I think they maybe
25 need to get together with other companies. And the

1 only way to solve some of these problems is to do
2 studies of two drugs at one time.

3 DR. ENGLUND: Perhaps we could just go
4 around the table.

5 Dr. Wood? Do you have any comments? Or
6 is this too early?

7 DR. WOOD: I would have to concur that
8 there is the need to be able to--in this treatment
9 population we clearly know that it doesn't need to
10 be proven that adding a single active drug is not
11 going to get you anywhere. You have to have a
12 minimum of two active agents if you really want to
13 see sustained kind of responses in virologic and
14 immunologic surrogate markers. So I would have to
15 echo the issue of promoting cooperation to study
16 two investigational agents at the same time.

17 That is inherently fraught with a whole
18 host of difficulties, when you're trying to then
19 assess toxicity, and so forth, when you're dealing

1 with two investigational agents. But I do think
2 that's necessary.

3 Again, as far as the heavily pre-treated
4 population, I would just re-plead for the
5 aggressive inclusion of women; the aggressive
6 inclusion of individuals who are co-infected with
7 hep C and hep B, because they tend to have more
8 accelerated HIV disease, and there's really a need
9 to be able to have a certain sense of assuredness
10 in being able to provide new drug regimens in those
11 heavily treatment-experienced populations.

12 DR. DeGRUTTOLA: Again, I think it would be
13 very useful to have some clinical endpoints, even
14 in these marker studies, both to try and get a
15 sense of what the impact is of the randomization on
16 the clinical endpoints; and also to try and relate
17 the markers--the full suppression below 400; the
18 less than durable virologic suppression, and the
19 biologic non-response to some longer-term clinical
20 outcome.

21 I also think that it would be useful to do
22 analyses of the baseline mutations that predict not

1 only response and non-response but durability of
2 response. And I agree with Dr. Wood about the need
3 to recruit and understand better the impact of the
4 drug in women and hepatitis-infected individuals.

5 DR. ENGLUND: Perhaps we could go on.

6 Dr. Rodriguez-Torres? Any--

7 DR. RODRIGUEZ-TORRES: Nothing to add.

8 DR. ENGLUND: Okay.

9 Dr. Munk?

10 DR. MUNK: Yes, I would just echo the
11 comments that have already been made about
12 including women, including co-infected patients
13 with hepatitis, and especially the idea of studying
14 more than one investigational--investigational--it's
15 getting late--investigational agent at a time,
16 because I'm thinking of highly experienced patients
17 and how incredibly attractive that kind of a trial
18 would be from them to enroll in.

19 DR. ENGLUND: My question to you is: could
20 you then get a comparator arm?

21 DR. MUNK: Well, it's called a "matrix
22 design." Ask that gentleman to your left. I'm

1 sure that there are ways to address the issues.

2 DR. ENGLUND: Dr. Gerber?

3 DR. GERBER: I have very little to add to
4 what everybody else has said. I mean, I agree,
5 essentially, with everything.

6 The only potential concern that I do have
7 in these trials without a real comparator arm is if
8 you have a drug that's more toxic than usual--if
9 you had a safe drug that an efficacy--I don't think
10 there could be any--you know, you could do whatever
11 you want, essentially, and you would be able to
12 demonstration, you know, virologic efficacy.

13 What concerns me is when you have a drug
14 that's more toxic than the usual drugs that we use,
15 is how do you evaluate how that drug is performing
16 in the overall scheme of things?

17 And that's why I agree with Victor that we
18 have to--you know, you don't want to have--we don't
19 want to do a randomized trial and bring somebody
20 into a state of opportunistic infection, but we
21 want to be understanding how the toxicity of the
22 drug is affecting the overall survival.

23 DR. ENGLUND: Dr. Grant?

24 DR. GRANT: I think we need to remember
25 where this epidemic is. These studies need more

1 women, more Africans and more Asians. In these
2 studies--in the RESIST studies--it looks like .7
3 percent Asians, and yet six million of those
4 afflicted with HIV live on that continent.

5 DR. MILLER: So, basically, I agree with
6 everything that's been said.

7 And, in terms of the study designs, we did
8 have that meeting that Dr. Murray referred to, and
9 that report was actually just published in AIDS,
10 and a couple of designs are actually outlined in
11 that paper--both describing how you could combine
12 two or even three investigational new drugs, and
13 also how to show the benefit of one new drug to the
14 most benefit of patients.

15 So I think, you know, those trial designs
16 did seem to make a lot of sense, and they came out
17 of that meeting that was integrated; everybody that
18 is involved in kind of thinking about these study
19 designs.

20 One of the things--and this is something,
21 probably, for a separate discussion--but I think it
22 is time to start thinking about how the different
23 mutations and viral fitness is going to start
24 playing into all of this. Because some of the data
25 was summarized here today and, you know, there's a

1 lot of data out there that even with multi-drug
2 resistant virus, staying on treatment is
3 beneficial, as compared to coming off of treatment.

4 And so I think some of those
5 considerations. If we're going to be only focusing
6 on the viral load response in these patients, and
7 what that ultimate effect will be on the long-term
8 progression, it may actually be useful to start
9 thinking about how we might kind of bring CD4 cells
10 back as more of a prominent marker than it's
11 been--which is different than what you would do in
12 the naive patient populations.

13 But, then again, I think--we keep talking
14 about very heavily experienced patients, and I
15 think we do also have to keep remembering that some
16 of the patients will not be drug-experienced

1 themselves, but will have been infected by
2 multi-drug resistant viruses.

3 DR. MALDARELLI: So, I think that the two
4 points--I obviously agree with what we've all
5 developed so far--but the design of the protocol as
6 it was, using a rollover at eight weeks enable this
7 trial to be nearly a placebo-controlled trial since
8 so many people didn't even change their PI in the
9 control arm, knowing that they could roll over
10 later.

11 So that may not be such a bad thing. But
12 it must be clear that we can identify the effect of
13 the individual drug as it's being tested in an
14 advanced population.

15 The second point which comes out of it is
16 really managing the toxicities that we had. I
17 think what we heard--and I think they should be
18 investigated more aggressively than they were in
19 this, and probably any of the other trials, as
20 well. I think what I heard this afternoon was that
21 everybody in front of me said, "What we need are
22 better drugs," and everybody behind me said, "What

1 we need are better livers."

2 [Laughter.]

3 What we need is a better idea of what's
4 going on when abnormalities arise. And maybe that
5 means a better understanding of where those livers
6 are at baseline; whether it's--and I'm not
7 convinced that it may be a biopsy, but perhaps
8 knowing whether or not people have fatty liver,
9 either by ultrasound or some other technique that's
10 not too difficult or invasive, may not be a bad
11 idea to start with.

12 DR. MORSE: I switched from coffee to
13 water. That was a mistake.

14 [Laughter.]

15 Two very quick comments. I think that
16 particularly the comments directed at salvage
17 patients is that I'm coming to think that maybe
18 smaller numbers of more intensively studied
19 patients may give us answers that will allow us to
20 design better trials, rather than some of the
21 current approaches that we've taken.

22 I think the approach of fixed doses for

1 everyone on a salvage regimen, when there's
2 demonstrated pharmacokinetic variability, really
3 almost demands that we try to investigate it and
4 optimize drug exposure to whatever that
5 susceptibility is in that patient. We'll never get
6 to managing toxicity if we don't optimize the
7 assessment of the antiviral activity.

8 The other minor point, I would say, is
9 that there's been quite a bit of technology
10 advances and, for example, in some of the studies
11 where there are changes in area under the curve for
12 a nucleoside, it's now very--not easy, but it can
13 be done--where you include current measurement of
14 intracellular triphosphates so you could then say,
15 "Well, we don't know what 40 percent reduction
16 means, but, no, we've got this data, and the 40
17 percent reduction was associated with this."

18 So I think there's enough technologic
19 advances that may help out also.

20 DR. ENGLUND: Dr. Capparelli?

21 DR. CAPPARELLI: Yes, I wanted to echo a
22 little bit about enriching the

1 population--especially in terms of racial makeup;
2 in terms of ethnicity; and also in terms of
3 pediatrics. And I'm very happy that Boehringer
4 Ingelheim has taken that step, as well.

5 I also have worked in some projects from
6 the pediatric standpoint where we have to be a bit
7 opportunistic. And one aspect of the design which
8 I do appreciate is we do have the control arm that
9 we kind of lose a lot of information after they
10 switch over. And that may be an opportunity for us
11 to switch them over and do some of these other
12 investigations, such as alternative dosing, in
13 terms of how--drugs that we think we will want to
14 use to manage some of the adverse effects, and
15 doing some kinetic evaluation and some other
16 evaluations in a more controlled environment.

17 So that intensity doesn't screw up your
18 safety evaluation on your proposed dosing, but you
19 get some information that's much more helpful to
20 clinicians to manage these patients when they get
21 to the outside.

22 DR. ENGLUND: Dr. Hall?

23 DR. HALL: Yes, I think it would be great
24 in future studies to have a little more emphasis on
25 the outliers--the people who don't seem to respond,

1 and the people who have the excess toxicity--to try
2 and come up with sort of mechanisms as to why it's
3 happening: are they getting low plasma
4 concentrations for a reason? Do they have
5 something in common? And vice-versa: are the high
6 concentrations reflective of some underlying
7 difference between the patients?

8 And I think in this context, and given the
9 discussion about P-glycoprotein, then genotyping
10 should definitely be a part of future studies to
11 see if the expression of this transporter is in any
12 way influencing both the plasma concentrations and
13 then, of course, the lymphocyte concentrations,
14 which may also be, in part, determined by the
15 expression of these transporters.

16 So I think, looking out and being very
17 inventive with regard to genotyping could pay off
18 in identifying the extremes of the responses.

19 DR. ENGLUND: I would like to invite one

1 representative from the company--perhaps Dr.
2 Mayers--just briefly. I think you have now a great
3 deal of experience, from the company viewpoint, in
4 this very difficult-to-study patient population.
5 And we look forward to seeing more of this.

6 But could you give us any short viewpoint?

7 DR. MAYERS: I appreciate the opportunity
8 to. We did learn a lot of lessons from this trial.

9 One of the lessons is: if I can ever do a
10 placebo-controlled trial, I will do a
11 placebo-controlled trial on this population, with
12 another class of drugs. It makes life a lot
13 easier.

14 I think one of the real problems that the
15 Committee has brought up that we face is that: we
16 can get outcome data. We've got 85 percent of the
17 patients who were in the comparator arm rolled
18 over. We actually have long-term vital status on 98
19 percent of these patients. So we know what their
20 ultimate outcomes were.

21 But the problem is, it really becomes an
22 eight-week immediate versus deferred tipranavir

1 study. So it's very hard to distinguish, if you're
2 cynical, the difference between deferral tipranavir
3 therapy and the potential that you have new
4 toxicity because they've rolled onto your drug.
5 And so how you distinguish bad things happening
6 from when they're both on your drug becomes very
7 challenging.

8 I think one of the things that the ACTG is
9 doing that we'll probably integrate into our
10 studies in the future is that when patients fail
11 virologically and leave the study, we're going to
12 ask them to stay in the study and follow them for
13 safety data so that at least we can keep the
14 balanced comparator for long-term studies.

15 Because right now, the 48-week data, as
16 you can imagine, is actually more difficult to
17 interpret than the 24-week data because you have 10
18 percent of patients who are doing marvelously who
19 remain in the comparator arm--the 10 percent that
20 got undetectable--and you have 50 percent of the
21 patients in the tipranavir arm, half of whom are
22 doing well, and half of whom are doing quite

1 poorly--but have nowhere else to go.

2 And so the comparisons get worse. They
3 don't get better, in terms of trying to figure out
4 safety from this type of a design.

5 And I think it's a real issue that's going
6 to challenge all of us is: how you keep the trials
7 with enough options for the patient that it's
8 ethical, and you don't blow their last treatment
9 option in the study but, at the same time collect
10 enough data to give a meaningful interpretation of
11 safety and efficacy.

12 I think the design we did did a pretty
13 good job on getting efficacy. I think we have a
14 good handle on how well the drug works.

15 I think it's not as good a design as we'd
16 hoped for safety. And, as I said, one of the
17 things we may well do is to leave people in
18 follow-up who have failed treatment so that we can
19 safety data. But the problem that then occurs is:
20 if they all roll onto your drug, explaining the
21 differences becomes challenging.

22 And I do want to assure the Committee that

1 we are doing everything possible to make the drug
2 available for the back-up compounds other companies
3 have. We've already combined it with several of
4 the new non-nucs. We've combined it with--we are
5 in plans of several of several of the CCR5
6 inhibitors--so that we're actively working with the
7 companies to make the drug available.

8 The issue is, though, that all those drugs
9 go through 3A4 and P-gp, and so you can't predict
10 what the drug levels are going to be without doing
11 the drug-interaction studies.

12 So we're currently working aggressively to
13 get the drug interaction data in, to allow us to
14 then move forward with them to include tipranavir
15 in their pivotal studies once we know the drug
16 interactions.

17 Thank you for giving me a chance to talk
18 to the Committee.

19 DR. ENGLUND: Thank you.

20 Deb, do you have any other--

21 DR. BIRNKRANT: No other comments--just to
22 thank everyone for their input. We greatly

1 appreciate it.

2 DR. ENGLUND: So--soon we get to leave.

3 I'm going to do a real short summary. I

4 don't anyone to miss their flight because of it.

5 But I think we've had some very
6 interesting discussion about future study designs.

7 One point I would like to add that--my two
8 cents' worth is I think optimized strategy by an
9 expert should be considered to be part of the
10 comparator arm in the future; to make that
11 mandatory, or something other than just "available
12 if you feel like it"--because, in fact, sometimes
13 that would be a little bit more uniform approach to
14 the study.

15 So I think that's something for future
16 studies, that that could be incorporated.

17 The recommendations for future study
18 designs included many specific questions, such as
19 real-time phenotyping; discussing what is a salvage
20 design; and the fact that we, as a Committee, think
21 that doing studies on at least two active agents at
22 a time is going to be what is needed in the future.

1 For that, we need some creativity, cooperativity,
2 and we need accessibility of multiple patient
3 populations--including those who are infected HIV,
4 which is women and minorities.

5 This is the same old story, and it's been
6 said multiple times. We need to keep on and
7 continue to emphasize it.

8 Rollover at eight weeks has been advocated
9 by some in this committee to be an ethical and
10 reasonable approach when you have not very many
11 options. Toxicity management has been felt to be
12 an important part of any new protocol.

13 And, finally, viral failure follow-up is
14 something that needs to be followed up--emphasized
15 both by the company and by individuals here.

16 So, with that, we're almost done.

17 The summary of this meeting I can't begin
18 to do, but briefly-- we have discussed a lot of
19 issues, including: study design; data entry; type
20 of evaluation--including intent-to treat; patient
21 populations; toxicity and safety; drug
22 interactions; viral resistance--at length;

1 therapeutic drug monitoring.

2 And I think, importantly, we have an
3 efficacy handle on this new therapeutic agent in
4 the population for which it was studied. And I
5 think we as a committee have agreed tat we feel
6 that there is efficacy demonstrated. The exact
7 usefulness of this drug needs to be monitored in
8 the future, and we as clinicians need
9 guidance--both from the company in future studies,
10 and with the FDA--to be able to use this new drug
11 in the best possible way.

12 With that, I will close the meeting.
13 Thank you, everyone for coming. Appreciate
14 everyone's input.

15 And congratulate the FDA and the company
16 for their presentations today.

17 Thank you.

18 [Whereupon, at 4:58 p.m., the meeting was
19 adjourned.]

20

- - -