

ajh

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE
65TH MEETING

Thursday, March 16, 2000

8:30 a.m.

1476 '00 APR 12 10:39

Holiday Inn Bethesda
Versailles I and II
8120 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
507 C Street, N.E.
Washington, D.C. 20002
(202) 546-6666

PARTICIPANTS

Richard L. Schilsky, M.D., Chairman
Karen M. Templeton-Somers, Ph.D., Executive Secretary

MEMBERS

Kathy S. Albain, M.D.
David H. Johnson, M.D.
David P. Kelsen, M.D. (Eloxatine only)
Scott M. Lippman, M.D.
Kim A. Margolin, M.D.
Stacy R. Nerenstone, M.D.
Victor M. Santana, M.D.
Richard M. Simon, D.Sc.
George W. Sledge, Jr., M.D.

Jody L. Pelusi, F.N.P., Ph.D., Consumer Representative
Sallie Forman, Patient Representative

FDA

Rachel Berman, M.D.
Isagani Chico, M.D. (Camptosar only)
Steven Hirschfeld, M.D. (Eloxatine only)
John Johnson, M.D. (Eloxatine only)
Robert Justice, M.D.
Grant Williams, M.D. (Camptosar only)

C O N T E N T S

	<u>PAGE</u>
Call to Order and Opening Remarks Richard Schilsky, M.D., Chairman	5
Introduction of the Committee	5
Conflict of Interest Statement: Karen M. Templeton-Somers, Ph.D.	6
Open Public Hearing Kathleen Murray Richard Farrell	8 13
Pediatric Exclusivity Drug Development Plan: Richard Pazdur, M.D.	16

NDA 21-063, Eloxatine (oxaliplatin) Sanofi Pharmaceuticals, Inc.	
Sponsor Presentation	
Introduction: Mark Moyer	32
Background and Efficacy: Mace Rothenberg, M.D.	36
Safety, Clinical Benefit and Conclusions: Daniel Haller, M.D.	56
Questions from the Committee	70
FDA Presentation: Steven Hirschfeld, M.D.	107
Questions from the Committee	123
Committee Discussion and Vote	135
Introduction of the Committee	161
Conflict of Interest Statement: Karen M. Templeton-Somers, Ph.D.	162
Open Public Hearing Bill Novatne	164

C O N T E N T S

	<u>PAGE</u>
NDA 20-571/SE1-009 Camptosar Injection (irinotecan hydrochloride injection) Pharmacia & Upjohn Company	
Sponsor Presentation	
Introduction: Langdon Miller, M.D.	164
Background: Langdon Miller, M.D.	166
Pivotal Phase III Controlled Clinical Trials, Study 0038/Study V303: Langdon Miller, M.D.	169
Summary and Conclusions: Langdon Miller, M.D.	169
Questions from the Committee	189
FDA Presentation: Isagani Chico, M.D.	206
Questions from the Committee	218
Committee Discussion and Vote	219

1 P R O C E E D I N G S

2 **Call to Order and Opening Remarks**

3 DR. SCHILSKY: Good morning. Welcome to the 65th
4 Meeting of the Oncologic Drugs Advisory Committee. I would
5 like to begin by introducing the committee members. Why
6 don't we begin with Dr. Santana.

7 **Introduction of Committee**

8 DR. SANTANA: Victor Santana, pediatric
9 oncologist, St. Jude Children's Research Hospital.

10 DR. ALBAIN: Kathy Albain, medical oncologist,
11 Loyola University, Chicago.

12 DR. LIPPMAN: Scott Lippman, medical oncologist,
13 M.D. Anderson Cancer Center.

14 DR. MARGOLIN: Kim Margolin, medical oncology and
15 hematology, City of Hope, Los Angeles.

16 DR. SLEDGE: George Sledge, medical oncologist,
17 Indiana University.

18 DR. D. JOHNSON: David Johnson, medical
19 oncologist, Vanderbilt University.

20 DR. PELUSI: Jody Pelusi, oncology nurse
21 practitioner in Arizona. I sit as the consumer
22 representative.

23 DR. NERENSTONE: Stacy Nerenstone, medical
24 oncology, Hartford, Connecticut.

25 DR. SCHILSKY: Richard Schilsky, medical

1 oncologist, University of Chicago.

2 DR. TEMPLETON-SOMERS: Karen Somers, Executive
3 Secretary to the Committee, FDA.

4 DR. KELSEN: Dave Kelsen, medical oncologist,
5 Memorial Sloan Kettering.

6 MS. FORMAN: Sallie Forman, patient
7 representative.

8 DR. HIRSCHFELD: Steven Hirschfeld, medical
9 officer, FDA.

10 DR. J. JOHNSON: John Johnson, clinical team
11 leader, FDA.

12 DR. BERMAN: Rachel Berman, Deputy Director,
13 Office of Drug Evaluation I.

14 DR. SCHILSKY: Thank you.

15 Dr. Somers will read the Conflict of Interest
16 Statement.

17 **Conflict of Interest Statement**

18 DR. TEMPLETON-SOMERS: Good morning.

19 The following announcement addresses the issue of
20 conflict of interest with regard to this meeting and is made
21 a part of the record to preclude even the appearance of such
22 at this meeting.

23 Based on the submitted agenda for the meeting and
24 all financial interests reported by the participants, it has
25 been determined that all interest in firms regulated by the

1 Center for Drug Evaluation and Research which have been
2 reported by the participants present no potential for a
3 conflict of interest at this meeting with the following
4 exceptions.

5 In accordance with 18 U.S.C. 208, full waivers
6 have been granted to Dr. Richard Schilsky, Dr. David Kelsen,
7 Dr. Scott Lippman, Dr. Kim Margolin, Dr. Victor Santana, and
8 Dr. George Sledge.

9 A copy of these waiver statements may be obtained
10 by submitting a written request to the FDA's Freedom of
11 Information Office, Room 12A-30 of the Parklawn Building.

12 In addition, we would like to note that Dr.
13 Douglas Blayney is excluded from participating in all
14 matters concerning Eloxatine.

15 Further, we would like to disclose that Dr. Kathy
16 Albain and Dr. Richard Schilsky have involvements which do
17 not constitute a financial interest in the particular matter
18 within the meaning of 18 U.S.C. 208, but which may create
19 the appearance of a conflict.

20 The Agency has determined notwithstanding these
21 interests that the interests of the Government and the
22 participation of Drs. Albain and Schilsky outweighs the
23 appearance of a conflict. Therefore, they may participate
24 fully in all matters concerning Eloxatine.

25 In the event that the discussions involve any

1 other products or firms not already on the agenda for which
2 an FDA participant has a financial interest, the
3 participants are aware of the need to exclude themselves
4 from such involvement, and their exclusion will be noted for
5 the record.

6 With respect to all other participants, we ask in
7 the interest of fairness that they address any current or
8 previous involvement with any firm whose products they may
9 wish to comment upon.

10 Thank you.

11 I would also like to apologize for the crowded
12 conditions. This was the only space that we could get. I
13 think it is more important that we hold the meeting. There
14 is a monitor in the lobby where, if you are tired of
15 standing and want to walk around, you can go out and watch
16 the slides from there and hear the presentation.

17 In addition, we would like to invite those of you
18 who cannot find a seat in the back, may sit in the back part
19 of the FDA section.

20 Thank you.

21 DR. SCHILSKY: Thank you.

22 **Open Public Hearing**

23 We have a few minutes for an open public hearing.
24 I understand that there are three individuals who have
25 requested time to address the committee.

1 Is Kathleen Murray here? Please come to the
2 podium, identify yourself, and tell us if you have received
3 any support to attend the meeting today.

4 MS. MURRAY: I am Kathleen Murray from Summit, New
5 Jersey, and I didn't receive a dime to come here
6 unfortunately, although I did kind of ask about that.

7 I was asked to attend this by my oncologist at
8 Thomas Jefferson University. I did ask her, you know, well,
9 exactly what is this, because I didn't realize that they had
10 advisory committees for different drug groups, because I
11 know cardiac doesn't, so I was kind of surprised at this.

12 Then, I asked her what would you like me to say,
13 and I didn't get any answers to that either, and I asked my
14 other oncologist in northern New Jersey, because I live in
15 New Jersey, I travel two hours to Philadelphia for
16 oxaliplatin, and I got a little bit more help from him, so I
17 am going to start into this. If you have any questions,
18 interrupt me or I will answer them at the end, but I have a
19 specific reason for being here.

20 My history is I had rectal carcinoma in '97. I
21 had an initial minor surgery. I had a T1 level, you know,
22 problem, and then I had recurrence a year later. I went to
23 Sloan Kettering with Alfred Cohen, who did surgery, and it
24 was eight hours, and there wasn't much left after he
25 finished with me.

1 I did not do prophylactic chemotherapy after that
2 point. With the initial surgery, I did chemotherapy and
3 radiation, and we did interoperative radiation at Sloan
4 Kettering, and that is the reason I went there.

5 In March of '99, my CEA started to climb again, so
6 I started into the next phase, which was CPT-11. By
7 September it was apparent that this was not particularly a
8 protractive drug for me, and at that point I was back at
9 Sloan Kettering with Kemeny, and she recommended
10 oxaliplatin, and I was qualified for it, and she said that
11 there is a wait list. So, I was put on the wait list.

12 I will get into the wait list problem later
13 because I know many of you know about it, but I was very
14 concerned about that.

15 As far as my experience with oxaliplatin, I am
16 only into the second, six-week cycle of this, but I have
17 been through 5-FU/leucovorin, I went through CPT-11, and I
18 think this is a relatively easy drug to take. I mean I
19 don't really have any problems with it.

20 The neurotoxicity, I don't really consider it
21 toxicity, I consider it a side effect, you know, and as long
22 as you follow the rules, you don't have the effect, the
23 sensation of neurotoxicity that feels like you have stuck
24 your finger in an electrical outlet.

25 There are a few other side effects. I did have

1 two of them, but they were my fault, and you just learn not
2 to do that again.

3 As far as the nausea and vomiting, I don't have
4 anything like that at all. At Thomas Jefferson, I kind of
5 asked general questions of how their population is doing,
6 and I know Edith Mitchell has well over 100 patients in her
7 study, so I mean that is a good population to talk about,
8 and she wouldn't give me that information either. She said,
9 you know, you have to go to this.

10 So, the next group you go to are nurses, who, you
11 know, talk to patients constantly, and they said they didn't
12 think the nausea and vomiting problem was that great, most
13 of the people generally have just anorexia, and they didn't
14 think it was that serious.

15 As far as the diarrhea goes, you will get that,
16 because you are usually on oxaliplatin and one other drug,
17 and I am on 5-FU/leucovorin along with this. So, the
18 combination of the two produces a problem, but it is
19 manageable by drugs, and you are tired, but, you know, that
20 goes along with chemotherapy, and you can have low counts,
21 but that is manageable, and you just watch the neurological
22 effect, which I thought was kind of entertaining in the
23 beginning, to see, you know, exactly how you felt with it.

24 What I am here for is that I am asking for an
25 expedited review of this drug. It has been in Europe for

1 quite a number of years without very many problems
2 apparently, and the problem in this country is that there
3 are I think 180 sites around the country.

4 The problem I had was when I talked to Kemeny in
5 October, the wait list she had was estimated to be two
6 months. So, I called in early December to find out about
7 what time I would start, and I was told at that time that
8 there 30 to 40 people ahead of me on the list. Even with
9 attrition on a regular list, it just looked like it was
10 going to be way down the line.

11 At that point, from October to December, those
12 tumors are growing extensively. So, I then said what their
13 estimated time was, and they said somewhere around late
14 February, March, so that is now five to six months down the
15 line.

16 I said, well, okay, who else is offering this
17 drug, and there were only three sites in Manhattan, one
18 outside of Manhattan, so I got all the names of the places
19 from Yale to Philadelphia. I called all the sites, and I
20 got on the wait list at five or six sites currently.

21 Sloan Kettering did call in late January and said
22 that they had a place for early February, and so from early
23 December to now, I haven't heard from one other site.

24 Now, the third problem you have is Katie Couric
25 did her presentation last week for five days. The last

1 date, the oncologist from Dana Farber listed three drugs for
2 the treatment of colorectal, two of which we know aren't
3 that great, I mean the 5-FU has been probably around longer
4 than some of the people in this room. CPT-11 is a little
5 bit better, some people respond to it.

6 This drug has doubled, almost tripled the response
7 rate, and he announced that there were three drugs - 5-FU/
8 leucovorin, CPT-11, and oxaliplatin.

9 I am concerned, what is the American public going
10 to do when they find out that they can't get on this drug,
11 and that this drug is--I have listened to since last spring,
12 from Cohen at Sloan Kettering it should be out soon, and
13 it's still not out.

14 So, I am asking this committee for an expedited
15 review or this committee to recommend an expedited review to
16 FDA based on the European studies and limited problems with
17 this drug currently.

18 That's it. Any questions?

19 DR. SCHILSKY: Thank you very much.

20 MS. MURRAY: Okay.

21 DR. SCHILSKY: The next speaker is Mary McCarthy.

22 Is Mary McCarthy here?

23 [No response.]

24 DR. SCHILSKY: Okay. Richard Farrell? Please
25 come to the podium and identify yourself, and tell us

1 whether you have received any support to be here today.

2 MR. FARRELL: Good morning. I expected a small
3 crowd. This is intimidating, but we will get through it.

4 My name is Richard Farrell. I am speaking on
5 behalf of the Colon Cancer Alliance, and I am a member of
6 the Colon Cancer Alliance's board of directors.

7 The Colon Cancer Alliance is a patient-focused
8 volunteer nonprofit organization dedicated to education,
9 patient support, and research. We advocate for increased
10 attention to, and funding for, colorectal cancer awareness,
11 prevention, and research efforts.

12 We are colorectal cancer survivors, their
13 caregivers, and their family and friends.

14 For the record and in the interest of clear
15 disclosure, the Colon Cancer Alliance is working with Sanofi
16 Lily Oncology Company, Pharmacia & Upjohn, and other
17 corporations to provide information and support to people
18 touched by colorectal cancer and to increase their awareness
19 of this disease.

20 At the same time, we want to make clear that this
21 statement reflects only the Colon Cancer Alliance's
22 perspective, work, and review. In addition, we are
23 attending this meeting at our own expense.

24 We are not here to give highly technical comments
25 on the application before you today. We understand that

1 your decisions were based on the scientific evidence of the
2 efficacy and safety, as they should be.

3 We ask that as you consider the evidence, remember
4 that there are people like me whose lives depend on the
5 availability of effective treatment options for colorectal
6 cancer. Treatment options for colorectal cancer are very
7 limited.

8 Until recently, there was just one option - 5-FU.
9 Now, there are two and patients who fail or no longer
10 respond to 5-FU can proceed to Camptosar. About 15 to 20
11 percent of late stage patients respond to each of these
12 drugs. On average, the late stage patients treated with 5-
13 FU survive six or seven months while those treated with
14 Camptosar survive nine to 10 months. Clearly, these two
15 treatment options are not enough. If colorectal cancer
16 patients are to survive longer and live better, additional
17 treatment options are critical.

18 Treatment options provide a chance for longer
19 survival and, as importantly, they provide hope, hope that
20 if one treatment isn't effective, there is another weapon in
21 the arsenal, hope, that while a treatment may not cure our
22 disease, it may provide us with more time.

23 Frequently, our members ask I have failed 5-FU and
24 CPT-11, now, what do I do? Given the number of colorectal
25 cancer treatment options currently in late stages of

1 development, we look forward to the day when we can provide
2 a satisfactory answer to that question.

3 We urge you to keep this pressing need for options
4 in mind as you consider the evidence before you. I am part
5 of that evidence, a Stage III colorectal cancer survivor who
6 stands here today because of options in treatment available
7 only through clinical trials at this time.

8 On behalf of the many members of the Colon Cancer
9 Alliance, we thank you for hearing our comments today, but,
10 now, on a personal note, very briefly, a year ago this past
11 January I was operated on in my hometown, I was cut open,
12 and I was sewed up, and I was told to go home and settle my
13 affairs because I had no chance for survival.

14 I did not accept that death sentence. I contacted
15 Memorial Sloan Kettering and Dr. Nancy Kemeny, and I was put
16 into a trial of oxaliplatin and CPT-11 in the fourth cohort.
17 In the eight months I was in that program, my tumors reduced
18 far enough, so that I was able to have additional surgery
19 and they removed five tumors from my lower intestinal area.

20 As of last week, with my latest CT scan, I am now
21 tumor free, and I hope to remain that way, but in the
22 meantime, the only reason I am here today speaking to you is
23 because I was able to have access to oxaliplatin and CPT-11,
24 and I hope that you will take proper action to provide this
25 medication to all the tens of thousands of people who so

1 eagerly need it, and I thank you very much.

2 DR. SCHILSKY: Thank you very much.

3 Is there anyone else in the audience who would
4 like to address the committee?

5 [No response.]

6 DR. SCHILSKY: If not, then, we will continue with
7 the agenda.

8 Before we get to the discussions about Eloxatine,
9 we will have a presentation by Dr. Pazdur on Pediatric
10 Exclusivity Drug Development Plan.

11 **Pediatric Exclusivity Drug Development Plan**

12 DR. PAZDUR: Thank you, Richard.

13 I wanted to bring this forward to the ODAC
14 Committee. We over the past several months in the division
15 have been working on a drug development plan for pediatric
16 oncology drugs, and I wanted to really go over this, so you
17 have some understanding what we have been doing in the
18 division, our discussions with the various pediatric groups,
19 industry sponsors, and the pediatric patient community and
20 advocacy community.

21 [Slide.]

22 Before doing that, I want to go over the current
23 regulations regarding pediatric drug development. Like most
24 things in government, they are easy, but somehow we make
25 them very complicated, and a lot of this has to do with the

1 nomenclature surrounding the pediatric regulations.

2 There are two major regulations that I would like
3 to talk about, one being the FDAMA or the Food and Drug
4 Modernization Act of 1997, and the second one being the 1998
5 Pediatric Rule.

6 One of our reviewers, Steve Hirschfeld, who has
7 been working on this project for a long time, refers to
8 these two as the carrot and the stick regarding pediatric
9 drug development, and I think that you will see as I explain
10 these two regulations why he uses that nomenclature.

11 Well, the Food and Drug Modernization Act of 1997
12 is what we call the carrot, and basically, this is a
13 voluntary program for a six-month extension to existing
14 marketing exclusivity or patent protection for the entire
15 product line if an active moiety is capable of providing new
16 pediatric information that will benefit the public health.

17 The submissions must come in response to an FDA
18 written request, however, proposals can be submitted to the
19 agency for a written request from a variety of sources.
20 They can come from sponsors, they can come from cooperative
21 groups, they can come from the academic community or
22 investigators.

23 [Slide.]

24 In contrast, the Pediatric Rule of 1998 is a
25 mandate, and this is what we refer to as the "stick,"

1 because it is a mandate. What this rule stipulates is that
2 a product under review must--remember the word "must"--
3 provide pediatric information if the indication under review
4 is a disease found in children.

5 If the disease is not found in children, a waiver
6 may be granted or a partial waiver. So, there are important
7 differences here. The FDAMA regulation basically is a
8 regulation that is voluntary, that is an attempt to expand
9 the use of pediatric drugs. Likewise, the Pediatric Rule is
10 a rule aimed at expanding the use of drugs in pediatrics.

11 The problem with medical oncology and pediatric
12 oncology is that sometimes the indications that we find in
13 adult diseases, especially adult malignancies, don't
14 translate well into the pediatric disease community, the
15 pediatric oncology community, making the implementation of
16 the Pediatric Rule of 1998 somewhat difficult in pediatric
17 oncology.

18 [Slide.]

19 Listed here is a comparison of the Rule, the FDAMA
20 regulation on the first side, and the Rule on the other
21 side. As I stated before, the FDAMA regulation is
22 voluntary, the 1998 Rule is mandatory.

23 FDAMA applies to the entire product line. There
24 is no restriction on eligible pediatric diseases. It only
25 applies when there is an underlying patent or exclusivity

1 protection. Biologicals are excluded and orphan products
2 are included.

3 In contrast, as I stated before, the 1990 Rule
4 attempts to extrapolate diseases that are found in both
5 children and adults. If the drug is under development in
6 the adult indication, it is required that the pediatric
7 studies be performed.

8 Again, this is somewhat difficult in medical
9 oncology and pediatric oncology, because the diseases are
10 not as well linked as in other therapeutic disciplines. In
11 addition to this, the 1990 Rule applies to biologicals and
12 orphan drugs are excluded.

13 [Slide.]

14 I would just like to show you and spend time on
15 the Pediatric Exclusivity, the FDAMA regulation, because
16 this is where the pediatric development plan really takes
17 its muscle and the incentive for industry to become and have
18 a greater involvement in pediatric drugs.

19 As I stated before, proposed pediatric study
20 requests can come from a variety of sources - industry,
21 industrial sponsors, cooperative groups, academics, private
22 practitioners. They come to the FDA, a written request is
23 generated from the FDA.

24 This written request is highly specific or greatly
25 specific. It gives the details, the numbers of patients

1 that must be enrolled in a trial. This subsequently leads
2 to the submission and the study reports after the studies
3 are completed, and then the FDA makes a determination
4 whether the exclusivity should be extended to the drug.

5 I would like to emphasize--and this is a key
6 aspect of the FDAMA regulation--that the extension of
7 exclusivity is not based solely on the results of the trial,
8 but based on meeting the stipulations provided in the
9 written request.

10 In other words, a sponsor can get a patent
11 protection or exclusivity, an extension of exclusivity by
12 meeting the requirements of the written request irrespective
13 of the results of a trial. Hence, if a trial is negative,
14 does not show any therapeutic results, the sponsor can still
15 get exclusivity based on this existing FDAMA regulation.

16 [Slide.]

17 What has been the FDA experience with the FDAMA
18 incentive? When one takes a look at pediatrics overall, it
19 has been very, very successful. Proposals received since
20 its inception has been about 163 proposals, with written
21 requests issued, about 127.

22 In oncology, we have a different picture. We have
23 only received five proposals, and only one written request
24 has been generated. I think that this points to some unique
25 differences in pediatric oncology versus other pediatric

1 disciplines.

2 I think the predominant one is that we are dealing
3 with a relatively small number of patients that have
4 pediatric malignancies, and there has been really some
5 confusion on what we are looking for in the pediatric
6 implementation, pediatric oncology implementation of FDAMA.

7 The proposals that we received for oncology
8 basically were only these five proposals, were only
9 proposals looking at dose-seeking studies and toxicity
10 studies, and clearly, the intent as we perceived it of this
11 regulation was really to establish and move the field
12 forward in discovering new pediatric drugs.

13 Therefore, we have stipulated in our development
14 plan that there needs to be an efficacy or an activity
15 component, not simply a Phase I study to be performed.

16 [Slide.]

17 Therefore, what we decided to do is basically,
18 take the provisions of FDAMA, this incentive program, with
19 the concept that one can get a patent extension even for
20 meeting the regulations of the written request, but even in
21 the light of having a negative efficacy study, and what we
22 were looking for in developing the Pediatric Development
23 Plan of oncology products is basically a good-faith effort
24 from industry to develop drugs in pediatrics, and this is
25 underscored, I think, when you examine our plan.

1 Basically, the overview of the plan requires
2 dosing and pharmacokinetic studies in classical Phase I
3 studies that pediatricians are I think well aware of doing
4 in the pediatric oncology community.

5 It would, secondly, require Phase II or pilot
6 studies in a potential range of indications if one was not
7 obvious from preclinical or mechanisms of actions of the
8 drug.

9 We would like to emphasize that this is not a
10 supplemental NDA, since efficacy, the proof of efficacy, a
11 clinical benefit is not required to be demonstrated in order
12 to get the exclusivity extension.

13 The other point that we would like to point out
14 about this plan is that this plan applies both to new
15 molecular entities and drugs that have been already approved
16 that have not been adequately investigated in pediatric
17 oncology.

18 [Slide.]

19 The first stage of the program is listed here, and
20 this is what I would assume to be a fairly classical Phase I
21 development with some caveats regarding the FDAMA
22 regulations.

23 The Phase I studies will determine the dose,
24 pharmacokinetics, and toxicities, and we would plan roughly
25 for about 25 patients. Here again, this would be negotiable

1 regarding what the drug was.

2 What is unique here in this plan is if
3 unacceptable toxicity occurs, the development would be
4 halted and exclusivity would be granted even if one has what
5 one would consider possibly a negative Phase I study, in
6 other words, excessive toxicity or prohibitive toxicity that
7 would not allow further development of this drug.

8 Why are we doing this? We are interested in
9 promoting and risk-sharing with the industry and encouraging
10 pediatric drug development. We believe that this would be a
11 very unique situation and a very unusual situation where
12 there would be prohibitive toxicity which would prevent the
13 drug from being further developed.

14 In the vast majority of cases, we would believe
15 that the toxicity would be acceptable and we would proceed
16 to the second stage of development of an agent.

17 [Slide.]

18 The second stage is basically the demonstration of
19 clinical efficacy or I probably should use the word
20 "activity" of the agent, and this would be Phase II studies,
21 either single agent, add-on comparative designs, and/or
22 pilot studies of combinations to demonstrate an agent's
23 characteristics and contributions to efficacy, probably
24 using surrogate endpoints and also provide, if positive,
25 justification for further development to examine clinical

1 benefit.

2 [Slide.]

3 The possible outcomes of the Phase II studies are
4 listed here. If efficacy is demonstrated, for example, by
5 response rates, then approval would exist or could be
6 granted under subpart H or full approval depending on the
7 situation.

8 If there was no beneficial effect observed,
9 development would be halted, and the extension of
10 exclusivity still would be granted to the company on the
11 entire product line.

12 If the results are promising, but not sufficient
13 to support approval, a commitment to further development
14 would be undertaken.

15 In all three cases, the granting of exclusivity
16 would occur in the case of a subpart H approval, in the case
17 of a study that failed to demonstrate efficacy, or in a
18 situation where we saw some efficacy, but still will require
19 further clarification of that efficacy.

20 Obviously, for the subpart H designation, that
21 would still require a Phase IV commitment.

22 [Slide.]

23 The results of the completion of the Pediatric
24 Development Plan are listed on this slide. The results of
25 the plan would be summarized in a study report and submitted

1 to the FDA.

2 We are looking for good quality data, and we will
3 be looking at the quality of this data and the conduct of
4 the study. Even though we are granting exclusivity on the
5 basis of what some people would term negative Phase II data,
6 or a Phase I study that has demonstrated prohibitive
7 toxicity, we would still like these studies to be conducted
8 in an ethical, logical, and well done fashion.

9 Upon review, if the conditions of the initial
10 written request are met, irrespective of outcome, a six-
11 month exclusivity extension may be granted. In some cases,
12 according to the FDAMA regulations, a 12-month extension can
13 be granted if two indications are pursued in pediatrics.

14 We are looking for well-designed, well-executed
15 studies, and a negative result can qualify, as I stated
16 before, for pediatric exclusivity.

17 The intent of this plan is to use FDAMA in a good-
18 faith effort for risk sharing as a prospective plan to
19 develop and produce new information that is important to
20 pediatric oncology.

21 We would like our sponsors to work quite closely
22 with the existing pediatric community, the existing
23 pediatric groups. This is not an effort to splinter
24 pediatric drug development.

25 There have been tremendous accomplishments in

1 pediatric oncology regarding cures in this disease and
2 demonstration of active agents, and we would like this to
3 augment the success of pediatric oncology.

4 What we would like, though, is new molecular
5 entities to be introduced early into the developmental plans
6 of companies with regard to pediatrics.

7 In addition to this, I would like to emphasize
8 that although we cannot mandate where studies occur with
9 sponsors, we simply do encourage that they do open a
10 dialogue with the pediatric oncology groups, with academic
11 pediatric centers, really to further pediatric drug
12 development.

13 This is a very important aspect for the division
14 since I arrived, and I am committing resources to this. The
15 first resources that we are committing is hiring additional
16 pediatric oncologists in the division, really to augment the
17 effort. We are in the process of also writing a guidance
18 for both industry and participants in clinical trials
19 regarding these regulations and this Pediatric Development
20 Plan.

21 In addition to this, we will be having a
22 subcommittee of ODAC, which will be labeled the Pediatric
23 Oncology Subcommittee, and this will meet in September to
24 examine the whole issue of pediatric drug development, FDA's
25 involvement, and also potentially to look at some of these

1 FDAMA proposals that we get, and our written requests also.

2 Again, we look at this as a positive aspect to
3 encourage pediatric drug development.

4 In ending, I would like to personally thank
5 several people who have been very much involved with the
6 development of this plan. We would greatly like to
7 acknowledge the work of the pediatric advocacy community in
8 really highlighting the need to pay special attention and
9 refocus our interest in pediatric drug development.

10 I would like to give personal thanks to Dr. Steve
11 Hirschfeld from our division who really has been the
12 spearhead of pediatric development in the division, and also
13 Patty Delaney and Joanne Miner from the Office of the
14 Commissioner who has worked behind the scenes really to get
15 the whole pediatric initiative moving in our division.

16 Thank you very much. I would be happy to answer
17 any questions regarding this from the committee.

18 DR. SCHILSKY: Thank you, Rick.

19 Are there questions from the committee for Dr.
20 Pazdur? Dr. Santana.

21 DR. SANTANA: I want to personally thank you for
22 taking your time and presenting this to the group in a
23 public hearing because I think, as I have commented with Dr.
24 Hirschfeld on a couple of occasions, I think one of the
25 difficulties has been in the community, not confusion, but a

1 lack of understanding of these two rules and how potentially
2 they could be applied.

3 So, I congratulate the agency because I think we
4 need to make a major educational effort at all levels, at
5 the level of the sponsors, at the level of the community, at
6 the level of this committee, at the level of the cooperative
7 groups, to get a little bit better understanding of how
8 these rules could be applied. So, I think educational
9 efforts are going to consume some of our time in the next
10 year or so.

11 So, I want to publicly thank you and the FDA for
12 presenting this to us.

13 I have one point of clarification and then one
14 question, and I will do the question first.

15 How is the agency going to measure success with
16 these initiatives, within what time frame, within what
17 variables? That is the question.

18 The point of clarification is if I understand the
19 Pediatric Rule correctly, the three products that will we
20 will be discussing today and tomorrow potentially fall under
21 that under the new Pediatric Rule. Can you clarify that for
22 me, too?

23 DR. PAZDUR: The measure of success would probably
24 be in the subsequent approval of pediatric drugs, of
25 pediatric oncology drugs, but I think from a surrogate

1 endpoint, we would probably be looking at the generation of
2 written requests and the participation and acceptance of the
3 pharmaceutical sponsors for accepting these written requests
4 and developing the drugs.

5 As far as the three drugs that are under
6 investigation regarding the Pediatric Rule, you know, the
7 disease has to extrapolate to the pediatric community, and
8 colon cancer, I would assume is not a pediatric disease
9 unless you would like to clarify that.

10 DR. SANTANA: It is. It is not very frequent,
11 there is very limited experience, but there are a number of
12 pediatric patients who get this disease, and actually
13 biologically and clinically, it is almost very similar to
14 the adult disease. The AML is the same scenario.

15 DR. PAZDUR: As far as our proposals, we are in
16 the process--rather than getting into any specific
17 applications at this time--we are internally looking at
18 agents that we are going to be generating written proposals
19 on--written requests rather.

20 DR. SCHILSKY: Dr. Johnson.

21 DR. D. JOHNSON: I have some questions and some
22 clarification for myself. How often can one receive an
23 extension? For example, if a drug has a potential
24 indication in children for a cardiac indication, but that
25 same drug might also have an indication in oncologic areas,

1 can one get two, six-month extensions?

2 DR. PAZDUR: Yes, and it is for the entire product
3 line, but it's a maximum of two according to the FDAMA
4 regulations, and that could be added on orphan drug status,
5 et cetera.

6 DR. D. JOHNSON: I have no idea if six months is a
7 sufficient incentive.

8 DR. PAZDUR: But remember what is different here,
9 Dr. Johnson, this is the entire product line, not for a
10 specific indication, which makes it a real carrot. The fact
11 that it has worked in other diseases in pediatrics, there
12 are over 100 written requests generated on this, I think it
13 does indicate in other diseases it has been effective.

14 DR. D. JOHNSON: When you say the entire product
15 line, so every product that company X makes gets extensions?

16 DR. PAZDUR: No, for that drug.

17 DR. BERMAN: Can I clarify? It attaches to the
18 active moiety, the six months, that is attached to the
19 active moiety. We probably should ask the companies that
20 are present what that means to them, but it apparently means
21 a lot. We have been flooded with interest and requests, so
22 this is clearly a tremendous incentive. We have never in
23 our history seen such interest in supplements before this.

24 DR. PAZDUR: So, if the drug had an indication for
25 an oncological in breast and colon and in leukemia, it would

1 be for all of those indications.

2 DR. D. JOHNSON: And then actually Dr. Santana
3 touched on this, and I was going to ask what your definition
4 of a pediatric disease, because clearly you, a colon expert,
5 just said you didn't think colon cancer was a pediatric
6 disease.

7 DR. PAZDUR: Let me answer that, and I think there
8 is some confusion, and that is one of the reasons why we are
9 going to have the subcommittee of pediatricians, because
10 there isn't agreement on this, and I think what we will
11 probably be looking at is incidence and we are going to
12 establish a list of diseases where we think that this can
13 extrapolate adults and children have the same disease.

14 You know, just because it happens once or is a
15 rare disease, I don't know if that is the intent of the
16 regulation.

17 DR. D. JOHNSON: It could take to the year 3000 to
18 do the study. The final question I would have, and I am
19 sure your subcommittee will work on this--and I applaud
20 this, I actually think this is fantastic--but I am a little
21 concerned about the concept of a negative Phase I trial
22 leading to an extension or an approval of a product line.
23 That bothers me.

24 DR. PAZDUR: We are going to be looking at that
25 very, very carefully, obviously. We wanted to have this as

1 a provision to demonstrate a good-faith effort here, but we
2 are not going to just blanketly look at a trial and if the
3 trial had what we would term an equivocal toxicity grant
4 exclusivity. That is for what I would interpret real
5 toxicity that really prohibits further development of that
6 drug.

7 We put that in with a great deal of discussion
8 within the FDA, and we felt that that would be warranted
9 because it really does show this good-faith effort, that
10 irrespective of outcome, if a plan is made for the fruitful
11 development of a drug, irrespective of outcome, there would
12 be a reward.

13 DR. BERMAN: But if I could answer that, there is
14 no guarantee that exclusivity is granted, it is that
15 exclusivity will be considered, and there is a board within
16 FDA that looks at that, and as Rick mentioned, the test that
17 has to be met is that the written request, where it is
18 stipulated in the request, has to be satisfied.

19 DR. PAZDUR: I thought I made it also quite clear.
20 Just because somebody has negative data, that does not mean
21 that we are not going to be looking at the quality of data
22 very carefully. This is not schlock medicine, okay, just
23 send anything in.

24 It's an effort for a prospective development plan
25 in pediatrics.

1 DR. D. JOHNSON: I am glad to hear that because we
2 didn't want to see any of that here.

3 DR. HIRSCHFELD: I would like to just address one
4 step further, Dr. Johnson's question. It is not approval
5 that would occur. This is assuming that there is an
6 approval already for an adult indication.

7 DR. D. JOHNSON: Right.

8 DR. HIRSCHFELD: And it would be just an extension
9 onto that. In terms of the diseases that can be
10 extrapolated, we have already issued a list of diseases
11 which we think do not apply, and that may undergo some
12 revision, too.

13 We look at it, although there may be rare children
14 with some adult type tumors, we have to look at it and the
15 balance in terms of the public health need, and what we are
16 essentially tending to encourage is new information about
17 pediatric oncology that can be applied to future patients
18 and future development of therapeutics.

19 DR. SCHILSKY: Any other questions from the
20 committee?

21 [No response.]

22 DR. PAZDUR: Thank you very much.

23 DR. SCHILSKY: Thank you, Rick.

24 We will now go on to the consideration of the
25 Eloxatine application. I will turn it over to the sponsor.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

NDA 21-063, Eloxatine (oxaliplatin)

Sanofi Pharmaceuticals, Inc.

Sponsor Presentation

Introduction

MR. MOYER: Good morning.

[Slide.]

On behalf of Sanofi-Synthelabo, I am pleased to introduce oxaliplatin, a novel and significant first-line treatment for advanced colorectal cancer.

Oxaliplatin, as this slide shows, has a unique structure with an oxalato group and a diaminocyclohexane ligand making this represent a new family of platinum, also known as the DAC platinum.

This unique structure provides that both novel preclinical and clinical attributes and of particular interest today is the activity in colorectal cancer. This is different from that observed with cisplatin and carboplatin.

[Slide.]

My name is Mark Moyer and I am the director of Drug Regulatory Affairs for the sponsor Sanofi-Synthelabo. My introduction will be followed by a presentation on the background and efficacy of oxaliplatin by Dr. Mace Rothenberg from Vanderbilt University.

The subsequent presentation will be on the safety,

1 clinical benefit, and conclusions made by Dr. Daniel Haller
2 from the University of Pennsylvania.

3 [Slide.]

4 Oxaliplatin has the brand name of Eloxatine in the
5 United States. It is also known as Eloxatin in Europe. The
6 sponsor is seeking recommendation for approval of Eloxatine
7 as first-line therapy in combination with 5-FU for the
8 treatment of advanced colorectal cancer.

9 The proposed dosing regimen is outlined on the
10 slide here, consisting of 85 mg/m² of oxaliplatin given
11 every two weeks with folinic acid, followed by a bolus and
12 infusion of 5-FU on days 1 and 2 every two weeks.

13 [Slide.]

14 This slide denotes the numerous countries where
15 oxaliplatin is already available to patients with colorectal
16 cancer. It also includes those countries where regulatory
17 review and approval process is ongoing.

18 [Slide.]

19 This NDA is based on the guideline provided to
20 industry by the Food and Drug Administration in May of 1998,
21 outlining the requirements with evidence to support approval
22 of a new human drug.

23 This guideline provides for a single adequate and
24 well-controlled trial supported by substantial evidence from
25 other trials.

1 First, as this slide indicates, Study EFC 2962 is
2 the pivotal trial that demonstrates the safety and efficacy
3 of oxaliplatin with the proposed dosing regimen.

4 [Slide.]

5 The supportive trials include EFC 2961, that
6 demonstrates the consistent results of oxaliplatin plus 5-FU
7 in another regimen.

8 [Slide.]

9 Independent support of the claim is provided by
10 two trials demonstrating the activity of oxaliplatin plus 5-
11 FU in second-line therapy in EFC 2964 and EFC 2917.

12 In addition, single agent activity is demonstrated
13 in four monotherapy trials - EFC 2963 and EFC 2960 in first-
14 line therapy, and EFC 3105 and 3106 in second-line therapy.

15 [Slide.]

16 Listed here is our panel of distinguished
17 consultants that we have been working with throughout the
18 years. Several of them are here with us this morning to be
19 able to address your specific questions. Many of them have
20 conducted trials not only here in the United States, but
21 also in Europe and representative of the trials that we will
22 be presenting this morning.

23 Thank you for being with us this morning.

24 The ODAC panel has several documents before them
25 this morning. First, copies of the overheads were just

1 provided. In addition, the FDA provided you a list of
2 questions and talking with Dr. Hirschfeld's group within
3 FDA, it was denoted that there is a typographical error on
4 the first table on the first page, in which the p-values for
5 the progression-free survival, between 2962 and 2961 were
6 flip-flopped, so I just bring that to your attention.

7 A copy of the sponsor's and the FDA's briefing
8 documents were previously sent for your review. The FDA
9 reviewed the submission from the traditional perspective of
10 two adequate and well-controlled trials.

11 The sponsor has presented information from all the
12 trials in colorectal cancer, the eight primary trials, which
13 were outlined on my slide, and nine additional trials
14 demonstrating consistent results in colorectal cancer.

15 The pivotal trial results in ESC 2962 are fully
16 supported by the preponderance of evidence from all the
17 trials in colorectal cancer.

18 We will present the per-protocol analyses in our
19 presentation followed by the conclusion. In addition,
20 exploratory analyses of post-study therapy will be presented
21 since they are appropriate today based on the availability
22 of second-line therapies.

23 [Slide.]

24 The ODAC panel has the opportunity to evaluate
25 whether the current state of colorectal therapy and the

1 evidence presented to you today are appropriate to recommend
2 approval of oxaliplatin.

3 A positive recommendation would take us one step
4 closer to approval of this important first-line therapy for
5 patients with advanced colorectal cancer, providing yet
6 another significant option for patients.

7 The sponsor's confidence in this submission is
8 based on three factors. First, the impact on survival and
9 progression-free survival. Second, the tolerability of the
10 proposed dosing regiment. Third, the overwhelming
11 consistency of results from all the colorectal cancer
12 studies, leading to the conclusion that oxaliplatin should
13 be approved for the first-line therapy of advanced
14 colorectal cancer.

15 [Slide.]

16 This morning, I am pleased to introduce our
17 presenters. Dr. Mace Rothenberg from Vanderbilt University
18 is a preeminent investigator in colorectal cancer, as well
19 as other GI tumor types. Dr. Rothenberg has treated over 75
20 patients with oxaliplatin in the United States.

21 He will be followed by Dr. Daniel Haller from the
22 University of Pennsylvania, also an accomplished
23 investigator in colorectal cancer and other GI tumor types.
24 Dr. Haller has treated over 100 patients with oxaliplatin in
25 the United States, which gives him the unique ability to

1 present the safety from these studies, as well as his
2 personal experience.

3 I am now pleased to introduce Dr. Mace Rothenberg.
4 Mace.

5 **Background and Efficacy**

6 DR. ROTHENBERG: Thank you, Mark.

7 [Slide.]

8 My name is Mace Rothenberg from Vanderbilt
9 University. As Mark mentioned, I have had a chance to use
10 oxaliplatin over the last two years, both in the
11 investigational setting using it in Phase I trials, as well
12 as with the compassionate use program.

13 [Slide.]

14 Today, I am here to present to you both the
15 background and efficacy in support of this new drug
16 application. My presentation will take the following
17 format. I will give a brief overview of metastatic
18 colorectal cancer and the currently available treatments,
19 and then I will focus on background for oxaliplatin.

20 The main part of my presentation will be to
21 summarize the efficacy mainly through the pivotal trial
22 known as EFC 2962, and then through supportive trials, which
23 include another first-line, Phase III trial of oxaliplatin
24 with 5-FU and folinic acid known as EFC 2961, combination
25 trials with oxaliplatin, 5-FU, folinic acid in second-line

1 therapy for patients with relapsed and refractory colorectal
2 cancer, trials 2964 and 2917, and four monotherapy trials,
3 two in front-line therapy and two in patients will relapse
4 disease.

5 [Slide.]

6 Colorectal cancer represents a major public health
7 problem for us. This year, more than 130,000 people will be
8 diagnosed with colorectal cancer. Of those, approximately
9 25 percent, or 32,000 patients, will be diagnosed with Stage
10 IV metastatic incurable colorectal cancer.

11 But in addition to that, an even greater number,
12 39,000 people, who are diagnosed with local or locally
13 advanced colorectal cancer, will relapse with metastatic
14 disease, and therefore, the total public health burden this
15 year of having to treat patients with metastatic colorectal
16 cancer is more than 70,000 people.

17 [Slide.]

18 Standard practices for treatment of cancer vary
19 from one country to the other. As you know, oxaliplatin was
20 developed primarily in France. In France and most of
21 Europe, 5-FU and folinic acid are administered, not just by
22 bolus regimens, but by bolus and infusion or infusional
23 regimens.

24 To speak to that point, I would like to go over
25 briefly a trial performed by Dr. Aimery de Gramont and

1 published in the Journal of Clinical Oncology comparing a
2 European style regimen with a regimen that is more familiar
3 to us in the United States consisting of only bolus 5-FU/
4 leucovorin.

5 In this study, patients with metastatic colorectal
6 cancer and no prior treatment for metastatic disease were
7 randomized to a Mayo Clinic daily x 5 bolus schedule or to a
8 bimonthly regimen involving both bolus and infusional 5-FU
9 preceded by infusional folinic acid.

10 The primary endpoint for this trial was survival,
11 secondary endpoints consisting of response rate, response
12 duration, progression-free survival and safety.

13 [Slide.]

14 The results of that trial are shown here.
15 Response rates were more than doubled for the infusional and
16 bolus regimen, 32.6 percent for the de Gramont regimen, 14.4
17 percent for the Mayo Clinic regimen. This difference was
18 highly significant at the p 0.0004 level.

19 In addition, progression-free survival was also
20 improved significantly, 6.4 months for the bolus and
21 infusional regimen versus 5.1 months for the bolus daily x 5
22 regimen, a difference that was significant at the 0.001
23 level.

24 In addition, there was a trend towards improved
25 survival with the bolus and infusional regimen, 14.3 months

1 for the de Gramont regimen versus 13.1 months for the Mayo
2 Clinic regimen.

3 [Slide.]

4 This survival difference is depicted through a
5 Kaplan-Meier plot on this slide. The de Gramont regimen,
6 shown here in green on the top, the Mayo Clinic regimen
7 shown here in blue on the bottom. Please keep this curve in
8 mind because we will get back to this later on in my
9 presentation.

10 [Slide.]

11 But in addition to a favorable efficacy profile,
12 the bolus and infusional regimen of Dr. de Gramont also had
13 favorable toxicity effects, as well. Grade 3 and 4
14 toxicities for the bolus and the bolus and infusion regimen
15 are shown here.

16 As you can see, there was a significantly reduced
17 incidence of serious Grade 3/Grade 4 neutropenia, diarrhea,
18 and mucositis with the bolus and infusional regimen.

19 In addition, the overall incidence of Grade 3/4
20 toxicities was 23.9 percent for the Mayo Clinic regimen
21 versus only 11.1 percent for the bolus and infusional
22 regimen, a difference that was highly statistically
23 significant.

24 So, one can conclude from this trial, and to use
25 FDA's terminology, that the bolus and infusional regimen of

1 Dr. de Gramont is not inferior to that of the Mayo Clinic
2 bolus daily x 5 regimen. This is the context in which
3 oxaliplatin was developed, and this is the base upon which
4 oxaliplatin was added.

5 [Slide.]

6 Oxaliplatin is a platinum, but it is a very
7 different platinum. As Mark mentioned, it is a
8 diaminocyclohexane platinum, and you see the structural
9 differences between oxaliplatin shown here and cis- or
10 carboplatin shown here as they interact with DNA. Their DNA
11 adducts are shown here.

12 Oxaliplatin adducts are bulkier and more
13 hydrophobic than those produced by cis- or carboplatin.
14 Unlike cis- or carboplatin to which cells are resistant, if
15 they have DNA-mismatch repair deficient cells, oxaliplatin
16 has equivalent activity in DNA-mismatch repair proficient
17 and deficient cells in vitro.

18 There is preclinical activity in colorectal cancer
19 cell lines, and I will show you that in just a moment.
20 Also, there is preclinical synergy between oxaliplatin, 5-FU
21 and folinic acid.

22 [Slide.]

23 This is a representation of the NCI's human tumor
24 cell line screen, and this is the pattern of activity. What
25 this line represents here is the mean IC50 for each of these

1 drugs when tested against the 50 human tumor cell lines in
2 that screen.

3 The bars that go to the left, and depicted here in
4 orange, mean that against these colon cancer cell lines,
5 that there is a lower effect than the median effect versus
6 platin against all of these 50 cancer cell lines.

7 So, as you can see, the profile, the pattern for
8 both cis- and carboplatin suggest that this is not a very
9 active tumor target for these drugs.

10 In contrast, oxaliplatin has bars going to the
11 right of this mean effect in six of the eight cancer cell
12 lines meaning that it has greater than median effect in
13 colon cancer cell lines.

14 I would also like to point out that this axis is
15 actually a logarithmic axis, which means that in these cell
16 lines, there is 10- to 100-fold greater effect in these
17 colorectal cancer cell lines than in the average cells in
18 the cancer cell line.

19 When we look at patterns using a Spearman rank
20 correlation coefficient, as you might imagine, there is a
21 very high degree of correlation in the patterns of activity
22 for cis- and carboplatin and a very low correlation in
23 patterns of activity for oxaliplatin against either cis- or
24 carboplatin, again underlying the fact that this is a very
25 different platinum from the ones we currently have

1 available.

2 [Slide.]

3 Dr. Fischel and colleagues in 1998 published
4 results of a series of in vitro studies in which they
5 studied the interactions between 5-FU and oxaliplatin. What
6 they found was that in 78 percent of situations tested,
7 which included four human colorectal cancer cell lines,
8 three different sequences of administration, and three
9 different durations of drug exposure, oxaliplatin enhanced
10 5-FU with or without folinic acid cytotoxicity.

11 [Slide.]

12 Turning now to clinical results, there were two,
13 Phase I clinical trials performed with oxaliplatin. Both of
14 these were performed using a once-every-3-week schedule, and
15 both found dose-limiting toxicities in this very close range
16 of 180 to 200 mg/m². The dose-limiting toxicity was a
17 cumulative, reversible peripheral neuropathy.

18 From these studies, the recommended Phase II dose
19 was 130 mg/m² every three weeks. As you might recall, the
20 bolus and infusional regimen of 5-FU/folinic acid of Dr. de
21 Gramont is given every two weeks.

22 So, in an effort to maintain equivalent dose
23 intensity, the dose of oxaliplatin used with the de Gramont
24 regimen is 85 mg/m².

25 [Slide.]

1 I would now like to turn my attention to the
2 pivotal trial known as EFC 2962.

3 [Slide.]

4 This is a randomized, controlled, Phase III trial
5 that was performed in patients with metastatic colorectal
6 cancer who were receiving front-line treatment for the
7 metastatic disease.

8 It was a multi-national, multi-center trial
9 performed in nine countries in 37 centers. Enrollment was
10 conducted between August 1995 and July of 1997.

11 [Slide.]

12 The trial design is shown on this slide. Patients
13 with metastatic colorectal cancer were assigned treatment
14 arms using a randomization with minimization method for
15 center, performance status, and number of metastatic sites.

16 The control arm on this trial was the de Gramont
17 regimen of 5-FU/folinic acid, the exact same one that was
18 used in the French intergroup trial that I showed earlier.

19 The investigational arm was that same 5-FU/folinic
20 acid regimen to which oxaliplatin was added at a dose of 85
21 mg/m² on day 1 every two weeks.

22 [Slide.]

23 The primary endpoint of this trial was
24 progression-free survival. Again, this was performed in
25 Europe where progression-free survival is often the primary

1 endpoint for pivotal trials since it is felt to be most
2 reflective of the effect of front-line therapy.

3 The secondary endpoints included response rate,
4 and these response rates that I will report were those that
5 were determined by an independent review and only those in
6 which a confirmatory scan was obtained four weeks
7 afterwards.

8 Other secondary endpoints included overall
9 survival and safety.

10 [Slide.]

11 Data were analyzed in an intent-to-treat basis, so
12 all randomized patients were included in the analysis.

13 There was a planned adjustment for prospective prognostic
14 factors.

15 Data cut-off dates for safety and primary efficacy
16 were January 1998, for overall survival was July 1998.

17 [Slide.]

18 The trial was designed to enroll 400 patients, and
19 actually, 420 patients were enrolled, 210 patients on each
20 arm. Follow-up for a given patient was not to exceed 35
21 months, so it would allow a specific date to be used as a
22 data-locking date. This also represented five times the
23 median progression-free survival that was expected on the
24 control arm.

25 The null hypothesis was that there was no

1 difference in progression-free survival. The alternative
2 hypothesis was that there would be a three-month improvement
3 in median progression-free survival from 7 to 10 months,
4 representing a 43 percent relative improvement in
5 progression-free survival, a difference that was felt to be
6 clinically meaningful by the clinicians.

7 The trial was designed to have a two-sided test
8 for significance at the 0.05 level with an 80 percent power
9 to detect this difference.

10 There was one planned interim analysis with an
11 early stopping rule based on response.

12 [Slide.]

13 There were 14 prospectively identified prognostic
14 factors. They were prospectively selected and data on these
15 characteristics was prospectively collected.

16 These included center, age, gender, WHO
17 performance status, presence or absence of liver metastases,
18 the Astler-Coller's stage at diagnosis originally, number of
19 organs involved with metastases, primary site of the tumor,
20 colon or rectum, whether they received prior adjuvant
21 chemotherapy or radiotherapy, SGOT, SGPT, alkaline
22 phosphatase, and creatinine.

23 [Slide.]

24 The inclusion criteria are listed on this slide,
25 and this included histologically proven adenocarcinoma of

1 the colon or rectum. The patient must have had metastatic
2 disease that was considered surgically inoperable.

3 The patient may have received no prior
4 immunotherapy or chemotherapy for metastatic disease, but
5 they may have received prior adjuvant therapy as long as
6 that adjuvant therapy was completed at least six months
7 prior to study entry.

8 The patient had to have at least one bi-
9 dimensionally measurable lesion measuring at least 2 cm in
10 greatest dimension on MRI or CT scan. WHO performance
11 status less than or equal to 2. Adequate chemistries and
12 bone marrow reserve, and age between 18 and 75.

13 [Slide.]

14 On this slide and the next slide, the baseline
15 patient characteristics are shown. Although there was good
16 balance between the two treatment arms, note that the
17 numbers are not identical.

18 [Slide.]

19 I would particularly point out the alkaline
20 phosphatase here, as well as the particular organs that are
21 involved and the number of organs involved. This is an
22 important point that I will come back to later, since some
23 of these baseline characteristics are significant predictors
24 of outcome in patients with advanced colorectal cancer.

25 [Slide.]

1 The primary endpoint of the trial was progression-
2 free survival, and that is shown on this slide.
3 Progression-free survival was increased from 5.9 months on
4 the control arm to 8.1 months on the oxaliplatin arm.
5 Hazard ratio was 0.67, and this represented a 33 percent
6 reduction in the risk of progression for patients who
7 received front-line oxaliplatin. This difference was
8 significant at the 0.0003 level.

9 [Slide.]

10 Secondary objectives included response rate. Here
11 again, the objective response rate was also improved by the
12 addition of oxaliplatin, from 21.9 percent for the 5-
13 FU/folinic acid control arm, to 49 percent on the
14 oxaliplatin/5-FU/folinic acid arm. This difference was
15 significant at the 0.001 level, chi-square, 2-tailed test.

16 [Slide.]

17 This slide shows the overall survival which is
18 unadjusted for baseline differences in prognostic factors.
19 Median survival for the control arm was 14.7 months, for the
20 oxaliplatin arm was 15.9 months.

21 The hazard ratio was 0.83 representing a 17
22 percent reduction in the risk of death for patients who
23 received front-line oxaliplatin. The p-value for this
24 difference was 0.13.

25 However, the unadjusted survival curve does not

1 tell the whole story. A per-protocol analysis was performed
2 on those 14 baseline characteristics that I showed earlier
3 to see what extent, if any, these may have influenced this
4 result.

5 [Slide.]

6 In a Cox proportional hazards analysis, three
7 baseline characteristics came out as being significant
8 predictors for outcome. These included WHO performance
9 status, alkaline phosphatase, and number of organs involved.
10 When these baseline differences were taken into account in
11 this analysis, now the treatment arm became a significant
12 predictor for survival. That is shown on the next slide.

13 [Slide.]

14 Here is that same Kaplan-Meier overall survival
15 curve, but now it is adjusted for baseline imbalances and
16 performance status, number of organs involved, and baseline
17 alkaline phosphatase.

18 The hazard ratio has gone from 0.83 to 0.70 and
19 now representing a 30 percent reduction in risk of death for
20 patients who received front-line oxaliplatin, and using the
21 Cox model test statistic, this difference is significant.

22 [Slide.]

23 This Kaplan-Meier overall survival curve
24 represents the de Gramont regimen 5-FU/folinic acid
25 published in JCO in 1997 that I showed to you earlier.

1 The question that arises is whether advances that
2 are made in clinical trials are more apparent than real. In
3 other words, did the control arm in this study, 2962, the
4 one that I just showed, perform worse in this trial than it
5 did when it was the investigational arm in the previous
6 study.

7 So, here, as a baseline, I show you the results of
8 the 1997 published trial using just 5-FU/folinic acid either
9 by the Mayo Clinic regimen or the de Gramont regimen.

10 Now, when we show the control arm of 2962, the
11 same de Gramont 5-FU/folinic acid regimen, we see that it
12 did not perform worse in this trial, it actually performed
13 identically with the way it had previously.

14 Now, when we take a look at the oxaliplatin arm of
15 2962, we see that that performed here, and it shows you the
16 oxaliplatin control arm, the two de Gramont 5-FU arms, and
17 the 5-FU/folinic acid regimen of the Mayo Clinic bolus x 5.

18 So, the key point here is that the superiority of
19 the oxaliplatin arm of 2962 did not occur because of
20 underperformance of the control arm, but because of the
21 added benefit of oxaliplatin to 5-FU and folinic acid.

22 [Slide.]

23 So, what we can say from the results of the
24 pivotal trial 2962 is that the addition of oxaliplatin
25 results in significant improvement in survival with a 30

1 percent reduction risk of death after protocol-defined
2 adjustments for baseline imbalances and prognostic factors,
3 that it provides a progression-free survival advantage with
4 a 33 percent reduction in risk of progression to that
5 achieved with just 5-FU/folinic acid, and also has an
6 advantage in terms of response rate, with more than 2.2-fold
7 increase in confirmed objective response rates compared to
8 the control arm.

9 [Slide.]

10 During the conduct of this study, between the
11 years 1995 and 1997, data emerged on the beneficial impact
12 of second-line chemotherapy with irinotecan on the
13 improvement in survival in patients with colorectal cancer.

14 Therefore, it was of interest to us to examine
15 what impact, if any, the use of second-line chemotherapy
16 might have had on the outcome of this trial.

17 In a retrospective analysis, we found that 15
18 percent of patients who were randomized to the control arm
19 received oxaliplatin afterwards, 10 percent received CPT-11
20 afterwards, 8 percent received both, representing a third of
21 patients receiving second or subsequent treatment with
22 oxaliplatin and/or CPT-11.

23 An equivalent percentage of patients received
24 second-line or third-line chemotherapy on the
25 investigational arm, as well, although this primarily

1 represented CPT-11.

2 [Slide.]

3 Here, the results of 2962 are depicted with the
4 results, with the patients who received second-line
5 treatment with irinotecan, oxaliplatin, or both, censored at
6 the time of initiation of that second-line therapy. This
7 was done in an attempt to try and remove the confounding
8 factor of what influence, if any, second-line therapy had on
9 survival.

10 In this exploratory analysis, the hazard ratio was
11 0.72 in favor of the oxaliplatin front-line treatment
12 compared to the 5-FU/folinic acid treatment arm,
13 representing a 28 percent reduction in risk of death for
14 patients who got front-line oxaliplatin when the confounding
15 effects of second- or third-line therapy with CPT-11 or
16 oxaliplatin were taken into account. This difference was
17 significant with a log-rank p-value of 0.03.

18 [Slide.]

19 I will now turn my attention to the first of
20 several supporting trials. This is EFC 2961, which was the
21 other Phase III front-line regimen in colorectal cancer.

22 [Slide.]

23 Patients with metastatic colorectal cancer and no
24 prior treatment for first-line metastatic disease and at
25 least six months since prior adjuvant therapy, adequate WHO

1 performance status, and age below 75 were randomized once
2 again to a 5-FU/folinic acid control arm versus that same
3 treatment to which oxaliplatin was added. In this case, it
4 was a chronomodulated method of administering 5-FU and
5 folinic acid.

6 [Slide.]

7 The primary endpoint of this trial was objective
8 response rate, and those results are shown here. There was
9 a 12 percent objective response rate for the control arm
10 versus a 34 percent response rate for patients who received
11 oxaliplatin as part of front-line therapy.

12 You may note that these numbers are slightly lower
13 than those I showed to you for 2962, and the reason for that
14 is very likely that response evaluation, instead of being
15 every eight weeks, was every nine weeks, but more
16 importantly, instead of a confirmatory scan being reported
17 or performed at four weeks, it was performed at nine weeks.
18 Nevertheless, there was a near tripling of the objective
19 response rate in favor of oxaliplatin treatment front-line.

20 [Slide.]

21 The secondary endpoint of this trial was
22 progression-free survival, and once again, oxaliplatin is
23 shown on the top, the control arm of 5-FU/folinic acid shown
24 on the bottom.

25 The median progression-free survival of 4.2 months

1 in the control arm was nearly doubled in the oxaliplatin 5-
2 FU/folinic acid arm. Hazard ratio for progression was 0.74,
3 representing a 26 percent reduction in the risk of
4 progression for those people who got front-line oxaliplatin,
5 a difference that was significant at the 0.05 level.

6 [Slide.]

7 Another secondary endpoint was overall survival.
8 Here, the results are much tighter - 5-FU control arm,
9 median survival of 19.2 months; oxaliplatin, of 17.4 months.
10 Hazard ratio of 0.11, log-rank, p-value of 0.58.

11 I should also point out that these results on both
12 2961 for survival and 2962 are among the longest ever
13 reported for trials done in front-line treatment of patients
14 with metastatic colorectal cancer.

15 This trial took an aggressive approach to patients
16 with advanced colorectal cancer, and patients who failed to
17 respond to front-line therapy were rapidly switched to
18 salvage treatment.

19 [Slide.]

20 The second-line approaches are shown here. So,
21 this is after patients finished their protocol-mandated
22 treatment, they were then allowed to be treated per the
23 discretion of the treating physician, and as you can see,
24 nearly two-thirds of patients who were assigned to the
25 control arm then received oxaliplatin second-line.

1 Twenty-six percent received CPT-11, and more than
2 80 percent received some chemotherapy on both arms, but in
3 addition, patients who were placed on this trial had to have
4 surgically inoperable metastatic disease at the time of
5 randomization.

6 The group performing the study took a very
7 aggressive approach to trying to perform salvage surgery to
8 resect any residual disease if possible, because it was felt
9 that that had beneficial influence on survival. As you can
10 see, that is reflected in the fact that a third of patients
11 on both arms were able to undergo resections for potential
12 cure after entering the trial having inoperable disease.

13 [Slide.]

14 It was again of interest to us to try and evaluate
15 what influence, if any, this second-line treatment approach
16 had on the outcome in this study. In this adjusted Kaplan-
17 Meier curve, we have taken into account post-study
18 oxaliplatin, CPT-11, or surgery and censored people at the
19 time that they received that salvage therapy.

20 When you do that, again, the oxaliplatin curve now
21 splays a little bit more from the 5-FU curve, hazard ratio
22 is now 0.58 indicating a 42 percent reduction in the risk of
23 death in patients treated with front-line oxaliplatin, a
24 difference that approached, but did not reach statistical
25 significance, but I should remind you that this was a

1 secondary endpoint, this is a subset analysis, and this is a
2 trial that had 100 patients on each arm, so therefore, we
3 must make these analyses with some caution.

4 [Slide.]

5 What I would like to point out now is the
6 consistency of results between 2961 and 2962. I think that
7 that is quite notable for both overall survival and
8 progression-free survival, the results for the oxaliplatin
9 arms in both trials is very consistent in terms of overall
10 survival and progression-free survival.

11 I would also like to remind you that the
12 progression-free survival here is 8.1 and 8.3 months. That
13 also is among the longest ever reported for front-line
14 regimens for the treatment of metastatic colorectal cancer.

15 [Slide.]

16 Next, I would like to present the use of the 5-
17 FU/folinic acid/oxaliplatin combination in the second-line
18 treatment of patients with recurrent or refractory
19 colorectal cancer.

20 [Slide.]

21 In the study known as 2964, patients who had
22 disease progression within six months of receiving prior 5-
23 FU, and up to two prior 5-FU-based regimens, could have
24 received either that same bimonthly de Gramont regimen
25 including oxaliplatin/5-FU/folinic acid or a modification of

1 that also every two weeks.

2 [Slide.]

3 The results of this combination when used in
4 second-line therapy indicated a response rate of
5 approximately 20 percent, but our experience with irinotecan
6 also taught us that it is not just those patients who have
7 objective responses who benefit because these people all had
8 progression of their disease to become eligible. Even tumor
9 stabilization could be of benefit, and therefore, we looked
10 at that, as well, and found approximately 50 percent of
11 patients had tumor stabilization on these regimens.

12 Progression-free survival was 4.6 to 5.3 months,
13 and overall survival was 10 to 11 months. This data and the
14 data that I will show you next are very consistent with
15 those obtained with second-line irinotecan.

16 [Slide.]

17 The second trial is 2917, very similar, but not
18 identical patient population. Patients must have
19 demonstrated progression within two months of prior 5-FU, up
20 to one prior to 5-FU-based regimen, but here, the use of the
21 5-FU was actually restricted, so that the patients must
22 receive the 5-FU in the exact same fashion on which they
23 were progressing, and the only change made was the addition
24 of oxaliplatin.

25 This study design removed the influence, whatever

1 influence it may have had, of changing the 5-FU treatment
2 regimen from bolus to infusion or from one schedule to
3 another, so this just looks at the addition of oxaliplatin
4 in patients with recurrent colorectal cancer.

5 [Slide.]

6 Here, the response rates are slightly lower, 7 to
7 13 percent, but again, 50 percent stable disease rate, 4 to
8 4 1/2 month progression-free survival, and overall survival
9 10 to 11 months, again very consistent with the previous
10 trial.

11 [Slide.]

12 I would like to conclude the presentation with the
13 rapid review of the oxaliplatin monotherapy studies, two of
14 which were done in front-line therapy, two of which were
15 done in relapsed patients.

16 [Slide.]

17 In patients who had previously received no
18 treatment for metastatic colorectal cancer, oxaliplatin as a
19 single agent had a response rate of 12 to 27 percent,
20 progression-free survival of four months, and overall
21 survival in excess of one year.

22 When used in previously treated patients, the
23 response rate was somewhat lower, 7.8 to 10.3 percent, and
24 were dated as available overall survival of 8.2 months.

25 [Slide.]

1 So, to summarize my portion of the presentation, I
2 think that the important points are the consistent results
3 of the oxaliplatin/5-FU/folinic acid regimen in front-line
4 therapy. That is shown here with experience including more
5 than 300 patients, progression-free survival 8.1/8.3 months.
6 Overall survival 15.9/17.4 months, and one year survival
7 rates of almost 70 percent.

8 [Slide.]

9 Oxaliplatin also has activity in relapsed or
10 refractory patients with colorectal cancer consistent with
11 standards. This is shown in the results from 2964 and 2917
12 with progression-free survival in the four to five month
13 range, and overall survival of 10.1 to 11.1 months.

14 [Slide.]

15 So, overall, what can we conclude from this
16 portion of the presentation? Well, I feel that oxaliplatin
17 has consistent and reproducible activity in patients with
18 metastatic colorectal cancer, and that activity appears to
19 be greatest when oxaliplatin is used in combination with 5-
20 FU/folinic acid as front-line therapy.

21 I would now like to turn the presentation over to
22 Dr. Dan Haller of the University of Pennsylvania, who will
23 present a summary of the safety of oxaliplatin and conclude
24 this presentation.

25 **Safety, Clinical Benefit, and Conclusions**

1 DR. HALLER: Good morning.

2 [Slide.]

3 My name is Dan Haller and I am presenting the
4 safety data for oxaliplatin. As Mark Moyer told you, I am a
5 medical oncologist at the University of Pennsylvania Cancer
6 Center, and I have a long-standing clinical interest in
7 gastrointestinal oncology, 25 years of clinical experience
8 in taking care of patients with colorectal cancer, and with
9 a personal experience of treating over 100 patients with
10 oxaliplatin therapy for refractory colorectal cancer.

11 [Slide.]

12 The safety presentation will describe the
13 qualitative toxicities of oxaliplatin used as monotherapy in
14 the first-line treatment of colorectal cancer, as well as
15 the safety profile of oxaliplatin and 5-FU and folinic acid
16 from the primary pivotal trial EFC 2962.

17 In addition to some typical chemotherapy-related
18 toxicities, oxaliplatin therapy is often associated with
19 neurotoxicities that are relatively unique to this drug, and
20 these will be described in detail.

21 I will also present available evidence of clinical
22 benefit including time-to-treatment failure as a surrogate
23 of both safety and efficacy.

24 Although approval is being sought for combination
25 chemotherapy with 5-FU and folinic acid, single agent trials

1 have been completed which delineate those side effects
2 attributable to oxaliplatin.

3 [Slide.]

4 The data for the toxicity profile of oxaliplatin
5 in monotherapy is derived from two trials of previously
6 untreated colorectal cancer patients at a dose of 130 mg/m²
7 every three weeks.

8 WHO Grade 3 to 4 nausea and vomiting was observed
9 in 11 to 13 percent of patients and diarrhea in less than 5
10 percent. Significant Grade 3 to 4 myelosuppression was seen
11 in 10 percent or less of patients, and characteristic
12 paresthesias were observed in approximately 20 percent.
13 Patients did not develop clinically significant hair loss,
14 nephrotoxicity, or ototoxicity.

15 [Slide.]

16 The primary basis for safety labeling, however,
17 was in the pivotal trial EFC 2962, in which oxaliplatin is
18 given in combination with 5-FU and folinic acid.

19 In this trial, oxaliplatin was administered at a
20 dose of 85 mg/m² every 2 weeks, and the primary safety data
21 will come from the dose schedule used in this study.
22 However, these safety data are representative of the
23 composite safety profile from all of the trials included in
24 the ODAC briefing document.

25 The safety profile from EFC 2962 is derived from

1 more than 5,000 cycles of therapy and 417 patients
2 randomized to infusional and bolus 5-FU and folinic acid
3 alone or to the same therapy with oxaliplatin.

4 The median number of cycles was 11 in the 5-FU and
5 folinic acid arm and 12 in the combination arm with a range
6 of up to 40 and 35 cycles respectively.

7 Gastrointestinal toxicities are frequently
8 observed in patients receiving 5-FU-based chemotherapy with
9 commonly accepted Grade 3 to 4 side effects in 20 to 40
10 percent of patients treated with standard bolus regimens.

11 [Slide.]

12 In EFC 2962, gastrointestinal toxicities were
13 relatively uncommon. Independent of the treatment arm, by
14 patient, the occurrence at any time during therapy is Grade
15 3 to 4 nausea or vomiting, for oxaliplatin and 5-FU was
16 somewhat higher than for 5-FU and folinic acid alone, but
17 not significantly.

18 Both diarrhea and stomatitis were significantly
19 more common with combination therapy, but still considerably
20 less than that reported from comparator arms of trials using
21 bolus 5-FU and folinic acid.

22 When the same data are analyzed by cycle, similar
23 trends toward a modest increase in gastrointestinal toxicity
24 are observed, but the incidence of even the most frequent
25 toxicity, diarrhea, was extremely low in any given cycle.

1 Hematologic toxicity with oxaliplatin has also
2 been described including neutropenia and thrombocytopenia.
3 For the combination of oxaliplatin and 5-FU and folinic acid
4 in the pivotal trial, a significant trend for Grade 3 to 4
5 neutropenia was documented. Clinically, however, this
6 rarely resulted in neutropenic fever with no difference
7 between the treatment arms.

8 Significant anemia or thrombocytopenia were
9 uncommon and the incidence of these side effects was not
10 increased with combination chemotherapy. Again, when
11 analyzed by cycle, the risk of developing clinically
12 relevant myelosuppression during any treatment cycle was 6
13 percent or less with extremely low rates associated with
14 either treatment.

15 Therefore, although laboratory evidence exists for
16 increased myelosuppression when oxaliplatin is added to 5-FU
17 and folinic acid, patients rarely suffered clinically
18 relevant adverse consequences. The same is true for
19 laboratory measures of other organ system toxicities.

20 [Slide.]

21 Significant hepatic or renal dysfunction was
22 uncommonly observed in EFC 2962 whether analyzed by patient
23 or by cycle. There were no differences between the
24 treatment arms.

25 The tolerance of the treatment regimen is based

1 not only on innate properties of the individual drugs, but
2 also on the dose and schedule modifications that are
3 instituted during therapy.

4 [Slide.]

5 This is, in part, demonstrated in the exposure of
6 patients to the drugs in the two arms of the pivotal trial.
7 In the 5-FU and folinic acid arm, 89 percent of ideal dose
8 was administered. When oxaliplatin was added biweekly,
9 toxicities, typically gastrointestinal and hematologic, led
10 to dose reductions in both drugs, so that somewhat less 5-FU
11 was administered in the combination arm.

12 When analyzed by patient and by dose, reductions
13 and delays were significantly more common in the combination
14 arm. By cycle, approximately one-third of treatment courses
15 of oxaliplatin with 5-FU and folinic acid required dose
16 reduction or delay compared with roughly 10 percent in the
17 5-FU and folinic acid control arm.

18 [Slide.]

19 The data have been analyzed to explore the reasons
20 for dose reductions or delays in EFC 2962. When analyzed
21 for dose reduction, neurotoxicity resulted in dose
22 reductions only of oxaliplatin in 66 patients. Hematologic
23 toxicity required dose reduction in both 5-FU and
24 oxaliplatin in 71 patients, significantly more than the 10
25 patients in the control arm.

1 Less commonly, gastrointestinal toxicity required
2 dose reductions in 5-FU or oxaliplatin. Overall, dose
3 reductions were more common with combination therapy
4 typically for neurotoxicity or myelosuppression.

5 [Slide.]

6 Nearly twice as many cycles were delayed in the
7 combination arm compared to the control arm. Most often
8 this was for personal reasons, such as vacations or other
9 nontreatment-related factors. Increased myelosuppression
10 from the combination resulted in treatment delays more often
11 in the infusional fluorouracil control arm which, by itself,
12 results in little hematologic toxicity.

13 Treatment delays for other toxicity including
14 neurotoxicity alone were extremely uncommon. Taken together,
15 appropriate dose reduction and treatment delays result in a
16 combination regimen that is both tolerable and effective.

17 [Slide.]

18 Treatment-related mortality has been a rare event
19 in oxaliplatin trials. For the pivotal and supporting
20 trials, EFC 2962 and 2961, 4 deaths were observed in 616
21 patients, less than 1 percent overall. These data are
22 consistent with the low rates observed in the data presented
23 in the briefing document for the 8 primary studies in
24 colorectal cancer and for the 33 total studies presented in
25 which the combined treatment-related mortality was less than

1 1 percent of more than 2,700 patients.

2 These data compare favorably to series of patients
3 treated with single agent fluorouracil therapy.

4 The development of oxaliplatin has been associated
5 with the evolution of scales to describe qualitatively and
6 quantitatively the neurotoxicity associated with this drug.
7 It is therefore important to briefly review the
8 neurotoxicity grading scales used in the pivotal trial and
9 in the supporting studies.

10 [Slide.]

11 At the time the EFC 2962 was accruing patients,
12 the NCI common toxicity criteria did not address the
13 duration of sensory neuropathy, and the highest grade
14 assigned was Level 3.

15 To better capture the nature of oxaliplatin
16 neurotoxicity, EFC 2962 also employed a trial-specific scale
17 that assigned a grade according to severity of paresthesias
18 and duration with the highest grade given to those patients
19 who had functional impairment persisting between treatment
20 cycles. In addition, grading from none to severe was also
21 performed for patients developing paresthesias of the
22 pharyngeal/laryngeal area.

23 It is important to describe clinically the
24 neurotoxicities that are associated with oxaliplatin therapy
25 as you heard earlier. Some are similar to those seen with

1 other approved chemotherapy drugs, such as the vinca
2 alkaloids, taxons, and other platinate compounds.

3 Other manifestations of neurotoxicity appear
4 relatively unique to this compound. My own experience in
5 administering more than 700 cycles of this drug has allowed
6 me to better understand the nature of the neurotoxicity.

7 [Slide.]

8 Cold-related paresthesias comprise the most
9 commonly observed characteristic neuropathy. This may occur
10 in the distal extremities or in the pharyngo-laryngeal area
11 and is typically mild, occurring initially within hours of
12 the infusion.

13 Characteristically, this toxicity is transient,
14 lasting three to five days after the infusion. Patient
15 frequently describe the sensation as being similar to
16 touching dry ice with the fingers or swallowing ice
17 crystals.

18 Much less common is the constellation of symptoms
19 termed the pharyngo-laryngeal syndrome, which describes
20 dysesthesias of the throat resulting in a subjective
21 sensation of dysphasia or dyspnea. When this occurs, it is
22 always considered Grade 3. Therefore, cold-related
23 paresthesias are common, but they are rarely severe.

24 [Slide.]

25 When analyzed by the trial-specific neurotoxicity

1 scale in the pivotal trial EFC 2962 and for two supporting
2 trials, cold-related paresthesias of the distal extremities
3 of any grade were observed in 68 percent of patients in the
4 pivotal trial and 78 percent in the supporting trials.
5 However, Grade 3, persistent paresthesias or the pharyngo-
6 laryngeal syndrome were much less common, the latter
7 occurring in less than 1 percent of patients in the pivotal
8 trial.

9 As with other chemotherapy-related toxicities,
10 optimal clinical management affects the ability of the
11 patient to receive effective therapy.

12 [Slide.]

13 Patients must be made aware of the likelihood of
14 transient cold-related paresthesias and advised to avoid
15 cold drinks or foods within a few days after therapy. In
16 the winter, gloves may be advisable. Although not typically
17 required with infusional and bolus therapy as used in this
18 trial of 5-FU, ice chips should be avoided during treatment.

19 Both the patient and the physician should be aware
20 of the rare occurrence of the pharyngo-laryngeal syndrome.
21 If clinically indicated, airway obstruction can be readily
22 ruled out by simple clinical examination and reassurance and
23 anxiolytics may be administered as needed.

24 On subsequent cycles, prolongation of the infusion
25 beyond two hours may reduce the likelihood of recurrence.

1 [Slide.]

2 Both patients and physicians should also be aware
3 of a cumulative neurotoxicity which occurs less commonly in
4 patients who are receiving prolonged therapy.

5 Cumulative sensory neuropathy persisting between
6 cycles may progress to functional impairment. Clinically,
7 this manifest is difficulty in fine finger movements, such
8 as difficulty in small buttons or in differentiating coins.
9 This toxicity occurs only rarely in patients before they
10 have received a total cumulative dose of 850 mg/m² or
11 approximately 10 cycles.

12 Limited data in patients with long follow-up after
13 therapy suggests that this toxicity is reversible upon
14 cessation of treatment.

15 [Slide.]

16 To better quantify the likelihood of developing
17 such toxicity, the totality of reported Grade 3
18 neurotoxicity upon the pivotal trial have been summarized.
19 When all Grade 3 neurosensory toxicities, as measured by the
20 NCI scale or persistent Grade 3 paresthesias by the trial-
21 specific scale were analyzed, the risk of a patient ever
22 developing clinically significant functional impairment
23 secondary to neurotoxicity is less than 20 percent. This
24 risk appears similar whether captured by the NCI scale or
25 the trial-specific scale.

1 By comparison, the risk of developing Grade 3
2 neurotoxicity from a recently reported trial of platinum and
3 taxol combinations for non-small-cell lung cancer ranged
4 from 23 to 40 percent.

5 From the standpoint of both the clinician and the
6 patient, understanding of the relationship between Grade 3
7 neurotoxicity and treatment duration is important.

8 [Slide.]

9 This slide portrays the likelihood of achieving
10 objective response compared to the time course associated
11 with the onset of cumulative Grade 3 neurotoxicity. By
12 eight cycles, most responding patients will have been
13 identified, but few patients will have developed Grade 3
14 neurotoxicity by either the NCI or the trial-specific scale.

15 These characteristics mean that patients who
16 progress early will rarely experience significant
17 neurotoxicity. Also, responding and surviving patients have
18 the opportunity to evaluate and discuss their toxicities
19 with their physician and to modify unacceptable toxicity
20 with schedule and dose modifications.

21 [Slide.]

22 To further explore the impact of cumulative
23 sensory neuropathy on the clinical status of patients, the
24 performance status of those patients with Grade 3
25 neurotoxicity at their final cycle of treatment was compared

1 to those patients without significant neurotoxicity.

2 As you can see, there were no differences for any
3 performance status in the proportion of patients with or
4 without Grade 3 neurotoxicity. This indicates that the
5 occurrence of even the most severe neurotoxicity did not
6 substantially affect patient's ability, continued to lead a
7 normal or near normal lifestyle as measured by one of the
8 most accepted global scales of clinical status.

9 [Slide.]

10 In summary, the safety data for the addition of
11 oxaliplatin to 5-FU and folinic acid shows a modest increase
12 in diarrhea and stomatitis, rare febrile complications in
13 spite of a significant increase in neutropenia, rare toxic
14 death with the proposed dosing regimen, and manageable acute
15 neurosensory symptoms and reversible cumulative paresthesias
16 uncommonly interfering with routine clinical management or
17 effective therapy.

18 [Slide.]

19 To enrich the safety and efficacy presentation,
20 two measures of patient benefit and tolerability are now
21 presented from the pivotal trial - time to treatment failure
22 by the SWOG criteria, which includes time to progression and
23 death, or discontinuation of treatment for any cause, and
24 the reasons for withdrawal during treatment.

25 [Slide.]

1 When all causes of treatment failure were
2 included, combination therapy with oxaliplatin and 5-FU and
3 folinic acid was superior to 5-FU and folinic acid alone
4 whether measured at specific time points or with the log-
5 rank test with a p-value of 0.003.

6 [Slide.]

7 To further elucidate why these differences were
8 observed, the reasons for treatment failure were identified.
9 In the pivotal trial, the most common reason for
10 discontinuing treatment was progressive disease, which was
11 more common with 5-FU and folinic acid alone, 65 versus 49
12 percent for combination therapy.

13 Withdrawal for adverse events or refusal for any
14 cause were somewhat more common with the combination
15 therapy, but other causes or death were similar between the
16 two arms.

17 [Slide.]

18 To conclude the safety presentation, I would like
19 to emphasize two points. First, the toxicity of the 5-FU/
20 folinic acid regimen used in EFC 2962, and proposed for
21 labeling, is by itself considerably less toxic than typical
22 bolus 5-FU regimens used in the United States. Even when
23 oxaliplatin is added in the proposed dosing regimen, the
24 combination is extremely well tolerated.

25 Second, when toxicities do occur, they are

1 predictable, manageable, and toxicity rarely limits
2 effective treatment.

3 [Slide.]

4 In closing, I would now like to briefly review the
5 efficacy data constituting the basis for approval for the
6 combination of oxaliplatin and 5-FU and folinic acid in the
7 first-line therapy of colorectal cancer.

8 [Slide.]

9 From the pivotal trial, EFC 2962, efficacy has
10 been established. Both response rate and progression-free
11 survival were significantly better for the combination of
12 oxaliplatin and 5-FU and folinic acid than for 5-FU and
13 folinic acid alone in first-line treatment for colorectal
14 cancer.

15 In addition, overall survival was significantly
16 improved as measured by the adjusted Kaplan-Meier
17 statistics.

18 [Slide.]

19 There has also been consistent evidence for
20 combination therapy with oxaliplatin and 5-FU and folinic
21 acid shown in another first-line trial, 2961. When
22 compared, the response rates, progression-free overall, and
23 one-year survivals for the combination of oxaliplatin and 5-
24 FU and folinic acid are remarkably similar between the two
25 trials.

1 [Slide.]

2 From other supportive trials, the combination of
3 oxaliplatin and 5-FU and folinic acid has shown clinical
4 activity in patients with relapsed or refractory colorectal
5 cancer, which I have had the opportunity to observe in my
6 own practice.

7 Oxaliplatin has demonstrated single agent activity
8 in patients with previously untreated advanced colorectal
9 cancer, and finally, oxaliplatin has also shown single agent
10 activity when used in patients with relapsed or refractory
11 colorectal cancer.

12 [Slide.]

13 Based on these efficacy and safety data, we
14 conclude that the combination of oxaliplatin and 5-FU and
15 folinic acid should be approved for the first-line treatment
16 of colorectal cancer.

17 Thank you.

18 **Questions from the Committee**

19 MR. MOYER: Any questions from the panel for our
20 presenters? We also have with us Dr. Jean Vialle [ph], a
21 medical oncologist from Sanofi-Synthelabo, who is our
22 project director for Clinical Research, and Dr. Bill John,
23 also a medical oncologist from Eli Lilly Company, who is the
24 project director for Clinical Research from our partner, and
25 Dr. Robert Bigelow, our statistician for the project.

1 DR. SCHILSKY: Thank you. I believe there may be
2 one or two questions from the committee.

3 Dr. Kelsen.

4 DR. KELSEN: In 1962, in the pivotal trial, the
5 initial survival analysis did not show a statistical
6 benefit, so you elected to do an adjusted survival analysis,
7 and you focused on alkaline phosphatase, performance status,
8 and the number of organs involved in your multivariate
9 analysis as predicting outcome.

10 My first question is why you chose alkaline
11 phosphatase since there are many other laboratory values
12 that you might have looked at, and my second question is as
13 you look at the raw data, the number of patients with PS2 is
14 identical between the two arms, 11 percent.

15 The number of organs involved is actually slightly
16 worse for the comparator arm than it is for the experimental
17 arm, and so is the bulk. I don't know if you can answer
18 this statistically, but is the bulk of the improved survival
19 seen for the adjusted analysis because of the discrepancy in
20 alkaline phosphatase in the experimental arm compared to the
21 comparator arm, since that makes up the bulk of the
22 advantage that you saw.

23 MR. MOYER: So, your question is regarding the
24 adjustments made that were per protocol on alkaline
25 phosphatase was actually first based in a meeting with

1 Rougier in November of '96, in which we were informed that
2 that was a significant factor.

3 I will turn it over to Dr. Mace Rothenberg to
4 address how that came about.

5 DR. ROTHENBERG: The first question you asked
6 regarded alkaline phosphatase, how was that selected as one
7 of the baseline characteristics, and actually, it is one of
8 several different indicators of tumor burden and involvement
9 of the liver, and this is something that has been recognized
10 for a number of years in trials with 5-FU/leucovorin with
11 CPT-11 and with oxaliplatin, and we do have some back-up
12 slides to address that.

13 [Slide.]

14 In 1995, Philippe Rougier published in the British
15 Journal of Surgery an analysis of a trial that was looking
16 at early surgical intervention, patients with advanced
17 colorectal cancer.

18 He looked at a number of possible prognostic
19 factors in a very large number of patients. Alkaline
20 phosphatase did turn out to be a very significant prognostic
21 factor for survival with a risk ratio of 1.6.

22 Now, to follow forward with this, this same
23 parameter has been applied in the two pivotal trials for
24 second-line treatment with irinotecan published in Lancet
25 1998 by Philippe Rougier and David Cunningham. Looking at

1 baseline alkaline phosphatase in each of those trials, risk
2 ratios again were between 1.5 and 2.7 using baseline
3 alkaline phosphatase as the parameter, all of which were
4 statistically significant predictors of survival.

5 [Slide.]

6 Then, when we look at the other trials that we
7 just presented, the French intergroup trial published in
8 1997, the baseline alkaline phosphatase elevations were
9 associated with a risk ratio more than twice those patients
10 who had normal alkaline phosphatase.

11 In the other Phase III trial that I presented, EFC
12 2961, baseline alkaline phosphatase was again a risk factor
13 associated with a 50 percent increased risk of death to
14 those patients in whom it was elevated.

15 So, I think that there is a very consistent
16 picture that emerges here over the last five years
17 indicating that in patients who have elevated alkaline
18 phosphatase, that in and of itself is a poor prognostic
19 factor for survival.

20 Does that answer your first question?

21 DR. KELSEN: There are a number of other
22 laboratory tests that are based on the same analysis.

23 DR. SCHILSKY: If I could just follow up on that,
24 on your comment. So, if alkaline phosphatase is such an
25 important prognostic factor, why was the trial not

1 stratified in advance for alkaline phosphatase?

2 DR. ROTHENBERG: That is a question that I could
3 turn over in terms of the people who designed that trial.

4 MR. MOYER: My understanding is that the study was
5 designed with the minimization technique for the factors
6 that were listed on Dr. Rothenberg's slide.

7 It was in November of '96, the meeting with Dr.
8 Rougier and the steering committee, of which there was in
9 the per-protocol, it stated that there was collected
10 alkaline phosphatase and the other 14 prognostic factors
11 were captured, and the protocol specified that accidental
12 bias would be adjusted for in the final analysis.

13 The log-rank was the primary analysis, but that
14 there would be an analysis for accidental bias. That was
15 submitted to the FDA. We had an actual meeting with the FDA
16 because this study was started before the sponsor ever took
17 over.

18 All these studies were conducted under European
19 guidance and regulations, not under the U.S. IND, and we had
20 a meeting with the FDA in October of 1998 in which they had
21 asked for the final analysis plan, which was signed off in
22 December of '97--I am sorry, it was October '97 we had the
23 meeting. We had signed off in December '97, the final
24 analysis plan for survival being that was not a primary
25 endpoint which included, that we were just going to look at

1 only the prognostic factors that were collected, no other
2 additional factors, and that was submitted in February 1998,
3 six months prior to the July cut-off for the final survival
4 analysis.

5 DR. KELSEN: Could you just go over the answer to
6 the question as to is the bulk of the benefit that is seen
7 on the basis of the adjusted survival due to the discrepancy
8 in alkaline phosphatase, is that what shifts this over, or
9 can you not do that?

10 DR. ROTHENBERG: Let me address that because that
11 is also something that we thought about a lot, and this has
12 to do with the issue of alkaline phosphatase and baseline
13 imbalances of prognostic factors.

14 If I could have those series of slides.

15 [Slide.]

16 This shows the original unadjusted overall
17 survival curve showing oxaliplatin and 5-FU/folinic acid.

18 [Slide.]

19 In the Cox proportional hazard analysis, on the 14
20 prospectively identified prognostic factors, WHO performance
21 status, alkaline phosphatase, the number of organs involved
22 turned out to be significant prognostic factors in and of
23 themselves. When those imbalances were taken into account,
24 then, the treatment arm became a significant, also
25 prognostic factor for survival.

1 [Slide.]

2 When we adjusted that for the Kaplan-Meier overall
3 survival curve, that is shown here, hazard ratio of 0.7, Cox
4 model p-value of 0.01.

5 [Slide.]

6 Now, the issue here was, as you point out, there
7 are some very subtle differences when we look at number of
8 organs involved, 40 percent had one, 43 percent had one
9 here, and that was actually in favor of the oxaliplatin arm.

10 When we looked at alkaline phosphatase, the
11 differences were very subtle and none of these were
12 statistically significant, so the question became how did
13 these very subtle numerical differences come out as
14 significant prognostic factors in the Cox proportional
15 hazard model.

16 In order to understand that small numerical
17 differences can actually be significant if the prognostic
18 factor is a strong one, I could give you the example of a
19 tug of war where you have three people on each side, each of
20 whom is a 200-pound man, so three, 200-pound men on each
21 side, but it just so happens that on one side those men are
22 NFL linebackers, on the other side they are 200-pound couch
23 potatoes.

24 So, there, even though numerically balanced, you
25 know who is going to win that tug of war every time, because

1 of the strength of those individuals on one side. Actually,
2 in order to be able to approach that numerically, there is
3 something called the Z statistic, and that is what we will
4 show next.

5 [Slide.]

6 Actually, the Z statistic is based on two factors.
7 One is the numerical imbalance between treatment arms, so-
8 called Z_d , and the other is the impact of that factor, so-
9 called Z_j , so that the potential bias due to the factor is
10 known as a Z product, and so that the potential bias
11 introduced by steps 1 and 2 combine, so that large products
12 translate to more potential bias.

13 That is shown on the next slide when we actually
14 look at the Z statistics for those prognostic factors.

15 [Slide.]

16 Here, you can see even though the numerical
17 imbalance was not very large, the impact of alkaline
18 phosphatase was very large for the largest Z product. In
19 addition, imbalance here was small, impact was large and
20 significant, and WHO performance status, as you might
21 recall, was PS2 of 11 percent on each arm, so they are
22 numerically equivalent even though there was a significant
23 impact of performance status, as we all recognize, the
24 overall bias on one side or the other was not there.

25 So, that actually tries to address your concern

1 about how did we get from a very small numerical imbalance
2 to a significant prognostic factor.

3 DR. KELSEN: I think what it says is that the bulk
4 comes from alk phos.

5 DR. ROTHENBERG: Yes.

6 DR. SCHILSKY: Dr. Margolin.

7 DR. MARGOLIN: This is probably to Mace, but it is
8 regarding a completely separate question, which is to
9 clarify what the rationale was, presumably based on some
10 desire to take advantage of this synergy in laboratory
11 analyses, the rationale for the addition of oxaliplatin in
12 the two first salvage studies that were shown, the de
13 Gramont regimen, and I think one of the other regimens, in
14 patients who were 5-FU and folinic acid failures.

15 Traditionally, we see so little when we do that,
16 and it can enhance the toxicity and maybe obscure our
17 ability to see the true effect or true benefit of a salvage
18 drug. So, I wonder what the rationale was.

19 DR. ROTHENBERG: The question was what the
20 rationale was for continuing on a drug on which patients had
21 previously progressed. I think it was a combination of
22 factors. Is that the correct question?

23 I think it was due to a combination of factors.
24 One is some of the preclinical data that I showed and some
25 that I did not show from Fischel and colleagues, but also

1 from clinical practice, and that is also shown in some of
2 the monotherapy studies that I showed you.

3 In the front-line monotherapy studies, the
4 response rates were in the 10 to 15 percent range, or
5 actually the 13.2 percent range, and then when we combined
6 that with 5-FU, response rates in the trials--and actually
7 we can pull up EFC 2917 and 2964 to show you those response
8 rates, as well, were significantly higher.

9 It was the overall impression of the investigators
10 who were working with the drugs from those experiences that
11 the drug seemed to work better when used.

12 [Slide.]

13 Here is 2964 and 2917 with response rates from 7
14 to 23 percent.

15 [Slide.]

16 If we now show the monotherapy studies, the
17 summary slide for them, here, response rates are somewhat
18 similar, but this is for previously treated actually is what
19 we should be looking at, is only 7 to 10 percent here.

20 So, the overall impression was that this was a
21 drug that appeared to be more effective when given in
22 combination with 5-FU, and it was a follow-up to the
23 preclinical data suggesting that this indeed was the same.

24 The mechanism of interaction right now is
25 undergoing study and certain of the hypotheses, for

1 instance, that one drug change the other drug's
2 pharmacology, has not been borne out, that oxaliplatin might
3 inhibit DPD has not been borne out. Actually, Dr. Paul
4 Juniwitz can actually address some of the additional
5 preclinical data on the nature of this interaction.

6 MR. MOYER: Is that something you would like to
7 see in the preclinical data in addressing your question?

8 DR. SCHILSKY: Not at this time.

9 Dr. Simon.

10 DR. SIMON: At this point I am going to limit
11 myself just to questions. I feel like I have more to say
12 about comments later. I feel like your presentation has
13 sort of violated so many of the basic principles of good
14 statistical practice that I am sort of shocked, but I do
15 want to ask a couple of just specific questions.

16 One, could you explain more about what you have
17 done with the minimization, was there any random element in
18 the treatment assignment procedure, or was this minimization
19 as originally published by Tables in which it was totally
20 deterministic?

21 MR. MOYER: Your question is regarding the
22 minimization technique utilized in 2962. Dr. Bigelow from
23 our statistics group will address that question for you.

24 DR. BIGELOW: In response to the question, the
25 minimization was deterministic.

1 DR. SIMON: So, this was really not a randomized
2 study at all, because not only did you--you stratified by
3 center--how many centers were involved?

4 MR. MOYER: Thirty-six or 37--37.

5 DR. SIMON: So, you had a large number of centers,
6 relatively small number of patients in many of the centers,
7 deterministic treatment assignment, probably totally
8 decipherable to the physicians entering patients.

9 The other specific question I want to ask is about
10 censoring. This strikes me as essentially immature data
11 which should not even be presented to the FDA at this point
12 for the pivotal study.

13 You had 90 patient censored in this survival
14 analysis on the oxaliplatin arm, and 79 patients censored in
15 the survival analysis of the control arm with a median
16 follow-up of only 20 months, and the data was up to date as
17 of July of 1998.

18 I guess I have two questions. Why aren't we
19 seeing more up-to-date data? Is it because you had some
20 stipulation that you didn't want to pay to follow patients
21 for more than 35 months?

22 MR. MOYER: No. Actually the per-protocol
23 analysis was 35 months follow-up of patients, so the July
24 8th, 1998 was the per-protocol analysis.

25 DR. SIMON: Why was the 35-month stipulation made?

1 What that means if you make that stipulation, it means that
2 for many of the more recent patients, you have the report
3 follow-up.

4 MR. MOYER: Because progression-free survival is
5 the primary endpoint, we applied the same rules for that to
6 the final survival analysis.

7 Dr. Bigelow, would you like to address that any
8 further?

9 DR. BIGELOW: The protocol clearly stated that the
10 follow-up of all patients was to be stopped 35 months after
11 the first patient was enrolled, and we felt that that was a
12 predetermined cut-off date for the survival analysis, and
13 that is the date that we--

14 DR. SIMON: That almost guarantees that we are
15 asked to sort of review data for an immature study with
16 inadequate follow-up. It is adequate maybe for the first
17 patient who went on the study, but it is not adequate for
18 the mass of patients who went on the study later in the
19 trial.

20 The other question I want to ask is of the 90
21 censored patients on the oxaliplatin arm and the 79 censored
22 for survival on the control arm, were any of these patients
23 censored for any reason other than that you reached your
24 July 8th, 1998 cut-off date? Were any of them censored
25 because you couldn't contact them, they took other

1 treatments, any other reason?

2 DR. BIGELOW: With regard to the censoring, all
3 but a few patients were brought to the cut-off date. I
4 believe there were a few that were lost to follow-up earlier
5 than that, a couple a week early, and I think maybe one, two
6 or three weeks early. We made a lot of effort to get up to
7 that cut-off date.

8 DR. SIMON: So, there were no patients censored
9 for, you say, for taking other treatments or progressive
10 disease going off study, you are not tracking them?

11 DR. BIGELOW: If the patients went off study, they
12 were still followed in the intent-to-treat analysis until we
13 did the primary analysis, intent-to-treat, assuming that
14 everything that happened off study, you know, was relatable
15 to the randomized treatment.

16 DR. SIMON: What does that mean?

17 DR. BIGELOW: You are asking what intent to treat
18 means?

19 DR. SIMON: No, what does it mean your final
20 statement, as long as it was relatable, did you say
21 assuming? What does he mean, assuming it was relatable?
22 You are just saying you did not censor anyone for going off
23 study regardless of what treatments they received or
24 anything up to that point?

25 DR. BIGELOW: That's right.

1 MR. MOYER: We have continued to follow that
2 study, and we do have an updated analysis.

3 DR. SIMON: You do have an updated survival
4 analysis?

5 MR. MOYER: Yes, from the December '98 cut-off,
6 another almost six months, if you would like to see that.
7 Would you like to see that?

8 DR. SIMON: Yes.

9 MR. MOYER: Dr. Rothenberg, do you want to go
10 through that for us?

11 DR. ROTHENBERG: This is the same data from the
12 pivotal trial 2962 with a data cut-off date of December 1,
13 1998.

14 [Slide.]

15 The median survival of the--actually, the
16 relationship has not changed very much. As you can see, the
17 mean survival of the control arm, 14.7 months, for the
18 oxaliplatin arm, 16.2 months. Hazard ratio remains exactly
19 as it was before for the unadjusted survival, for 0.83.

20 DR. SIMON: Thank you.

21 MR. MOYER: That data just became available to us
22 and has not been submitted as part of the NDA at this point
23 in time, so we would have to do that.

24 DR. SCHILSKY: Other questions? Dr. Albain.

25 DR. ALBAIN: Do you have any pilot data yet

1 available on combination of this agent with the so-called
2 Mayo regimen? Are those studies in progress, where do they
3 stand at this point?

4 MR. MOYER: Those studies are in progress, and I
5 could actually ask Dr. Richard Goldberg from the Mayo Clinic
6 in the 6C study that is going on through the intergroup
7 effort.

8 DR. GOLDBERG: I will need the three slides that
9 address the toxicity data.

10 [Slide.]

11 We have really only preliminary data from the 6C
12 trial. The 6C trial is an intergroup trial that is
13 currently open to all of the members of the intergroup. It
14 has as its goal 1,800 patient accrual.

15 To date there are 377 patients entered as of
16 Monday, and we have data on them, approximately 183 with
17 regard to preliminary toxicity, and this is that data.

18 Now, just to outline the protocol for those of you
19 who aren't aware of it, there are six arms in this study.
20 One arm is the Mayo control, the 5-FU/leucovorin given as
21 bolus. Then, there are two arms looking at scheduling of
22 CPT-11/5-FU/leucovorin, two arms looking at scheduling of
23 oxaliplatin 5-FU/leucovorin, and one arm looking at
24 oxaliplatin and CPT-11 with no 5-FU.

25 The preliminary data here shows that on the bolus

1 regimen of the oxaliplatin/5-FU/leucovorin, the toxicity for
2 nausea and vomiting has been 5 percent, which is not out of
3 line for what is seen with the other arms of the study.

4 Diarrhea has been 24 percent, similar again to the
5 toxicity seen with four of the arms. Stomatitis has not
6 been a problem. Dehydration has been a problem that has
7 been similar to the others. I would also note on this that
8 the regimen for which the company is seeking approval has
9 had a very low toxicity to date. This regimen is not the
10 one that they are seeking approval for today.

11 [Slide.]

12 In addition, with respect to neutropenia,
13 neutropenia is common although as has been indicated in the
14 prior discussion, it is not always clinically significant,
15 you could even say not often clinically significant, and the
16 rate of neutropenia is I think comparable among the
17 regimens.

18 Febrile neutropenia is more common with the bolus
19 Mayo regimen without oxaliplatin or with the CPT-11/5-FU/
20 leucovorin-containing regimen, and thrombocytopenia has not
21 really been much of a problem.

22 [Slide.]

23 Finally, as you would expect, neurotoxicity is
24 infrequent with non-oxaliplatin-containing regimens, and
25 frequent--and this is all grades of neurotoxicity in the top

1 column, and Grade 3 neurotoxicity is noted here. So, as has
2 been the experience by Dr. de Gramont in his studies, dose-
3 limiting toxicity in the regimen under discussion today has
4 been neurotoxicity. It is less frequent when it is combined
5 with the Mayo regimen.

6 Does that address your question?

7 DR. ALBAIN: Yes. Thank you.

8 DR. SCHILSKY: Thank you. Dr. Sledge.

9 DR. SLEDGE: In your adjusted analysis, including
10 the organs involved, PS and baseline alkaline phosphatase,
11 for those of us who are too unsophisticated to be able to
12 explain what a hazard ratio means to a patient, could you
13 tell me what the median improvement in survival is in your
14 adjusted model?

15 MR. MOYER: The improvement in survival?

16 DR. SLEDGE: Yes.

17 MR. MOYER: Dr. Bigelow, do you want to address
18 that? It translates to a 30 percent reduction in the
19 potential for the risk of death.

20 DR. SLEDGE: What does that mean?

21 MR. MOYER: Dr. Bigelow, do you want to explain
22 what that means mathematically?

23 DR. SLEDGE: No, clinically. What is the
24 improvement in survival? What is the difference in median
25 survivals?

1 MR. MOYER: Your question is what it means
2 clinically.

3 DR. SLEDGE: You don't have to explain hazard
4 ratios to me, just explain what the difference in median
5 survival is.

6 DR. BIGELOW: In the adjusted curve?

7 DR. SLEDGE: In the adjusted curve.

8 DR. BIGELOW: I believe the median for the control
9 becomes 14 months, and for the treatment arm it is 15.5
10 months. They are slightly larger than they are in the
11 unadjusted.

12 DR. SLEDGE: So, about a month and a half.

13 DR. BIGELOW: Yes, I think so.

14 DR. SCHILSKY: Dr. Lippman.

15 DR. LIPPMAN: In the 2961, the overall survival
16 analysis unadjusted was not significantly worse for
17 oxaliplatin, and then after adjusting for post-study
18 therapy, it is not significantly better.

19 Is the main thought--maybe Mace can handle it--for
20 this is the difference in oxaliplatin use, 64 percent?

21 The other question is what the response rate was
22 in the group of patients that got post-therapy oxaliplatin.

23 DR. ROTHENBERG: Your first question relates to
24 the--I am sorry?

25 DR. LIPPMAN: It seems as though the reason the

1 curves switched from nonsignificantly worse to
2 nonsignificantly better is because of the 64 percent
3 oxaliplatin use?

4 DR. ROTHENBERG: Right.

5 DR. LIPPMAN: In the post-therapy, and so if that
6 is what you believe, I guess the question is what was the
7 response rate in that group?

8 DR. ROTHENBERG: In the patients who received
9 second-line oxaliplatin, okay, we have that information. We
10 will try and pull it up for you.

11 [Slide.]

12 This doesn't tell you what the response rate is.
13 The response was 10 out of 58. I don't know what the math
14 was on that, the patients who got second-line oxaliplatin,
15 so pretty consistent with prior experience.

16 DR. SCHILSKY: Dr. Nerenstone.

17 DR. NERENSTONE: Just a brief clinical question.
18 In your patient regimen with the 5-FU bolus continuous
19 infusion, how is that given? Were people required to have
20 central lines, was that given through a pump, and was
21 hospitalization required?

22 DR. ROTHENBERG: The de Gramont regimen does
23 require a reliable catheter, so they do require semi-
24 permanent catheter placement. The patients are all treated
25 in the outpatient setting. The oxaliplatin and the folinic

1 acid are both given as two-hour infusions on the first day,
2 followed by a bolus of 5-FU, followed by a 22-hour infusion
3 of 5-FU.

4 The next day the patient returns to clinic, and
5 then gets a two-hour infusion of folinic acid, bolus of 5-
6 FU, and another 22-hour infusion of 5-FU, and then they are
7 disconnected often at home, so it is all outpatient.

8 DR. NERENSTONE: And in terms of your toxicity, do
9 you have any discussion about catheter problems, leakage
10 problems, infection, or poor clotting?

11 MR. MOYER: Dr. Haller can address that from the
12 safety perspective, and he has the most patients in that
13 trial, as well, but he will address it from experience.

14 DR. HALLER: We don't have a slide representing
15 the numbers, but the actual experience for discontinuation
16 for technical reasons was actually quite small. It was
17 under the adverse events, and so in the original pivotal
18 trial, there were two or three patients who stopped because
19 of problems with catheter-related incidents.

20 In my own practice, I have had none out of 130, so
21 it is about the same as you would expect with any infusional
22 regimen where you required a 48-hour infusion every two
23 weeks, I think no greater, no less.

24 DR. SCHILSKY: We are going to take just a few
25 more questions. Dr. Kelsen, go ahead first.

1 DR. KELSEN: Mace, in 2961, there were a number of
2 patients who had salvage surgery. I think you were about to
3 show us that slide when it flashed off the screen.

4 How many of those patients were able to be
5 converted to completely resectable? I know this is hard,
6 but do you have any feel for how many were completely
7 unresectable at the initiation or were they borderline
8 resectable? These were all from the French center, from Dr.
9 Bismuth's group?

10 MR. MOYER: Yes. Your question is regarding the
11 number that might have been resectable at baseline and then
12 how many were--

13 DR. KELSEN: If you can convert somebody who has
14 unresectable disease to resectable disease and a potential
15 for long-term disease-free survival, that is an important
16 observation. I just sort of wondered what the numbers were.

17 DR. ROTHENBERG: We don't have the numbers for the
18 patients who were grossly unresectable versus borderline.
19 We do have a slide that does talk about the treatment
20 effect, how many patients were able to be resected after
21 front-line and salvage therapy, so I will walk you through
22 this.

23 [Slide.]

24 In the control arm, 58 out of the 100 patients got
25 second-line oxaliplatin-containing chemotherapy. Second

1 line, other treatments, CTP-11, other 5-FU were a bit more
2 common in the oxaliplatin arm. About 30 percent of patients
3 in the control arm versus 57 patients on the oxaliplatin arm
4 got no further systemic treatment.

5 The number of patients who can undergo complete
6 surgical resection after first-line chemotherapy versus
7 those who underwent surgery, but had incomplete resection
8 are shown here.

9 The important thing here is that only 21 patients
10 out of the 100 who got 5-FU/folinic acid were felt to be
11 potentially resectable for cure at the time of the end of
12 that front-line therapy versus 32 patients on the
13 oxaliplatin arm.

14 When we look at the number of patients who were
15 completely resected following front-line therapy, it was 21
16 patients out of 100 for the oxaliplatin arm, 17 patients out
17 of 100 for the control arm.

18 Interestingly, then, when you follow them along
19 and look at second-line chemotherapy attempts; 14 patients
20 who could not be approached for surgical resection after
21 front-line therapy could be approached after second-line
22 therapy, 6 of them had complete surgical resection.

23 So, in that way, overall, the number of patients
24 who could undergo complete surgical resection was 23 in the
25 control arm. That takes into account the second-line

1 treatment effects. And 21 in the oxaliplatin-containing
2 arm.

3 DR. KELSEN: And that second-line treatment is
4 oxaliplatin?

5 DR. ROTHENBERG: Well, it was oxaliplatin in some,
6 but it was also CPT-11 and other 5-FU treatments.

7 DR. SCHILSKY: Dr. Johnson.

8 DR. D. JOHNSON: This question can go to any of
9 the presenters, but I think what I have heard so far today
10 is a pretty strong presentation from the standpoint of
11 convincing me that oxaliplatin has some sort of activity in
12 colorectal carcinoma.

13 The sponsor, however, is seeking an indication for
14 first-line therapy, and as a clinician, I am struggling with
15 how I am going to present this to my patient for whom 5-FU
16 and leucovorin could be the alternative irrespective of how
17 I choose to give it. We can put that aside for the moment.

18 I am struggling with what it is that is going to
19 convince me to give this as front-line therapy, since you
20 have not shown us a survival advantage, and I would yield to
21 Dr. Simon's expertise in this area, and I would like to look
22 at not the adjusted, but the unadjusted survival curves.

23 If I give oxaliplatin upfront, what I have seen is
24 a lot more toxicity, and I haven't seen a survival benefit,
25 so if I could maybe hear from the group as to why I would