

1 We talked about it more, and we finally
2 decided that the study had been ongoing for long
3 enough. We needed to know and fully understand the
4 nuances of the study and its performance.

5 So we closed the study, and we felt at
6 that time, well, that's it. We've closed it. We're
7 going to look at it.

8 Then we got encouraging words from some
9 investigators that they were really wanting to
10 continue the study, the same general design. The
11 protocol was modified somewhat, but it was continued
12 in many of the same sites, but we consider the, if you
13 will, this 54 study to be a separate protocol.

14 The protocol modifications included some
15 improvements that our investigators felt might
16 suddenly improve their ability to recruit patients,
17 but we see them as separate protocols.

18 DR. WITTES: So what's the interim
19 analysis in 54?

20 DR. OLIPHANT: Dr. Wittes, the results
21 you've seen presented for Study 54, the 82 patients
22 out of the 186, those results were presented at the

1 request of the agency to see results that we had
2 available at the time.

3 We presented those results, but did not do
4 any statistical testing or any calculation of
5 confidence intervals or anything of that nature. So
6 you did not see any of those results in our
7 presentation of results for those 82 patients.

8 CHAIRMAN RELLER: Yes, Dr. Chesney.

9 DR. CHESNEY: Just two points of
10 clarification. The 12 patients that had the resistant
11 pneumococci, I probably misheard. Those don't include
12 the five children who had resistant pneumococci. They
13 do?

14 DR. TARPLEY: They do.

15 DR. CHESNEY: And the children had what
16 infection? They had the community acquired pneumonia
17 also?

18 DR. TARPLEY: Dr. Anderson can respond
19 best to that question

20 DR. ANDERSON: Right. Those included the
21 studies reported in Protocol 45 and 49. There were
22 three bacteremic Strep. pneumoniae isolate from

1 Protocol 45, all these from cures. There were five
2 overall Strep. pneumonia microbiologically evaluable
3 in Protocol 45. They were all cures, but these were
4 always based on blood culture because there is no
5 other way we can get microbiologic evaluability.

6 Two cures came from Protocol 49. That
7 included two PRSP.

8 There were other isolates that were
9 intermediately susceptible, but in fact, those that
10 I've indicated as PRSP were clearly resistant.

11 DR. CHESNEY: Just one more clarification.
12 All of the complicated skin and soft tissue infections
13 in which methicillin resistant Staph. were a problem
14 are in our handbooks, which is, I think, two, three,
15 two successes; is that correct, on page 60?

16 DR. TARPLEY: Could you repeat the page,
17 please?

18 DR. CHESNEY: Page 60, the top of page --

19 DR. TARPLEY: Six, zero?

20 DR. CHESNEY: Six, zero, yeah, of what we
21 got before.

22 DR. TARPLEY: Thank you.

1 DR. CHESNEY: Right. I think it's three
2 patients with methicillin resistant strains. Two were
3 successful.

4 DR. HAFKIN: Indeed, that is the results
5 of our Protocol 55, which is the straightforward,
6 traditional, complicated skin and soft tissue trial.
7 The reason we performed Protocol 31, the MRSA trial,
8 was just so we could augment that number.

9 Speaking to MRSA, we had 15 patients with
10 bacteremia due to MRSA, and we had four recurrent MRSA
11 bacteremias. Each one of those recurrent MRSA
12 bacteremias were associated with short term therapy,
13 except for one patient that had osteomyelitis and
14 received 20 days of therapy. Diagnosis of
15 osteomyelitis was made, and they had recurrent
16 Staphylococcal infection.

17 So we have substantial experience with
18 MRSA because of this additional clinical study.

19 CHAIRMAN RELLER: Dr. Leggett.

20 DR. LEGGETT: On the Slide 53, you showed
21 in Protocol 31 that most of your MRSAs had skin and
22 soft tissue infections, which seems rather less

1 challenging than the 50 cases of bacteremia.

2 Could you describe the causes of those 50
3 cases of bacteremia due to MRSA and specifically how
4 many cases of right side endocarditis, which I believe
5 you mentioned in several different cases.

6 DR. HAFKIN: Yes, there was only one
7 patient with right side endocarditis recruited,
8 Protocol 31, that they were recruited to vancomycin.
9 Indeed, they failed.

10 DR. LEGGETT: Do you recall bacteremia?
11 You list it?

12 DR. HAFKIN: I already shared with you the
13 15 cases of microbiological evaluable MRSA infection
14 with bacteremia. The others that had bacteremia may
15 have had bacteremia, but they were not evaluable
16 because they didn't take medicine or they were
17 randomized to vancomycin.

18 CHAIRMAN RELLER: We are a little over the
19 time for our break. We'll have ample time to come
20 back to these questions.

21 Before breaking, however, for the benefit
22 of the sponsor, some of the things that I think we

1 will be wanting to address subsequently, to give you
2 time to pull together either out of a composite of
3 what's there or if it's already packaged are some of
4 the following.

5 The questions will include for adult
6 indications, but yet we've heard that some of the data
7 presented on resistant organisms include pediatric
8 data. So I think we need to see what information we
9 have on those organisms from adults alone. The
10 pediatric data are supportive and interesting, but we
11 have to deal with what we have today.

12 So specifically, we know that there's a
13 high concordance of macrolide resistance and
14 penicillin resistance among Strep. pneumoniae, despite
15 totally different mechanisms of resistance. It would
16 be of interest if you have those data available what
17 the profile on the resistant organisms included in
18 your patients were for macrolides, as well as
19 penicillin, and what the response rates were.

20 What proportion of patients with pneumonia
21 owing to Streptococcus pneumoniae, ideally by
22 category of resistance, in fact, did have positive

1 blood cultures?

2 And do we have any resistant organisms
3 with bacteremia that responded to the compound?

4 And I think the same issues apply for
5 infections with methicillin resistant Staphylococci,
6 and ideally showing, including those with bacteremia,
7 those with bacteremia with methicillin susceptible and
8 resistance, and if there are any response differences,
9 to see what those numbers end up being.

10 So it's delving into more specific detail
11 having to do with the critical issues of resistant
12 organisms, confirmed with positive blood cultures and
13 without positive blood cultures in adults, and then
14 what supplemental information there may be from
15 pediatrics, but so that we see what there is currently
16 with the adult indications.

17 At this time we'll take a 15 minute break
18 and begin promptly at five minutes of 11 to hear the
19 FDA's presentation.

20 (Whereupon, the foregoing matter went off
21 the record at 10:40 a.m. and went back on
22 the record at 10:58 a.m.)

1 CHAIRMAN RELLER: We'd like to begin the
2 session this morning, complete the session this
3 morning.

4 For those who are recording this session,
5 if any of the questions are not clear or the mechanics
6 of things are not working, if you would raise your
7 hand, I'll take a special effort to get the question
8 or answer both repeated to capture the proceedings.

9 We now have Dr. David Ross, who will step
10 to the podium and present the FDA's considerations on
11 linezolid.

12 David.

13 DR. ROSS: Thank you, Dr. Reller.

14 I'm going to ask the obligatory first
15 question, which is: can everybody hear me? And I
16 guess the microphone is on. So the answer is yes.

17 I'm a medical reviewer in the Division of
18 Anti-infective Drug Products, and I'll be presenting
19 the agency's analysis of a new drug application for
20 linezolid.

21 Could I have the next slide, please?

22 What I'm going to do is briefly review

1 some issues related to the clinical pharmacology of
2 linezolid, discuss the agency's clinical and
3 statistical analyses of efficacy data in this NDA, our
4 analyses of safety data in this NDA, and then discuss
5 development of resistance to linezolid.

6 Could I have the next slide, please?

7 Just to review, after IV administration of
8 linezolid, peak plasma concentrations are reached in
9 about half an hour, in about an hour after oral
10 administration. Maximum concentration after IV
11 administration is 15 micrograms per mL, 21 after oral
12 administration, and these refer to 600 milligram doses
13 given BID.

14 Trough concentrations are 3.7 micrograms
15 per mL for IV dosing, 6.2 for oral, and the half-life
16 is about five hours for both IV and PO.

17 I'd just like to remind you that the key
18 pharmacodynamic parameter for linezolid is time above
19 MIC in that as you've heard in the mouse thigh model,
20 the time above MIC is the most important parameter
21 with concentrations needing to be above the MIC for
22 about 40 percent of dosing interval for efficacy

1 against Streptococcus pneumoniae.

2 Okay. The next slide.

3 With 600 milligram oral BID dosing,
4 there's considerable variation in the exposure to
5 linezolid with AUCs ranging from 68 to 209. This
6 variability remains after you normalize for body
7 weight, with the exposure after normalization ranging
8 from 11.2 to about 24.

9 Next slide.

10 As you've heard, linezolid has two major
11 metabolites. The toxicity of these metabolites has
12 not been studied separately from the parent compound
13 in animal or human studies. The drug is excreted in
14 urine and feces. About 35 percent in the urine is
15 parent drug; 50 percent in urine is metabolites; and
16 ten percent in the feces is metabolites.

17 And, again, as you've heard and seen in
18 the briefing package, these metabolites accumulate in
19 patients with renal impairment with the degree of
20 accumulation increasing with patients with more severe
21 renal impairment.

22 Next slide.

1 Let me move on to analyses of efficacy
2 data by the agency.

3 Next slide.

4 The clinical studies that I'm going to
5 discuss are those for community acquired pneumonia.
6 One study of community acquired pneumonia in in-
7 patients, one in out-patients. Hospital acquired
8 pneumonia for which a single study was submitted in
9 the NDA. Skin and skin structure infections, and here
10 there were two studies for uncomplicated skin and skin
11 structure and one for complicated skin and skin
12 structure.

13 A supporting study of methicillin
14 resistant Staphylococcal species infections, and a
15 study of VRE infection, and these are Studies 54(a)
16 and supportive data from Study 54.

17 Next slide.

18 What I'd like to do before discussing the
19 individual studies is highlight some differences in
20 the FDA's method for assessing outcomes versus the
21 sponsor's.

22 For patients who did not have a post

1 baseline efficacy assessment, the sponsor considered
2 such patients to be failures, whereas the FDA
3 considered such patients to be missing unless they met
4 certain prespecified conditions for failure, such as
5 receiving another antibiotic for lack of efficacy.

6 Deaths were considered by the FDA to
7 represent failure regardless of the cause in the ITT
8 analysis. The sponsor did not directly consider death
9 in assessing outcome. Such patients were generally
10 considered missing, again, unless they met certain
11 prespecified criteria for being considered failures.

12 Finally, with respect to patients who were
13 discontinued from study for lack of efficacy, these
14 patients were generally, although not invariably,
15 considered to be failures in the sponsor's analysis.
16 Such patients were considered by definition failures
17 in the FDA's analysis.

18 Next slide.

19 With respect to the analytic populations
20 studied in the FDA analysis, the all randomized
21 patient population was used to define the ITT all
22 treated patient population. A modified intent to

1 treat population was identified, which consisted of
2 all ITT patients who had a pathogen isolated.

3 The ITT patient population was also used
4 to define valuable protocol populations. These
5 included clinically evaluable patients who met
6 baseline and post baseline criteria.

7 A microbiologically evaluable patient
8 population was also defined as those clinically
9 evaluable patients who had a susceptible pathogen
10 isolated within the baseline visit window. Usually
11 this represented 48 hours within -- patients who had
12 pathogen isolated within 48 hours of study entry.

13 For specific studies that I will discuss
14 later, particularly complicated skin and skin
15 structure and VRE, the FDA analysis included
16 examination of specific ITT patient populations that
17 were predicated on important baseline characteristics.

18 Next slide.

19 Let me move to a discussion of specific
20 studies. For community acquired pneumonia, as I've
21 said, the sponsor conducted two studies.

22 Next slide.

1 The first one that I'm going to discuss is
2 Study 33. This study enrolled and treated 747 in-
3 patients with community acquired pneumonia. This was
4 a multi-center, multi-national, randomized, open label
5 trial. The trial was initiated as an evaluated blind
6 study, and then was changed to open label during the
7 course of the study.

8 Patients were randomized to linezolid or
9 to ceftriaxone given for seven to 14 days. At the
10 discretion of the investigator could be switched to
11 oral therapy, oral linezolid in the case of the
12 linezolid arm, cephodoxime in the case of the
13 ceftriaxone arm.

14 Concomitant as aztreonam was allowed for
15 Gram negative infections.

16 The primary endpoint in this study was
17 microbiologic outcome.

18 Next slide.

19 This slide shows a summary of the
20 demographics for patients enrolled in the study. As
21 you can see, the arms were balanced with respect to
22 age, gender, and race.

1 Next slide, please.

2 Seven hundred and forty-seven patients
3 were enrolled and treated. Of these, 254 had a
4 pathogen isolated. There were 559 clinically
5 evaluable patients. One hundred and ninety-one of
6 these had a susceptible pathogen isolated at baseline.

7 Next slide.

8 Response rates in the FDA analysis are
9 shown here for the various populations: ITT, MITT,
10 clinically evaluable, and microbiologically evaluable.

11 Sizes of the populations are shown here.
12 These numbers exclude patients with missing outcomes,
13 that is, those patients for whom there was no follow-
14 up efficacy data.

15 As I indicated, patients who died before
16 the test of cure assessment were considered failures
17 in the ITT and MITT analyses. Such patients were
18 excluded from the clinically evaluable and
19 microbiologically evaluable analyses unless they were
20 assessed as having died of their initial infection.

21 As you can see, although the response
22 rates vary, the analyses are similar across the

1 various populations analyzed.

2 Next slide.

3 This slide shows the confidence interval
4 around the difference in response rates in the FDA
5 analysis and the corresponding confidence interval for
6 the sponsor's analysis.

7 The dashed line indicates a difference of
8 zero so that values to the left favor comparator.
9 Values to the right favor linezolid. The hashed marks
10 indicate the point estimate of the difference in
11 response rates.

12 And as you can see, the confidence
13 intervals for the FDA and the sponsor and similar for
14 the various analytic populations.

15 Next slide.

16 With respect to results by pathogen, and
17 here we are discussing the microbiologically evaluable
18 patient population, let me just focus on one line
19 here. For patients with pneumococcal bacteremia, with
20 30 patients in the ME patient population, the
21 linezolid arm, 24 in the ceftriaxone arm, with
22 response rates of 90 percent and 63 percent.

1 Next slide.

2 This analysis shows clinically relevant
3 subgroups for the clinically evaluable patient
4 population for a number of factors that are predictors
5 of poor outcome, such as bacteremia, age greater than
6 50 years, and so on, and the response rates are as
7 shown.

8 For patients with tachypnea at baseline,
9 which has been identified in prospective studies as a
10 risk factor for poor outcome, the response rates were
11 79 percent for linezolid, 31 -- I'm sorry -- 74
12 percent for ceftriaxone.

13 It's important to remember that, in
14 general, these are small numbers for these subgroups,
15 and these were not respectively specified subgroup
16 analyses.

17 Next slide, please.

18 Now, the results that I showed you before
19 for efficacy rates exclude patients with missing
20 outcomes. To examine the effect of this missing data,
21 we did one type of sensitivity analysis, which is to
22 consider such patients to be failures, although it's

1 important to remember that we don't really know the
2 outcome since we don't have complete follow-up
3 information on these patients.

4 And the results are shown here. This
5 represents the patient population analyzed by
6 excluding such missing patients, and this represents
7 the patient population including such patients as
8 failures.

9 As you can see, the response rates fall as
10 you would expect by including such patients as
11 failures, but the pattern between the treatment arms
12 is similar to that of the primary ITT analyses.

13 Next slide.

14 Let me move on to Study 51. This was a
15 study of linezolid in the out-patient treatment of
16 community acquired pneumonia. This study enrolled and
17 treated 540 patients with community acquired
18 pneumonia.

19 This is a multi-center, multi-national,
20 randomized, evaluated blind trial. Patients were
21 randomized to linezolid or cefpodoxime; were given for
22 ten to 14 days. The primary endpoint was clinical

1 outcome.

2 Could I have the next slide, please?

3 Demographics are shown here. As you can
4 see the treatment arms were balanced for age, gender,
5 and race.

6 Next slide.

7 Five hundred and forty patients were
8 enrolled and treated. One hundred and 20 of these had
9 a pathogen isolated. There were 421 clinically
10 evaluable patients. Of these, 98 had a susceptible
11 pathogen isolated at the baseline.

12 Next slide.

13 Response rates are shown here. Again,
14 sizes of population are shown below. The response
15 rates are comparable across the various analytic
16 populations. In contrast to Study 33, linezolid had
17 higher response rates. Lower response rates were seen
18 in the ITT clinically evaluable and microbiologically
19 evaluate analyses. I'm sorry. Just the ITT and CE.

20 Next slide.

21 Confidence intervals are shown here. In
22 general the FDA's and sponsor's confidence intervals

1 were comparable with the exception of the clinically
2 evaluable patient population.

3 Next slide.

4 With respect to results by pathogen, for
5 pneumococcal pneumonia, response rates were 93 percent
6 for linezolid, 91 percent for cefpodoxime. There were
7 a few bacteremic patients in the pneumococcal
8 pneumonia group. Three out of three were cured in the
9 linezolid arm and three out of six in the cefpodoxime
10 arm.

11 Next slide.

12 With respect to subgroup analyses, and
13 again, this is a clinically evaluable patient
14 population this time, and these were not respectively
15 specified in the protocol. The results for predictors
16 of core outcome are shown.

17 Next slide.

18 We again examined the effectiveness in
19 data. The sizes of the relevant patient populations
20 are shown below. Again, as one would expect response
21 rates fall, but are similar to the primary ITT
22 analysis.

1 Next slide.

2 Let me move on to hospital acquired
3 pneumonia. In this study the sponsor enrolled and
4 treated 396 patients with hospital acquired pneumonia.
5 This was a multi-center, multi-national randomized
6 comparative double blind trial. Patients were
7 randomized to linezolid or to vancomycin. They could
8 receive concomitant aztreonam.

9 The primary endpoints were clinical and
10 microbiologic outcome.

11 Next slide.

12 Demographics are shown here. The
13 treatment arms were balanced with respect to age,
14 gender, and race. In addition, APACHE II scores at
15 baseline were similar between the treatment arms.

16 Next slide.

17 Three hundred and ninety-six patients were
18 enrolled and treated. One hundred and seventy-seven
19 of these had a pathogen isolated. There were 225
20 clinically evaluable patients. Of these, 95 had a
21 susceptible pathogen isolated at baseline.

22 The Applicant also identified a

1 subpopulation of microbiologically evaluable patients
2 who had a susceptible pathogen isolated through
3 invasive respiratory procedures using quantitative
4 criteria. This constituted 42 patients.

5 Next slide.

6 Response rates for the various populations
7 are shown on this slide. As you would expect, these
8 vary from ITT to the per protocol patient populations.
9 In general, there were higher response rates for
10 linezolid over vancomycin in these analyses.

11 I think it's important to recognize for
12 the microbiologically evaluable patient populations
13 these are relatively small numbers.

14 Next slide.

15 Ninety-five percent confidence intervals
16 are shown here. The width of the confidence interval
17 to the microbiologically and clinically evaluable
18 patient populations reflect the sizes, the decreased
19 sample sizes, relative to the ITT analyses.

20 Next slide.

21 With respect to results by pathogen, and
22 again, this is for the microbiologically evaluable

1 patient population, these are as shown. For Staph.
2 aureus the response rates were 61 percent in both
3 arms.

4 For MRSA, and these are small numbers, 59
5 percent for linezolid versus 70 percent for
6 vancomycin.

7 Next slide.

8 We also examined subgroups of interest, of
9 clinical interest. With respect to ventilator
10 associated pneumonia, and this was defined here as
11 patients who went on the ventilator at baseline, the
12 ME patient population, the response rates were 61
13 percent for linezolid, 41 percent for vancomycin.

14 If we did an analysis stratifying by
15 APACHE II score, for patients with the highest
16 severity of illness at baseline, and these are very
17 small numbers here, the response rates were 62 percent
18 versus 25 percent.

19 If we look at the same analysis in the
20 MITT patient population -- could I have the next
21 slide, please? -- because this analysis includes
22 patients who died before test of cure and considered

1 such patients to be failures, and there were a
2 substantial number of deaths in this study, response
3 rates are lower. For ventilator associated pneumonia,
4 the response rates were 54 percent for linezolid, 30
5 percent for vancomycin, and for patients who were most
6 ill at baseline, 46 percent for linezolid, 17 percent
7 for vancomycin.

8 Again, these are small numbers in these
9 groups.

10 Next slide.

11 Again, to examine the effectiveness in
12 data, we considered such patients to be failures. The
13 results are shown here.

14 Could I have the next slide, please?

15 And finally, let me discuss mortality
16 rates. Again, let me just remind you this was one
17 issue where the FDA's analysis or analytic plan
18 differed from the sponsors, and the deaths were
19 directly considered by the FDA as to be failures. In
20 the sponsor's analysis these were not directly
21 considered in terms of assessment of cure failure.

22 And for studies with a substantial amount

1 of deaths, this study and the MRSA study, which I will
2 discuss in a little bit, this could lead to a
3 discrepancy between the FDA's analysis, analytic
4 results, and the sponsor's.

5 But at any rate, with respect to mortality
6 rates, for all cause mortality the rates were 18
7 percent in the linezolid arm and 25 percent in the
8 vancomycin arm. For patients whose death was assessed
9 by the reviewer as being due to their initial
10 infection, the mortality rates were five percent and
11 nine percent.

12 Next slide, please.

13 Let me move on to uncomplicated skin and
14 skin structure infections.

15 Next slide.

16 The sponsor conducted essentially two
17 studies of this, 39(a) and 39. Thirty-nine (a)
18 enrolled 753 North American patients. Study 39
19 enrolled 332 non-North American patients. This was
20 essentially one study that was divided into two.

21 This was multi-center, randomized,
22 comparative double blind trials. Patients were

1 randomized to linezolid at a dose of either 400
2 milligrams -- I'm sorry -- at a dose of 400 milligrams
3 or they were randomized to clarithromycin at a dose of
4 250 milligrams.

5 Patients were treated for seven to 14
6 days. The primary endpoints were clinical and
7 microbiologic outcome.

8 Next slide.

9 Demographic characteristics are shown on
10 this slide. As you can see, the treatment arms are
11 balanced with respect to age, race and gender.

12 Next slide.

13 In Study 39(a), there were 753 patients
14 enrolled and treated. Six hundred and twenty-seven of
15 these were clinically evaluable. Of these, 210 had a
16 susceptible pathogen isolated at baseline.

17 Next slide.

18 Response rates in the FDA analysis are
19 shown here for the ITT, CE and ME patient populations.
20 The percentages here differ from those in the briefing
21 package. This only reflects patients who had Staph.
22 aureus or Group A Strep.

1 Next slide.

2 And the confidence intervals are shown
3 here. As you can see, the FDA's and the sponsor's
4 analyses of confidence intervals are similar.

5 Next slide.

6 With respect to specific pathogens, the
7 majority of isolates were Staph. aureus. There were
8 no MRSA in this study.

9 Response rates were 86 percent for
10 linezolid, 85 percent for clarithromycin.

11 Next slide.

12 This shows the effect of missing data.
13 Again, if you consider patients with missing outcomes
14 to be failures, response rates fall, but are similar,
15 in general, to the primary ITT analysis.

16 Next slide.

17 Study 39, which had, as I've mentioned,
18 essentially the same design as 39(a), but were non-
19 North American patients. The treatment arms were
20 balanced with respect to age, gender and race.

21 Next slide.

22 Three hundred and thirty-two patients were

1 enrolled. Two hundred and fifty four of these were
2 clinically evaluable. One hundred and one had a
3 susceptible pathogen isolated.

4 Next slide.

5 Clinical efficacy results are shown here.
6 Again, the ME patient population numbers are different
7 from those in your briefing package because here only
8 patients with Group A Strep. or Staph. aureus are
9 considered.

10 Next slide.

11 And confidence intervals are shown here.
12 The ME patient population, and this is true for 39(a),
13 for the FDA is different than that for the sponsor.
14 It's a smaller population because we only consider
15 Group A Strep. and Staph. aureus, leading to wider
16 confidence interval.

17 Next slide.

18 With respect to results by pathogen for
19 Staph. aureus, 97 percent versus 96 percent for
20 clarithromycin. There were a few MRSA isolates with
21 the results as shown.

22 And this -- I'm sorry. This is my chin on

1 the slide. This is the microbiologically evaluable
2 patient population.

3 Next slide.

4 Let me move on to complicated skin and
5 skin structure infections. The Applicant studied this
6 in Study 55 in which 819 patients with complicated
7 skin and skin structure infections were enrolled and
8 treated. This was a multi-center, multi-national,
9 randomized, comparative, double blind trial. Patients
10 were randomized to linezolid or to oxacillin. They
11 could be switched to oral therapy with linezolid or
12 dicloxacillin, depending on which arm they had been
13 randomized to.

14 The primary endpoints were clinical and
15 microbiologic outcome.

16 Next slide.

17 The treatment arms were generally balanced
18 with respect to age, race, and gender.

19 Next slide.

20 There were 819 patients enrolled and
21 treated. The FDA analysis focused on those ITT
22 patients who met inclusion criteria for complicated

1 skin and skin structure infections at baseline. This
2 population is referred to here as the ITT prime
3 patient population. There were 629 patients in this
4 population.

5 Of these, 487 were clinically evaluable.
6 So these are patients who met baseline inclusion
7 criteria. These are patients who met baseline
8 inclusion criteria and post baseline criteria, such as
9 length of therapy.

10 Finally, there were 209 microbiologically
11 evaluable patients who had a susceptible pathogen
12 isolated at baseline.

13 Next slide.

14 Response rates are as shown for the ITT,
15 ITT prime, clinically evaluable and microevaluable
16 patient populations.

17 In general response rates for linezolid
18 were higher than those for oxacillin in all analyses.

19 Next slide.

20 The confidence intervals are shown here.
21 The sponsor did not define an ITT prime population.
22 So only the FDA confidence interval is shown.

1 As with the other studies, this is a
2 different ME population than the sponsor's. It does
3 not include many of the coagulase negative Staph.
4 species. It really just includes Staph. epidermidis.
5 Therefore, it's a smaller sample with a wider
6 confidence interval.

7 Next slide.

8 For specific pathogens, the rates were as
9 shown. For Staph. aureus, 88 percent for linezolid
10 versus 86 percent for oxacillin. There were two out
11 of three patients with MRSA in the linezolid arm who
12 were cured.

13 For Group A Strep. the response rates were
14 69 percent versus 75 percent for oxacillin.

15 For the Enterococcus faecalis and faecium,
16 I should mention that none of these isolates were
17 vancomycin resistant.

18 Next slide.

19 With respect to subgroups of clinical
20 interest, for patients 65 or older, the response rates
21 were 87 percent versus 82 percent; for diabetic
22 patients, 79 percent versus 68 percent; and for the

1 patients who were identified as having peripheral
2 vascular disease in the reviewer's analysis, 60
3 percent versus 44 percent.

4 Next slide.

5 And, again, we examined the effect of
6 missing data through one type of sensitivity analysis
7 by considering such patients to be failures. The
8 response rates are as shown.

9 Next slide.

10 Let me move on to methicillin resistant
11 staphylococcal species infections, and this was a
12 supportive study, the idea being to garner data on
13 effectiveness of linezolid in the treatment of MRSA
14 infections at defined body sites, infections at
15 defined body sites. So this is a pathogen driven
16 study, but in the context of specific infections.

17 There were 460 patients with known or
18 suspected methicillin resistant staphylococcal species
19 infections, with pneumonia, skin and skin structure,
20 urinary tract infection, bacteremia of unknown origin.

21 This was a multi-center, multi-national,
22 randomized, comparative, open label trial. Patients

1 were randomized to linezolid or to vancomycin for
2 seven to 28 days.

3 As you heard from Dr. Hafkin, linezolid
4 could also be at the discretion of the investigator --
5 could be given PO after the IV course of therapy.
6 Patients could receive concomitant aztreonam or
7 gentamicin, and the primary endpoints were clinical
8 and microbiologic outcome.

9 I should just mention that the criteria
10 used to define pneumonia and skin and skin structure
11 infections were consistent with those used for the
12 indication specific studies that I've already
13 discussed.

14 Next slide.

15 Characteristics of the patients are shown
16 on this slide. As you can see, the mean age for both
17 groups was 64 in the linezolid arm, 60 in the -- 64
18 years of age in the linezolid arm and 60 in the
19 vancomycin arm. The groups were balanced overall in
20 terms of demographic characteristics.

21 Next slide.

22 Four hundred and sixty patients were

1 enrolled and treated. Three hundred and one of these
2 had a pathogen isolated. There were 241 clinically
3 evaluable patients. Of these, 126 had a susceptible
4 pathogen isolated at baseline.

5 Next slide.

6 Response rates are shown for the various
7 patient populations. We focused on the MITT and the
8 ME patient populations since this was a pathogen
9 driven study. The response rates for MITT were 59
10 percent versus 66 percent, whereas for the ME patient
11 population, the response rates were 76 percent versus
12 72 percent.

13 One thing I want to remind you is that in
14 the FDA analysis, patients who died before test of
15 cure were considered failures in this analysis. Those
16 patients were excluded from this analysis unless they
17 died from their initial infection.

18 Next slide.

19 The confidence intervals in the FDA's
20 analysis and the sponsor's analysis are shown here.
21 let me just mention two things about the FDA analysis.
22 In the MITT analysis, as you've seen, the point

1 estimate of the difference in response rates is
2 negative. For the ME analysis it's positive.

3 A similar shift is seen for ITT to CE.

4 Next slide.

5 With respect to results by pathogen, the
6 vast majority of the isolates were methicillin
7 resistant Staph. aureus. The response rates in the
8 microbiologically evaluable patient population were 78
9 percent for linezolid versus 72 percent for
10 vancomycin.

11 Could I have the next slide?

12 In the MITT analysis, the response rates
13 were 56 percent for linezolid versus 66 percent for
14 vancomycin. So the ME analysis response rates were
15 higher for linezolid for MRSA patients. In the MITT
16 analysis they were lower.

17 Next slide.

18 When outcomes were broken down by site of
19 infection, pneumonia, skin and skin structure with
20 their primary diagnoses, and this is the ME analysis,
21 response rates for pneumonia were -- and these are
22 small numbers -- 90 percent versus 71 percent; for

1 skin and skin structure 79 percent versus 73 percent.

2 Could I have the next slide?

3 In the MITT analysis for pneumonia the
4 response rate for linezolid was 43 percent versus 54
5 percent in vancomycin; for skin and skin structure 69
6 percent versus 77 percent.

7 So, again, in the ME analysis response
8 rates were higher for linezolid by site of infection
9 for the two major categories of infection. In the
10 MITT analysis, they were lower.

11 Next slide.

12 The effect of missing data is shown here.
13 Again, response rates fall as one considers patients
14 with missing outcomes to be failures.

15 Next slide.

16 Let me move on to studies involving
17 vancomycin resistant enterococcal infections.

18 Next slide.

19 As you've heard, the sponsor had as its
20 pivotal study Study 54(a) which enrolled and treated
21 145 adult patients with known or suspected VRE
22 infection, which was defined in the context of

1 infection at specific body sites. This was a multi-
2 center, randomized, dose comparison trial which was
3 double blind, and unlike the equivalence trials that
4 I've described before, this was a superiority trial.

5 Patients were randomized to receive
6 linezolid 600 milligrams IV or to receive linezolid
7 200 milligrams IV, and the study hypothesis was that
8 the high dose arm was superior to the low dose arm.

9 Patients could receive concomitant
10 aztreonam or aminoglycosides, and the primary endpoint
11 was clinical outcome.

12 Next slide.

13 This shows the demographics of the
14 patients who were enrolled and treated. As you can
15 see, the demographics were similar. The Applicant
16 also obtained data on severity of illness at baseline
17 using an MPM II score. The arms were balanced with
18 respect to this characteristic.

19 Next slide.

20 The primary patient population analyzed by
21 the FDA were those intent to treat patients who had
22 VRE at baseline, which is referred to here as the

1 MITT-VRE patient population. So this excluded those
2 ITT patients who did not have VRE at baseline.

3 We also focused on the patients who had
4 VRE bacteremia at baseline. There were 117 in the
5 MITT-VRE patient population. Thirty-four of these had
6 VRE bacteremia baseline.

7 Next slide.

8 Response rates are shown here. In the
9 MITT-VRE patient population, and these exclude
10 patients with missing outcomes, the response rates
11 were 67 percent versus 52 percent. The P value for
12 the difference was .16.

13 For the bacteremic patient population, the
14 sizes of the population are shown here, 59 percent
15 versus 29 percent, with a P value of .15.

16 Next slide.

17 With respect to results by pathogen, as
18 you would expect, most of the pathogens isolated were
19 E. faecium. There were a handful of patients with E.
20 faecalis. A few patients had both pathogens.
21 Response rates in the high dose arms were 67 percent
22 for E. faecium and the low dose arm 53 percent.

1 For faecalis, three out of four patients
2 in the high dose arm were cured; zero out of two in
3 the low dose arm.

4 Next slide.

5 With respect to outcome by site of
6 infection, the response rates in these obviously are
7 small numbers. Five out of ten for bacteremia of
8 unknown origin were cured in the high dose arm; two
9 out of seven in the low dose arm.

10 Skin structure infections, skin and skin
11 structure infections, 69.2 percent versus 100 percent.

12 Urinary tract infection, 63 percent versus
13 60 percent.

14 Pneumonia, two out of three high dose
15 patients were cured versus zero out of one.

16 And for a category of other, which was
17 almost entirely complicated interabdominal infections,
18 the response rates were as shown.

19 Next slide.

20 Covariate analyses were performed. It's
21 important to recognize that these were not
22 prespecified in the protocol. The multivariate

1 analysis performed by the FDA incorporated risk of
2 mortality at baseline, primary diagnosis in terms of
3 site of infection, age, sex, weight, and presence of
4 bacteremia at baseline, and the bottom line was that
5 the adjusted and unadjusted analyses were consistent.

6 Next slide.

7 With respect to the effect of missing
8 data, again, if one puts in patients with missing
9 outcomes as failures, response rates fall, as shown
10 here for the MITT-VRE and VRE bacteremia patients.

11 Next slide.

12 With respect to mortality in this study,
13 all cause mortality in the MITT-VRE patient population
14 is as shown. In the bacteremic population, four out
15 of 18 patients died in the high dose arm. Nine out of
16 16 in the low dose arm.

17 Next slide.

18 We looked at causes of death in the
19 bacteremic patients. These are as shown. In the high
20 dose arm, one patient was felt to have died by the
21 reviewer -- was felt by the reviewer to have died
22 definitively from VRE infection. Two patients died

1 from sepsis. The possibility that VRE contributed to
2 this cannot be excluded. One patient died from
3 respiratory failure.

4 For the low dose arm three patients were
5 felt to have died from VRE infection, one from sepsis.
6 FRE cannot be excluded as a cause of death in that
7 patient, with the other causes as shown.

8 Next slide.

9 Covariate analysis of mortality in
10 bacteremic patients was performed. Again, this was
11 not prespecified. It incorporated risk of mortality
12 at baseline, age and sex. The adjusted and unadjusted
13 analyses were consistent.

14 Next slide.

15 Now, before presenting results from Study
16 54, let me just recapitulate some of the history of
17 this study. Originally the Applicant planned a study
18 designated as 54 which would enroll 500 patients. In
19 June of 1999, a blinded decision was made to submit
20 patients already enrolled as Study 54(a), which
21 constituted the 145 patients that you've just heard
22 about.

1 This was submitted as a stand alone study,
2 and all alpha was considered to be spent on this
3 trial.

4 Study 54 was continued as a support of
5 trial. Data on 82 patients was submitted to the FDA
6 in December of '99. As you heard from Dr. Hafkin,
7 there was a total of 186 patients. So we do not have
8 data on 104 patients.

9 I think it's important to recognize that
10 there bolstering the nonsignificant results of 54(a)
11 with these results from Study 54 could correspond to
12 multiple looks at the data without appropriate, that
13 is, prespecified statistical adjustment.

14 Next slide.

15 With that in mind, the efficacy results
16 are as follows. For the MITT-VRE patient population,
17 there were a total of 71 patients. For patients with
18 non-missing outcomes, there were 28 in the high dose
19 arm, 35 in the low dose arm. Response rates were 64
20 percent and 49 percent. These are the response rates
21 if you add back in those patients with missing
22 outcomes as failures.

1 Next slide.

2 All right. Let me change gears a little
3 bit here, a lot, I guess, and move on to safety. I'm
4 going to be discussing clinical adverse events,
5 laboratory adverse events, and potential drug-drug
6 interactions.

7 Next slide. Next slide.

8 Adverse event rates for the various Phase
9 III comparator controlled studies. So I'm not going
10 to show you any data from the dose comparison studies;
11 just the comparator controlled studies are shown here.

12 As you can see, there were significant
13 adverse event rates in both treatment arms across all
14 studies.

15 For all studies combined, the adverse
16 events rates were 56 percent versus 50 percent for
17 linezolid versus comparator.

18 Next slide.

19 If one looks at drug related adverse
20 events, in a number of the studies there were a higher
21 rate of drug related adverse events in linezolid arm
22 than in the comparator arm, although this was not

1 invariably true. For example, in HAP there was a
2 lower rate.

3 Overall the rate of drug related adverse
4 events was 22 percent for linezolid, 16 percent for
5 comparator.

6 Next slide.

7 With respect to discontinuations related
8 to adverse events, the rates are as shown. These
9 varied across studies for linezolid from three to ten
10 percent. Overall six percent of linezolid treated
11 patients were discontinued for an adverse event; five
12 percent of comparator treated patients.

13 Next slide.

14 If one looks at discontinuations due to
15 drug related adverse events, for some studies,
16 particularly the pneumonia studies, the rate of
17 discontinuation due to drug related adverse event was
18 higher in the linezolid arm, although, again, this was
19 not invariably true for HAP. The rate was higher for
20 the comparator arm.

21 For all studies combined, 2.4 percent of
22 linezolid treated patients discontinued for a drug

1 related AE versus 1.9 percent of comparator treated
2 patients.

3 Next slide.

4 This shows discontinuations according to
5 specific adverse events, and one thing I want to be
6 very clear about is the percentages shown are relative
7 to the number of patients discontinued for any adverse
8 event, not the entire patient population.

9 So nine percent of linezolid treated
10 patients who discontinued for any adverse event did so
11 for nausea versus four percent for the comparator.
12 The second most common cause was pneumonia for
13 linezolid. The third most common was headache.

14 Other causes included diarrhea, dyspnea
15 and vomiting, and again, these are just all adverse
16 events whether drug related or not.

17 Next slide.

18 If one now looks at drug related adverse
19 events, and again, this refers to patients who
20 discontinued for any drug related adverse event, not
21 the entire patient population, 22 percent of linezolid
22 treated patients who discontinued for a drug related

1 adverse event did so for nausea versus eight percent
2 for comparator.

3 For headache, the figures were 16 percent
4 versus three percent; vomiting, 12 percent versus
5 eight percent; diarrhea, 12 percent versus 11 percent;
6 thrombocytopenia, six percent versus zero percent.

7 Next slide.

8 Let me move on to a consideration of
9 laboratory findings, and then I'm going to focus on
10 thrombocytopenia.

11 Next slide.

12 This shows the development of
13 thrombocytopenia in different studies in patients who
14 had normal platelet counts at baseline. We do not
15 consider in this analysis those patients who have
16 abnormal platelet counts at baseline, and the sponsor
17 has looked at this issue.

18 So the rate varies with studies for
19 linezolid ranging from two percent in the skin and
20 skin structure infection studies to 11 percent in the
21 MRSA studies.

22 It's important to recognize that the MRSA

1 study involved sicker patients with a longer duration
2 of therapy.

3 Next slide.

4 If one looks at the degree of
5 thrombocytopenia and uses, for example, the NCI common
6 toxicity criteria, when we look at Grade III
7 thrombocytopenia, which was the most severe grade that
8 developed, so we're looking at patients who develop a
9 platelet count of less than 50,000 during study. The
10 rates for linezolid range from zero percent to 2.5
11 percent. Again, the most common -- this was most
12 common in the MRSS study, Study 31.

13 Next slide.

14 If one looks at the effect of linezolid
15 dose on the development of thrombocytopenia, there
16 appears to be an effective dose. If one looks at the
17 dose comparison study, the rates were 13 percent for
18 the high dose arm versus 11 percent for the low dose
19 arm.

20 For all Phase III studies, and here we're
21 describing high dose as greater than a gram a day; low
22 dose is less than a gram a day of linezolid; five

1 percent versus three percent.

2 For Study 11, this was a Phase II study
3 of linezolid in bacteremic patients. This only used
4 the high dose. So there was no low dose arm for
5 comparison here, but the incidence of thrombocytopenia
6 in patients with normal platelet counts at baseline
7 was six percent.

8 For all Phase II studies, three percent
9 versus two percent; and for all Phase II and III
10 studies combined, five percent versus three percent.

11 Next slide.

12 We also looked at the issue of resolution
13 of thrombocytopenia, and I just want to mention that
14 this entire analysis for laboratory findings, and as
15 I'll show you in a little bit for drug-drug
16 interactions, was done with the assistance of Dr. Ana
17 Scharffman, as well as Dr. Joyce Korvic, and I really
18 want to thank them for their assistance with this,
19 which allowed us to look at a variety of issues.

20 I also want to thank the Applicant for
21 reorganizing the data sets to allow this analysis to
22 be done.

1 But at any rate, this is linezolid. This
2 is comparator, and this is just for Study 31, which as
3 you remember is the study in which the most pronounced
4 effect on thrombocytopenia was seen.

5 Each red line or green star represents a
6 patient with thrombocytopenia. The minimum value is
7 at the left. The maximum value is at the right or --
8 I'm sorry -- value at follow-up is at the right.

9 If the line continues off the graph, that
10 patient showed complete resolution of
11 thrombocytopenia. So for the majority of patients in
12 the linezolid arm who had thrombocytopenia,
13 thrombocytopenia resolved or it was going in the right
14 direction.

15 For these patients we do not have
16 laboratory follow-up on these patients. However,
17 there were no clinical adverse events that were
18 identified in relation to thrombocytopenia, such as
19 gastrointestinal hemorrhage for these patients or a
20 requirement for platelet transfusions.

21 Next slide.

22 So let me try and summarize this. The

1 incidence of thrombocytopenia in the studies was one
2 percent of 13 percent; for Grade III, zero to 2.5
3 percent, depending on the patient population. Higher
4 doses appeared to be associated with an increased
5 incidence.

6 Thrombocytopenia appeared to resolve in
7 linezolid treated patients who had laboratory follow-
8 up.

9 There were no related adverse events
10 identified, and finally, I'll just mention that
11 looking at other cell lines, no parent effect was
12 identified.

13 Next slide.

14 Let me move to drug-drug interactions.

15 Next slide.

16 Let me just step back for a minute, and
17 you've seen some data before from the Applicant about
18 the relative MAO inhibition activity of linezolid.
19 These are two classic MAO inhibitors, clorgyline and
20 selegiline. I want to focus on the inhibitory
21 constants, the KIs.

22 For MAO A, and that's the activity that's

1 associated with adrenergic hypertensive type crises,
2 as well as MAO B, which is associated with serotonin
3 syndromes.

4 As you can see, the KI for linezolid is
5 considerably higher than for a drug such as
6 selegiline. However, I think it's important to
7 recognize the peak plasma concentrations of linezolid
8 that are achieved are in the neighborhood of the KI.

9 Next slide.

10 The sponsor was aware of this issue and
11 has examined this in their Phase I studies by
12 conducting a number of drug interaction studies, and
13 I'll just mention one here, and you've seen this data
14 in another form. They looked at both interactions
15 with sympathomimetic agents and serotonergic agents
16 just to look at the sympathomimetic amine
17 interactions.

18 A study was performed in which patients --
19 and these are -- I'm sorry -- not patients, but normal
20 volunteers -- received placebo, phenylpropanolamine,
21 linezolid plus placebo or linezolid plus
22 phenylpropanolamine.

1 The maximum change in systolic blood
2 pressure from baseline is shown here.

3 Next slide.

4 As you've heard, patients received a
5 number of concomitant medications during the course of
6 the study. One of the outcomes of the Phase I studies
7 was that the sponsor incorporated this issue into the
8 study design both with respect to cautioning
9 physicians and investigators about patients receiving
10 concomitant medications, as well as capturing data on
11 the frequency with which these medications were
12 administered.

13 These are some of the agents that we've
14 looked at. As you can see, in general, for
15 concomitant medications the proportion of patients
16 receiving these were similar between treatment arms,
17 generally five percent or less, except for some
18 pathomimetic bronchodilators where it was 18 to 20
19 percent in the two arms.

20 Next slide.

21 We examined the database in the NDA for
22 potential MAO inhibitor associated drug-drug

1 interaction events. There were only small numbers of
2 events found in patients who had received concomitant
3 medications.

4 There was no clear association between
5 adverse events examined and the use of concomitant
6 medications, and classic MAO inhibitor associated
7 events were not seen. There were no hypertensive
8 crises identified and no cases of serotonin syndrome.

9 Next slide.

10 Let me move on to linezolid resistance.
11 This has been induced in the laboratory. The
12 mechanism appears to be a GDU transversion on the 23S
13 ribosomal RNA. The sponsor has found that the
14 frequency is less than one to ten to the ninth. It
15 may result in cross-resistance to lenclosomides
16 (phonetic) and chlorinfenacol.

17 Next slide.

18 With respect to development of resistance
19 in linezolid in clinical trials, as you've heard, this
20 has only been seen with enterococcal species. There
21 were 15 cases in the NDA database, nine in the
22 compassionate use study, six in the dose comparison

1 studies.

2 Mean duration of therapy in these patients
3 was 32 days. Almost all of the cases involved
4 enterococcus faecium. There was only one that
5 involved faecalis.

6 The increase in the MIC was to eight
7 micrograms per mL for six isolates; 16 micrograms per
8 mL for eight isolates; and 32 micrograms per mL for
9 one isolate, which was the Enterococcus faecalis
10 isolate.

11 Next slide.

12 In the compassionate use trial, there were
13 nine cases of resistance developed. Eight of these
14 were faecium. One was Enterococcus faecalis. Six of
15 these patients were considered therapeutic failures.
16 Three were considered cures.

17 Next slide.

18 In the dose comparison trials, there were
19 six cases of resistance development. All of these
20 were Enterococcus faecium. There were two in the low
21 dose group. I'm sorry. Four in the low dose group.
22 Three of these four were considered failures. There

1 were two in the high dose group. One of these
2 patients was considered a failure.

3 So can I have the next slide?

4 This concludes the FDA's analysis. I just
5 would like the committee and the audience to be aware
6 that this analysis was the result of a lot of hard
7 work by a group of scientists of the agency who are
8 shown here. I want to thank all of them.

9 I'd also like to thank the Applicant for
10 provision of data for this NDA.

11 Thank you. I'll be happy to answer any
12 questions.

13 CHAIRMAN RELLER: Thank you, Dr. Ross.

14 Questions?

15 Barbara.

16 DR. MURRAY: Dr. Ross, do you or perhaps
17 the sponsor over the lunch break would pull out in the
18 MRSA group, you mentioned some got aminoglycosides,
19 and I would be curious to know how many. Were those
20 documented MRSA and what was the susceptibility of the
21 MRSA to getimicin if it was an MRSA that patients that
22 got that.

1 DR. ROSS: What I can tell you, and let me
2 just give you some numbers from the MITT analysis, and
3 this is not just MRSA. This is just the entire MITT
4 patient population. So it does include some MRSE and
5 it does include a handful, actually very few
6 methicillin susceptible.

7 But for those patients who received
8 aminoglycosides, we identified -- let me just look at
9 these numbers here -- in the MITT patient population
10 there were 14 out of 30 cures in the linezolid arm.
11 So that's 47 percent, versus 15 out of 27 in the
12 vancomycin arm. That's 56 percent.

13 We do not -- I don't have data for you on
14 susceptibility.

15 If you look at patients who did not
16 receive aminoglycosides, there was a larger patient
17 population. That was 61 out of 98 in the linezolid
18 arm. So that was 62 percent, versus 59 out of 85 in
19 the vancomycin arm, which is 69 percent.

20 I have the corresponding figures for the
21 microbiological evaluable patient population if you
22 need those.

1 CHAIRMAN RELLER: Other questions?

2 Yes, Dr. Danner.

3 DR. DANNER: Yeah, I have two questions
4 actually related to potential toxicity and one related
5 to a question on metabolites.

6 In terms of potential toxicity, earlier in
7 the day I think the numbers were that there were 13 of
8 632 patients had episodes of hypertension, and these
9 were patients on potentially interacting drug. Of
10 those patients, there were 13 of 632 had episodes of
11 hypertension as an adverse effect, but only one of
12 those were thought by the clinician on the scene to be
13 related to linezolid.

14 What was the incidence of hypertension as
15 an adverse event in subjects not on potentially
16 interacting drug? Anybody know the answer?

17 DR. HAFKIN: If I could show I-98, please.

18 CHAIRMAN RELLER: Dr. Hafkin is answering
19 this question.

20 DR. HAFKIN: Now, it turns out that for
21 the great majority of both linezolid treated patients
22 and the comparator treated agents, the blood pressure

1 elevation was actually at baseline or was after the
2 end of therapy analysis.

3 If you actually look at linezolid, of the
4 patients we have up there, the 13 with an interacting
5 med., actually six patients had adverse event of
6 hypertension reported at a time after the patient got
7 linezolid and the comparator. So it was actually
8 within the -- made pharmacokinetic sense that he could
9 have had a hypertensive response.

10 Only one of those patients was thought by
11 the investigator to have been related.

12 Now, it's important to understand the
13 details of that one patient. It was a 92 year old man
14 who was hypertensive in his history, had acute
15 pneumonia, was admitted to the hospital, and was
16 treated simultaneously with salbutamol and linezolid.

17 The investigator became frightened,
18 stopped the treatment. So we had no opportunity to
19 rechallenge the patient.

20 DR. DANNER: My other question with
21 regards to potential toxicity is regarding the effect
22 on the bone marrow effects and effects on platelets.

1 From the earlier presentation, it sounded to me like
2 perhaps Pharmacia/Upjohn found the problem might be
3 more of a problem with people who start out with lower
4 platelet counts, and that raises a question, is if you
5 have patients who have bone marrow insufficiency like
6 somebody who has had a bone marrow transplant or has
7 a hematologic malignancy or who has been heavily
8 pretreated with myelosuppressive chemotherapy, is it
9 conceivable that this problem with platelets, in fact,
10 might be a bigger problem in that population?

11 Were there any patients like that in the
12 compassionate use?

13 DR. HAFKIN: I don't have slides prepared
14 for the compassionate use trial, but what I can tell
15 you is that patients with terribly severe underlying
16 illness have taken linezolid for up to three months,
17 and even in that circumstance, our hematologic adverse
18 event rate is around three percent.

19 If you have a minute, perhaps looking at
20 the worst case, which is the platelet count, we could
21 go through a couple of those slides that I showed you.
22 Would that be appropriate at this point? Because we

1 actually go straight to the data.

2 Let's start out with the hazard function
3 curve, which is on screen. L51 would be fine. This
4 is the analysis we did to detect the problem, and I
5 might note I think that you see no difference until 16
6 days, and then you start seeing a divergence of the
7 curve. That divergence of the curve represents one
8 percent of the population.

9 As I said, there are 16 patients that that
10 increase in slope between 16 and 18 days represents.

11 Let's go to the next slide, which is the
12 scattergram for the -- this is the distribution for
13 the whole patient population. I'd like the abnormal
14 patient population, which should be the very next one.
15 Yes, this is exactly what I want, 54.

16 If you'll note here, about half -- you
17 can't see them carefully. It turns out that if you
18 look at the relative risk, having a low platelet count
19 at baseline drives you to having a greater risk of
20 reduction of platelets at any time during the
21 treatment period.

22 But note that for those people that are

1 down here or below this blue dotted line, which is
2 normal limits, the change in platelets count over time
3 is very minimal, and it's our view that there's no
4 increased risk for this patient population. There is
5 an increased risk for them to stay down here, but
6 there's no increased risk to get down lower.

7 There is that one example where we have
8 this one patient who starts out at something like
9 75,000 and then goes to about 19,000. Those numbers
10 might be off a little bit, but they're based on my
11 memory of the case, but even that worst case analysis
12 where you saw the slow decrease in platelet count,
13 because of the platelet count, suppression is the
14 patient's underlying illness. This patient had
15 malignancy and was on chemotherapy -- had a malignancy
16 and was on chemotherapy.

17 DR. DANNER: Did the metabolites have an
18 effect on this?

19 DR. HAFKIN: When we look at those people,
20 remember again we've got two lines of evidence. We've
21 got 34 patients in the compassionate use trial who
22 have received linezolid for up to 60 days. When we

1 look at hematologic changes in that group, they are
2 not different from the main group of patients that are
3 treated with their dreadful underlying illnesses.
4 These are very sick people, often transplants,
5 immunosuppressed.

6 Perhaps a shift table would help you see
7 the extent of change. Would you like to see a shift
8 table that's --

9 DR. DANNER: Okay.

10 DR. HAFKIN: Yes?

11 CHAIRMAN RELLER: Dr. Hafkin, let's see
12 the shift table. Dr. Hafkin was addressing the
13 platelet --

14 DR. HAFKIN: This is the worst -- another
15 way of showing you the worst of the worst, and what I
16 would point out to you is that the shift in platelet
17 count is typically one box. There isn't anybody that
18 goes from this to this point, and here we've defined
19 the platelet number, and this is linezolid treatment
20 and this is comparator treatment.

21 So you'll see if you look at the shift
22 tables the typical response if there's going to be one

1 will be from this box to that box or that box to that
2 box.

3 CHAIRMAN RELLER: Dr. Rodvold.

4 DR. RODVOLD: Maybe we could just follow
5 up with this because I've done some platelet studies
6 in cats.

7 Did you look at percentage? I mean you're
8 trying to explain that to us, but if you look at
9 percentage drop from the baseline sometimes it gives
10 the clinician a better handle a little bit than if you
11 start at 100,000 and then drop by 50 percent, if at
12 first I start at 300,000 and I only drop it 25
13 percent. Did you look at that?

14 DR. HAFKIN: We only at one cut. That
15 initial table where we detected a signal in 2.4
16 percent of patients in the linezolid group versus 1.5
17 percent in the comparator group, we used a 75 percent
18 reduction for that first cut. We found that to be the
19 most sensitive percent reduction.

20 DR. RODVOLD: The other question I have on
21 the platelet count goes back to metabolites, but did
22 you look at -- you said you had a group of people that

1 had impaired renal function of platelets greater than
2 four.

3 DR. HAFKIN: Yes.

4 DR. RODVOLD: Did those patients have a
5 higher incidence of adverse events in the platelet
6 count and maybe even the hepatic test count in regards
7 to the renal impairment which indirectly may be
8 telling you the metabolites contributed to that
9 profile if it was higher?

10 DR. HAFKIN: Well, if you'll recall the
11 safety data I had to share with you, I only had 17
12 patients that fall into that area. Let me pull up a
13 slide that I showed. It was S-194, and we'll actually
14 go from this.

15 We're looking at the number of deaths of
16 these patients with serum creatinines greater than
17 four, the number of patients that died. No difference
18 there. The number of patients with an adverse event
19 leading to discontinuation. Well, we've got one
20 patient on the linezolid.

21 If I could go to the next slide in this
22 series, you'll see the reasons for or the adverse

1 events that are reported in the study for the small
2 number of patients, linezolid here, comparator here.
3 You'll find anemia here.

4 Let's go to the next slide.

5 So there is no mention of thrombocytopenia
6 or anything that we can logically connect to
7 hematologic toxicity except for that anemia.

8 DR. RODVOLD: But did you look at
9 percentage changes in the --

10 DR. HAFKIN: When we look at -- I can tell
11 you what we've done. The average anilide result,
12 whether you are talking about hemoglobin, hematocrit,
13 white count, platelet count is terrible for both of
14 these groups, and it's really terrible throughout the
15 period of treatment. These are super sick people.

16 CHAIRMAN RELLER: Dr. Ross, in your
17 analysis of patients with uncomplicated skin and skin
18 structure infections, one of 39 had infection owing
19 to methicillin Staph. aureus, and in the complicated
20 category of SSSI, three of 83 patients were infected
21 with methicillin resistant Staph. aureus, and yet for
22 the studies where there was an enrichment for

1 methicillin resistant strains, we had 33 MRSA out of
2 51, 33 patients out of the 51 with MRSA had skin and
3 skin structure infection as the site involved.

4 Do we know of those patients -- so now we
5 have the 33 with MRSA with SSSI -- how they broke down
6 in terms of uncomplicated and complicated infection?

7 DR. ROSS: Actually I'm going to once
8 again refer you to the Applicant to see if they can
9 provide that information.

10 CHAIRMAN RELLER: Seeking to see what our
11 numbers are having to do with the issue of MRSA in
12 SSSI uncomplicated and complicated in adults.

13 DR. HAFKIN: Yes. If you use -- it
14 depends on our definition. The number of people that
15 had in hospital infections, were severe enough in
16 terms of comorbidity to require hospitalization, all
17 of them, if you were to use that global diagnosis of
18 an adverse event that was severe enough to keep you in
19 the hospital, virtually everybody in Protocol 31 -- I
20 mean the number of people who got to leave the
21 hospital in that protocol with oral therapy was small
22 because we had such a severely ill population of

1 patients.

2 For those people that had the traditional
3 indicator of severity requiring surgery debridement
4 over the period of therapy, about one-third of
5 patients in Protocol 31 required at least one surgical
6 intervention at baseline.

7 Let me go to Slide E-32 or ER-32, which
8 breaks down the data a bit more by diagnosis. This
9 still doesn't get to what you're trying to get to,
10 which is the severity of illness, but at least this
11 gives you specific diagnoses and outcome. This is the
12 clinical care of the sponsor's group. This is
13 linezolid. This is vancomycin. You'll see very
14 comparable outcomes for each diagnosis.

15 As I say about a third of this group,
16 maybe as high as 38 percent of this group actually
17 required for both linezolid and vancomycin repeated
18 surgical debridement because their infection was so
19 extensive.

20 Perhaps after lunch, if there is more
21 information that could be shared with us, whether
22 these were infections that were complications of other

1 things going on and not the primary reason for
2 hospitalization, whether any of them were community
3 acquired versus hospital acquired being complications
4 of surgical procedures, that sort of information, to
5 get a better feel for how this compound works in
6 patients with documented MRSA infections involving
7 skin and skin structure, and if we got bacteremia
8 information on those patients, in what setting it
9 occurred.

10 Dr. Rodvold, do you have any further
11 questions? Dr. Lowy.

12 DR. LOWY: Regarding the hospital acquired
13 pneumonia, in the original study design was there any
14 consideration for switching patients to oxacillin, the
15 isolate of methicillin susceptible rather than
16 vancomycin?

17 CHAIRMAN RELLER: So the question is those
18 patients who ended up having methicillin susceptible
19 strains; was there a revision or reversion to
20 oxacillin even though they may have been treated
21 initially with vancomycin?

22 DR. HAFKIN: For Protocol 31, that patient

1 would not have been valuable if they had -- if they
2 had a baseline isolate that -- perhaps I don't
3 understand your question, sir.

4 DR. LOWY: I'm just wondering in terms of
5 the original design of the study if it would be
6 possible rather than continuing on vancomycin for an
7 individual who has a methicillin susceptible Staph.,
8 whether that individual after initiation of therapy
9 could have been switched to oxacillin, which might
10 have been a preferable regimen.

11 DR. HAFKIN: There were several patients
12 who had methicillin resistant Staph. by the local lab,
13 but when we got that isolate to our central lab, they
14 were found to be methicillin susceptible.

15 The physicians, of course, were always
16 capable of doing anything they wanted to do. They
17 always do, if you've ever participated in a study, on
18 the one hand.

19 On the other hand, under the criteria of
20 the study, you had to be evaluable microbiologically
21 for this study. You had to have a methicillin Staph.
22 at baseline.

1 DR. LOWY: Not for the hospital acquired
2 pneumonias.

3 DR. HAFKIN: No, no, that's true. The
4 complex skin and soft tissue trial 55, that patient
5 population did get oxacillin. The comparator
6 population did get oxacillin.

7 DR. LOWY: Let me ask another question
8 then. Don't the individuals who had Staphylococcal
9 infections that were hospital acquired pneumonia cases
10 -- how many of them were actually mixed infections
11 with other organisms. A great many of them.

12 In fact, at baseline virtually every
13 investigator used concomitant medications because they
14 were -- we actually have specific information that we
15 could show you about Gram stain results, culture
16 results for that patient population.

17 CHAIRMAN RELLER: Could we have that after
18 lunch as part of the follow-up to questions posed
19 earlier?

20 The final question before lunch goes to
21 Dr. Leggett. You had a question?

22 DR. LEGGETT: In a follow-up to this one

1 in terms of trying to look at comparator arms, I think
2 he was alluding to vancomycin as a lousy drug perhaps.
3 Maybe we're going to use a better one. So my question
4 is in terms of the comparator arms, both with the
5 oxacillin two grams Q6 and the clarithromycin, 250
6 milligrams BID, did you do any calculations either
7 before or during your studies about what the
8 anticipated population time above MIC for your
9 pathogens would be to make it similar to the time
10 greater than above the MIC at 40 percent for the
11 linezolid?

12 DR. HAFKIN: In Protocol 55, we felt that
13 we had -- at two grams every six hours, we felt we
14 were well above the MIC of our target pathogens
15 throughout the dosing interval.

16 In terms of clarithromycin, the
17 pharmacokinetics of the drug are quite interesting.
18 It's well distributed, as you know. We didn't try to
19 look at the activity of that drug from that
20 perspective because of its penetration into
21 inflammatory cells.

22 CHAIRMAN RELLER: The sponsor has been

1 working on the questions that were posed before the
2 break, and they will be handled when we resume at 1:30
3 this afternoon. At the moment we have no individual
4 schedule for the open public hearing, but we will ask
5 for three minute queries from the floor at that time.

6 There's a table reserved for members in
7 the restaurant, and we will resume for follow-up of
8 the questions addressed this morning promptly at 1:30,
9 and then address the questions posed by the agency.

10 One, thirty reconvene.

11 (Whereupon, at 12:17 p.m., the meeting was
12 recessed for lunch, to reconvene at 1:30 p.m., the
13 same day.)

14

15

16

17

18

19

20

21

22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:31 p.m.)

3 CHAIRMAN RELLER: Good afternoon. We have
4 a new audio system for the members around the table.
5 I'd like to demonstrate it.

6 There's an on/off button. When it's on,
7 the red light shows. When it's off, the mic goes off
8 and you don't hear me. Simple as that.

9 (Applause.)

10 CHAIRMAN RELLER: I'd like to call the
11 meeting to order. It is now time for the open public
12 hearing. Are there any who would like to make
13 comments about the topics under discussion from the
14 public?

15 No response.)

16 CHAIRMAN RELLER: See and hearing none,
17 the open public hearing is closed.

18 There were several questions that remained
19 at the close of this morning's session.
20 Pharmacia/Upjohn has organized responses to those
21 questions, and I'd now like to ask Dr. Tarpley to
22 introduce the presentation of that responses, and this

1 does not mean that there can't be additional question.
2 Quite the contrary, but this is an organized forum
3 from which to proceed to more detailed questions,
4 anything that the committee wishes to discuss that
5 would help us then vote on the questions asked of us
6 by the FDA.

7 And when it comes time to voting, we will
8 have lots of discussion, but when the votes come, it
9 will be yes or no so that we have crisp replies, and
10 then any additional recommendations over and above the
11 questions asked we can forward on to the agency, but
12 we will answer those questions yes or no at the end of
13 the discussion.

14 Dr. Tarpley.

15 DR. TARPLEY: Yes, thank you, Dr. Reller.

16 There were a number of questions that were
17 asked of us this morning. What we'd like to do is I
18 will introduce Dr. Hafkin who will provide the
19 responses, and in order to organize those questions,
20 we've agreed. We've decided that we would repeat the
21 question so that everybody can remember what the
22 particular question was, and then we would provide the

1 response, and there are four or five of these that we
2 will proceed in that order.

3 DR. HAFKIN: Well, thank you.

4 I heard several related questions, and so
5 I would propose that we try to answer questions about
6 Strep. pneumoniae together. I think that there was
7 one very firm question about activity of linezolid
8 against Strep. pneumoniae taking the pediatric data
9 out, the activity of linezolid in Strep. pneumoniae
10 resistant penicillin, Strep. species, and then
11 activity of linezolid in bacteremia.

12 And so let me go first to all of our Phase
13 III adult clinical trials, EB-1, and on this slide we
14 have -- it's a course slide that comes out of our
15 integrated summary of efficacy, but as you'll see, we
16 have the pathogen to the left, the treatment the
17 patient group received, the clinical outcome, and the
18 microbiologic outcome, and then finally the pathogen
19 outcome.

20 And you'll see that for Strep. pneumoniae
21 we have about 90 percent, you know, pathogen outcome
22 cure. If you look at Strep. pneumoniae that is

1 resistant, you see essentially the same number. The
2 number of Strep. pneumo. species resistant to
3 penicillin in adults is only eight.

4 Now, if I can go to the next slide, this
5 is that slide that I showed you just a few minutes ago
6 with linezolid Phase III and linezolid Phase II with
7 the pediatric data removed.

8 And here you have for all patients with
9 Strep. pneumo. -- we have a 92.7 percent cure rate,
10 for intermediate Strep. pneumo. and for resistant
11 Strep. pneumo. This is bringing in all of our Phase
12 II pneumonia trials.

13 So we have ten resistant Strep. pneumo.
14 here. We have 17 intermediate there, and we have 164
15 patients with Strep. pneumo. across all of our
16 protocols.

17 Now, if I can go to the next slide, now
18 looking at our bacteremia and our success rate, the
19 most fertile source of this data is in our in-patient
20 community acquired pneumonia trial. We identified 29
21 patients with Strep. pneumo. with bacteremia, and we
22 have a 93.1 percent cure, and when you look at the

1 comparator, roseferin, you see a lower cure rate.

2 And go to the next slide, please.

3 We did have just a couple of people in our
4 out-patient pneumonia trial with Strep. pneumo., and
5 we had good cure rates with both agents.

6 Now, if I can go to one more slide to give
7 you a little bit more feeling for the patients that
8 failed their treatment with bacteremia, only one
9 patient, and this is the 71 year old with chronic
10 lymphocytic leukemia, profound immunosuppression and
11 radiation. That patient was treated for seven days
12 with linezolid, was doing very, very well, went home,
13 didn't complete his prescription, of course, went
14 home, came back to the hospital in septic shock.

15 On reculturing his blood stream a couple
16 of weeks post discharge, he still had Strep. pneumo.,
17 and this is the patient I told you just a little bit
18 about. We never got the second isolate to show
19 definitively that they are the same bug. We don't
20 know whether this is a recurrence of the original
21 Strep. pneumo. infection or whether this is a new
22 infection.

1 So in conclusion, we have a solid database
2 with Streptococcus pneumoniae. When we put the
3 pediatric experience together with the adult
4 experience, I think we have an excellent cure rate.
5 In the pediatric pneumonia trials, we had five
6 patients with Strep. pneumonia resistant to
7 penicillin. Two were bacteremic, and those in all the
8 patients, whether they were bacteremic or not, were
9 clinical cures.

10 The other point I'd make is that the
11 biology and the natural history of pneumonia in
12 children is equivalent to adults. I mean obviously
13 they have special issues, pharmacokinetic issues that
14 are critical, but we feel that the natural history of
15 pneumonia in children is quite similar to adults and
16 that you should consider the data that we're providing
17 from the pediatric program and the adult program as
18 well.

19 DR. MURRAY: Would you show that first
20 slide again?

21 DR. HAFKIN: Yes, if we could go to the
22 first Strep. pneumoniae, yes, EB-1.

1 CHAIRMAN RELLER: Dr. Hafkin, are any of
2 the 32 patients with bacteremic pneumococcal pneumonia
3 -- were any of those with resistant isolates,
4 intermediate or highly resistant to penicillin?

5 DR. HAFKIN: There was no adult with
6 resistant Strep. pneumo. bacteremia. There were two
7 children that had excellent -- I mean they were cured.
8 We have two pediatric cures with resistant Strep.
9 pneumo.

10 And to answer the question you asked us
11 earlier, both the children with bacteremia had Strep.
12 pneumo. resistant to penicillin and erythromycin in
13 both cases.

14 CHAIRMAN RELLER: Thank you.

15 Other questions related to Streptococcus
16 pneumoniae in community and hospital acquired
17 pneumonia?

18 DR. KUEHNERT: I just had --

19 CHAIRMAN RELLER: Dr. Kuehnert.

20 DR. KUEHNERT: -- just a question, really
21 a clarification about your definition of hospital
22 acquired pneumonia versus community acquired

1 pneumonia, and just so that it has some bearing
2 because I saw that you had Strep. pneumo. as being a
3 common pathogen, and I don't really see that very
4 often as a cause of hospital acquired pneumonia.

5 So if it was nursing homes that were
6 involved in that or hospitals?

7 DR. HAFKIN: It was a global study, and we
8 were quite surprised as well. There were several
9 patients that came from an Eastern European site that
10 had very good evidence of Streptococcal pneumonia two
11 days after they had been in the hospital, three days
12 after they had been in the hospital for other
13 diagnoses. So it was interesting, and it's the first
14 time I had seen that, as well.

15 Should I go on to the Staphylococcal
16 questions you asked?

17 CHAIRMAN RELLER: If there be no other
18 questions for Streptococcus pneumoniae now, please go
19 ahead.

20 DR. HAFKIN: Again, these slides weren't
21 prepared for this presentation. So they're a little
22 hard to read. If we could go to EB-6, this has all of

1 our Staph. aureus that we identified and treated in
2 skin and soft tissue trials, all adult trials, and
3 here we have linezolid treatment outcomes across the
4 top. We have oxacillin outcomes there, and we have
5 vancomycin outcomes across the bottom.

6 Recall that the patients who were
7 randomized in the vancomycin arms were patients that
8 were fundamentally sicker than those patients
9 identified and treated in our oxacillin treatment arm.

10 Then if we look at methicillin resistant
11 Staph. aureus, you see a subset. This group is a
12 subset of this group. You'll see the outcomes here,
13 the clinical outcomes, the micro outcomes, the
14 pathogen outcomes, and you'll have vancomycin down
15 along the bottom.

16 Obviously we did identify two patients
17 with MRSA randomized oxacillin, and one patient was a
18 cure, at least clinically.

19 Now, if I could go after you've had a
20 chance to study to the next slide, which is the
21 analogous slide in pneumonias, if you look at Staph.
22 aureus treated with linezolid in our pneumonias, this

1 is all protocols, the MRSA protocol, the nosocomial
2 pneumonia protocol. We have the clinical outcomes
3 here for all three agents. Here we have the
4 microbiologic outcome, and here we have the pathogen
5 outcome.

6 Please, again, recall that the vancomycin
7 studies recruited much sicker patients. So if we look
8 at linezolid versus vancomycin, here's their outcome.

9 Now, if we could see the next slide, when
10 we look at Staphylococcal bacteremia, Staph. that came
11 from these trials, we did not identify very many
12 people with MRSA bacteremia. We actually have an
13 additional story to share with you because there are
14 in total, taking this patient and putting them into
15 our entire database, we have 15 patients with MRSA
16 bacteremia, but the Staph. aureus sensitive to
17 oxacillin are listed up here, and you can see very
18 comparable results for linezolid and oxacillin.

19 Now, if we consider the MRSA bacteremia
20 that we identified across all of our protocols, we
21 found 15 patients with MRSA bacteremia either due to
22 pneumonia or skin and soft tissue.

1 If I could have the next slide, this is
2 the slide that gives you a flavor of each of the
3 patients who failed. A 56 year old diabetic with an
4 infected line, never removed, on steroids, looked
5 great after 15 days of therapy, had recurrent
6 bacteremia 43 days later.

7 It was a bacteremia with Staph. aureus.
8 We don't know what the relationship of that recurrent
9 bacteremia to the original infection was.

10 A 69 year old, profoundly
11 immunosuppressed, bacteremic. They treated the
12 patient only nine days or, rather, they only treated
13 the patient for five days, and shortly after therapy,
14 nine days after therapy, the patient had recurrent
15 bacteremia. Why they treated this patient for only
16 five days is beyond me.

17 A 69 year old with diabetes, media
18 stinitis, post CABG, was profoundly ill. This patient
19 had, it turns out later on subsequent questioning,
20 external osteomyelitis. They treated the patient for
21 24 days, and the patient had recurrent bacteremia, and
22 this is, I think, a real failure, but the patient had

1 a diagnosis that made them ineligible for the study.

2 Then this last one, an 81 year old treated
3 for six days. He had an abscessed kidney,
4 Staphylococcal abscess because of the obstructive
5 uropathy. The patient was treated for six days and
6 then had recurrent bacteremia.

7 So these are our failures associated with
8 MRSA that had recurrent Staphylococcal bacteremia post
9 therapy.

10 Now, if I could go on to deal with another
11 question if there are no questions following this.

12 CHAIRMAN RELLER: Dr. Lowy.

13 DR. LOWY: Regarding the information you
14 just provided and also the recommendations that you
15 have on page 6 for length of therapy for complicated
16 skin and soft tissue infections, the recommendation is
17 ten to 14 days. Many clinicians would be concerned
18 about treating bacteremic Staphylococcal infections,
19 particularly if the bacteremia was prolonged for 14
20 days alone because of the high risk of either
21 recurrence or metastatic seeding.

22 I was wondering whether you had had any

1 additional considerations about that.

2 DR. HAFKIN: The data that we have
3 suggests the failures -- in fact, all the failures
4 whether we look at Strep. pneumo. or Staph. aureus or
5 MRSA or even -- well, we don't have any Streptococcal
6 bacteremias that failed, but all those failures seem
7 to be associated with failures of treatment less than
8 seven or eight days.

9 So I can't answer the question. We've all
10 seen failures with the beta lactams under those
11 circumstances, and we don't for a minute believe that
12 we're better than oxacillin or naphcillin against a
13 sensitive Staphylococcal species. We think we're
14 equivalent to the beta lactams against beta lactam
15 sensitive organisms.

16 CHAIRMAN RELLER: Dr. Norden.

17 DR. NORDEN: Yeah, Hafkin, I'm a little
18 confused by numbers at this point, and I think this is
19 me, but the last slide you showed showed four
20 failures.

21 DR. HAFKIN: Yes.

22 DR. NORDEN: The slide before that I

1 thought I saw only one MRSA.

2 DR. HAFKIN: That's true because it was a
3 different protocol. Let's go to the slide.

4 DR. NORDEN: I see.

5 DR. HAFKIN: If we can bring this slide
6 up, I thought I had made that point. Perhaps I --
7 this is one of the 15 MRSA patients that I told you
8 about. I stole the patient off this slide and put it
9 into that group of 15. When I told you 15, I mean 15
10 patients with MRSA bacteremia from any kind of
11 diagnosis, any treatment anyplace.

12 DR. NORDEN: In both the pneumonia and the
13 skin and soft tissue.

14 DR. HAFKIN: Skin and soft tissue, yes.

15 DR. NORDEN: Thank you.

16 DR. HAFKIN: Next I'd like to deal with
17 the amino glycoside questions, and I believe ER-30 is
18 the slide I believe I want. Yes. If I could have
19 this slide.

20 We looked because a few patients as we
21 told you in our MRSA program, Protocol 33, looking for
22 resistant Staph. We allowed patients who needed to

1 have an amino glycoside to have it, and so we looked
2 at outcomes of patients who got amino glycoside and
3 linezolid, and those patients that got no amino
4 glycoside.

5 And so here's linezolid outcomes, and
6 here's the vancomycin outcomes. This is the success
7 of patients that got linezolid and amino glycoside.
8 Here is the percentage of patients that got vancomycin
9 and amino glycoside.

10 It certainly didn't have any effect on
11 linezolid, didn't seem to improve a thing, but the
12 numbers are small, as you can see. We only -- in the
13 entire protocol only identified these handful of
14 patients that were clinically evaluable.

15 CHAIRMAN RELER: Any further questions
16 about this component of the Staphylococcal infection
17 group?

18 DR. HAFKIN: Now, you asked a very
19 important question, I thought, about the relationship
20 of linezolid sensitivity to Strep. pneumonia that
21 might be resistant, rather, to penicillin and
22 erythromycin, and although we don't have -- we didn't

1 have enough in the way of isolates in our clinical
2 trial, we did have -- we've taken the opportunity to
3 look at that in the Sentry database isolates, and we
4 could share that information that Dr. Zurenko has done
5 if you're interested in seeing the relationship of
6 linezolid sensitivity to these organisms.

7 CHAIRMAN RELLER: Anyone not want to see
8 it?

9 (Laughter.)

10 CHAIRMAN RELLER: Please.

11 DR. HAFKIN: Well, then I'd like to call
12 Gary Zurenko to the podium to show his work.

13 DR. ZURENKO: Thank you for the vote of
14 confidence.

15 (Laughter.)

16 DR. ZURENKO: Slide Y-129, please.

17 I'm Gary Zurenko from Discovery Research.

18 And early in our evaluations of linezolid
19 we were very interested in, of course, the erm genes,
20 which are shown here for the MLS B phenotype. This is
21 a study using isogenic strains in most cases, some
22 transconjugants, some transconductants, and as you can

1 see, for ermA, ermC, ermB, ermTR, most specific
2 interest here is the ermA. The MIC of linezolid was
3 unaffected by these resistance genes.

4 Y-130, please. Slide up.

5 Also looking at macrolide efflux
6 specifically here in estimoniae, the MEF E gene
7 (phonetic), we saw virtually no difference in MIC.
8 Therefore, the data predicted that we would not have,
9 in effect, by the common erythromycin resistance genes
10 on linezolid activity, which is compatible with the
11 mechanism of action being distinct.

12 Looking at the overall century database,
13 we did several correlations, one with erythromycin
14 versus linezolid, Y-270. Slide up, please. This is
15 just an X-Y scattergram of linezolid on this axis
16 versus erythromycin across the bottom, MIC versus MIC
17 with virtually no correlation.

18 So hopefully that would convince all of us
19 that based on at least laboratory evaluations, we
20 would not expect any cross resistance to occur with
21 erythromycin.

22 CHAIRMAN RELLER: Thanks, Gary.

1 Do you have, Dr. Tarpley, additional
2 information for us about the place of acquisition and
3 severity of skin and skin structure infections with
4 the methicillin resistant Staphylococci?

5 And then secondly, further information
6 about the species of enterococci in the vancomycin
7 resistant enterococcus protocol 54 and 54(a)?

8 DR. HAFKIN: We're pulling that slide up.

9 No, no, the complex skin soft tissue in
10 31.

11 What we're going to show is -- actually I
12 didn't identify the slide very well. Yes, please, if
13 you'd bring ER-32 up, this is the clinical outcome for
14 31, and these are the sickest of the patients.

15 Recall that we identified in the 31 trial
16 230 patients with skin and soft tissue infection, and
17 then what we did in this analysis is we looked at
18 everyone for evidence of fever and high white count,
19 and then we said who of those patients had a
20 significant comorbidity, and you'll have that patient
21 observation here.

22 So you see that in linezolid we were able

1 to identify 33 patients out of the 115, and then in
2 the vancomycin, we had about 30. So these are the
3 sickest of our MRSA protocols by diagnosis.

4 Most of these patients had very extreme
5 illness, but as you can see, many of them did have
6 infected surgical incisions in both arms.

7 This infected wound cellulitis, these
8 could have been associated, of course, with central
9 lines. If you look at the kind of illness that you
10 collect in MRSA trials, looking at skin and soft
11 tissue, it is quite often associated with an implanted
12 device, but certain abscesses, cellulitis are rarely.

13 Now, this is the tip of the iceberg.
14 These are the sickest of the patients we have. The
15 rest of the 230 patients -- I guess it would be about
16 180 patients or something like that -- the rest of
17 those patients will have had less severity of their
18 MRSA illness.

19 Let's go to the next slide, which shows
20 you a slightly different cut of the data. The other
21 was the ITT. This is the clinical evaluable patients,
22 and we fall then into only 28 patients here and 27

1 patients in vancomycin.

2 But, again, give you the sense that no
3 matter what cut of the data we have, we have that same
4 assurance of comparable outcomes.

5 CHAIRMAN RELLER: You had a total of 15
6 patients with methicillin resistant Staph. aureus
7 bacteremia. Were most of them out of these patients?

8 DR. HAFKIN: They came primarily from
9 Protocol 31, and they came primarily from the patients
10 you're seeing here, yes.

11 CHAIRMAN RELLER: Dr. Chesney.

12 DR. CHESNEY: I wanted to go back to Group
13 A strep. if I could, and looking at the information
14 that the FDA presented this morning, on page 22, there
15 were five uncomplicated strep. infections treated, 100
16 percent cure.

17 On page 25, again, uncomplicated, there
18 were seven with an 85 percent cure rate, and then on
19 page 29, there were 26 complicated skin and soft
20 tissue with only a 69 percent cure rate, and I guess
21 my instincts would have said that they should have
22 been 100 percent across the board, and I'm wondering

1 why 69 percent, why not 100 percent across the board.

2 DR. HAFKIN: Yes, yes. As you'll recall,
3 our numbers are similar, but because the FDA uses
4 slightly different rules for evaluability, their
5 numbers -- you know, they're very consistent.

6 We've tried to understand what happened in
7 these Group A strep. infections, and unfortunately the
8 physicians that called these patients failures did not
9 give us enough information about the clinical basis of
10 their failure.

11 They were not microbiologic failures in
12 general. In other words, they had Group A strep. at
13 baseline. So they had the bug there, and it was the
14 pathogen that was important. Unfortunately, because
15 there were so few, we have only one with a positive
16 culture at follow-up, and that patient was clinically
17 cured, but was a microbiologic failure.

18 So we really don't know what's happening.
19 Did we pick up a group of patients that failed
20 clinically whose Group A strep. was cured? I'm afraid
21 that we're only talking about a handful of
22 observations, and I can't -- you know, I don't

1 understand it. You know, I can't tell you a story
2 that somehow puts it in perspective.

3 DR. CHESNEY: Well, obviously if this were
4 to be approved for skin and soft tissue, people would
5 assume that it was effective for Group A strep, and
6 this is a little unnerving to --

7 DR. HAFKIN: Well, we have a substantial
8 animal model database, and if you'd like us to go into
9 that.

10 DR. CHESNEY: Thank you. That would be
11 good.

12 DR. HAFKIN: Before we go too far, would
13 you like me to give you the enterococcal data?
14 Because it involves another group of people.

15 CHAIRMAN RELLER: Let's finish with the
16 Group A Streptococcal question so that then we can
17 keep these in categories, and then we'll come back to
18 the enterococci.

19 DR. HAFKIN: Great. I'll call one of my
20 preclinical colleagues.

21 CHAIRMAN RELLER: Gary Zurenko will be
22 presenting this data.

1 DR. ZURENKO: Yes. Thank you.

2 CHAIRMAN RELLER: These data.

3 DR. ZURENKO: The in vivo activity of
4 linezolid was evaluated in the Myan Acrusis (phonetic)
5 model by Dennis Stephens, and in this model of
6 necrotizing fasciitis mice were infected. Mice were
7 infected as shown. Treatment with antibiotics was
8 initiated four hours after the challenge and then
9 continued every 12 hours BID for six doses.

10 Next slide.

11 Linezolid was administered in three doses,
12 ten, 20, and 40 milligrams per kilo. Clindamycin was
13 administered at 86 milligrams per kilo and penicillin
14 G at 98.

15 The animals were followed as described
16 here, and the endpoints were considered as shown.

17 Next slide.

18 Let's go to -- let's jump right to the
19 end. Y-198 please. Slide up.

20 In this figure we show that percent
21 survival post treatment at 12 days with the antibiotic
22 dose across the bottom, as you can see, very good

1 survival in these treatment groups. This is a group
2 treated with ten to the seventh, ten to the eighth,
3 and ten to the ninth cells. Obviously there's very
4 severe challenge as is shown here. The drug is not as
5 effective as with the other two challenges, which are,
6 in fact, still quite large.

7 The conclusion of the investigator was
8 that the activity was quite similar to that seen with
9 clindamycin, which is a very effective agent in this
10 model, but that he felt that a longer term of dosing
11 might be required to handle these very severe
12 challenges.

13 CHAIRMAN RELLER: Dr. Murray.

14 DR. MURRAY: What's the half-life of the
15 drug in mice?

16 DR. TARPLEY: One moment please.

17 DR. LOWY: I think it was between a half
18 hour and an hour when I read this over before, as
19 opposed to penicillin in 15 minutes and clindamycin
20 about 20 minutes in mice.

21 DR. TARPLEY: It's about an hour.

22 CHAIRMAN RELLER: Thank you.

1 Dr. Rodvold.

2 DR. RODVOLD: I was wondering if you could
3 maybe clarify something with me for the methicillin
4 resistant Staph. aureus. It seems in your protocol
5 for uncomplicated skin and skin structure there wasn't
6 that many isolates, if any, for MRSA, and then the
7 other isolate you've tied in from both your
8 complicated studies as well as just the MRSA directed
9 pathogen study.

10 My question gets to be that in
11 uncomplicated you studied a 400 milligram dose and in
12 complicated you studied a 600 milligram dose, but you
13 want indication for MRSA in both. Is the 400
14 milligram dose in an MRSA adequate enough to treat it?

15 DR. HAFKIN: We agree that patients with
16 MRSA, known MRSA infection should have 600 milligrams
17 of linezolid twice daily. We agree with you.

18 CHAIRMAN RELLER: I think we're ready for
19 the enterococcal discussion.

20 DR. HAFKIN: You know, you make a
21 wonderful slide and you don't bring it with you. So
22 we're going to have to live through a less than

1 perfect collection. If I could have ER-63 up, this is
2 not the complete database unfortunately, this is just
3 that initial database.

4 ER-63, please.

5 What I'm going to try and share with you
6 is the efficacy of linezolid against VRE by species.
7 If I could have this slide up, please.

8 Unfortunately this does not include our
9 compassionate use and our 54 isolates, but this gives
10 you a sense of that first 145 patients where we have
11 E. faecium, E. faecalis, and E. avium, rather,
12 faecalis and faecium.

13 And we have the microbiologic response
14 here and the microbiologic response here, this being
15 the 200 milligram, this being the 600.

16 We've just found the other slides, but let
17 me go through one more of these, and then we'll find
18 the real slide.

19 Let me see it now and see if we have it.
20 Yes, this is a great bottom line slide. M-93, please.

21 Now, this is -- what we've done here is
22 I've taken the 600 milligrams twice daily dose results

1 for Study 54(a) and the results in our compassionate
2 use trial. Recall that most of these patients have
3 interabdominal abscess. More than 90 percent of those
4 patients have interabdominal abscess. This is the E.
5 faecalis result for the two.

6 And then our conclusion, of course, is
7 that the drug is quite effective in the management of
8 vancomycin resistant enterococcus whether it's faecium
9 or faecalis.

10 CHAIRMAN RELLER: Just so that we're
11 perfectly clear, the number of vancomycin resistant
12 faecalis isolates treated was two?

13 DR. HAFKIN: Well, two, and this is half,
14 you know; this is half of our 50 -- the two represents
15 half of the experience I'm sharing with you. This
16 comes from the 54(a), and this is from compassionate
17 use. These are patients that are microbiologically
18 evaluable. So we have nine from compassionate use,
19 and we have two from our Study 54A.

20 CHAIRMAN RELLER: So a total of 11
21 patients with vancomycin resistant E. faecalis?

22 DR. HAFKIN: Yes.

1 CHAIRMAN RELLER: Dr. Murray.

2 DR. MURRAY: Just along those lines, it's
3 kind of interesting since there probably would have
4 been a comparator unless these were allergic patients
5 for the vancomycin resistant E. faecalis. I'm kind of
6 surprised it was included in this protocol.

7 Is that maybe they didn't know what it was
8 when they first started therapy?

9 DR. HAFKIN: Actually in Protocol 25, 70
10 percent of the patients roughly came to the protocol
11 because there was no option in terms of therapeutic
12 choices. The patient had no other antibiotic that
13 would work, but the rest -- it is interesting -- came
14 because of intolerance, allergy to beta lactams,
15 allergy to vancomycin. So these few were allergic
16 patients. They couldn't take something else.

17 CHAIRMAN RELLER: Are there additional
18 questions for the sponsor?

19 Dr. Murray.

20 DR. MURRAY: A couple of questions sort of
21 going back to early clinical data, and one relates to
22 just partly curiosity, but it would, I'm sure,